

NIOSH/NPPTL Public Meeting to Discuss
Standards Concept Development for Powered Air-Purifying
Respirators to Protect Emergency Response Workers
Against Chemical, Biological, Radiological, and
Nuclear (CBRN) Agents

October 16, 2003
Radisson Hotel at Waterfront Place
Morgantown, West Virginia

1 PROCEEDINGS

2 RICH METZLER: Good morning. Welcome. Good morning,
3 ladies and gentlemen and partners for improving occupation
4 safety and health. It really is a pleasure to see so many
5 friends of NIOSH and of NPPTL here with us today at this
6 public meeting. I'm Rich Metzler, Director of the National
7 Personal Protective Technology Lab, and want to give a few
8 opening welcoming remarks for your public meeting today.

9 I want to encourage active, proactive actually,
10 participation. Today we're introducing a new concept for
11 powered air-purifying respirators with protection against CBRN
12 threats. But we're also bringing to close in the second
13 meeting this afternoon our final concepts for a quality
14 assurance module. That module will move forward in the next
15 90 days through the internal process of getting approved to be
16 announced as a proposed rule.

17 With regard to the PAPR module, we're really at the
18 beginning of setting standards. We have a concept which is
19 trying to bring a balance among technology and users'
20 protection needs and their needs for interoperability, and
21 that balance is a difficult one to achieve. I point that out
22 early so you are all aware we understand the issues and we
23 welcome your comments not only here today in this recorded
24 public meeting, where a transcript will be made available to

25 you on the docket, but we welcome your comments and your
26 guidance, data, that you can provide to a docket office as
27 this process moves forward.

28 I want to call your attention to a recently completed
29 project that NPPTL had been working on with Rand in their
30 Office of Science and Technology Policy, which is an activity
31 we are conducting through the National Science Foundation.
32 They had done two studies for us looking at personal
33 protective equipment needs for emergency responders. The
34 first document has been available since May of last year. The
35 second document in the lower right-hand corner is available
36 for you to take a copy with you today. It is an extension of
37 the early work we did in questioning responders from the World
38 Trade Center, the Pentagon, and Oklahoma City as to what their
39 personal protection equipment needs are relating to structural
40 collapses, to expanding that to all emergency responders in
41 any source of events. And you can see in these documents what
42 the emergency responders personally feel about their personal
43 protective equipment.

44 Rand is continuing to work with us in completing this
45 study which will bring together the information from the
46 emergency responders themselves with database information on
47 injuries and fatalities, and this information will be used to
48 help us identify priority research for the laboratory.

49 I also want to thank everyone who have been attending
50 these meetings over the past couple of years and just give a
51 very brief summary of where we stand with regard to our
52 activities related to standards development for CBRN threats.

53 In January 2002 we began accepting applications for self-
54 contained breathing apparatus. Today we have three
55 manufacturers who hold approvals on 21 models, 15 approvals
56 for those models. Interspiro, MSA, and Scott. Scott also has
57 an upgrade kit, CBRN approved, to bring their traditional
58 firefighter equipment into compliance with CBRN. Other
59 manufacturers have discussed with us their intents to apply.
60 And from what we see in the specifications they are
61 discussing, it looks like as though there will be more
62 approvals very shortly upgrading traditional SCBA.

63 Gas mask standards were implemented in March, and there
64 are five applications in-house, and all those applications
65 have had the preliminary testing against the permeation tests
66 against chemical warfare agents, sarin and mustard, and have
67 passed the preliminary screening tests and are in various
68 phases of the certification process. Things are looking good
69 for having equipment available in the coming months.

70 In October we implemented the standards for escape hoods.

71 In November we'll be accepting applications for escape hood
72 certification.

73 This work that we have completed could not have been done
74 without establishing quality partnerships. A major philosophy
75 for the laboratory that I initiated was built around the fact
76 that quality partnerships enhance safety and health. To carry
77 out the program and accomplish what we've accomplished was not
78 something done alone. The process was initiated with the
79 Department of Justice and the National Institute for Standards
80 Technology, who had the foresight as early as 2000 to provide
81 initial funds to NIOSH and NPPTL to begin the process of
82 looking into hazards associated with CBRN response.

83 SBCCOM, as you know, our Army brother/sister
84 organization, is working with us in conducting tests and
85 evaluating the equipment, as well as helping us establish the
86 standards. They recently changed their name to RDECOM,
87 Research Development Evaluation Command.

88 OSHA we have partnered with to identify cautions,
89 limitations, restrictions of use, guidance on enforcement.
90 And recently the Department of Home and Security has provided
91 \$3 million to continue the development of standards for
92 PAPR's, combination equipment, closed-circuit, long duration
93 apparatus.

94 This also could not have been done without the
95 cooperation of NFPA. As you know, our program tends to be a
96 tiered program, where NFPA requirements are required to be

97 met, NIOSH approvals, in addition to military testing.

98 I'd also like to thank those in the private sector. The
99 ISEA has been very supportive. Individual manufacturers who
100 come to these meetings and many stay quarter meetings that we
101 hold on one on one at the Pittsburgh Complex, the
102 International Association of Firefighters, the International
103 Association of Fire Chiefs, and many others who attend these
104 public meetings.

105 And in closing I want to just say today we have a special
106 guest with us. Many of you have worked very closely with John
107 Dower. John retired at the end of September. He lives in
108 the Morgantown area and was able to come over to meet many of
109 his friends. The accomplishments that I've described were
110 achieved by all these partners really started with John's
111 activities in helping to establish the interagency board, in
112 networking closing with NIST to bring you early money into the
113 program. The early funds started somewhere around \$500,000
114 from DOJ and NIST, and over the past two years have been
115 funded by a variety of sources up to \$18 million.

116 So I'd like you to recognize, John, if you'll stand up,
117 and recognize that we are here today and accomplished what we
118 have done because of his initiation and -- (applause) Thank
119 you, John, God bless you, and I hope you have a very enjoyable
120 meeting.

121 Speak up. Go to the microphones. Let's hear from you.

122 I know I don't have to tell many of you that. Thank you.

123 **ROLAND BERRY ANN:** Good morning, everybody. I want to
124 reiterate what Rich said about welcoming you here. We're glad
125 everybody could make it and be here. We're looking forward to
126 active participation. I believe everybody got a copy of the
127 agenda in their packet, if not, we can get one out at the
128 table during break.

129 We're going to be covering the same type of requirements
130 that we have previously in these types of meetings. I'm
131 talking about the requirements, how they were derived, what
132 they're based on. We've got a full day. We have an afternoon
133 session. We'll be adjourning this meeting at 2:45 and have a
134 short break, and then at 3:00 we'll be reconvening a second
135 public meeting on the QA module that Rich spoke about.

136 If anybody has not signed up for that and is planning on
137 staying, there's a separate sign up sheet for the QA Module
138 Meeting this afternoon. Please do that at the reception table
139 out front.

140 Meeting logistics, those of you who have been with us
141 before probably are familiar with all the details of how our
142 meetings are conducted. There's sign up sheets at the front
143 for each of the two public meetings. Please sign up your
144 attendance if you haven't preregistered. The meeting, as Rich

145 said, is being recorded. We have a verbatim transcript that
146 will be available on the docket of the meeting. We're going
147 to do our best to follow the agenda and get everybody out on
148 time. We know that there's travel arrangements and such. And
149 we do want to get to all the topic areas during the day.

150 We will have question and answer periods after each
151 presentation. You'll have an opportunity to question and
152 comment or make any comments you want. There's a microphone
153 in the aisle that you can approach. Please identify yourself
154 and affiliation with any comments that you make so that that
155 can be captured by the transcriber. And you may be asked to
156 provide clarification of your name, spelling. And if anybody
157 here - we do have one presentation, I believe, who has
158 preregistered to give a presentation. If anybody else wishes
159 to give a presentation at the end of the session of the
160 meeting where we have time allotted, please sign up at the
161 desk out front and we'll get you on the agenda.

162 Okay. Just real quick, as Rich said in his presentation,
163 we began this process in 1999. We have three standards that
164 have been completed and released. Two of them we have
165 applications in the process. One of them, the SCBA's, we have
166 approvals issued. As a footnote to the SCBA's, we have the
167 upgrade program for SCBA's that we have implemented as well
168 this year, and we have one approval issued under that, and

169 other applications forthcoming. Today we're starting the
170 journey on the next set, which is the PAPR's. And hopefully
171 early next year we will have our concepts into a standard that
172 we will be able to move forward with.

173 Again, just reiterating the beginning of the process
174 where the needs were defined early in the process in 1999, and
175 the partnerships that Rich talked about, and we believe we are
176 fulfilling on those promises that were made early and
177 following the course that was set. But we continue to ask for
178 input to assure that we are on the right course on our
179 priorities.

180 Okay. Again, Rich already covered with his brief
181 statements, I won't belabor it, RDECOM has been one of our
182 partners who are agent testing and are subject testing for the
183 protection level testing. NIST, OSHA, NFPA, and Department of
184 Homeland Security have been instrumental in helping us make
185 our progress and be successful in our efforts. Again, the
186 purpose of this public meeting is to provide our initial
187 concepts on PAPR requirements, what we think are appropriate
188 to continue the process of the CBRN standards, and we are
189 hoping that we get active feedback and input to tell us where
190 you think we are correct in our assumptions and our directions
191 and where you think we need to reassess our initial concepts
192 and improve our product.

193 Here's the address and information for the docket office.
194 Again, that information is available, if you don't have it,
195 at the front desk outside the room. And that concludes the
196 logistics. Thank you.

197 **JONATHAN SZALAJDA:** Good morning. I wanted to spend a
198 couple of minutes initially that follow along the discussions
199 that Rich and Roland introduced regarding our standards
200 development process. And really I think it gets around to,
201 you know, what the first question we've had to answer in terms
202 of why we need a suite of CBRN respirator standards as what's
203 the requirement for a new standard. Well, I guess obviously
204 the first thing that we look at is if you have a threat you're
205 trying to address to look and see if there is an existing
206 standard that could be applied to address that threat. If
207 there's not, then you have a requirement from the standpoint
208 that that type of standard doesn't exist.

209 I think there are a couple of other possibilities about
210 why we develop new standards. And they really relate around
211 technologies. I think the one aspect in dealing with CBRN
212 events is the identification of new hazards, you know, and the
213 aspect that terrorists are very iterative people by what we've
214 seen in events not only in this country but around the world.
215 And a lot of different things can be deployed in different
216 manners to create a hazard for responders.

217 I think the other aspect though of technology and in
218 consideration of new requirements for standards is the
219 protection, the equipment controls protection elements that
220 are identified, being respirators or other protective
221 ensembles, that include the design and products that evolve to
222 address the hazards that are associated with the different
223 threats and the response through personal protective equipment
224 and how to protect against them.

225 I think the bottom line for where we stand today is that,
226 and we've said this in the past, that military standards or
227 existing NIOSH standards don't totally fit the bill for
228 developing appropriate protection for CBRN events. NIOSH
229 standards are principally for certification of product to
230 ensure that performance required and some quality assurance
231 requirements are present and maintained in the product that's
232 used by the worker population. Military standards are geared
233 towards identified design performance criteria that were
234 identified to meet an operational requirement for equipment
235 used by the military.

236 Looking at the user group populations, you know, when you
237 talk about NIOSH industrial type respirators, that we're
238 looking at the general working population groups that have
239 been identified that are required to have respiratory
240 protection as part of their day to day functions as

241 engineering controls. Now, obviously for the military
242 standards, the standards are geared towards protecting
243 military personnel in defined scenarios for where they would
244 need personal protective equipment.

245 For the hazards that we are dealing with, I guess through
246 with NIOSH, that, you know, you're looking at toxic industrial
247 chemicals. And I think we're to some extent, you know, that
248 these types of hazards are quantified and identified and PPE
249 respirators are incorporated as part of engineering controls
250 to minimize exposures to the workers. With the military
251 standpoint, you know, in speaking from a perspective on the
252 respirator development, that their requirements for the
253 respirator were built around defined battlefield scenarios
254 knowing what the agents were that the adversary could deploy
255 and what concentrations could be generated in a battlefield
256 scenario, how to appropriately protect against several of
257 these instances where chemical warfare agents may have been
258 deployed.

259 I think when we're looking at terrorism though and given
260 the unknown perspective in dealing with terrorism events that
261 one of the that we've tried to consider is the full range of
262 CBRN warfare agents. And chemical warfare agents as well as
263 the deployment of toxic industrial chemicals as a potential
264 weapon, biological, radiological, nuclear particulate matter.

265 And as well as, as I mentioned, the concern about the extreme
266 use of toxic industrial materials being deployed as a
267 terrorism weapon.

268 I think some of the other characteristics in looking at
269 the difference between the military and NIOSH standards
270 include protection characteristics, as far as defining the
271 criteria and the protection necessary for the responder in
272 dealing with these events. From the historical NIOSH
273 perspective, you know, we've built requirements around the use
274 of REL's, PEL's and unacceptable exposure when it's defined over
275 a 40 year period. And the military requirements are built
276 around the myosis effects of the chemical warfare agents and
277 the effects on military personnel.

278 I think when we look at terrorism we're encompassing a
279 wide range of potential responders that we've seen from time.
280 The definition of responder has really evolved over the past
281 several years and in this field that we've considered people
282 such as the fire services, law enforcement, emergency medical
283 technicians, construction engineers, and engineers that would
284 be supporting cleanup activities, as well as even people such
285 as telephone and those type of workers that are trying to
286 reconnect basic services that may have been interrupted as the
287 result of a terrorism event.

288 But I think what we always try to do in terms of working
289 on new standards is to identify a goal for the project,
290 because as Les Boord who usually gives us this type of
291 discussion says if you don't set a goal for yourself, you
292 know, any road can take you to lead any - lead you to any type
293 of accomplishment you want. And in terms of this sytem we
294 felt that the goal needs to focus on protecting emergency
295 responders against potential inhalation hazards and other
296 terrorists hazards that could be seen by a responder at an
297 emergency of using CBRN type of materials.

298 And I think just to kind of follow up on what Roland and
299 Rich and had said earlier, that this is our first meeting to
300 discuss the CBRN PAPR concepts, and we really want to
301 encourage your review of the concepts and your engagement with
302 us in discussions on the process in order to maximize the
303 feedback and the ideas that you may have from your community,
304 whether it's a manufacturer or from the user side, so we can
305 make sure or at least work towards ensuring that we can
306 address those aspects as part of the standard.

307 Where we envision this sytem being used is pretty
308 consistent I think with traditional methodology and where air-
309 purifying type systems should be used, that we anticipate that
310 PAPR's will be used in warm zone type environments where there
311 is a controlled - hazard's been identified, it's been

312 quantified, controlled to some extent, but it's still at the
313 point where it's above a permissible exposure level for the
314 responder that the air that the responder is dealing with
315 needs to be purified to reduce the effects of contamination.

316 I think some of the aspects that have historically been
317 seen with the warm zone operations include your long-term
318 support activities like decontamination, traffic control
319 around the perimeter of a site, as well as in supporting
320 rescue and recovery type operations as well. One of the
321 things that we felt was important based on some of the earlier
322 work that was done with the standards development effort was
323 to provide for crisis provision in terms of the capacity of
324 the canister infiltration. This system is used where there's
325 a potential for high physiological flow through the system.
326 And also we wanted to identify a capacity in the system for
327 dealing with secondary type of devices or pocket of entrapped
328 hazards that may not be readily recognizable in the warm zone,
329 but could be there and made present and still provide an
330 adequate protection for the responder so that he could escape
331 from that type of scenario.

332 And I think at least in terms for this meeting I wanted
333 to go through and spend a few minutes in terms of talking
334 about the process that we've been following and trying to
335 consistently follow, starting with the SCBA and moving through

336 the APR, as well as the escape products, and now into the PAPR
337 at least in terms of the types of activities that we're
338 considering in our process for developing the CBRN PAPR
339 standards. And I think at least off the top the need for a
340 hazard analysis is inherent with the process. And I think one
341 thing to keep in mind up front is that the hazard analysis for
342 the CBRN PAPR uses the same criteria that we established for
343 the CBRN air-purifying respirator and gas mask.

344 This work also builds upon the initial work done in the
345 hazard assessment and the vulnerability assessments that we've
346 conducted in 2000 and 2001 with the soldier biological and
347 chemical command, now RDECOM. Vulnerability factors that were
348 assessed as part of this early work included an evaluation of
349 the toxicology and the hazards, potential delivery methods a
350 terrorist could employ to deliver that hazard, challenge
351 concentrations of the hazards that could be generated as a
352 result of the deployment of the device. And then also the
353 identification of the protection that would be required for a
354 responder in dealing with these different events.

355 The modeling efforts that were generated by the Army in
356 support of this assessment showed that the toxicities of the
357 toxic industrial chemicals and the chemical warfare agents
358 span corridors of magnitude and value. It wasn't an easy
359 problem to solve by any means. We also found that the

360 challenge levels that are generated were venue specific. And
361 by that I mean that as part of the assessment the modeling
362 considered I believe the total was 28 or 29 different
363 potential venues in the deployment of chemical warfare agents,
364 and also evaluating the deployment of toxic industrial
365 chemicals. And we found that depending on the venue and the
366 scenario, the things I mentioned, that it concentrated -
367 challenge concentrations vary widely. And in terms of how we
368 develop our challenge criteria for the chemical warfare
369 agents, we identified something at the time we call incredible
370 events and looked at the range of concentrations that could be
371 generated as a deployment of these types of materials and
372 select the challenge concentrations appropriate for what we
373 felt would be most like seen by a responder in dealing with
374 these environments.

375 And I think to leave from the hazard analysis to
376 protection is that in part of the process in doing this
377 assessment was looking at the protection that was required for
378 the responder, and that was dependent on how the respirator
379 would be used obviously in IDLH type scenarios we're looking
380 at self-contained breathing apparatus, which was the initial
381 standard that we developed in looking at less than IDLH type
382 conditions in warm zone type operations. We look at APR's and
383 now PAPR's.

384 The protection that's required, you know, I think the tie
385 in with that is that once you have the - you've done this
386 assessment and you've quantified and identified the hazards
387 and you can apply the appropriate protection level in terms of
388 respiratory protection, whether it's provided in a supply air
389 system or an air-purifying system to provide the required
390 protection for the individual that needs to wear the
391 respirator. Along with the hazard, going through this type or
392 part of the process with the hazard analysis and the
393 assessment of the protection evaluations, we go through a
394 process in our standards development concepts of identifying
395 and evaluating human factors and environmental factor
396 characteristics and concerns.

397 And I think human factors are pretty well known to the
398 community. They're referring to aspects such as
399 communications, speech intelligibility, the field of view,
400 whether or not the respirator will fog during operation, the
401 ruggedness of the lens, how the lens will resist abrasion
402 while the respirator is in use.

403 Environmental factors are addressed to assess the
404 different types of conditions that the equipment may be
405 exposed to in its life cycle. To that extent we've used other
406 standards in terms of looking at requirements that were
407 identified by NFPA for NFPA approved equipment, as well as

408 looking at things like military standards, Mid-Std-810 for
409 environmental condition is one example.

410 But as we go through, and one thing I wanted you to
411 appreciate in terms of the process, in going through these
412 different steps that as we go through the hazard analysis and
413 the determination of the protectability that's required, and
414 consideration of these other factors, we're working to define
415 these conceptual requirements on what we call the concept
416 paper. And this is the device and instrument that we
417 initiated as part of the air-purifying respirator standard
418 development. And really we like to think that the concept
419 paper up to the point where we implement is a standard is
420 really a living document. And it's easy - I guess it's easy
421 enough to say that, but what we really, from our perspective,
422 we see this is a good mechanism of translating our thoughts
423 and our thought process to the stakeholder community in terms
424 of things that we're considering in terms of requirement, and
425 looking at the stakeholder community to come back to us and
426 identify where we're on track or maybe where we might need to
427 consider some things to redirect the requirements generation.

428 Buy anyway, once we would get out and start identifying
429 the concepts, then we start looking at the perspective as well
430 as taking those concepts and then translating them into the
431 standard and the supporting activities that need to go along

432 with the certification, the certification that products that
433 NIOSH receives for evaluation meet the requirements of the
434 standard. And along with that we're looking at trying to look
435 at the development of procedures, testing procedures, from a
436 certification point of view to make sure that we have a
437 consistent method of performing the tests and evaluate the
438 products against the requirements.

439 I think the next aspect along with that is looking at any
440 special provisions in terms of quality assurance that need to
441 be addressed in terms of the certification activities as far
442 as when we implement the standard, if there are any other
443 special criteria that we need to consider would be
444 manufacturer and community needs to consider in terms of the
445 product that's being provided.

446 And finally, the last aspect of our process is to try to
447 do this is a public forum. And I think we try to do the
448 standard development in an as open environment as much as
449 possible. We encourage the exchange of information between
450 stakeholders and other representatives of the community and
451 any interested party that may have an interest in our process.

452 And I think, you know, public meetings like this one are an
453 example of that type of process, as well as any of the open
454 discussions that Rich and Roland mentioned that we welcome to
455 have with members of the community.

456 So having done that, to set the stage for what we want to
457 talk about in terms of the PAPR, I wanted to bring up front
458 some of the points that are features of the concept that we
459 are thinking of building the standard around. And some of
460 these - and with the team working and trying to identify and
461 having seen some of the other issues that were identified with
462 some of the other standards development efforts, we decided to
463 put forward a couple of concepts for the community to
464 consider. And really this is where we need to get the
465 feedback not only from the stakeholder communities, but also
466 from the manufacturers in terms of how the technology, the
467 PAPR technology could be employed within the development of
468 the standards.

469 I think one thing up front that we felt was important
470 because of the nature of CBRN agents that we felt that the
471 protections that were afforded by a tight seal to the face was
472 important for a responder. And so the first thing that we
473 felt was important for responders in dealing with a CBRN event
474 was to specify a tight fitting, full facepiece PAPR. And this
475 includes I guess by definition some of the neck dam systems
476 where NIOSH has made a prior determination, the neck dam could
477 be applicable as a type of - tight fitting, full facepiece.
478 It's a lot to spit out this early in the morning. But that
479 type of system would be appropriate and fit the category of a

480 full facepiece type of tight fitting PAPR.

481 The other aspect along with looking at the protections,
482 based on some of the user - naturally there's significant user
483 feedback with the development of the CBRN and APR
484 requirements. And the user very articulately defined the
485 need, the desire for interoperability or to allow the
486 interoperability of filters as could be determined onsite,
487 with the site commander working with OSHA and other agencies
488 to make a determination that you could exchange filters
489 between CBRN approved APR's and the canisters that were
490 developed along with those APR's. And what we're
491 conceptualizing at this point is to continue to built around
492 that feature for the system and to translate the requirements
493 that were identified with the CBRN APR with a gas mask to the
494 PAPR as well.

495 I guess from the user perspective it seems that this
496 would be desirable because one of the big concerns was to
497 minimize the number of filters, the number of canisters that
498 responders would have to deal with onsite. And by requiring
499 the same, identifying and requiring the same connectors and
500 physical parameters of the canister, and as well as the gas
501 life requirements of the canister between the systems, we're
502 working towards providing that potential for interoperability.

503 Another aspect that's come to light with this approach is

504 that it simplifies the testing technology that's required for
505 this type of system. And to that extent that we're using and
506 evaluating these types of systems where we'll be using the
507 same test technology and the same procedures that have been
508 defined for the APR, you know, that we would simplify the
509 process at least with regard to how the canisters would be
510 evaluated.

511 A couple of the other requirements that we addressed in
512 going along with that is a determination of a minimum flow
513 rate for the PAPR. And we're using the 115 liters per minute,
514 which was identified as part of the 42 CFR requirements. And
515 in following our logic process for using this airflow, that
516 leads to the identification of two - a minimum of two
517 canisters, a minimum of two filtration systems that would be
518 necessary for this type of device.

519 I think I probably jumped ahead of myself in that
520 discussion a little bit. But in terms of the requirements
521 that were established for the gas mask, for the APR canisters,
522 we pretty well identified that as in working within the
523 development of the concept paper and then the establishment of
524 the standard for the APR. Looking at really building off the
525 mechanical connector design that was specified in the
526 statement of standard, both the male and female connectors
527 that are associated with the face plank as well as with the

528 canister. Also we're continuing to consider using the
529 parameters that were defined for the gasket and mechanical
530 connector. And for those of you that were involved or tracked
531 the APR process, I think you'll recognize that we developed
532 those parameters around the military requirements that were
533 used on the M-40 and the NCU-2P masks which were shown to be
534 effective as the result of evaluations done by the Armed
535 Services.

536 The canister and the dimension and weight again tracks
537 with the development and the efforts that were indicated in
538 the gas mask standard. And looking at a limit of 500 grams
539 for the canister and then fitting within the size envelope
540 that was defined and the requirement of going through a five
541 inch diameter hole. And I think the other thing to keep in
542 mind, as I mentioned, was that we're looking at doing the same
543 gas life, the same particulate testing that's done with the
544 APR canister, the requirement for the P-100 filter media to
545 effectively remove the biological, radiological, and nuclear
546 particulate matter, the same gas life challenge
547 concentrations, and the breakthrough concentrations that were
548 identified with the APR.

549 To follow-up on that thought, and Mr. Thornton will
550 follow me and he'll talk a little bit more about the hazard
551 list, but in looking at the hazard analysis that we conducted
552 as part of our early work in vulnerability assessment, the
553 PAPER continues to follow and build upon the hazard list that
554 was identified as part of our early vulnerability assessment
555 and hazard assessment work that was done.

556 To that extent we looked at a variety of lists that have
557 been promoted within the community, the ITF-25 and now the
558 ITF-40, lists that been promoted by the CDC as well as the EPA
559 and other federal agencies, lists that were generated by law
560 enforcement agencies. But again, in defining the requirements
561 for this standard we're looking at providing the same 139
562 protections that we identified for the gas masks for the APR
563 standard. And one thing to keep in mind though with this
564 analysis is that though our assessment here is a dynamic
565 process, as information is generated and comes available we
566 continue to review these lists and conduct benchmark testing
567 and other evaluations where necessary to follow up on the
568 requirements and the protections that this system could
569 provide for responders.

570 And again with following with the work that we've done
571 with the gas masks, we're using the concept of test
572 representative agents to simplify the certification testing as

573 far as the number, of using a small number of materials, of
574 chemicals or particulates to protect against a wider
575 population. Terry will get into that in his presentation.

576 And I think if nothing else I think people will say that
577 NIOSH has been consistent with a three-tiered approach to a
578 standards development. We introduced this with the SCBA.
579 We've continued it with the gas masks and the escape
580 respirators. And now we're going to use the same methodology
581 for the powered air-purifying respirators.

582 And in looking at the requirements that have been
583 identified in 42 CFR, Part 84, what we're envisioning right
584 now is to use applicable sections of the document. And by
585 that I mean the sub parts A through I believe it's F, which
586 deal with the general construction requirements, the QA
587 requirements, things of that nature, as well as probably
588 sections of sub part I to address the requirements that were
589 identified for powered air-purifying respirators for the tight
590 fitting respirator.

591 It looking at the requirements derived from other
592 standards and specifications, one of the things that we've
593 tried to do with the development of these requirements is
594 where existing standards are in place to use them to the
595 maximum extent possible. And I think when you look at some of
596 the things that we've defined in the first concept paper, you

597 know, we're continuing to make use of EN-136 and looking at
598 the requirements for the mechanical connector. We're using
599 ASTM methods in identifying the testing requirements for the
600 mechanical gasket.

601 For the field of view and the abrasion and some of those
602 other parameters, we're using requirements that were
603 identified in EN-136 as well as in guidelines that have been
604 issued by the American Medical Association for visual acuity.

605 And I guess then another aspect that jumps out is with the
606 environmental conditioning, using the requirements and
607 procedures that have been developed as Mil-Std-810 and
608 tailoring those requirements to be applicable to how we
609 envision this device being carried and used by the responder
610 community.

611 And then the last part of our triad of our tier of
612 requirement is the special CBRN requirements that are inherent
613 with this type of system. Now, obviously in testing for
614 chemical warfare agents is one of them. And that's been
615 something that the user community has been consistent and
616 vocal in their desires that this equipment be evaluated
617 against the real thing, that it will protect against the
618 chemical warfare agent. We're also continuing along with the
619 implementation of a respiratory fit test in a laboratory
620 setting which we call the LRPL. I know I'll mess this up, but

621 it's Laboratory Respirator Protection Level testing. And this
622 test is done for us by the Army as our test agent. And I
623 think for the manufacturers and the stakeholders that have
624 been involved with the process that you'll see that the
625 overall protocol for this device isn't new, that we are
626 translating the same protocol that was developed as part of
627 the initial work with the SCBA that's been continued through
628 PAPR and the escape mask and tailoring that protocol to meet
629 the specific parameters associated with the respirator in this
630 case. Now we'll be looking at tailoring the requirements of
631 that protocol to meet the LRPL requirements. And then the last
632 part of the special requirements gets into the gas life
633 testing, the testing against the test representative agents
634 that were identified for filtration and the canister.

635 Some of the other things that we're really looking for
636 feedback from the community on as we move forward over the
637 next few months are other requirements that are unique to the
638 powered air-purifying respirator. And as I had mentioned
639 earlier I think with - and as we conceptualize right now,
640 because of the nature of the CBRN threats, you know, we're
641 looking at the protections that a tight fitting, full
642 facepiece respirator can afford. With the harness
643 requirements, and by harness we mean the aspect that where the
644 respirator components are held against the wearer's body, and

645 along with that, the design of that harness, you know, how
646 easily the components may be removed or replaced or work
647 within the system.

648 Some of the other things that have been traditionally
649 considered with the PAPR's are container requirements. And by
650 that I mean the designations that may be applied regarding the
651 system, things like indication of the battery requirements,
652 the indication of flow, of the airflow through the respirator.

653 And then also with the labeling that's incorporated as part
654 of the container requirements, but things I guess along the
655 lines of information about the battery or the flows, things
656 like appropriate cautions and limitations that could be
657 applicable to this type of device.

658 Some of the other things that we want to evaluate and get
659 into and get feedback from both stakeholder community as well
660 as the manufacturers are other construction requirements of
661 the respirator. I guess one thing when we look at the battery
662 requirements, you know, what should we identify in terms of
663 the service life recommendations for the system. We also want
664 to be able to - we're conceptualizing how we want to
665 evaluate the rating requirements and verify those requirements
666 for service life of the battery and whether we should define
667 battery requirements based on - for duration based on motor
668 drive, the load that the battery sees and the condition of the

669 battery where you may have rechargeable batteries that maybe
670 used with they system. These are the types of parameters we
671 want to evaluate.

672 Some of the other things that come to mind are the
673 indication of the charge of the battery, you know, whether or
674 not it would be appropriate to include a low battery light
675 indicator with the system.

676 The flow indicators are another aspect of the
677 requirements that we're considering. I think inherently we're
678 looking a probably providing some sort of visible indicator or
679 some other means for the responder to know that they're
680 getting the proper flow through the system. Whether or not
681 that indicator is based on a low flow capability or a
682 monitoring of the ongoing flow, that's still to be determined.

683 I think part of that process needs to be looking at the range
684 of the flows that could be associated with the respirator and
685 how best to monitor that.

686 With operational controls I think you're looking at
687 protections within the system to keep the user from
688 accidentally turning on - I'm sorry, turning off the
689 respirator while it's being worn. I think another aspect of
690 concern for us with this type of device is how do we make sure
691 that we keep unpurified air from entering the breathing
692 respirator either through the fitted respirator around the

693 face or potentially through the seal of the neck dam.

694 Noise levels has been a concern as well in the past. And
695 I think obviously with anytime you have a blower or a
696 mechanical system you're going to be generating some sort of
697 noise. And we certainly want to keep that to a level where
698 it's not providing damage or doing damage to the respirator
699 wearer. And we'll be looking at monitoring for noise around
700 the ears of the respirator for what a responder, what a user
701 may see while the blower is running.

702 And with the airflow I guess the concept, and falling
703 back and continuing on from the identification of using the
704 requirements that were defined for the CBRN APR canister, what
705 we're envisioning is that the - we want to monitor and ensure
706 that the face velocity through the canister, through the
707 filters, doesn't exceed the face velocity achieved during gas
708 life testing of 64 liters per minute. And again, this is
709 where we welcome your feedback and your ideas as far as how
710 best to achieve the potential for defining interoperable,
711 potential interoperable canister, yet working within the
712 context and the technology that the powered air-purifying
713 respirator can provide.

714 What we're going to go through today in terms of some of
715 these special requirements, and again, part of the intent of
716 what we want to do here today in addition to introducing the

717 potential for interoperability in the airflow concepts was to
718 do a review of what envision as the CBRN unique testing that
719 would be required for the system and discuss those parameters
720 up front, and as we move through the process that we'd like to
721 spend more time in the evolution of the PAPR unique
722 performance parameters and performance requirements, and maybe
723 not as much in reviewing and rehashing the information
724 regarding special CBRN requirements except where there may be
725 changes made or modifications to protocol, the protocols that
726 have been established that the community should be aware of.

727 What we're going to cover here over the next few hours,
728 and then we'll talk about the gas life testing then, Terry
729 Thornton, a research chemist within our organization, will
730 review the parameters that have been established for the gas
731 life and particulate testing for the canisters. Ray Lins from
732 SBCCOM and myself are going to address the chemical warfare
733 agent, testing the penetration and permeation testing that's
734 done to evaluate the respirators. The focus on that is - at
735 least the topic we discuss the identification of the challenge
736 and breakthrough requirements for the respirator. And Ray is
737 going to discuss from his perspective some of the experiences
738 he's had in the past evaluating powered air-purifying
739 respirators with his equipment, as well as the capabilities at
740 his lab.

741 And Mr. Berman, Mike Bergman, from our lab in Pittsburgh,
742 is here to discuss the LRPL requirements. And I think what's
743 very novel regarding Mike's presentation is as we continue to
744 learn and evolve as part of the accumulation of a lot of
745 different information regarding physiological characteristics
746 of individuals and how that relates to the proper fit of
747 respirators that this has been a very dynamic process in terms
748 of developing these requirements, both in looking at evolving
749 studies and information that's being generated regarding the
750 working population of today as well as trying to leverage
751 information that the Department of Defense has been generating
752 regarding physiological face seal fit, neck sizes, things of
753 that nature, to try to incorporate all this information and
754 review it and make recommendations for sizing parameters and
755 determination of fit requirements based on a whole slew of
756 both new and old information.

757 Again, as I had mentioned in the review of the process
758 that we're going to develop a standard in a public forum, you
759 know, meetings such as this, individual one on one meetings,
760 participation in other development activities around the
761 country that may be related to Tech Chem evaluations or other
762 features of respiratory protection. We're going to be
763 involved. I would encourage you to speak up at this meeting,
764 make your points known. And I'd also encourage you to work

765 with us. If you're not comfortable in doing it in a public
766 forum, we encourage one on one discussions, your feedback,
767 your data, your thoughts, your engineering expertise is
768 invaluable to us in developing the characteristics associated
769 with what we should consider for the requirements of the
770 standard.

771 We're going to continue to use our concept paper. We
772 have to apologize. The first one was out I guess the end of
773 September, and it was our error, it took longer to get it
774 through the processes and then available to the community.
775 We're going to work, continue to do better in getting that
776 information out quicker to the community. And to that extent
777 I think you can expect that we'll have concept papers, a new
778 paper on the Web site every 30 to 45 days. My intent for the
779 next paper is based on the discussions that we hear today and
780 the feedback that we receive from the community, that the next
781 paper, the next concept paper will be available within 45 days
782 on the site.

783 Part of what we're going to try to do in terms of the use
784 of the Web site, as we go through and identify requirements
785 where we promised you that, that something will be available
786 for developing a test protocol, if we're developing some other
787 requirement that we're going to require feedback, if there's a
788 delay in getting that item out to you, we're going to go ahead

789 and establish the length, we'll put some sort of message
790 there, whether it's under construction or something along that
791 line, to let you know that we haven't forgotten, you know,
792 that we are working towards the development of that product
793 and as soon as it's available it'll be on the site. And
794 hopefully that will be a good tool that you'll be able to use
795 to track what we're doing.

796 And where we're going in terms of a schedule and how it
797 circumvents, I guess are conventional processes to some
798 extent. I did want to let you know that we have planned and
799 are in the organization stage for the next public meeting, and
800 we're looking at conducting that in Pittsburgh at the end of
801 January. There's a flyer. There's a flyer available in the
802 back at the registration desk that you can pick up related to
803 this meeting. We are going to go through, you know, we're
804 beginning the development of, you know, what we formally need
805 to do through the Federal Register to announce this meeting
806 and the particulars associated with the meeting. But given
807 the interest in the CBRN respirators and development of the
808 requirements, we did want to bring to your attention and for
809 your planning purposes that we're in mission in getting
810 together again at the end of January.

811 One of the things - another milestone that we're trying
812 to identify up front is the engagement of our internal NIOSH

813 peer review group to evaluate, we hope at that March time
814 frame, is to evaluate the requirements associated with the
815 standard. This is part of the process that we've done
816 internally with all of the respirator standards, starting with
817 the SCBA and continuing through the APR's, as well as the
818 escape respirators. That will continue and knowing their
819 involvement and meeting with them and getting their feedback
820 will continue through the development of this standard.

821 Don Campbell from the Division of - I know I'll mess this
822 up - Respiratory Disease Studies, thank you, is one of our
823 peer review members. Rick Niemeier from Cincinnati, NIOSH in
824 Cincinnati, is another peer review member. I don't know if
825 Nancy Bollinger made here today or not. Nancy is the Deputy
826 Director for the HELL Division located here in Morgantown.
827 Captain Frank Earl used to be with NIOSH in Morgantown, now is
828 with the office of the director in Washington, is another
829 reviewer. And Angela Webber, who's with the Health and Field
830 Safety Evaluation Office, is our final peer review member.

831 In terms of what we're going to do with the standard,
832 again, we're currently conducting the same process that has
833 been used with the other CBRN respirator standards to solicit
834 public input. And we are currently discussing concepts within
835 NIOSH on how best to follow on with the implementation of the
836 standard. And as we move forward with the process over the

837 next several months, as we get some answers regarding the
838 implementation phase of the standard, we'll bring them forward
839 to the community.

840 And with that I'll open it up for any questions you may
841 have. Our next presenter will be Mr. Thornton, Terry
842 Thornton, from our lab. But again, if you could come to the
843 microphone, state your name, your organization, affiliation,
844 and your comments.

845 **PAUL DUNCAN:** My name's Paul Duncan, Scott Health &
846 Safety. Something I think should be considered in developing
847 - or considering the interchangeability portion of the PAPR
848 and with the battery specification, it's necessarily intuitive
849 that some PAPR's have a direct relationship with filter
850 resistance and battery life and some of it inverse. There are
851 ones out on the market where if you put a lower resistance
852 filter in there, the battery life actually decreases because
853 of extra draw on the motor, whereas ones that you put a lower
854 resistance the battery life will increase. Now as we start
855 talking about interchangeability between different
856 manufacturers' filters on different manufacturers' PAPR's, you
857 start misleading the, I think, the end user about the
858 detecting of battery life.

859 **JONATHAN SZALAJDA:** Thank you.

860 **GORAN BERNDTSSON:** Good morning. Goran Berndtsson from
861 SEA. I hope I misunderstood what you were saying here,
862 because the concept is not going in the spirit I was told
863 earlier that this was going to go. For example, it sounds
864 like you are intending to write this down that based on the
865 simplicity of testing the interchangeably in preference for
866 performance and protection of the user. Then you are limiting
867 to say, for example, that filters is not going to over the
868 face because they are still testing it. How are you going to
869 control that in air (unintelligible) respirators. I mean
870 you're going to penalize PAPR's, (intelligible) that constant.
871 I hope that we can come to some arrangement where we are
872 changing the testing procedure to meet the requirement of the
873 manufacturer's claims.

874 **JONATHAN SZALAJDA:** Yeah. I think - I don't want to give
875 you the wrong perspective that part of the development of this
876 requirement was just solely from the simple indication of the
877 test program quality. That's a byproduct. If we can
878 successfully proceed with using, taking and translating the
879 requirements of the filter into - from the APR into the PAPR,
880 you know, that's a benefit, but that's certainly not the main
881 driving factor towards the development of the criteria.
882 Again, I think part of one of the things that we're grappling
883 with in terms of trying to translate the requirements and

884 knowing what the technological capabilities and the capacities
885 and capabilities of the PAPR is how can we translate user
886 desires in terms of interoperability and still be able to
887 accommodate and include technology evolutions and feature
888 positive features, positive by meaning good features of the
889 PAPR into this type of requirement.

890 **JACK SAWICKI:** Jack Sawicki, Global Secure Holdings. Just
891 a general comment. I believe the standard is - the direction
892 you're going in is really overly design restrictive. And
893 specifically, and I guess this is a question, do you really
894 anticipate being able to take a canister from the PAPR and
895 plug it into an APR? Is that the interchangeability you're
896 talking about?

897 **JONATHAN SZALAJDA:** Well, that's one of the concepts that
898 we're trying to identify here. Obviously I think from the
899 user perspective, if they can use one filter, I think that's
900 what they would desire to do. Part of what we're trying to
901 evaluate here during the concept development is the
902 feasibility of being able to do that. Whether you can, I mean
903 I think the desire is there to be able to use the same CBRN
904 filter, whether it's with your canister between the two types
905 of systems. Whether or not technology, you know,
906 technologically that's achievable, we still need to address.
907 I mean there may be, you know, along with some of the other

908 comments, and we are trying to be sensitive to I guess the
909 earlier comment regarding limiting technology. It's a fine
910 line and a lot of tradeoffs that we're going to be needing to
911 evaluate. As part of the process how to move forward, you
912 know, with still trying to meet the requirements and the
913 desires for interoperability, but yet still leave the
914 potential for technology evolution of the product open.

915 **JACK SAWICKI:** I guess my second comment was, and
916 following Goran I guess that's a good place to be, is I really
917 hope you will address in the testing the possibility of the
918 pressure to the APR's rather than just constant level APR's.
919 Because I think just running a test around what may be
920 currently the standard on the low end of the industry really
921 doesn't challenge the manufacturers to - it doesn't allow them
922 really to do innovations. So I'm encouraging to continue to
923 evolve these standards to allow perhaps a breathing machine
924 rather than a constant flow and some other issues that might
925 accommodate those types of designs.

926 **JONATHAN SZALAJDA:** Thanks, Jack. Again, I appreciate
927 these type of comments that you have technical issues and
928 other considerations that you feel are pertinent to the
929 development of our product, as well any data, any studies that
930 you may have done individually, if you could bring that
931 forward to our attention it would be worthwhile in the

932 process.

933 **JAY PARKER:** Jay Parker with the Bullard Company. We were
934 interested to see the exclusion of loose fitting hoods in this
935 concept paper. That's interesting because it is a pretty
936 serious design restriction. And I also notice that the
937 concern there was there would be leakage, especially when the
938 blower is not running. There is no test in the load without
939 the blower on in the laboratory respirator detection level
940 tests. So it's a little hard for me to understand why you want
941 a tight neck seal and yet you're not testing it with the
942 blower off. There are technologies available out there to
943 provide good protection with a loose fitting seal to the neck.

944 I'd also like to comment on the battery life. I see you
945 give an example of a one hour battery. I'm not sure one hour
946 is long enough. You might want to go back to the existing
947 PAPR requirements which basically require at least four hours
948 because of the silica dust test duration. Also, on the low
949 flow indicator, the thing there to be careful of is that low
950 flow can be caused both by battery power and a loaded filter,
951 and the lung will work differently depending on which one is
952 causing it. So if you're going to test the low flow
953 indicator, you need to test it in both conditions if you're
954 concerned about a clogged filter being one of the potential
955 causes of the low flow. Thank you.

956 **JONATHAN SZALAJDA:** Thank you for your comments. I did
957 want to mention one thing, and Mike will be addressing this as
958 part of his presentation, when we look at the development of
959 the requirements for the LRPL, you know, what we initially put
960 in was the requirement in the blown configuration, I think one
961 of the things that we would like to solicit your feedback on
962 is the valuations for the LRPL and an unknown, unblown mode,
963 whether that's appropriate. I think when you look at what we
964 developed for the SCBA there is some precedence there in terms
965 of doing that testing, testing the SCBA facepiece and an
966 unblown mode using a P-100 filter to evaluate the fit of the
967 respirator. And in that type of criteria I think there could
968 be some justification. And we welcome your feedback in terms
969 of whether or not developing that type of criteria for the
970 PAPR would be appropriate.

971 **JOHN MORAWETZ:** John Morawetz, International Chemical
972 Workers Union. Following up on the question of
973 interchangeability of APR's versus PAPR's cartridges, the test
974 breakthrough concentrations has some inconsistencies between
975 APR's and PAPR's. Can you comment on that, the different
976 breakthrough concentrations?

977 **JONATHAN SZALAJDA:** Yeah. I'm not aware of any changes
978 between the PAPR and the APR that - essentially the challenge
979 concentrations are based on multiples of the IDLH and the

980 breakthroughs for the APR's are based on half the REL's. And
981 in some instances where those don't translate there were some
982 ratios established as part of the test technology to simplify
983 the certification testing to develop the same capacity for the
984 filter, but maybe not necessarily test and sample at those
985 levels. And again I think that's part of the thing to keep in
986 mind with the definition of the requirements for the canister
987 is that we're looking in terms of the developing a capacity, a
988 canister capacity for handling the quantity of gas that could
989 be seen through the filter. And we felt that in identifying
990 that capacity we were able to set up some ratios. I don't
991 recall the chemicals off the top of my head, but there are
992 some chemicals where we set up ratios of both to the challenge
993 of the breakthrough that don't directly correlate with the
994 multiple of IDLH and half the REL. I'd be welcome to sit down
995 and review that with you.

996 **JOHN MORAWETZ:** I misspoke when I said the challenge. It
997 really is the breakthrough concentration. Many of them are
998 the same, but, for instance, hydrogen sulphide for APR's has a
999 breakthrough of 30 ppm, for the PAPR's the proposed was 5 ppm,
1000 for ammonia it's 25 ppm for breakthrough for APR's, for PAPR's
1001 it's half that, 12½, so.

1002 **JONATHAN SZALAJDA:** We'll look into that. I can get that
1003 out to you about the requirements. They should be the same

1004 between the two.

1005 **BODO HEINS:** Bodo Heins from Draeger. My first question
1006 that comes up is the first sentence you are stating there, the
1007 PAPR shall be identified as inhalation and possible terrorist
1008 hazards. Does that mean that we have to have also hair
1009 protection or full body protection? Or what do you mean here?

1010 **JONATHAN SZALAJDA:** I'm sorry. I think with that it - you
1011 know, obviously I think with where this system is going to be
1012 used, we're going to use them in a quantified and known,
1013 identified and quantified environment, and in conjunction with
1014 that you'll be using the respirator, and in conjunction with
1015 appropriate clothing and other personal protective equipment.

1016 And what we've done in the past with the other standards
1017 is as part of the cautions and limitations that we've
1018 identified that mean that the respirator needs to be
1019 considered in terms of the overall ensemble that's used by the
1020 responder in dealing with a particular incident.

1021 **BODO HEINS:** So is this then that there's no hair
1022 protection required as for example for escape?

1023 **JONATHAN SZALAJDA:** You means in terms of a hood?

1024 **BODO HEINS:** Yeah.

1025 **JONATHAN SZALAJDA:** At least right now that's correct. I
1026 think what you're looking at again with a full facepiece type
1027 system, we envision you may be using it with a hood, a hooded

1028 type ensemble. You have a jacket with some sort of hood. You
1029 know, obviously with the neck dam system you are going to have
1030 some sort of hooded system. But I think in terms of as we
1031 develop the concept, you know, we'll be looking at these
1032 aspects and whether or not there is going to be a need to
1033 identify requirement for a hood if it's used in conjunction
1034 with clothing that doesn't afford --

1035 **BODO HEINS:** So at the moment it's a possibility and not a
1036 requirement?

1037 **JONATHAN SZALAJDA:** Right.

1038 **BODO HEINS:** My second question is as far as I have seen
1039 you took the same resistances as in the APR standard. Why do
1040 you need a blower then?

1041 **JONATHAN SZALAJDA:** Well, at least in terms of the
1042 requirement and trying to build around the standard for the
1043 canister we're using the same resistances that were identified
1044 for the APR.

1045 **GORAN BERNDTSSON:** Goran Berndtsson, SEA Group. I don't
1046 know if you expect us to comment on everything we need to
1047 comment on, or do you want us to do that in a private meeting
1048 with you? I mean it's quite a large number of design
1049 restrictions that you have pointed out in this draft, which I
1050 hope that we can negotiate away from those. The aim must be
1051 to build better respirators, not build those respirators that

1052 we have today. I mean according to this draft, some
1053 respirators which has NIOSH approval today cannot be approved
1054 according to this draft. I hope that is an honest mistake or
1055 something we can correct.

1056 **JONATHAN SZALAJDA:** Well, I think that when we look at the
1057 NIOSH approval, I mean with - keep in mind that this is, you
1058 know, you're looking at a specific population that obviously
1059 when we looked at the APR, and I'll pick on the APR for
1060 example, you don't have to have 42 CFR compliance in order to
1061 get a CBRN certification for the APR. And at least initially
1062 that's what we envision for the CBRN PAPR, that you may not
1063 necessarily, you know, depending on as we go through with the
1064 hazards assessment and the determination of the type of
1065 protectability that's required, you know, there may not be the
1066 need to have a fully 42 CFR compliant PAPR. That may not meet
1067 the requirements that are identified for the CBRN. That's
1068 still - I think that's still in a part of the dynamics of the
1069 process. I think with regard to your first question as far as
1070 making the comments, we'll welcome these as we go through the
1071 presentation. If you have specific things that you want to
1072 bring to our attention regarding the topic, you know, we
1073 welcome you to bring them up at the end of the presentation
1074 where that aspect was discussed. Or, you know, you're always
1075 welcome to come and visit us and discuss these in further

1076 detail. And also I guess the other aspect along with that is
1077 again the docket. We encourage you or any of the other
1078 interested stakeholders to make your comments known to the
1079 docket so we can have a formal record and be able to process
1080 them through our evaluations.

1081 **GORAN BERNDTSSON:** I was not referring to those kind of
1082 requirements. You have requirements in here in regard to the
1083 inhalation/exhalation assistance which makes it impossible to
1084 make a positive pressure demand respirator. There is
1085 requirements on the filter resistance that makes it almost
1086 impossible to make. There's a number a number of things in
1087 here that really needs to be thought out a lot more carefully
1088 than it has been. And I think it's probably better that we
1089 sit down have one to one discussions about it.

1090 **JONATHAN SZALAJDA:** Sure. And again though, we do welcome
1091 your comments either to us or through the docket. And again,
1092 I think in terms of what we were trying to conceptualize was
1093 again to build on the concept of interoperability and how we
1094 can carry forward the design parameters associated with the
1095 protections afforded by the canister through the designs of
1096 this system.

1097 **SAM PITTS:** Sam Pitts, United States Marine Corps/Chem Bio
1098 Incident Response Force. John, at the risk of exposing my
1099 neanderthal status, section 3.9 on the airflow, am I to

1100 understand that regardless of power or negative pressure
1101 manifold system each filter will only be tested a maximum of
1102 64 liters of air per minute in minute volumes?

1103 **JONATHAN SZALAJDA:** Right. That's what we were
1104 envisioning was to limit the face velocity through the
1105 canister at 64 liters per minute, which is what we evaluate
1106 the APR canister, too. And then it would be up to the design
1107 of the particular respirator on how to channel the air through
1108 the filters at that velocity.

1109 **SAM PITTS:** We would probably urge you that that is not
1110 quite connected to realistic, or respectively realistic
1111 respiration requirements, sir.

1112 **JONATHAN SZALAJDA:** I think the one thing though to keep
1113 in mind is that we're looking at multiple filters I guess in
1114 terms of trying to identify a minimum number of connectors as
1115 the airflow goes up you can add additional connectors to
1116 compensate for the airflow that the filters may see.

1117 **GORAN BERNDTSSON:** Goran Berndtsson, SEA. Just a small
1118 comment (unintelligible).

1119 **JONATHAN SZALAJDA:** I'm sorry, Goran, can you repeat that?

1120 **GORAN BERNDTSSON:** Following that logic, we need to make
1121 PAPR's with five or six filters on them.

1122 **JONATHAN SZALAJDA:** Well, I guess there is a potential
1123 there that we can make more filters. But we're defining a
1124 minimum of two at this point.

1125 **BODO HEINS:** Bodo Heins, Draeger. On that point, in 2.2
1126 there's written that this unit is for long-term use. But if I
1127 remember right the minimum service life is 15 minutes. Have
1128 you seen what a user can do with it in 15 minutes other than
1129 coming into a clean area to change this canister? So 15
1130 minutes is nothing for it.

1131 **JONATHAN SZALAJDA:** I think though when you keep in mind
1132 with the use of these systems is that, you know, in
1133 identifying the rating, where the rating is identified for the
1134 purposes of how we're going to evaluate the time durations
1135 that we're going to evaluate the canister for. In practical -
1136 in use in the use scenario, you know, assuming that you've got
1137 monitoring in place and you've quantified and identified the
1138 agent and you know what the challenge is that by identifying
1139 the capacity, at least our approach to the canister was by
1140 identifying capacity of the canister against these particular
1141 toxic industrial chemicals. The industrial hygienist that
1142 would be working onsite would be able to make determinations
1143 knowing what the concentration is in the environment and
1144 knowing and being able to determine how long the life of that
1145 particular filter would be for dealing with that particular

1146 event and then determinating a change out schedule as
1147 appropriate based on his knowledge and monitoring of the
1148 event.

1149 And again, you know, you have the whole premise behind
1150 the canister is in determining the capacity, not if you have a
1151 15 minute canister you can only use it for 15 minutes. You
1152 know, the 15, the duration rating is indicative of the test
1153 time that we've evaluated against a certain challenge and a
1154 certain breakthrough. So we've identified a capacity for that
1155 particular gas or family of gases. And what we're doing in
1156 terms of trying to relate this information is developing a
1157 series of guidelines which we'll be making available for the
1158 community over the next several months to try to help take
1159 that information and correlate it into a methodology that a
1160 hygienist could use in trying to determine appropriate change
1161 out schedules for the filters.

1162 I think we're probably about a half an hour behind
1163 schedule. I think maybe what we should do now is take a short
1164 10 minute break and then we'll have Terry Thornton move into
1165 the canister requirements.

1166 (Morning break.)

1167 **JONATHAN SZALAJDA:** I think what we'd like to do, at least
1168 at this point, I know we're probably about an hour behind
1169 schedule because of our noncontroversial discussions here
1170 initially. And again I think, at least at the time I thought
1171 that the morning discussion was - you know, we're really I
1172 guess looking to the community for input regarding the
1173 perspectives and technical requirements associated with the
1174 appropriate flow rates and the evaluations with PAPR. And I
1175 think what I wanted to leave you with was an appreciation of
1176 the fact that we're still trying to standardize around the
1177 capacities that we've identified for filtration as part of the
1178 work on the gasmask standard. And to that extent where it's
1179 practical and possible we want to try to continue and
1180 translate those requirements through to the PAPR. But again,
1181 this is where we need the input from the community as far as
1182 the feasibility of achieving these requirements and how best
1183 possible to do that. And again, you know, you're more than
1184 welcome to make comment here. I also encourage you to submit
1185 information to the docket for our consideration, as well as
1186 meeting with us to discuss your concerns.

1187 What we'd like to do, and I think we'll pay it by ear as
1188 far as how time goes along. We'll proceed until noon as per
1189 the schedule. And hopefully we can get through the canister
1190 requirements and the LRPL, then we'll make a determination at

1191 that time if we're going to proceed with the chemical warfare
1192 agent testing either before or after lunch. At least for
1193 right now Terry Thornton is going to proceed through the
1194 canister requirements that we're envisioning for the PAPR.
1195 Terry?

1196 **TERRY THORNTON:** My name's Terry Thornton. John informed
1197 you I was research chemist there. I'm going to go through the
1198 canister requirements. I'm going to try to make up a little
1199 bit of time here. Canister requirements are very easy for
1200 what we're using now. You can see the CBRN canister
1201 requirements for the PAPR are going to be the same as the
1202 requirements for the APR. That's our concept right now. Don't
1203 be confused. The statement of standard for the APR is not a
1204 concept anymore. That is a solid standard that we're going to
1205 use. Applying that standard to the PAPR's is the concept that
1206 we're talking about here. And we can see that the statement
1207 of standard we're using is CBRN full facepiece air-purifying
1208 respirator dated March 7, 2003. That standard is available on
1209 the Web site, but it is not available in the back of the room.
1210 In the back of the room there is a statement of the standard
1211 for the escapes. Don't get the escapes confused with the
1212 APR's. I think that may have happened earlier today when you
1213 looked at the breakthroughs. So the standard is based - the
1214 PAPR's concept is based on the standards statement for the

1215 APR. And so this is a review for quite few of you who have
1216 been with the APR's for a while. The hazard list was derived
1217 during the CBRN standards development work previously, we had
1218 done all this. A good way to understand exactly how that was
1219 done was to look at the APR preamble. There's quite a bit of
1220 information in there on the hazard list.

1221 For hazard analysis and selection the first thing was the
1222 initial vulnerability assessment list of chemical agents. We
1223 identified those for potential respiratory hazards. And that
1224 came up to about 159 different chemicals that were identified.

1225 And the sources of that is what we had talked about earlier,
1226 ITF25, the FBIC, CDC, and EPA list. We took those and broke
1227 them down into agent families, or classification into agent
1228 families. From there - and that was just dividing all those
1229 chemicals up. From there we looked at test representative
1230 agents required for each family. So we had a family and we
1231 picked the worst case or the best chemical that would
1232 represent that family.

1233 Backup data is going to be generated for that list. Take
1234 for instance the organic vapor. There's a list of 61
1235 chemicals on the cyclohexane is a representative for that.
1236 The other chemicals, there will be backup data generated using
1237 those chemicals to verify that cyclohexane is the best test
1238 representative agent. Biological and radiological agents were

1239 addressed by the P-100 media.

1240 So with a category grouping, when we broke down what we
1241 were going to be able to cover as far as the amount of
1242 chemicals, it comes to 139 materials we'll call them. That's
1243 110 chemicals, 13 biologicals, and 16 radiological agents.
1244 These were divided into 11 test representative agents. Those
1245 11 are what's tested for certification to verify that the CBRN
1246 canister is ready.

1247 This is the way the 139 materials broke down. Sixty-one
1248 (61) organic vapor family. And those are vapor pressures less
1249 than that cyclohexane. Thirty-two (32) for the acid gas, four
1250 base, four hydrides, five nitrogen oxides, and formaldehyde
1251 family which is the only one of its member. It's kind of a
1252 special chemical to deal with. And then 32 particulate
1253 family. The 32 consists of the three chemicals and then the
1254 13 biologicals and 16 radiologicals.

1255 You've probably seen this before. This is quite a busy
1256 screen here. There's 61 chemicals listed there. And that's
1257 the organic vapor family. Now I know that's pretty difficult
1258 to read back there. But this information has been put out
1259 before in the meetings, so it's not new, and I believe it's on
1260 the Web site, NPPTL Web site. so you can get the information
1261 from there also.

1262 When you go through the list here we'll see the acid

1263 families. And then there's the nitrogen oxide, the base, the
1264 hydride, particulate, and formaldehyde. When we see
1265 particulate, that is the P-100 testing that's performed on the
1266 canister. And that's where the biological agents and the
1267 radiological or nuclear agents are.

1268 This gives a list of the biological agents, the 13 that
1269 we've identified. And again, this information is on the Web
1270 site, so it's pretty easy to extract from that.

1271 There are radiological and nuclear agents listed here.
1272 There's 11 test representative agents and this is how it's
1273 broken down. Organic vapor, cyclohexane is the test
1274 representative agent. For the acid gas we could not justify
1275 one chemical that would take care of the acid gas family, so
1276 you can see it's five there. Ammonia for a base. Phosphine
1277 hydride, nitrogen dioxide, formaldehyde, and then the
1278 particulate family is covered by the DOP testing.

1279 The requirements of the testing, and again this is the
1280 APR, and I think earlier, like I said, there may have been
1281 confusion between what the challenge and the breakthrough
1282 concentration processing for the escape, which is the
1283 statement of standard that's available in the back, and the
1284 challenge of breaking concentrations that are used for the
1285 APR. And so these that we will use for the PAPR's will be the
1286 same as used for the APR. We'll test each individual canister

1287 separately.

1288 So to perform those requirements for the canister, again,
1289 the minimum service life is specified by the manufacturer.
1290 That's not something that we identify. We give them a choice
1291 of the 15, 30, 45, 60, 90, or 120 minutes. The manufacturer
1292 tells us what it wants it tested at. We're going to test
1293 three canisters at a low humidity, three canisters at a high
1294 humidity, keeping the temperature the same. And those are
1295 tested at 64 liters a minute. Following the standard for the
1296 APR we're also going to test three canisters at the crisis
1297 mode or panic mode, as it's referred to, and those are at 100
1298 liters per minute, 50 percent humidity, but that service life
1299 is only for five minutes. So it's only exposed for five
1300 minutes at that high airflow. It does them for the same
1301 breakthrough concentration as the testing at 64 liters a
1302 minute.

1303 Canister requirements are going to stay the same.
1304 Maximum weight will still be 500 grams. And the canister must
1305 be able to pass through a five inch opening with threads
1306 perpendicular to the opening. So it limits the size to the
1307 five inches.

1308 Breathing resistances. Somehow I just know we're going
1309 to get comments on this. The PAPR unit mounted on a test
1310 fixture with air flowing at a continuous 85 liters a minute

1311 both before and after each service life bench test. We can
1312 see that there's the initial 70 millimeters of water and the
1313 final 85 millimeters of water. Those are the same
1314 requirements for the PAPR, or for the APR. And that is
1315 without the blower operating. So the blower was not on. The
1316 exhalation will stay the same at and at 20 millimeters of
1317 water.

1318 Again, the canister requirements. The previous slide was
1319 with the APR mounted on a test fixture. We will also be doing
1320 breathing resistance for the canister alone. And it's tested
1321 in the same way, where there's 85 liters a minute continuous
1322 airflow, and the 50 for the initial, 65 for the final.

1323 Really one of the only changes in this concept is for
1324 breathing resistance. For the APR the breathing resistance
1325 there was just a maximum, you couldn't pass that up. For the
1326 PAPR we're going to look at the overall average of the
1327 resistance testing for the initial. So we'll take all the
1328 canisters that we do the resistance testing on, get those,
1329 obtain an average and the variance, high and low, it's plus
1330 or minus two and a half millimeters of water. So we're kind
1331 of restricting what that flow range could be. And that goes
1332 along with 42 CFR. And it says in there that two or more
1333 canisters parallel resistance will be essentially equal. So
1334 really for the CBRN we've strengthened that a little bit and

1335 gave a specific range or variance that it could be between the
1336 two canisters, or between the population of the canisters.

1337 And really that's it. That covers the APR canister
1338 requirements. And that's the concept that we will use for the
1339 PAPR's. If there's any questions.

1340 **GORAN BERNDTSSON:** Goran Berndtsson, SEA. I suppose I
1341 should keep my tradition up. You said that the APR
1342 inhalation/exhalations (unintelligible). You said that about
1343 that inhalation. You meant that on the exhalation resistance
1344 test as well (unintelligible), is that correct?

1345 **TERRY THORNTON:** Yes, sir.

1346 **GORAN BERNDTSSON:** And the last comment you had about a
1347 two and a half millimeter of water difference, I think that we
1348 probably all - I can probably talk to all manufacturers, it
1349 will be very difficult to meet that requirement because any
1350 variations of (unintelligible). I assume you have looked at
1351 this when (unintelligible). Have you?

1352 **JONATHAN SZALAJDA:** I'm not sure how many we've looked at
1353 for that. And remember, this concept is out there. We're
1354 looking for information, for feedback. So that really the
1355 range is five millimeters.

1356 **GORAN BERNDTSSON:** I understand that.

1357 **JONATHAN SZALAJDA:** We've also discussed possibly using,
1358 instead of taking the average, just looking at a range of five

1359 millimeters to see if all the canisters fit in that range.

1360 And so that's another discussion we've had.

1361 **GORAN BERNDTSSON:** The other thing, I'm wondering what an
1362 object is when it comes to particulate - I mean we are very
1363 concerned about the maximum flow rate for the gas cartridges,
1364 but aren't concerned about the maximum flow rate of the
1365 particulate. I mean that has (unintelligible) 42½ liters.
1366 We're talking about panic needs on the gas (unintelligible),
1367 but there's no panic needs for a particulate. Do you have any
1368 logic for that?

1369 **JONATHAN SZALAJDA:** And I'm not sure if there's any logic
1370 of why we didn't look at a panic mode for that. We used the
1371 current 42 CFR requirements for the particulate testing, and
1372 we just stayed with those.

1373 **GORAN BERNDTSSON:** I found that very strange when we all
1374 know that particulate is (unintelligible). So I mean it is -
1375 at the end we know that performance in the field it is going
1376 to be dependant on the flow rate, when we on the other hand
1377 know that we not particularly showing - most of the gas
1378 testing is not particulate on the flow rate. But the
1379 particulate we know are, and that one you haven't even
1380 considered.

1381 **JONATHAN SZALAJDA:** We'll have to take that in
1382 consideration then.

1383 **BODO HEINS:** Bodo Heins from Draeger Safety. As said
1384 before, this zero resistance as you require now, it's not
1385 possible to make a unit that's (unintelligible) inside the
1386 mask several time. In Germany, for example, we have PAPR's
1387 which are developed for asbestos and that's required to
1388 positive (unintelligible) at every time (unintelligible), and
1389 it's going for one shift, which is eight hours. So do you
1390 think about a version with positive pressure in it?

1391 **JONATHAN SZALAJDA:** I'm not sure what we discussed on
1392 that. I think with, again, I guess to kind of reiterate what
1393 we're doing with this concept and the different resistances
1394 that, you know, again, with the concept that we're
1395 envisioning, looking at this in the context of trying to build
1396 upon the canister that we've already developed. And we
1397 understand and we appreciate there are some concerns related
1398 to the development or the application of PAPR technology where
1399 it does and doesn't fit in well with the concept of using the
1400 interoperable canister. And that's something where, you know,
1401 if you have specific data or specific information that you
1402 think we should consider in terms of a requirement, we would
1403 appreciate getting that from you.

1404 I did want to add one thing about I guess the comment
1405 that Goran made regarding particulate. And again we're
1406 relying on using the test criteria and defined as part of 42

1407 CFR and the flow rate 84 or 85 liters per minute testing
1408 that's done there. There's some aspects of the filter that we
1409 considered in terms of flow rate, and we looked at a lot of,
1410 as part of the gasmask requirements, we looked at a lot of
1411 literature sources related to work that was done with
1412 capturing particulate matter through filtration. But based on
1413 the analysis that we've done of those sources, we felt that
1414 the identified test was appropriate for identifying the P-100
1415 filter media that would be effective for filtering potential
1416 particulate hazards that could be seen.

1417 Another thing to keep in mind, too, with regard to
1418 particulate testing is one of the things that we're
1419 considering as well for this standard is with the gasmask we
1420 identified a particulate challenge following testing, gas life
1421 testing, with organic vapors with the elements to determine if
1422 there were any degradation of the media as a result of
1423 exposure toward any vapors that would allow increased
1424 potential for particulate matter to get through the filter.
1425 And that is one thing that we do appreciate the comments and
1426 concerns on that, but that is one thing that we continue to
1427 evaluate as other information comes available to us. But we
1428 do have a high degree of comfort in the requirement for the P-
1429 100 filtration for this system.

1430 **BRUCE TEELE:** Bruce Teele, NFPA. Just let me verify

1431 something before I chuck my foot in my mouth. The
1432 breakthrough testing on the canister is done at 64 liters a
1433 minute for the rate of duration of the canister?

1434 **JONATHAN SZALAJDA:** That's correct.

1435 **BRUCE TEELE:** Okay. And the 100 liter a minute test for
1436 breakthrough is only conducted for five minutes?

1437 **JONATHAN SZALAJDA:** Right. That's correct.

1438 **BRUCE TEELE:** The emergency response community is looking
1439 for PAPR's as their stepdown respirator from SCBA, CBRN and
1440 SCBA, and the working times through many of these incidents
1441 will far exceed the canister durations that are given here,
1442 but that's a separate subject. I don't think it's acceptable to
1443 test breakthrough at only 64 liters a minute where past
1444 breathing rate studies have shown consistently breathing rates
1445 in the 100 liter a minute sustained breathing flows and
1446 peaking at up to 300 liters a minute. So I would suggest that
1447 we take another look at the testing and the breakthrough at 64
1448 liters a minute, and I understand that's what APR is doing,
1449 but now we're talking PAPR's, and to up that to at least a
1450 continuous duration 100 liter a minute testing and perhaps
1451 peak flow testing for a shorter duration to assure that the
1452 breakthrough protection is there.

1453 The second items was, I would suggest that you consider
1454 dropping the 15, 30, and 45 minute duration canisters, as they

1455 probably don't have a real practicality in the emergency
1456 responder setting. By the time you get up, get in, try to do
1457 something, and then come back out, an hour seems to fly by.
1458 My suggestion would be a minimum of 60 minute duration.

1459 **JONATHAN SZALAJDA:** Thank you for your comments, Bruce.

1460 **JAY PARKER:** Jay Parker with Bullard. Just one quick
1461 question in the section on airflow. It says you have to have
1462 a sufficient number of mechanical connectors, but in the early
1463 part of the standard it does specifically say you have to have
1464 at least two filters. So my question is, why not refer to
1465 filters, number of filters, in the airflow paragraph also? I
1466 don't understand why you're couching it in terms of the
1467 mechanical connector.

1468 **JONATHAN SZALAJDA:** I see your point. I think part of the
1469 thought process there was identifying mechanical connectors
1470 since we had identified that as feature of the APR and we
1471 translated that requirement.

1472 **GORAN BERNDTSSON:** Goran Berndtsson, SEA. I'm pleased to
1473 hear that you have considered looking at particulates at high
1474 airflow rate. However, (unintelligible). That maybe doesn't
1475 mean that much, but it might. I mean is where you see this
1476 going?

1477 **JONATHAN SZALAJDA:** Well, I think part of the data that we
1478 analyzed was received from a variety of sources and includes

1479 the stuff that was in the literature, as well as other
1480 studies. And in overall without I guess getting into trying
1481 to remember the detail off the top of my head, I think the
1482 consensus or the bottom line that I remember was that in all
1483 the evaluations that we saw that the P-100 media was
1484 sufficient in terms of capturing the particulate matter, you
1485 know, to the levels we were afforded the appropriate
1486 protection to the user of the device.

1487 **GORAN BERNDTSSON:** I might be surprised. I don't think
1488 there is that much published documentation on high airflow
1489 rate available.

1490 **JONATHAN SZALAJDA:** I'd be willing to share the published
1491 literature with you that we accumulated with the APR
1492 development. And I'll make sure that we get those reports for
1493 you.

1494 **BODO HEINS:** Bodo Heins from Draeger with a question to
1495 the weight limit. I guess you took this 500 grams out of a
1496 European standard. If you have a two cartridge respirator you
1497 couldn't wear it on your face, so we have to wear it at the
1498 belt, and then the 500 gram amount, it makes no sense to limit
1499 it to 500 gram.

1500 **JONATHAN SZALAJDA:** Well, I think when you're looking at
1501 the tightfitting, you're following on with the concept of the
1502 tightfitting full facepiece, that there would some sort of

1503 manifold that would be harnessed somewhere on the individual
1504 and necessarily that the filters wouldn't be harnessed
1505 directly to the face piece.

1506 **BILL NEWCOMB:** Bill Newcomb, North Safety Products. A
1507 couple of issues that I'd like to address. One is the
1508 duration. I've read several comments about the duration on
1509 these units. If you look at the canisters that are developed
1510 for the APR's with the 40 millimeter connector, most of these
1511 fit within the size requirements are going to be 15 minute
1512 canisters, might be able to make it 30 minute, but most of
1513 them I believe are going to be 15 minute. So if you were to
1514 look at the PAPR's and put three of them on the PAPR, the most
1515 you're going to get out of that is the 30 - 45 minutes, and
1516 NIOSH has added a 20 minute flow requirement in excess of
1517 that. So we're talking an hour. To get something that's going
1518 to be four hours, I don't think we're going to do it with the
1519 type of canisters that have been required for APR. And if
1520 they're going to be interchangeable, which seems to be a
1521 desire of the user community, I feel that people should know
1522 that we're not talking long duration units here. Because
1523 you're not going to have units with six or seven canisters on
1524 them. Maybe somebody will make one. But for the most part
1525 they're going to be relatively short duration units.

1526 As far as the resistance requirements, plus or minus two
1527 and a half millimeters, I don't see that as a big requirement
1528 within the manufacturing groups. But the resistance of the
1529 canisters does affect the flow. And if you have a
1530 manufacturer who is making canisters to be ensured at 10
1531 millimeters resistance versus somebody that's making one at 49
1532 millimeters, it is going to be a much different flow. And
1533 although I don't think that's a danger to the user to be
1534 interchanging these because of the difference between actual
1535 use and testing requirements, I do think that it is not
1536 prudent to set a range of resistance that manufacturers have
1537 to make their filters through. I think keeping a maximum of
1538 resistance is a better way of doing it.

1539 Another issue that I was going to bring up earlier, it
1540 doesn't have to do with service time, but it does have to do
1541 with the performance of these products, is the low flow
1542 indicator. It makes it sound in the post-concept paper that
1543 the low flow indicator is a realtime indicator of flow. And I
1544 submit that most PAPR's, especially tightfitting, when the
1545 user is not breathing there is no flow. Flow in these units
1546 is cyclic similar to a non-powered air-purifying respirator.
1547 So I'm not sure how these are going to be tested and whether
1548 that requirement is a realtime requirement. But I think what
1549 we're looking at is an indicator of the capacity to have that

1550 flow and not necessarily an instantaneous flow measurement.

1551 Thank you.

1552 **JONATHAN SZALAJDA:** Thank you. Thank you, Bill. I
1553 appreciate your comments. And again, you know, I think the
1554 thing to keep in mind with this is in terms of our
1555 conceptional requirements that, you know, we appreciate your
1556 feedback and your inputs. And if you have additional data or
1557 anything you'd like to share with us or through the docket
1558 office we would appreciate that.

1559 **TERRY THORNTON:** Let me address this two and a half, plus
1560 or minus two and half airflow resistance between the
1561 canisters. That was added in the spirit of that we didn't
1562 want multiple canisters on the blower and having one canister
1563 with an extreme low resistance that it would break through
1564 first. So that's why we had to control that somehow. And as
1565 John just said, if you guys have ideas, we certainly welcome
1566 you to go ahead there and try to control that so that there
1567 would be a consistency through the air flows for each
1568 canister, so that the airflow for each canister would be
1569 equal. So we welcome your comments. But that's why that
1570 requirement was put on that. Thank you.

1571 **PAUL DUNCAN:** Paul Duncan, Scott Health & Safety. Just a
1572 comment regarding the filter ratings. I think something we're
1573 possibly overlooking is, I think you all understand this, is

1574 that these concentrations and the durations are basically the
1575 way to characterize a filter. We're talking about a 15 minute
1576 filter, but meanwhile it's being tested at twice IDLH. That's
1577 for establishing just a benchmark. These filters in the PAPR
1578 will not be used in twice IDLH concentrations. So this is a
1579 respirator with use in non-IDLH concentration. So it's up to
1580 your respiratory protection manager to identify how long a
1581 particular filter can be used in a certain environment, and
1582 based on the how the filter is characterized by NIOSH at twice
1583 IDLH concentrations at 64 liters per minute.

1584 **GORAN BERNDTSSON:** Goran Berndtsson, SEA. The two and a
1585 half millimeter thing, if you want suggestions, I mean the
1586 problem we have with two and a half millimeters based on
1587 tests, you administered a number, and then production is not
1588 going to go outside two and a half minutes. But if you say
1589 within a batch, because it is mostly likely that the filters
1590 will be used in the paper are going to come out of the same
1591 batch. So the variation within a batch should be more than
1592 two and a half. If it is against what you have in your
1593 records, it can be difficult to keep that going for years to
1594 come. That was my concern.

1595 **JONATHAN SZALAJDA:** Thank you. And let's take one more
1596 and then let's move along to the next presentation.

1597 **VIJAY AKUMAR:** I'm Vijay Akumar from Air Techniques. I
1598 have a strong suggestion. I understand the need for urgency
1599 to get a new standard out and thereby using your existing P-
1600 100 standards for canister. But it seems to me that standards
1601 by the time they get published are already one step behind
1602 technology. It seems to me that every new standard NIOSH
1603 writes should be going forward, like if you take the analogy
1604 of software. New editions are backward compatible. You don't
1605 need to be forward compatible. I strongly recommend looking
1606 for new standards for the canister that backward compatibility
1607 be backward and not forward, that way all these issues of ours
1608 can be addressed. For example, in particulate testing.
1609 Particulate testing, there's a large body of knowledge
1610 available for probably 30 years and all kinds of flow rates
1611 for all kinds of poisons. And most filter manufacturers can
1612 give you that. Many have been published.

1613 **JONATHAN SZALAJDA:** Thank you. And again, if you have any
1614 specific recommendations you'd like to share with us, we
1615 appreciate you explaining it to the docket. I think with that
1616 we'll move along and Frank Palya will discuss the
1617 environmental and durability considerations for the
1618 respirator.

1619 **FRANK PALYA:** Thank you, John. I think most of you are
1620 familiar with this, but I'm going to rehash it. A lot of it's

1621 from the air purifying standard. But for the benefit of the
1622 people that didn't attend the previous meetings I'll go ahead
1623 through it again. As Jon said, I'm going to present the
1624 proposed concept for the durability test for the CBRN PAPR.

1625 Durability testing consists of three parts, the
1626 environmental, the transportation, and the rough handling.
1627 I'm going to discuss the purpose and goal, the assumptions,
1628 the types of tests, and the rationale for the tests.

1629 The purpose of this test is to test the PAPR for
1630 durability and to detect any initial life cycle failure modes.

1631 As discussed earlier, most likely these PAPR's will be worn
1632 by the first responder community and multi-discipline
1633 personnel and with a range of variance operational missions
1634 and also different use scenarios. So obviously it's pretty
1635 hard to predict exactly where a particular PAPR will be used
1636 and what kind of environmental and transportation conditions
1637 that they may be stored in while they're being used.

1638 The goal is to ensure the PAPR provides adequate
1639 respiratory protection after being subjected to normal
1640 transportation and environmental and rough handling conditions
1641 induced by the user. Also to ensure that the integrity is
1642 integral into the design of the PAPR.

1643 I'd like to go over some of the assumptions here. The
1644 following assumptions were made about the operational

1645 conditions of the PAPR. The test conditions - the test
1646 represented conditions induced by the user that the PAPR may
1647 experience throughout its - from the point of issue. In other
1648 words, we're not really testing the manufacturer's packaging.
1649 We're going to assume that when the user receives the PAPR
1650 that it's in excellent condition and that it has not sustained
1651 any damage to the point of issuing.

1652 The conditions mainly represent the storage conditions
1653 imposed by the user, such as in back of his emergency response
1654 vehicle or some other condition that they may experience.
1655 Again, it's very hard to predict to how these PAPR's are going
1656 to be used, so therefore we're looking at some of the extreme
1657 conditions. The PAPR will be tested at the ready-to-use
1658 configuration as recommended by the manufacturer. Others can
1659 be loose, could be in a carrier or some sort of container. We
1660 assume that the PAPR's will undergo the required maintenance
1661 and inspection procedures required by OSHA's regulations.

1662 The assumption is that the test conditions are tailored
1663 to realistic United States meteorological weather conditions,
1664 and also the U. S. roadway transportation conditions, and that
1665 a typical first responder's use of rough handling that the
1666 PAPR may experience. These tests are not intended to
1667 represent the entire life cycle rather than to just identify
1668 some of the initial failure modes that it may experience.

1669 Also that we did a lot of the - we used Mil-Std-810 as
1670 the principle guidance document. Again, I don't want to imply
1671 that we're going to test these respirators or PAPR's as tough
1672 as the military does. Mil-Std-810 requires that you tailor
1673 your test to the platform that the PAPR will experience.

1674 Here's the draft test protocol that we're recommending.
1675 The high temperature test will be conducted in accordance with
1676 the Mil-Std-810, Method 501.4, and that's a hot-dry diurnal
1677 cycle. Diurnal meaning a 24 hour cycle. And that's for a
1678 three week period. Then after those three weeks then it would
1679 go to a low temperature, and that's basically the basic cold
1680 temperature, and that would be for a duration of three days.
1681 Then after it gets exposed to that, then it would undergo the
1682 humidity. And that is a natural diurnal humidity cycle, and
1683 that's for a five day period.

1684 Next is the transportation. That's basically the
1685 vibration which represents the U. S. roadway conditions.
1686 Again, this is conducted in Mil-Std-810F, Method 514. And as
1687 you can see that it - if you vibrate it on all three axis for
1688 60 minutes that represents a thousand miles. So what we're
1689 going to do is vibrate it for 12 hours per axes at the
1690 vertical, the transverse, and longitudinal positions for a
1691 total of 36 hours which will represent the 12,000 miles. And
1692 that's going to be at the unrestrained condition, just as if

1693 the PAPR was in the trunk of a car or another type of vehicle.

1694 The drop test will be on just the canisters only, and
1695 they will be in their packages and containers. And then
1696 they'll be dropped once on one of the major axes as indicated.

1697 Some of the rationale for the tests is the high
1698 temperature simulating storage in the truck equal to induced
1699 conditions would be pretty typical of say a policeman would
1700 carry his PAPR in the back of his trunk in New Mexico or
1701 Arizona and this representative induced climate conditions.
1702 And then the low temperatures representative of minimum
1703 temperatures in the northern regions of the United States.
1704 And that's basically a basic cold. And that comes of Mil-Std-
1705 810. And the duration is recommended by the 810.

1706 The humidity represents the natural diurnal cycle of such
1707 humid regions such as Florida. The vibration simulates the
1708 transportation of 12,000 miles over U. S. highways in
1709 unrestrained conditions. And the rough handling simulates the
1710 drop of a canister and packaging can from the trunk of a
1711 vehicle or a tabletop.

1712 Here it is indicated in the flow diagram. As you can see
1713 both the PAPR's and the canisters will undergo the high
1714 temperature in this order, high temperature, low temperature,
1715 humidity, vibration, and then the canisters will be subjected
1716 to just the drop test alone. Then after they go through the -

1717 then they'll be subjected to the requirements of the CBRN PAPR
1718 standards. And as John mentioned earlier, that the filtration
1719 will be tested for filtration on the P-100 requirement after
1720 cyclohexane - I believe it's six canisters of the organic
1721 vapor.

1722 So in summary this is what we have. Basically in the
1723 same order as well. So that concludes this presentation. At
1724 this time I'll be happy to attempt to answer some questions.
1725 Please o airflow questions.

1726 **GORAN BERNDTSSON:** Goran Berndtsson, SEA. I wish that
1727 every police car was buying a PAPR. That would be fantastic.
1728 I think it's highly unlikely. We have a concern with the
1729 high diurnal temperature. It is very difficult with most, and
1730 particularly battery life, after running them through this
1731 very high and very low temperature. I don't think it is
1732 realistic to think that those kind of equipment is going to
1733 sit in the back of a truck or a police car for 12,000 miles.
1734 I think it would be stored in a container ready to be used in
1735 case of an incident. So I think you should - I would
1736 appreciate if you would reconsider some of these requirements.

1737 **FRANK PALYA:** Particularly the low temperature?

1738 **GORAN BERNDTSSON:** Particularly the low temperature, yes.

1739 **PAUL DUNCAN:** Paul Duncan, Scott Health & Safety. A brief
1740 comment. I think there probably needs to be some better
1741 specification on how the filters are tested, environmentally
1742 tested, in their packaging. And a more formal representation
1743 to the end users of how those respirators were tested. If
1744 there's a situation where a respirator is tested,
1745 environmentally tested, particularly vibration tested in its
1746 plastic packaging and then deployed to the end user, the end
1747 user not realizing that that respirator passed its testing in
1748 that packaging then takes it out and deploys it as like a
1749 tactical bag or something, he doesn't realize he's removed the
1750 packaging that allowed that respirator to pass environmental
1751 conditioning.

1752 **FRANK PALYA:** Well, I believe what our intent was, and
1753 even with the air-purifying respirator, was that the canister
1754 was not to be deployed until actual use. So I guess that
1755 could be conveyed into the user's instructions.

1756 **PAUL DUNCAN:** Actually I can think of some situations
1757 where an actual facepiece might be delivered to the end user,
1758 where it had actually undergone environmental conditioning in
1759 like a conforming plastic package, and the user might take
1760 that facepiece out of that package and deploy it into a
1761 tactical bag not realizing that packaging is what protected
1762 that facepiece from the environmental condition.

1763 **FRANK PALYA:** Got you. Again, I would think that if
1764 somebody would convey that in the user's instructions. If
1765 there's some way specifically that you know how to do that.

1766 **PAUL DUNCAN:** Maybe you'd address on packaging it was
1767 tested that way, that the packaging goes to the end user
1768 advising him that it has to be stored in this packaging, very
1769 clearly called out in the user instructions.

1770 **FRANK PALYA:** So that would deal with the labeling perhaps
1771 in some form. Thank you.

1772 **MIKE SAVARIN:** Mike Savarin, ICS Labs. I was just looking
1773 at this, and the main thing that grabbed me was the drop
1774 impact test. I think it's not really the best benefit to drop
1775 it in a nicely protected package, because that isn't really
1776 what happens. The other thing is that of much more concern is
1777 the fact that transportation across the mail handling system,
1778 not just in the U. S., but any mail handling system, is
1779 actually really aggressive. If you ever watched anything
1780 happening, people are tossing bags and boxes all the time with
1781 product in it. I certainly, for example, on the drop test
1782 would like to see a multiple drop, multiple access test. I
1783 think that's much more realistic, and on the naked product,
1784 not just in this nice comfort packaging. Because in reality
1785 these things are dropped. Someone puts a PAPR on, put it's
1786 around their waist, "oh, damn, there it goes." That's another

1787 three feet. While they're using it, they surround them, bang,
1788 straight away it hits something else. I would prefer to see
1789 something that actually looks at the product unprotected and
1790 looks at multiple - maybe shorter duration. I'm not sure
1791 about this - the equivalent of 12,000 miles. I think I have
1792 some of the concerns that the previous gentleman mentioned as
1793 well. But to be looking at more the effect of multiple access
1794 testing.

1795 **FRANK PALYA:** Thank you.

1796 **JAY PARKER:** Jay Parker with the Bullard Company. When I
1797 think about rough handling on cartridges or canisters, the
1798 first thing that I think of is leaking carbon out of the
1799 canister. I don't see any specific test here to evaluate
1800 that, so therefore NIOSH may want to consider having a test
1801 for that where you would pass air through the cartridge
1802 perhaps and pass that air from the cartridge into an absolute
1803 filter where you could measure maybe the carbon that leaked
1804 out. The military used to have a test like that. Thank you.

1805 **FRANK PALYA:** Yeah. We were basically, Jay, was that
1806 after we would subject it to the rough handling, the
1807 vibration, the drop, and the environmental conditioning, that
1808 it would be subjected to the regular gas life testing or the
1809 filtration testing. So we felt that if the canisters were
1810 durable enough to pass the gas life testing that they would be

1811 durable enough to undergo the drop testing. Thank you.

1812 **JONATHAN SZALAJDA:** I think for the purposes of time we'll
1813 complete the LRPL prior to the lunch break, and then following
1814 lunch we'll cover the chemical warfare agent testing and the
1815 other presentations that are involved with our part of the
1816 discussion today. The next presenter is going to be Mike
1817 Bergman who is with our respirator branch, and he's going to
1818 be addressing the requirements for the respirator fit testing.

1819 **MICHAEL BERGMAN:** Thank you, John. And I'd like to start
1820 off by acknowledging our partners here, the U. S. Army, RADC,
1821 my colleagues here on the team, and I'd also like to say it's
1822 very nice to see some familiar faces from NIOSH Morgantown
1823 that I haven't seen in a while.

1824 The LRPL is a fit-factor corn oil test. And this is a
1825 special test requirement for CBRN respirators. The purpose is
1826 to establish benchmark level of protection under laboratory
1827 conditions. And it's not intended as an indication of
1828 protection in actual response.

1829 The challenge aerosol criteria is 20 to 40 milligrams per
1830 cubic meter corn oil aerosol at .4 to .6 micrometer mass
1831 median aerodynamic diameter.

1832 The pass/fail criteria we are proposing in LRPL 10,000
1833 for 95 percent of the test trials. That comes from U. S. Army
1834 operational criteria. And we would like to test it with the

1835 PAPR blower operating. This is a big point that I would like
1836 to solicit comments here on the applicability of the RPL level
1837 and also for testing it with lower operating and not
1838 operating.

1839 We propose that we will use the 11 standard NIOSH
1840 exercises.

1841 The anthropometric parameters that are considered are the
1842 ones that are only applicable that are based on the design of
1843 the PAPR. Neck circumference if the PAPR is or has a tight
1844 fitting neck dam. Head circumference if it has a tight
1845 fitting neck dam that we would consider for the large
1846 criteria. And face length and face width only if the model
1847 has a face mask.

1848 The development of the subject panel came out of the need
1849 for development of the subject panel for the CBRN escape hood.
1850 And for doing that we reviewed population distributions of
1851 head, neck, face length and width sizes. And again the
1852 criteria that are considered are the ones that are applicable
1853 for the design of the model.

1854 Face length and width criteria is adopted from the Los
1855 Alamos panel, the 1974 study of selection of the panel for
1856 respirator test panels. This is the same criteria that is
1857 used for the CBRN SCBA and the APR that is for face length and
1858 width. For head circumference and neck circumference we

1859 looked at the latest NIOSH study by Dr. Zhuang and to NIOSH.
1860 Survey data was conducted for the panels of updating
1861 respirator fitness panels and for international standards.
1862 And subjects were recruited from industries nationwide,
1863 manufacturing, construction, healthcare, and law enforcement
1864 and firefighting. I'd like to say a review of the data of
1865 September of 2001, the protocol was peer reviewed by a NIOSH
1866 peer group, which also included external members. November
1867 2001 protocol received HSRB approval. January 2002 we had a
1868 federal register notice that was published for 60 days for
1869 public comment on the protocol. In May 2002 the protocol was
1870 reviewed and approved by OMB. And from January 2003 through
1871 September 2003 the data collection proceeded and is now
1872 completed. The data is being analyzed and reviewed for the
1873 appropriate public publication format.

1874 So just to recap on the anthropometrics, the face length
1875 and width criteria is the same as it's adopted from the Los
1876 Alamos panel. The head circumference and neck circumference
1877 criteria is adapted from NIOSH population study data. The
1878 total subjects in the NIOSH survey was 3,997. Of those, 2,243
1879 had complete measurements for face width, face length, head
1880 circumference, and neck circumference. And so we prepared the
1881 data on 2,243 subjects to the 1980 Army data study that we had
1882 previously considered for the LRPL matrix.

1883 The differences between the distribution of head
1884 circumference - I'm sorry, this is neck circumference between
1885 the NIOSH population and the Army population is that NIOSH
1886 population on a percentile basis have larger neck sizes than
1887 the Army population. The previously studied Army population
1888 high and low of the range for neck circumference, 292
1889 millimeters, which was the fifth percentile female of the Army
1890 population. And then the high range value was 413
1891 millimeters, which is the 95th percentile male neck
1892 circumference. So in comparing this analogist looking only at
1893 the neck circumference populations of the NIOSH study
1894 population, what we did is we looked at the NIOSH population
1895 as a whole. The blue line in the middle encompasses both the
1896 male and female. And so we have the fifth percentile of the
1897 NIOSH population here at 306, and the upper 95th percentile as
1898 451. So comparing the Army data to the NIOSH population data
1899 the low range limit has changed from 11½ inches neck
1900 circumference to 12 inches neck circumference, and the high
1901 end has changed from 16.3 inches to 17.8 inches.

1902 This slide shows the rationale for the actual neck
1903 circumference ranges of the PAPR and how they overlap. We see
1904 378 millimeters is the 50th percentile. And what we did is
1905 extended the upper limit of the small range to 378 and the
1906 lower limit of the large range also to 378. The reason for

1907 doing that is in filling the LRPL matrix it's easier, for
1908 instance, if the PAPR has both a tightfitting neck dam and a
1909 tightfitting mask, it will be easier to have the same subject
1910 fit both the neck circumference criteria and the face
1911 circumference criteria by having larger ranges.

1912 For the head circumference criteria if it is applicable
1913 it is a tightfitting PAPR, tightfitting neck dam and hood, it
1914 would be - would have to meet the criteria for the large head
1915 circumference. And you see the current approach with the
1916 NIOSH population data is the lower limit 50 percentile, 570
1917 upper boundary, 95th percentile 603, and these upper lower
1918 limits are not much of a difference from the previously
1919 proposed Army data ranges.

1920 So in summary, this is the population or the subject
1921 matrix. We need to update these ranges that are circled here.
1922 They didn't make it into this edition of the PAPR. But what's
1923 important to remember here is that face length and width
1924 circumference is the same. It's based on the panel, which is
1925 the same for the CBRN, SCBA, and the APR. And the head
1926 circumference and neck circumference criteria will only be
1927 considered if it is a tightfitting neck dam PAPR, as well as
1928 the face length and width measurements will only be considered
1929 if the unit has a facemask.

1930 And so I'll attempt to answer your questions and also

1931 solicit your comments. Thank you very much then.

1932 **JACK SAWICKI:** Jack Sawicki, Global Secure Holdings.

1933 Could you go back to that slide number three with the size
1934 requirements? Thank you. I'm still a little bit confused if
1935 you're requiring three sizes, or if by that chart you would
1936 allow someone to have two size system provided they fit those
1937 ranges.

1938 **MICHAEL BERGMAN:** That's a good point. A one-size-fits-
1939 all is an option. Two size is an option. A three size is an
1940 option. Or if it should be more than that, that's also a
1941 consideration. This is just to say if it has a tightfitting
1942 neck dam, in a three size configuration the small size would
1943 have to meet that small range, and so on for the others. I'm
1944 very interested in if anybody has any data they would like to
1945 submit on the feasibility of the LRPL of 10,000, and also
1946 solicit comments on testing the PAPR with blower on as opposed
1947 to off.

1948 **GORAN BERNDTSSON:** Goran Berndtsson. (Unintelligible.)
1949 When it comes to the corn oil testing, I would suggest you
1950 consider to include a background test for the respirator prior
1951 to, because some respirators if they're using bearings, et
1952 cetera, in them, will distribute some (unintelligible)
1953 particulates. (Unintelligible) potential leakage. So a
1954 background test would be a good way to go.

1955 **MICHAEL BERGMAN:** That's a good point. Thank you.

1956 **JAY PARKER:** Jay Parker with Bullard. That also reminds
1957 me that filter penetration itself could be a factor. So if
1958 the filter is penetrating too many particles, right away you
1959 won't be able to meet the requirement of 10,000. And I'm not
1960 sure of the relationship of the P-100 media test to that. So
1961 in other words it may be possible for a filter to pass the P-
1962 100 test and not have - or at that point still have enough
1963 particle penetration at .4 to .6 micrometer that you're
1964 actually looking at particulate penetration during this test
1965 and not face seal leakage. And I know that I struggled with
1966 that in my own internal testing at Bullard. So I just thought
1967 I'd point that out. Thank you.

1968 **JONATHAN SZALAJDA:** Thank you, Mike. And thank you, Jay,
1969 on your last comment. That has been one, I guess one
1970 consideration as part of the protocol as working with the Army
1971 especially when we were looking at the development of the SCBA
1972 standard and testing the facepiece using a filter to keep out
1973 the media. And if we choose to go forward with some more type
1974 tests we'll look at the same protocols and carry those over
1975 into this device.

1976 I think what we're going to do since it's almost noon and
1977 we're only one presentation behind, we'll try to make that up
1978 after lunch. So we'll break now.

1979 (Lunch break from 12:00 to 1:00 p.m.)

1980 **JONATHAN SZALAJDA:** Again, at this point we're going to
1981 wrap up with the one element that we didn't address this
1982 morning, which was the chemical warfare agent testing. And
1983 basically what we're doing, at least for this presentation, is
1984 I'm going to give a little bit of a perspective on the
1985 requirements or at least as far as how the test challenge
1986 requirements and breakthrough requirements were identified. I
1987 think we're missing part of the screen. At least to clarify
1988 what's up there. It says sarin and mustard challenge vapor
1989 concentrations are based upon the CBRN APR standard.

1990 And the way we wanted to approach the subject here was to
1991 address how we derived the concentration and breakthrough
1992 challenges. And Ray Lins from RDECOM, the Edgewood Biological
1993 Chemical Center, who was did test agent for doing the chemical
1994 warfare agent testing, is going to talk a little bit about the
1995 protocols that they've established, their equipment, and some
1996 of their perspective on PAPR evaluations that they have done
1997 in the past.

1998 I think as I had mentioned this morning when I did my
1999 preamble on our process for developing a standard, we looked
2000 at - initially we looked at conducting the hazard assessment
2001 and the vulnerability assessment based on 28 different
2002 scenarios or venues for deploying the chemical warfare agents

2003 and use those venues that come up with what we felt was the
2004 most likely event that a responder would have to deal with.
2005 And this led to the development of the test criteria that we
2006 established for the SCBA as a - what we envisioned to be a
2007 likely scenario that the responders could see.

2008 In looking at the air purifying types of respirators,
2009 again working within the realm of less than IDLH type
2010 considerations, but in concentrations where you're still going
2011 to need protection, we identified requirements or challenge
2012 concentrations for both sarin and mustard that we used for the
2013 APR for the gasmask requirements.

2014 A little bit more of a challenge for us at the time was
2015 to select a breakthrough test limit as far as what the
2016 criteria would be for these systems that they would need to be
2017 - in order to provide acceptable protection to the user. The
2018 criteria, the health criteria that we used to define this
2019 level represents a non-incapacitating health impact. What
2020 we're looking for, no respiratory dysfunction or irreversible
2021 effects to the user wearing a respirator in this environment.

2022 And we looked at varying values that could be considered as
2023 part of the breakthrough.

2024 One of these included the worker IDLH. And this was a
2025 topic that was debated among the scientists in addressing
2026 values because the only IDLH values that had been generated

2027 for the chemical warfare agents have been done by the Army.
2028 They don't have any other federal agency type endorsement like
2029 OSHA or NIOSH or Mine Safety and Health, EPA. So we felt that
2030 even though that the IDLH's were conservative in nature that
2031 the Army established, they were only for 30 minute exposures,
2032 and we had difficulty in seeing how we could translate those
2033 values into breakthrough criteria for the respirators.

2034 Another option that was considered were acute exposure
2035 guideline levels or AEGL's. And these were established by a
2036 national advisor committee for the Environmental Protection
2037 Agency and the National Research Council. And what the AEGL's
2038 represent are emergency threshold limits for the general
2039 population, which include susceptible portions of the
2040 population, the elderly, children, people of that nature. And
2041 part of these guidelines were developed as part of an
2042 emergency planning and decision-making type process.

2043 With the AEGL committee, they were done - or the AEGL
2044 values were developed through a rigorous scientific process
2045 with consensus building and review and discussion and a public
2046 process but within the federal government scientists but also
2047 other scientists in the general public as well. And if you've
2048 been tracking this type of thing in the literature that you
2049 all have seen over last couple of years that CDC working in
2050 conjunction with other federal agencies has provided different

2051 discussions on the topic in the federal register and opened it
2052 up for public comment. But with the AEGL's, the AEGL's are
2053 broken down into levels based on the severity of the toxic
2054 hazard. And they're represented by levels one, two and three.
2055 And each of these levels represent the most conservative
2056 effort of a concentration by which the specified effect might
2057 be seen in the general population.

2058 And I think when you look at the difference between the
2059 levels when you evaluate criteria between level one and level
2060 two, the effects that a person would see would be mild and
2061 transient that they should disappear in time after the
2062 exposure. And also that there wouldn't be long-term
2063 incapacitation associated with exposure in between those two
2064 levels. When you look at between levels two and three, the
2065 severity of the health effects that someone is exposed to
2066 these agents would see would increase that you could develop
2067 some incapacitation effects, like a delayed ability to
2068 function normally, inability to escape for a particular
2069 scenario. And also as the concentration increase, the long-
2070 term health effects could increase. But once you get above
2071 the AEGL 3 then you're dealing in a scenario where you're
2072 starting to deal with fatalities associated with the exposure
2073 to the agents.

2074 Another aspect that we looked at in terms of the modeling

2075 or the terms of identifying the breakthrough criteria was
2076 looking at modified AEGL values. And there were certain
2077 uncertainty factors that were considered to be applied to the
2078 range of AEGL values that were determined for different
2079 agents. But I guess there were some concerns that at least in
2080 trying to apply modified AEGL's to these populations that it
2081 put some uncertainty or additional uncertainty and additional
2082 factors on the use of the AEGL values and whether or not the
2083 emergency responders, the people that we were gearing the
2084 standards toward, would fall into that population that would
2085 use the modified values.

2086 So where we finally ended up was that we felt that AEGL
2087 level ones shouldn't be considered as an exposure level for
2088 the respirator valuation since that's a level where no
2089 protection is required for the general public during an
2090 emergency situation. That by setting the - by using the AEGL
2091 2 values as setting our breakthrough concentrations that by
2092 setting those criteria below the AEGL 2 value that we would
2093 provide adequate protection for the responder in dealing with
2094 those types of scenarios.

2095 And again, I think the key thing to keep in mind here is
2096 that the certification of respirators and how we set this up
2097 is that in addressing the use of the AEGL 2's that the
2098 certification criteria is below those values. And again we're

2099 talking about that range between level one and level two where
2100 health effects are reversible and there are no long-term
2101 health effects as a result of the exposure.

2102 Another thing that was attractive to us in terms of the
2103 developing of the requirement was that there was significant
2104 safety factors associated with using the AEGL 2 values as
2105 compared to the IDLH values. And I think they were too
2106 intense for GB and HD respectively over a 30 minute period of
2107 time, so we felt there was an added degree of safety for the
2108 responders using those values as the breakthrough criteria.

2109 Another aspect that was considered along with the use of
2110 the AEGL's is that, and we hope that the exposure to chemical
2111 warfare agents is a once in a lifetime type of an exposure for
2112 the individuals, and using the AEGL's it looks at
2113 concentrations over several different time intervals which
2114 could be corrected for toxic response and exposure to the
2115 various chemicals.

2116 When we look at the testing criteria, what we've done
2117 with the respirators is basically the overall dosage that the
2118 respirator would see on the AEGL 2 one hour limit that has
2119 been set. And again, I think the thing to keep in mind is
2120 when we're looking at the chemical warfare agents that we're
2121 dealing and the potential dosage that an individual may see,
2122 and when you're looking at over a six or eight hour time

2123 period, we're basing that breakthrough criteria on a much more
2124 conservative basis by using the one hour AEGL 2 value.

2125 And also a concern that we wanted to identify as part of
2126 the exposures was to include peak excursions as part of our
2127 evaluation. And we used the 10 minute AEGL value as far as
2128 peak excursions that you may see during the test. And we
2129 captured that as part of the pass/fail criteria that we only
2130 allow three or no more than - you're only allowed three peaks,
2131 peak excursions during the test, or three consecutive peak
2132 excursions during the testing. If you would see that, then
2133 that's cause for failure of the evaluation. But we felt this
2134 added a degree of safety to the evaluation criteria that in
2135 the event of if something happened to the respirator during
2136 operation or some sort of effect to the respirator where there
2137 may have been some excursions where an agent had penetrated
2138 through the system through one of the components that the
2139 individual could still be protected.

2140 Where we ultimately ended up for the gasmask, the vapor
2141 challenge again was based on evaluations that we conducted
2142 with the Army and looking at the potential concentrations that
2143 could be seen and in a warm zone type of environment. And we
2144 had calculated the challenge at 210 milligrams per cubic meter
2145 as the challenge concentration. The breakthroughs for the APR
2146 were set assuming interoperability or accommodating the

2147 interoperability characteristics between the canister and the
2148 facepiece, that we set the breakthrough criteria at half of
2149 the 10 minute AEGL 2 value. And so there's an additional
2150 degree of protection there.

2151 With the sarin, the test of the agent has generated and
2152 challenged against the respirator up front over the first 30
2153 minutes. And at that point the agent concentration ends and
2154 we continue to monitor over seven and a half hours to
2155 determine if there's any breakthrough of the agent to the
2156 respirator.

2157 With the mustard challenge it's a combination of a liquid
2158 and a vapor challenge. The liquid challenge is based upon the
2159 components that are associated with the respirator and the
2160 pattern that's been developed and it's been included as part of
2161 our standard test procedures that we developed for how the
2162 liquid is applied to the respirator. And in general one thing
2163 to keep in mind is that we intend on challenging the interface
2164 areas of the components of the respirator and the interface
2165 between the visor and the face plank, potentially the
2166 interface between where the filter would attach to the face
2167 plank. And also along with that, we look at any component,
2168 you know, if you have a system of hose that connects the
2169 filter to the facepiece that we'll challenge the hose with the
2170 liquid to determine penetration and permeation effects. And

2171 with this test, as a result of our working with the Army, that
2172 we the provide the vapor challenge or we identify that vapor
2173 challenge up front, and then during the last two hours of the
2174 testing we apply liquid to the system and continue to monitor
2175 for penetration permeation effects to the respirator.

2176 And with that I wanted to introduce Ray Lins from the
2177 Edgewood Biological and Chemical Center. Ray has many, many
2178 years of experience in working with respirators and in testing
2179 with chemical warfare agents. And just as a little side, I
2180 kind of like to think of him as the father of the Smartman.
2181 It was back in my former life when I worked for SBCCOM in the
2182 mid-'90s, the concept of using the Smartman really began to
2183 evolve. And I think Ray was very instrumental in proving out
2184 the concept and using it as a valuable tool for evaluating
2185 respirators. With that, Ray.

2186 **RAY LINS:** As John said, I'm Ray Lins from SBCCOM, RDECOM
2187 now. We are accredited for ISO-17025 by the American
2188 Association for Accredited Laboratories. We're certified to
2189 test masks, SCBA's, negative pressure respirators, for NIOSH.

2190 And we also are certified for doing ASPM 739 testing, as well
2191 as many other things we're certified for, but these are the
2192 ones that are important to us here.

2193 Swatch testing for the 739 for looking at materials, I
2194 have six cups in three different systems for looking at

2195 materials using mini cams for detection. One set of swatches
2196 for vapor or liquid. Another set of Dawson cups, which is a
2197 larger swatch, about a five inch swatch to look for
2198 semipermeable material for vapor to go through it. Then
2199 smaller technology, you know, fruit flies, which have been
2200 around for years. And that's part of the military standard to
2201 test swatches for the fruit flies. A 170 tester to test for
2202 HD, VX, luycite, using an indicated vapor.

2203 To date we've actually tested quite a few more than a
2204 thousand Smartman tests. We've tested escape masks, APR's,
2205 air-purifying respirators, powered air-purifying respirators,
2206 SCBA's, and self-contains. We've done many of those for NIOSH,
2207 many of them for Domestic Preparedness. And quite a few of
2208 those results for Domestic Preparedness are on the Internet,
2209 so you can look at the results of them.

2210 That's actually a Smartman head form. As John said, we've
2211 had that for six or seven years now in cooperation with SBCCOM
2212 and ILC we developed this. This is an M-40 mask on it. It's
2213 inside of a box. The next slide will show it's inside of a
2214 hood. When we test the SCBA's or the PAPR's all the equipment
2215 will be inside this hood as well. And all of it will be
2216 exposed to liquid and vapor.

2217 That's one plumbed and setting inside of a hood. It's
2218 kind of a spaghetti all around it as far as the hoses and

2219 everything. It is hooked to a breather pump inside the head
2220 form. In the nose cup area we're monitoring for what comes
2221 through the mask, penetration into the mask. Inside of the
2222 chamber we're monitoring the concentration, both of them full
2223 time.

2224 A breather pump made by JACO. It's a military standard
2225 breathing pump. It does - produces sinus leeway, and this is
2226 what we've been using for NIOSH testing. It does have
2227 limitations as far as the top end of 1.1 liter hydro volume is
2228 about the top end of end of it. We have a variable speed
2229 control on it so it can vary the strokes per minute. We do
2230 have a commercial version, much smaller and much cheaper, but
2231 it doesn't last quite as long. With this one we test the 40
2232 liters a minute per NIOSH. With the other pumps we can test
2233 the higher flow. We use those up to 120 liters a minute on
2234 escape masks, military masks and everything, what the
2235 performance is.

2236 This is the typical output of our system as far as the
2237 two mini cams looking at the breakthrough concentration. On
2238 the top is milligrams per meter cubed versus time. No
2239 particular mask or anything, just a chart that we've done on
2240 some escape respirators in the past. The bottom chart is a
2241 cumulative CT. So these two numbers on the left-hand side
2242 there, that's where the breakthrough criteria which Jon

2243 spelled out would be looked at.

2244 HD, duplicates of the of the same thing. We're looking
2245 at monitoring the whole time, full time inside the hood.
2246 These are inside the mask and just take the top one and
2247 accumulate it to come up with the cumulative CT.
2248 Concentration is monitored full time inside the hood as we do
2249 the vapor challenge, and all the liquids in there were
2250 monitored as well. This is just a typical challenge profile
2251 showing the ramp up to 2,000 milligrams per liter cubed. It
2252 takes about three and a half minutes for us to fill the
2253 chamber up to 2,000 milligrams per liter cubed, and about five
2254 minutes to come back down. And then we can hold it with
2255 pollution air for as long as we need.

2256 Presently I have five Smartman medium systems in
2257 operation. A medium Smartman system for CK. A medium leak
2258 test system which we use for leak testing systems. All of the
2259 masks and everything are leak tested before we ever put them
2260 into agent system. We'll test them in the clean system to
2261 make sure that they're not leaking. There's no sense in
2262 testing with leaks. Once they pass that, then we'll put them
2263 into the system.

2264 As was mentioned this morning, when the PAPR's - one of
2265 the problems with some of the PAPR's, we do see bearing will
2266 give off a little bit of a background, so we will see that

2267 during a leak test. It doesn't have an effect on the agent
2268 test, but we will see it on the leak test. Two small leak
2269 test systems. One we have in the lab, the other one more or
2270 less travels all around with us. We don't have it here this
2271 time. The last one we did have it at. And one small agent
2272 test system.

2273 In November we'll be setting up two additional medium
2274 Smartman test systems, and in December two medium Smartman
2275 test systems with the automated breathing simulator.
2276 Questions?

2277 **JONATHAN SZALAJDA:** We have two additional topics we
2278 wanted to cover today, things that have been of interest to
2279 the community over the last several months with regard to
2280 supporting activities that we're conducting to enhance our
2281 ability to developing standards. One is an update on progress
2282 that we're making with the chemical warfare agents simulate
2283 project that we're doing in conjunction with the scientists at
2284 the native R&D Center in Massachusetts that is part of the RDE
2285 Command. And the other activity that we're going to want to
2286 give you an update on is a flow study that Mr. David Caretti
2287 of RDECOM is conducting for us to address some of the issues
2288 that have been raised over the last several months regarding
2289 flow rates. Following Dave's presentation I have some
2290 summation parts to go over. And we have one attendee

2291 presentation for this afternoon, and then we'll have an open
2292 comment period. So that with Frank Palya will give you an
2293 update on the Simulant Project.

2294 **FRANK PALYA:** Thank you, John. As John has mentioned, I'm
2295 the project coordinator for the Chemical Warfare Agent
2296 Simulant Project. And the principal investigator was Dr.
2297 Rivin of both Army Research Development and Engineering
2298 Command, formerly SBCCOM, and he works out of NADIC.
2299 Unfortunately he was unable to attend this meeting.

2300 I mentioned chemical warfare agent simulant and I want to
2301 make it clear that we're specifically looking at simulants
2302 that replicate the actual permeation effects of the live
2303 chemical warfare agent, namely HD, a sulphur mustard, NGB,
2304 sarin. So we're not looking at simulants of for a training
2305 nature or anything other thing. But we were looking for the
2306 actual effects of permeation through materials, varying
2307 materials used and PDE.

2308 I'd like to go over some background here. At the April
2309 2001 NIOSH public meeting held in Edgewood, Maryland, when it
2310 was announced that NIOSH was going to perform official NIOSH
2311 certification with actual chemical warfare agent, respirator
2312 and other PPE manufacturers requested that NIOSH identify
2313 simulants so they could perform search and development and do
2314 some pretesting on the respirators before submitting them to

2315 NIOSH. The reason they requested this was that the actual
2316 chemical warfare agents weren't readily available. The
2317 chemical warfare agents themselves are very toxic. Having
2318 surety labs do this testing is very expensive. And also they
2319 just wanted to see how well their respirators and PPE would
2320 perform against chemical warfare agents without going through
2321 all the expense. The same concern for the simulant
2322 development was conveyed in the ISEA, that's the International
2323 Safety Equipment Association, January 22, 2002, letter to
2324 NIOSH.

2325 But anyhow, after that we started the project and we were
2326 doing some initial literature searches. Then we found out
2327 that there were reports out there that looked at the
2328 permeation effects of chemical warfare agents and simulants,
2329 but there just wasn't enough data there to make any strong
2330 correlation between the two. What data there were out there
2331 that tested both with chemical warfare agents and simulants,
2332 they were tested under different lab conditions, different
2333 thicknesses, so you really couldn't have used that data with
2334 any confidence. Plus the military when they were doing their
2335 testing, when they tested the military equipment, they just
2336 went ahead and used live agents. So they really didn't have
2337 that much use for the simulants. So in June '02 the Chemical
2338 Warfare Agent simulant project really began.

2339 Since that time I'd like to go over some of the
2340 accomplishments. We developed an inexpensive permeation
2341 system with a new cell design for testing both hard and soft
2342 barrier materials up to one centimeter in thickness. And this
2343 technique is called the flooded cell technique. When we first
2344 came up with this we were looking at, of our goals, we were
2345 looking at a low cost, rapid simulant screening method for
2346 determining agent barrier performance of the materials.

2347 This flooded cell technique for testing liquid
2348 permeations through nonporous barrier materials was
2349 incorporated into the NIOSH test method. Basically what this
2350 test method is, is an interim test method at this point. It's
2351 not to be used for certification. So this test method that
2352 I'm referring to is just something - it's a guide for the PPE
2353 and respirator manufacturers to use at their convenience for
2354 an aid to go ahead there and use with the simulants so they
2355 could make a determination of how well their barrier materials
2356 would perform against actual live agent.

2357 This slide illustrates some of the components of this
2358 test method that we're going to be releasing soon. So it's
2359 pretty basic. It's just nitrogen and air going through a flow
2360 controller and then through a permeation cell and then it
2361 detects what agent permeated through the materials. And then
2362 there's an acquisition board and a computer and then the trap.

2363 This next slide here is more of a detailed design of the
2364 permeation cell itself. Here's the air speed through the
2365 bottom here, and then the specimen, then this is the Teflon
2366 gasket, okay, and the agent is applied to it, it's in a
2367 flooded form, just totally covering the surface of the
2368 specimen. And after a while it'll detect what agent permeates
2369 through.

2370 This is the real life photo of it and the components.
2371 And this is the configuration of how it's assembled. This
2372 right here is a cap. The agent is actually poured or applied
2373 here, and this cap is put over top of it to minimize
2374 evaporation.

2375 Next what we did was we did come up with four simulants,
2376 the DCH, CEPS is mostly associated with simulated sulphur
2377 mustard HD, and then DEMP and DIMP is associated with the GB
2378 simulant. And I put nominal here for the reason that in some
2379 polymers that these simulants can be used for both chemical
2380 warfare agents.

2381 When we were developing these simulants, we selected the
2382 three materials. We selected butyl rubber, EPDM, and the
2383 silicone rubber. And the reason why we did that was to go
2384 ahead there and try to get a broad range of varying materials,
2385 broad range and chemical agent performance resistance. In
2386 other words, the silicone pretty much breaks through

2387 relatively fast, the EPDM has an agent resistance midway, and
2388 the butyl has a very good base of permeation resistance. So
2389 we normalized it by looking at different thicknesses. The
2390 reason why we did that was we tried to keep everything on the
2391 same scale, and so one wouldn't break extremely fast and the
2392 other would just continue on running. So that's how we tried
2393 to normalize everything.

2394 And then after we got all this information we developed
2395 an interim NIOSH test method to be made available to the
2396 stakeholders. This test method describes equipment,
2397 procedures, the data, balance techniques. It also will
2398 include the mechanical drawings for the permeation cell. This
2399 interim test method will be made available on the NIOSH Web
2400 site or through NIOSH in December of '03. That is our goal to
2401 try to get it up there. Eventually then we'll have a - this
2402 test method will be published in the future as an official
2403 NIOSH number document. But again, this is an aid for the
2404 manufacturers to use.

2405 The results of phase one of the chemical warfare agent
2406 project were very favorable and revealed areas that needed
2407 further investigation. So we went ahead there and decided to
2408 go with the phase two. If you notice there's a NIST symbol
2409 here, and the reason for that is that NIST had an interest in
2410 it as well, and they funded the phase two portion of this

2411 project. The phase two primary goals is to improve the
2412 estimated reliability of the flooded cell technique by using
2413 additional simulants with other barrier materials, determine
2414 the quantitative relationship between the flooded cell
2415 technique and the traditional loading. Basically the
2416 traditional loading is primarily what we're testing now when
2417 we're doing the agent permeation test. So it would be good
2418 idea to see how the material would perform with the flooded
2419 cell versus how it would actually perform during
2420 certification. So that would more like a correlation right
2421 here. Determined a chemical warfare agent and simulant
2422 sorption and desorption of representative barrier materials.
2423 This would be useful information in addressing a lot of the
2424 decon issues. Identify critical properties of permeants and
2425 barrier materials that control permeation. The next would be
2426 to develop capability of barrier permeation based on available
2427 chemical and physical properties of the material and of the
2428 barrier polymers and the permeating molecule. So if we looked
2429 at different types of physical features or characteristics of
2430 the barrier materials and you could identify those critical
2431 properties of the material that affect the permeation, I think
2432 that would assist in selecting the materials immediately. And
2433 then the next step would go ahead there and continue on with
2434 additional testing with the actual agent - not agent, excuse

2435 me, with simulants, then eventually the agent, if need be.

2436 So the potential benefits is to assist the manufacturers
2437 in the selection of the barrier materials based on scientific
2438 information that we obtained and to reduce the product
2439 development time and cost, expedite new respirators and
2440 material technology for the users, determine quantitative
2441 relationships between the flooded cell technique and the
2442 traditional test loading, and determine the chemical warfare
2443 agent simulant sorption and desorption of barrier materials,
2444 and again, to identify critical properties of the permeant -
2445 of the barrier materials.

2446 Eventually we'd like to try to set up some sort of matrix
2447 to go ahead there and identify the properties of the material
2448 and some of the other features of the permeating molecule, so
2449 that once we get this database it'll be easy just go ahead
2450 there and access it and get an idea just by the properties how
2451 the material would perform.

2452 So in summary, we developed a rapid, relatively low cost
2453 laboratory procedure that can be used to estimate the chemical
2454 warfare agent and permeation through barrier materials.
2455 Identified four simulants, two for HD, two for sulphur
2456 mustard. Wrote an interim NIOSH test method that describes
2457 the equipment, procedures, data analysis techniques. Again,
2458 the goal is to have it available in December of 2003. Then we

2459 initiated phase two of the Chemical Warfare Agent Project.
2460 And another thing is thing is that I wanted to emphasize is
2461 that NIOSH or RDEC does not guarantee that when you test your
2462 barrier materials with your simulants in your own laboratory,
2463 and if it passes, and then if it goes to a NIOSH certification
2464 and fails the actual certification, that we're not going to
2465 guarantee that if it passes for you that it's going to pass
2466 for us during the certification process. And also, the test
2467 method that we felt was not a certification test, it's just a
2468 name.

2469 That concludes this presentation. At this time I'll
2470 answer any of your questions. Thank you.

2471 **JONATHAN SZALAJDA:** The last presentation we have on our
2472 agenda is an update on the flow study with Mr. Dave Caretti
2473 for ECBC.

2474 **DAVID CARETTI:** Thanks, John. So everybody in the
2475 audience is now sighing because that's all we want to hear is
2476 another flow rate presentation at a NIOSH public meeting. I
2477 am not here to settle this issue, so keep that in mind.

2478 A few months back NIOSH had approached myself and some
2479 others and said, I think we need to - they said, we think
2480 that we need to do some study to really try to get a grasp on
2481 what are realistic flow rates of individuals doing different
2482 types of work, what would be anticipated in the workplace,

2483 what do we need to do to understand so that we can get a
2484 comfortable feeling that the flow rates being proposed for
2485 these standards are adequate for whatever testing, filter
2486 testing, system testing, whatever may be. In that regard we
2487 put together a test plan and have gone forward with some of
2488 that. And I just want to share with you some of the work
2489 that's been done to date and what we're trying to go forward
2490 with at this time.

2491 The main objectives in laying out this test plan were we
2492 really wanted to try to define ventilatory parameters.
2493 Ventilatory is actually the respiratory physiologist's word
2494 for ventilation of air. Respiration occurs at the cellular
2495 level in our minds. So I use respiration and ventilation
2496 interchangeably. But based on real world work rates, somebody
2497 doing their job and where they're required to wear a
2498 respirator over how many hours of work that they do.

2499 We are looking at trying to understand what occurs when
2500 the respirator is not worn and when any type of respirator is
2501 worn, whether it be an APR, an SCBA, or a PAPR. We all know
2502 that wearing a respirator impacts ventilation, and we're just
2503 trying to gauge this is the potential for a non-respirator
2504 situation or a non-resistance breathing situation, and this is
2505 what you may expect with respirator type whatever.

2506 And part of this also leads into another test or study

2507 that will be initiated very soon through ECBC. We're going to
2508 take some of the flow rate information that we are able to
2509 gather and apply it to some filter testing at these different
2510 flow rates. So instead of just looking at a 64 liter minute
2511 or 85 liter minute or 100 liter per minute flow rate, if we
2512 find data to suggest that there is a regular occurrence of
2513 high flow rates, we're going to go in and test a wide variety
2514 of filters with different aerosol challenges to those
2515 particular flow rates.

2516 The approach that we've taken is we do believe that a
2517 substantial amount of information does exist in the
2518 literature, it's just a matter of trying to gather all that
2519 literature, put it into one database or into one report and
2520 try to make heads or tails of that. So the first thing we're
2521 doing is a literature review. The second thing is there's a
2522 lot of data that exists that's never been published in an open
2523 literature reports that many of you may even have in your
2524 possession where we may be able to combine data into a
2525 database and do kind of a post-analysis or meta-analysis
2526 (phonetic), if you will, to get a better feel for what's
2527 published in the literature really makes sense or maybe it
2528 doesn't make sense. So in essence what we're trying to do is
2529 find some empirical data that somebody has a whole database of
2530 for certain work rate, certain conditions of respirator wear

2531 or non-respirator wear, put it all in one big database and re-
2532 analyze data from multiple studies.

2533 It's very challenging to do that type of work because
2534 every study has different population bases, different work
2535 rates that were tested, were they tested under a ramping or
2536 continuous increase in work rate, a type of an exhaustion
2537 test, was testing done under constant work rate. So there's
2538 all these variables that play in there.

2539 And the last thing that we really want to do is go and do
2540 more human use tests. That's the, of course, the most
2541 regulated thing, the most expensive thing to do, and causes
2542 the most headaches. But if we identify data gaps, that may be
2543 what needs to be done to try to fill in those data gaps to put
2544 some of this information not to rest, but at least to try to
2545 gather it in so it makes sense to everybody that can take a
2546 look at it.

2547 So far we've collected over 100 articles. And you've got
2548 to understand that the initial search of this data was
2549 anything after 1990, because we all know what the literature
2550 says in the '50s, '60s. We were trying to limit our database
2551 based on new applied techniques for collecting data at the
2552 bottom line, collected online with a computer because you can
2553 collect it so much faster, get better resolution of your data.
2554 But in the process of trying to find articles, of course we've

2555 come across all the Silverman's reports and all that kind of
2556 stuff, and they're in our database and we're well aware of all
2557 that information. We're trying to review these articles.
2558 There's two of us that have been working on this for about
2559 three weeks now. Once we go in all the articles that we had
2560 requested, we have articles that span respirator wear,
2561 breathing resistance in terms of some kind of resistance was
2562 applied to either the inhalation or exhalation side of
2563 ventilation, whether that was with a facepiece or mask on of
2564 any kind, or if it was just a mouth bit to where a small
2565 orifice was applied to create a different airflow resistance.
2566 All those kind of papers are being considered.

2567 Occupational studies. We've tried to find any data that
2568 shows somebody doing work at their workplace where ventilation
2569 or work rate have been recorded or measured or estimated to
2570 some reasonable degree of accuracy. And we're also looking at
2571 any lab investigations that involve maximal work rates or
2572 simulated workplace types of activities. And we've also
2573 looked into any speech ventilation and coughing and sneezing
2574 flow rates. And the coughing and sneezing kind of goes
2575 towards something else that's part of the filter study that
2576 we'll be doing as we're looking at some potential impacts of
2577 coughing or sneezing when wearing like a half-mask for readout
2578 erotization of particles. So that information is also being

2579 investigated.

2580 We've also gathered some data from in-house stuff that I
2581 have in my lab and initial contact with a colleague at the
2582 University of Maryland at College Park. Those are two current
2583 players with raw data. Now, Bruce was standing up before
2584 saying that the NPTL - or NFPA, I'm sorry, has reports about
2585 high flow rates as sustained information. We've exchanged
2586 business cards, because I'm trying to find anybody out there
2587 that has that kind of data that's willing to provide for this
2588 examination. NIOSH, I've spoken to a couple of folks at NIOSH
2589 that have given a few potential sources. I ask all of you in
2590 the audience if you have any of that data, please let me know.
2591 We would be very interested in including it in this analysis.
2592 We've contacted, if you recall, Mr. Pitts, who still calls
2593 himself a neanderthal, he doesn't give himself enough credit,
2594 with the Marines. They've done some high intensity workload
2595 testing and they've got some of that data. So we're gathering
2596 that data. We've been a little slow in that process. But
2597 that's part of this investigation.

2598 As far as the human use testing goes, all we've really
2599 done in-house is we were already going to do some work related
2600 to speaking with a respirator on kind of to look into some of
2601 the information that Mr. Berndtsson's talked about with speech
2602 flow rates, just to get a feel for that information as it

2603 relates to different data collection techniques.

2604 Busy slide. It's really only here to show you some of
2605 the articles that we've gathered, and I don't know why it's off
2606 to the side, but really it's just a list of a few of the
2607 articles that have been reviewed. It talks about the types of
2608 tests. Some of them were work sites and some of them were on
2609 site done with portable equipment for collecting metabolic
2610 data and respiration data, ventilation data. Some of them are
2611 simulated tests done on work sites. And some of them were on
2612 work sites where it wasn't just free reign, go do your job.
2613 It was a matter of, okay, for 15 minutes we want you to do
2614 this part of your job. And that's kind of what I'm referring
2615 to with the last work site control condition.

2616 With some of the tasks that have been looked at and
2617 ventilation rates. It shows ranges of ventilation. Rates
2618 reported in the literature for site tasks. And if you look at
2619 that, probably the highest values you're going to see are up
2620 in the 60 liter per minute values for the shoveling tasks.

2621 Really, that's just kind of what we're looking into at
2622 this point. Now, you've got to understand some of the
2623 literature we are reviewing we are trying to be very picky
2624 about the techniques used to collect the data. We really are,
2625 you know, I don't know everyone's understanding, but there are
2626 so many different kinds of flowmeters out to record

2627 ventilation. And if somebody's doing a very heavy intensity
2628 exercise test where it's 90 percent of their maximum
2629 capability and the researcher says we used a Flash number 1
2630 pneumotec, guess what, that's the wrong flowmeter to collect
2631 that high of flow rate data. So that's what we're looking
2632 into, some of the details of the data that's been collected.

2633 Some of the occupational literature that we've seen with
2634 respirators. Two SCBA's. Obviously most of the literature is
2635 related to firefighting tasks. And then this one particular
2636 article, a whole range of different respirator types done on a
2637 work site. Now some of these ventilation values are estimates
2638 based on specific relationships established for individual
2639 subjects that were collected in the lab at first and then when
2640 they went to the field. And what does that mean? When they
2641 went in the lab they would set up a relationship of heart rate
2642 to ventilation. So when they were in the field and they
2643 measured heart rate they could at least estimate the
2644 ventilation of that individual based on their heart rate
2645 condition, that type of information.

2646 Again though, just looking, taking a quick look again, 60
2647 liters per minute. The highest flow rates reported. Whether
2648 these were means or peaks. Right now some of them are just
2649 ranges. Again, it's just a sample of the data.

2650 And then again, as I referred to, laboratory test reports

2651 where resistances may or may not be applied, whether they wore
2652 a respirator, whether it was just like the last sample here of
2653 mesh screens of different resistances, the types of tasks that
2654 were involved, some of them were to exhaustion, some of them
2655 that are very high intensity exercise levels, and then again
2656 you see the different ranges of ventilation. Just by a quick
2657 look at this, obviously laboratory data is giving us high flow
2658 rates. But that's probably a direct reflection that most of
2659 the laboratory data involves higher work rates.

2660 This is more of the applied - it didn't go forward.
2661 There we go. Some of the other literature that we're looking
2662 for, not only minute volumes, you know, the amount of air
2663 respired a minute or ventilated in a minute, we're also
2664 looking at some of the peak inspiratory flow rate literature.
2665 It's a limited database. Not a lot of researchers look at
2666 actually measured peak flows. They may measure average minute
2667 volumes, but not all people collect breath by breath data and
2668 look at a wave form of data, that's reported in the
2669 literature. Many people may have that information in-house,
2670 but they just didn't publish it that way.

2671 Of the couple of the reports we've looked at, we're really
2672 just trying to again gauge what are peak inspiratory flow
2673 rates that would be anticipated in the field, in the work
2674 site, and the graph is just an example. Over the top line is

2675 no resistance, the bottom line is a resistance condition. And
2676 that's just to say, well, guess what, work rate increases, but
2677 with some kind of breathing resistance the peak flow rates are
2678 dampened. I think we know that, but we're just trying to
2679 validate that and we're also trying to quantify that
2680 information.

2681 As I referred earlier about the data compilation and
2682 collection data from other sources, one big data set that we
2683 recently gathered and actually formatted for analysis has to
2684 be - had to do with data collected by Dr. Coyne from the
2685 University of Maryland, College Park. Essentially these are
2686 breath by breath values of high intensity exercise. But they
2687 also did other intensity work rates. They did low and
2688 moderate and very high work rates under steady-state
2689 conditions and collected a lot of breath by breath data.

2690 And essentially these are wave forms. These are
2691 instantaneous wave forms collected over a certain amount of
2692 time once a steady-state exercise or work intensity have been
2693 reached. And the list is just a list of the types of
2694 variables we can calculate from a wave form.
2695 Inspiratory/expiratory times, tidal volumes, minute
2696 ventilation, whether it be on inhalation or exhalation,
2697 respiration rates, right down the line. We can also look at
2698 the breathing waveforms, apply some analysis to those

2699 waveforms, and look at wave shapes and maybe get into the
2700 information about what is a good estimate of a peak flow rate
2701 based on an average minute volume.

2702 The nice thing about this data again, they did no
2703 resistance testing, no resistance to airflow, and then they
2704 did testing with different levels of breathing resistance.

2705 This is just a sample of a 10 second graph of the data.
2706 It just shows you a waveform. And the table underneath is
2707 just really displaying some of those values that we talked
2708 about that can be ascertained from analyzing the curves. And
2709 in that curve anything below the zero is an inhalation,
2710 anything above is exhalation. It's just the nature of using
2711 the pneumotec for collecting flow rates.

2712 Our plan is to finish this literature review by the end
2713 of this month. So there are quite a few more articles to
2714 review. To complete the literature review really means weed
2715 out the good from the bad and then go forward so we can go
2716 forward and provide flow rates for this high flow filter
2717 testing that will be coming onboard probably more towards the
2718 middle or end of November. We hope to have a draft report of
2719 our literature review out by January. And also in January
2720 we're looking to either implement or development some test
2721 plans to fill in the data gaps.

2722 We haven't thought through exactly where, when, why, and

2723 how to do all that, but we probably will approach some of the
2724 folks at NPPTL and try to use some of the resources available
2725 to them to do some of that testing. We hope to - any data
2726 that we can gather, raw data that anyone's willing to put
2727 forth to play into this research project, we hope to have all
2728 that compiled and analyzed by March and come up with some flow
2729 rate recommendations or guides, or at least quantify flow
2730 rates for respirator types and work rate conditions.

2731 Parallel projects going on in all this is - are some of
2732 the international efforts to develop international respirator
2733 standards, and we're keeping abreast of the information that's
2734 occurring under those activities.

2735 That's all I have. Any questions?

2736 **GORAN BERNDTSSON:** Goran Berndtsson from SEA. Very good.
2737 Finally we're getting good attention (unintelligible). The
2738 graph you had up there when you were looking at work rates,
2739 was that (unintelligible).

2740 **DAVID CARETTI:** That was absolute work rate.

2741 **GORAN BERNDTSSON:** Absolute work rate?

2742 **DAVID CARETTI:** It was, yes.

2743 **GORAN BERNDTSSON:** What do you mean by absolute work rate?

2744 **DAVID CARETTI:** The external work load. That's actually
2745 data from Silverman's 1951 paper.

2746 **GORAN BERNDTSSON:** Thank you.

2747 **MIKE SAVARIN:** Mike Savarin, ICS again. As far as I can
2748 see, Dave, will there be any intention anywhere from all of
2749 this to define a protocol under which these measurements will
2750 be made so that we can generate some kind of uniformity
2751 somewhere? I know the things inherently problematic with
2752 this, but something you said - before you reply - something
2753 you said kind of fired off something in my mind. There's a
2754 need for you, because of the nature of the data, to be
2755 technically, you know, selective in what you present going
2756 forward as what you determine or the group determines as
2757 valuable research data. So I'm saying can we get a protocol
2758 out of that?

2759 **DAVID CARETTI:** That's a good question, Mike. I don't
2760 really intend to put into the paper that this is the only
2761 accepted way to collect flow data. There are many accepted
2762 ways to do it. There are turbine flow meters. There are mesh
2763 screen flow meters. There are many types. Really the search
2764 or the review of the data is to just feel comfortable that a
2765 valid method was chosen to collect the data under whatever
2766 work conditions were tested. I probably will list the
2767 different types of equipment and methods that were utilized,
2768 and it will be listed in the report, but by no means is this
2769 to lead to some kind of standard of acceptable - only
2770 acceptable way to evaluate flow rates with or without

2771 respirators.

2772 **MIKE SAVARIN:** I appreciate that that may not be at this
2773 time such an intention. But I can see the scenario where
2774 we're going to need as a test community to put something
2775 together that forms and even platform by which people can test
2776 to and say, "Yeah, that's what we're seeing," in a certain
2777 situation.

2778 **DAVID CARETTI:** And I would, not to blow it off, but I
2779 would say that once NIOSH has the report and they feel that
2780 they want to go forward with something like that, I'm sure we
2781 can discuss it at that time.

2782 **MIKE SAVARIN:** Thank you.

2783 **PAUL DUNCAN:** Paul Duncan, Scott Health & Safety. Again,
2784 I'm also looking forward to this. Just a comment. You may
2785 already be considering this. I would encourage a good lead in
2786 to this report as far as defining so everybody can clearly
2787 understand the difference between minute volumes and peak flow
2788 rates and inhalation cycles, because I think there's a lot of
2789 confusion generated in these discussions by people not really
2790 aware of the physiological significance of some of the
2791 different descriptions.

2792 **DAVID CARETTI:** Yeah. We will include that. If I can make
2793 a 500 report, I'll go ahead and do it, if that's the only
2794 problem.

2795 **GORAN BERNDTSSON:** Goran Berndtsson, SEA. You said that
2796 some of the data was recorded with no resistance. How can you
2797 do that?

2798 **DAVID CARETTI:** Well, okay, when you get technical. What
2799 is the resistance of a pneumotec at certain flow rates? And
2800 for the purpose of the paper that will be defined. But by no
2801 resistance it was being used in the board term that nothing
2802 was imposed against ventilation other than the flowmeter
2803 device. And we're talking very small resistances for some of
2804 the these devices, less than a centimeter of water. A half a
2805 centimeter of water, a tenth of centimeter of water depending
2806 on the type of device. And, you know, if you want to get
2807 technical, what's the dead volume of the breathing tubes
2808 involved and all that type of information?

2809 **GORAN BERNDTSSON:** I didn't try to make it difficult for
2810 you. But there is some resistance, and that resistance would
2811 be changing as the flow rate is increasing as well. So I mean
2812 it is --

2813 **DAVID CARETTI:** You are correct.

2814 **GORAN BERNDTSSON:** When you say no resistance, of course
2815 there is some resistance.

2816 **DAVID CARETTI:** There's always resistance if you're going
2817 to measure ventilation, unless you use some kind of
2818 respiratory inducted psysomograph (phonetic). There's a term

2819 for you.

2820 **MARY TOWNSEND:** I'm Mary Townsend. I'm a respiratory
2821 epidemiologist. I'm affiliated with the University of
2822 Pittsburgh. Before you start thinking - I was referring to
2823 your comment about developing standards. The American
2824 Thoracic Society, as you know, is very big in sending out
2825 specifications and recommending laboratory testing at the LPS
2826 Hospital in Salt Lake City of commercially available
2827 pneumotecs.

2828 **DAVID CARETTI:** Yes. They do many reviews of new devices
2829 that come out and --

2830 **MARY TOWNSEND:** The manufacturers send them in and they
2831 either say yes or no. But, so this isn't an area that you
2832 would like (unintelligible), I don't think.

2833 **DAVID CARETTI:** No. Thank you very much for reminding of
2834 that fact. Thank you. Okay, thank you very much. And
2835 everybody route for the Red Sox tonight.

2836 **JONATHAN SZALAJDA:** I guess though from Dave's
2837 perspective, if you're from Baltimore you either - you hate
2838 New York and you hate Boston, and it's just a tradeoff of
2839 which team you hate more. I think everyone would say that's
2840 the Yankees.

2841 What I'd like to do at this point I have summation
2842 remarks that I'd like to make at the conclusion of our part of

2843 the meeting today. What I'd like to do is we have one
2844 individual, John Morawetz, and I hope I didn't butcher your
2845 name too much, John, had requested to make a presentation at
2846 this session. And we'd like to have him offer that at this
2847 time.

2848 **JOHN MORAWETZ:** Thanks. It looks like my slides are going
2849 to get butchered on the left-hand side, too. I came across
2850 the work that NIOSH is doing in this area doing a search and
2851 found out the AEGL's were referenced originally in the air-
2852 purifying respirator, the escape respirator work that NIOSH is
2853 doing. And I stand corrected that the work I referenced
2854 earlier that Terry Thornton correctly pointed out. The APR
2855 breakthrough times are identical to the PAPR breakthrough
2856 times.

2857 I serve on the AEGL committee along with Rick Niemeier
2858 from NIOSH and done this work for a number of years. And I
2859 was - I'm always intrigued as to where they're going to use
2860 AEGL's outside where they're designed to be used. And I think
2861 that has to be done with a great deal of caution, and the
2862 particulate needs to be explicating stated. Even the escape
2863 air-purifying respirator document that as far as I understand
2864 is finalized and sent out by NIOSH last week does not include
2865 any references to AEGL anymore. However, in that document for
2866 both sarin, GB, and sulphur mustard, HD, the breakthrough

2867 concentrations that are being used by NIOSH are the AEGL-2
2868 values. But it's not spelled out what the AEGL-2 health
2869 effects will be, and it's not spelled out that these are the
2870 AEGL values. And I think that's quite frankly a mistake.

2871 I think that they may be appropriate. I've had a lot of
2872 good discussions with Rick Niemeier and the NIOSH staff on
2873 this. And because of large uncertainty factors that the AEGL
2874 committee use, it may work. But I think that it's very
2875 dangerous to go down a road where we're using these values,
2876 the non-occupational values, and using them in a very
2877 different situation for inside the respirator concentrations,
2878 the breakthrough concentrations.

2879 So what are the AEGL's? AEGL's originally date back to
2880 the Clean Air Act and mandates for EPA regulations about
2881 accidental releases. And in particular the legislative
2882 reference is 112R has mandates for risk management plans that
2883 companies have to produce. As part of the risk management
2884 plans, they have to determine the worst case scenario. And
2885 that worst case scenario includes what is the most toxic
2886 material, very interesting phrase, and determine the maximum
2887 distance from this filter release of everything in the largest
2888 container that's all released in 10 minutes that would produce
2889 a toxic endpoint, and what is the distance, how far would that
2890 toxic endpoint go to. And there are various computer

2891 programs, Aloha and Cameo, that you plug in the numbers, what
2892 the volume of the chemical, how much, the wind condition,
2893 various values, and a level of concern. And you get an answer
2894 that the cloud will go 3.3 miles. Well, to get that level of
2895 concern you have to know - have to come up with that numerical
2896 number, PPM.

2897 This work was preceded by AIHA, which determined ERPG
2898 values. And they - right now the risk management plans in
2899 general uses the one hour ERPG values. And the ERPG's
2900 actually are only set for the one hour values. The committee
2901 is sponsored by the National Academy of Sciences. It's
2902 governed by the EPA. The main sound byte here is the last
2903 line here, that it's meant for once in a lifetime short-term
2904 exposures to the general public. And those are really the two
2905 big things. It's once in a lifetime and the general public.

2906 The three health effects, and I think John Szalajda
2907 referred to them earlier, is AEGL-1's, 2's, and 3's. AEGL-1
2908 is a threshold. It's defined as the level, PPM, above which
2909 you'll begin to see notable discomfort or irritation. Between
2910 AEGL-1's and 2's you get increasing symptoms beginning to
2911 occur, but AEGL-2 you begin to get various endpoint, health
2912 endpoints, that finally AEGL-2 is then the numerical level
2913 which is a threshold above which irreversible or serious long-
2914 lasting effects, where they didn't really escape.

2915 Typically some studies have shown human subject studies
2916 where a subject said it was intolerable, they left the
2917 chamber, severe dizziness, various reasons we've used for
2918 that. Which in 2 and 3 you get more serious health effects.
2919 And finally level 3 is life threatening or death. And these
2920 three endpoints are the same as ERPG endpoints.

2921 Let me ask you to go back for a minute because on AEGL-1
2922 and 2 the numerical values for one of the chemicals used here
2923 in the PAPER discussion is sulphur mustard, and in fact the
2924 AEGL-1's and 2's are very close together. And the sulphur
2925 mustard values recommended by NIOSH are below the AEGL-1
2926 values. And there's no problem there. However, if you look
2927 at GB, there's a little bit more than a tenfold, about an
2928 elevenfold difference between AEGL-1's and 2's. And NIOSH
2929 currently is recommending, as Jon Szalajda said, about half
2930 the AEGL-2 values. That still is well above the AEGL-1
2931 values.

2932 Now, there are certainty factors we've plugged in from
2933 human studies to what we determine is an AEGL value. But I
2934 think that needs to be laid out. It has been in that
2935 discussion. I think NIOSH has had it. But it needs to be in
2936 print. Because the end result is you're going to have a
2937 responder who's going to wear these respirators, may get the
2938 symptoms above the AEGL-1 where I think they expect right now

2939 they're going to be safe, they'll have no health effects. And
2940 I think that, again, has to be clearly laid out.

2941 We have five time periods we set values for, from 10
2942 minutes to eight hours. That's a complex matrix of 15 numbers
2943 that are produced for every chemical, where ERPG only produces
2944 three values, AEGL-1, 2, and 3 for one hour.

2945 Again, there are at least two main poles on the AEGL's as
2946 compared to most of our work occupational. One is the general
2947 population, including many sub-populations that are more
2948 susceptible to toxic chemicals than the working population,
2949 which is a subgroup of the whole population. That in general
2950 will drive our numbers down, and we'll want to set lower
2951 levels. At the same time it's not an easy rule of thumb.
2952 Because there's a second factor, which is a once in a lifetime
2953 exposure, unlike not - obviously eight hour time rate
2954 averages, PEL's and REL's and TLB's, ceilings, STEL's, all those
2955 short-term occupational values, none of them are meant as far
2956 as I know to be once in a lifetime exposure. So you really -
2957 that then drives up to perhaps, sometimes we set values that
2958 are higher than occupational values. And as much as, and Rick
2959 knows it, people will bring up in the discussion, well, we're
2960 setting AEGL-1's and look at what the PEL is or the REL. We
2961 always say, very different context, you cannot just compare
2962 one or the other.

2963 Now, this is really not my slide, but I did add the NIOSH
2964 presentation. This is more to say this is where the second
2965 slide comes from, which is a previous presentation which is
2966 available on the NIOSH, the NPPTL Web site. And I think this
2967 is a dangerous conception if we think of this as a straight
2968 linear format, where on the right-hand side we have lifetime
2969 exposure, micrograms cubic meter; left-hand side a single
2970 exposure, micrograms cubic meter, where we assume that on the
2971 multiple continuous or the ambient air concentrations are
2972 always going to be the lowest and progressively each value
2973 will get higher.

2974 It is true that, let's say, eight hours higher than STEL
2975 AEGL - I'm sorry, lower than STEL, AEGL-1 is lower than 2,
2976 AEGL-2 is lower than 3, and AEGL-3 is lower than the LC50.
2977 But not all of them are in the same linear relationship. I
2978 know although it does say at the bottom "not to scale," the
2979 relationship is not always true.

2980 I didn't know I did this. Excuse me. I copied another
2981 graph and look what you get. I think I have to press this
2982 again. My apologies. I looked at this, I really did. There
2983 we go. This looks like it. Okay.

2984 Rather I see two linear relationships. The top is
2985 community values, and there are probably more than this, the
2986 bottom is occupational. And in this situation again I'm using

2987 the same format NIOSH used when you get high exposures at the
2988 top and lower exposures on the left, lower on the right, the
2989 lifetime ensures, that's what we set the values at. On the
2990 top it's community, the bottom is occupational. And in this
2991 situation the two underlying values, AEGL-1, irritation is
2992 higher than the eight hour PEL. And that often happens in
2993 even AEGL-1's we set, but not uniformly. It doesn't always
2994 work that way. Again, in terms of the AEGL's compared to
2995 occupational values, they can be higher because of the intent
2996 of the single exposure. They can be lower because of the
2997 subpopulations. And the other caveat here is our data is
2998 overwhelmingly, and actually the expertise on the committee,
2999 single dose studies. We generally exclude multiple dose
3000 studies, don't look at them. That's because that's what our
3001 mandate is.

3002 And regretfully here's another slide that's going to come
3003 on in a minute. There we go. Okay.

3004 Here's the opposite. Wherein this situation the AEGL-1
3005 is lower value than the eight hour time average. And again,
3006 it can go either way.

3007 Trends of the application to the work on in general CPR
3008 respirator process, which already the step has been taken for
3009 the escape ARP's and is being considered for the PAPR's, I
3010 think you have to clearly spell everything out. One is the

3011 AEGL's are different from most values, and that has to be
3012 clearly stated, along as those statements as to when they're
3013 used. Their thresholds, AEGL-2 values are thresholds escape
3014 irreversible injury. I have to look at the data again, I
3015 haven't looked at it that closely, but the end points for GB
3016 are ones that I don't believe are resolved in a day or two
3017 days. Some of the nerve conduction loss, some of the myosis
3018 carries on for a week. They're defined in AEGL-2's as
3019 military casualties that require assistance. These are not
3020 symptoms that should be taken lightly.

3021 Now, because of the nature of dealing with CBRN's,
3022 terrorism, we may and NIOSH may make the decision to use them.
3023 But that decision I think has to be clearly explicitly stated.
3024 And lastly you've got to look at the data and rationale.

3025 Again, as I said earlier, for a GB there's a large
3026 uncertainty factor. But even with that uncertainty factor
3027 we're getting I believe still above the AEGL-1 values for GB.
3028 So the question is do we want people to be wearing these
3029 PAPR's perhaps with use that's more than just a couple of hours
3030 where they're going to get symptoms inside their respirator.
3031 And I think that is the presentation. Thank you very much.
3032 If there are any questions, I'd be glad to try to answer them.

3033 **BILL NEWCOMB:** Bill Newcomb from North Safety Products. I
3034 think one of the issues that gets sort of lost or clouded when

3035 we talk about breakthrough times, challenge concentrations,
3036 and use times, is the fact that test times, test challenges,
3037 test breakthrough, are meant to test the respirator
3038 components. They're not related to the overall end use of the
3039 product. People do not breathe through a canister at a
3040 constant rate. They are not always in a - the most highest
3041 concentration. And the concentration in the mask is not the
3042 concentration we have seen at the end of a test. And you
3043 can't equate the two. And I think that it's a common thing to
3044 do. We've seen it in all of the sessions that we've had
3045 concerning whether it be SCBA, APR, or PAPR, escape hoods.
3046 There is a scenario where these products are used and they're
3047 safe, and there are also tests that are run on them to
3048 quantify the ability of the product to do a certain job. They
3049 are not one and the same. Thank you.

3050 **JACK SAWICKI:** Jack Sawicki, Global Secure Holdings.
3051 First I'd like to commend you on that presentation. That's
3052 very useful. I think it's a lot of information that's not
3053 widely thought of.

3054 As a labor representative and someone who's very
3055 thoughtful on this issue, I would like you to maybe address an
3056 issue with these standards, the issue of IDLH and what that
3057 definition really should be for these chemicals. I wonder if
3058 you have any thoughts on that. And I'll throw out just two

3059 things for comment. The IDLH level for tear gas, for example,
3060 CS and CN, is very little concentration. The idea is they
3061 might impair you, yet the IDLH levels for biological agents,
3062 for example, if you look at the philosophy recently used by
3063 CDC in it's respirator for healthcare workers, Presaris
3064 (phonetic), which is a lethal, non-treatable disease, we have
3065 a risk with a protection factor of 10 basically for that
3066 application. The question I'm getting to is, you look at this
3067 philosophy you had for GB, where should the IDLH levels be set
3068 for different types of these toxic materials?

3069 **JOHN MORAWETZ:** Let me just address the first commentator
3070 first, then I'll get to that. Your point is well taken, but I
3071 still think we need to clearly lay out the methodology of why
3072 we're setting what we're setting. And as much as I stated what
3073 I stated about the GB and the sarin, the sulphur mustard
3074 levels, on the other hand earlier today we heard the
3075 laboratory protection levels discussion. That was a 10,000, I
3076 believe, volt production, and that generally would offer a
3077 good deal of protection even in these concentrations. But
3078 again I think what's clear is have to really state what the
3079 variant points are.

3080 In terms of IDLH's, I haven't looked at that quite that
3081 closely. And I'm not the one who covers that in my day job of
3082 being director of a training center of the staff who teach

3083 respirators. I think I'm much more able to handle that. I
3084 don't think I'm prepared to do. But what I do know is that the
3085 IDLH values have been under discussion by NIOSH, and NIOSH is
3086 well aware that there are a lot of problems with some of the
3087 levels and I believe they have contractors looking at
3088 different of the derivation of IDLH. It's a difficult
3089 concept. And I think they did one clarification recently to
3090 say it's not meant to be a concentration you'd be exposed to
3091 for 30 minutes. Don't worry about it until it's - just get out
3092 after 29 minutes. That was one endpoint. Otherwise, I'm
3093 really not prepared to IDLH's. I don't think I should get into
3094 it.

3095 **VIJAY AKUMAR:** I have a general question. My name is
3096 Vijay Akumar with Air Techniques. Probably for the panel in
3097 general is the term, a phrase you keep using several times,
3098 single lifetime dosage, single lifetime exposure. Excuse me,
3099 my native language is not English, but it sounds very morbid
3100 that if you didn't have any respirators, all of us would have
3101 a single exposure, we all die. I think that's kind of set
3102 standard, we should use more common English and not just
3103 cliches.

3104 **JOHN MORAWETZ:** I'll answer that comment on that just in
3105 terms of the AEGL work. AEGL work is supposed to be for
3106 planners in an emergency response to decide, given we live in

3107 a world that have we have large storage of many toxic
3108 chemicals, what if they were released. And for people to make
3109 policy decisions based upon some scientific endpoints what
3110 would happen. Are people going to get symptoms or are people
3111 going to die? And there have been regrettably many a case
3112 where we're all aware of there have been releases that people
3113 have died. And I think it's helpful to have an estimate as to
3114 what that level would be. It may be morbid, it is, but that's
3115 the reality of what we all know does happen everyday.

3116 **BILL NEWCOMB:** Bill Newcomb, North Safety Products. I
3117 don't know whether anybody else saw it this week, but I
3118 believe that there BL's published on PBA, PB, GAPB, and BX in
3119 the Federal Resister by I believe it was OSHA.

3120 **JONATHAN SZALAJDA:** Thank you, John. I think it's always
3121 important that we get different perspectives from the
3122 interested parties, and we appreciate you making the time to
3123 provide us your perspective.

3124 I guess a couple of things I just wanted to follow up
3125 with following on John's discussion, at least with regard to
3126 how we're addressing chemical warfare agent effects as part of
3127 our respirator standards. We're including cautions and
3128 limitations with each of the standards regarding the effects
3129 of chemical warfare agents, the fact some of them aren't
3130 immediately apparent and are dependent on the duration and the

3131 exposure. We're also within our branch working on developing
3132 guidelines associated with the use of these systems. And the
3133 concepts like what we just heard are things we're considering
3134 in terms of developing those guidelines.

3135 And where we are in terms of our discussion, this is I
3136 guess what we consider to be the open comment period. If
3137 anyone in attendance of the meeting would like to come up to
3138 the microphone and express an opinion at least with regard to
3139 what we're doing with the standard and things that you think
3140 we should consider, now would be the time to do that.

3141 **GORAN BERNDTSSON:** I don't need to introduce myself.
3142 Goran Berndtsson, SEA. Have you considered particulate
3143 filters only now when we are getting (unintelligible) used for
3144 a longer period of time? It may be established as be both
3145 (unintelligible) biological, et cetera. Would it be possible
3146 to have a particulate filter only?

3147 **JONATHAN SZALAJDA:** We haven't really thought in those
3148 terms yet. But that's something we can take under
3149 consideration.

3150 **MIKE SAVARIN:** Mike Savarin, ICS. I'm not entirely sure
3151 after this morning's events that the concept of
3152 interchangeability of the CBRN, APR devices with the PAPR-1 is
3153 the best way to move forward from a performance or technical
3154 position. It is, and I'll talk later, nothing I've heard here

3155 today actually convinces me that this is the right way
3156 forward. I actually from a technical perspective don't see
3157 any problem with developing a separate set of criteria for
3158 what is a separate product, in fact, for a separate
3159 performance area. I don't know if anyone else has a view on
3160 that. It's just my view right now. Thank you.

3161 **JONATHAN SZALAJDA:** Does anyone else have any comments?
3162 Jay?

3163 **JAY PARKER:** Jay Parker with the Bullard Company. I just
3164 wanted to make one additional comment. On the abrasion
3165 resistance test, I was somewhat concerned when I see that. As
3166 far as I know that's a pretty difficult test to pass. And it
3167 originated with full face masks. And my thought on that is
3168 that I don't think it's appropriate, or it not be appropriate
3169 for hoods. I don't think there's any hood on the market with a
3170 lens that's going to meet that requirement right now. And,
3171 you know, I think it's going to be difficult. And I don't know
3172 that it's necessary. I think a soft hood when it's struck by
3173 an object, it doesn't have a rigid structure, so it kind of
3174 gives with the blow, and the lens therefore would not be
3175 abraded I don't think as much as a full face mask. So I think
3176 maybe NIOSH should rethink the abrasion requirements,
3177 specifically for hoods, and possibly come up with a different
3178 test for hoods versus full face masks. Thank you.

3179 **JONATHAN SZALAJDA:** Thank you, Jay.

3180 **GORAN BERNDTSSON:** Goran Berndtsson, SEA. Another
3181 consideration, you said in the opening statement that this
3182 (unintelligible), directing traffic all the way up to rescue
3183 or search. Maybe there is an argument for having a capital
3184 level of flow performance, because it is an enormous different
3185 work rate between directing traffic and doing search. So
3186 instead of putting everything into one particular study, maybe
3187 we should point out at least two or three different
3188 performance efforts.

3189 **JONATHAN SZALAJDA:** That's a good point. Thank you.

3190 I guess there are a few things I wanted to provide in
3191 summary before we adjourned. I think the one thing that I
3192 hope that - and really we value the opinions that you have put
3193 forward here today, because obviously this is something that
3194 we can't do in a vacuum in terms of developing the standards.
3195 And we truly need your involvement with us in the process of
3196 standard generation.

3197 I think one of the things that I hope you appreciate from
3198 our approach here is that what we're trying to do, and I
3199 mentioned this this morning when I talked about the process,
3200 was to build as much as possible on existing standards and
3201 equipment. And we truly appreciate the magnitude of the
3202 resources that the stakeholders have involved with the process

3203 in terms of research and development that's gone into the
3204 generation of the canister requirements and the fit testing
3205 requirements, as well as the testing for the chemical warfare
3206 agents and the toxic industrial chemicals and the
3207 environmental considerations, that truly there's been a lot, a
3208 lot invested within the community to develop equipment and
3209 submit for certification and have available for the responder
3210 to use to meet these requirements.

3211 And to that extent we value that resource contribution
3212 that the stakeholders have made. And we want to continue to
3213 use that as much as possible in bringing this standard forward
3214 to fruition. And I think the result of our thought process up
3215 to today was to redesign the conventional PAPR to eliminate
3216 the airflow from the canister evaluation, going back to
3217 standardizing the concept around the concept of using the
3218 parameters that have been defined for the CBRN canister.

3219 Having said that, I think we realize there are several
3220 issues that we're going to need to address over the next
3221 several months in terms of we move forward with the standard
3222 development. I think among those are the duration requirements
3223 comparing the use of the PAPR versus a negative pressure
3224 respirator. The need for universal interoperability of the
3225 canisters that responders could be using on a specific site.
3226 Also, as far as if we do try to move forward with the concepts

3227 that we currently envision, you know, are there more
3228 appropriate flows that we should be considering in terms of
3229 the challenge of the canister for evaluation.

3230 Just in closing, I wanted to touch base and remind
3231 everyone about the meeting that we have planned for January.
3232 One of the things that I failed to mention this morning is in
3233 the Federal Register notice that we're going to be putting
3234 forward regarding this meeting, we've had some conversations
3235 and some discussion with some of the stakeholders regarding
3236 our sequence for standards development. Back in the April
3237 2001 meeting we discussed the sequence of standards
3238 development that we were going to proceed with the SCBA and
3239 the air-purifying respirator, the escape sets, and then the
3240 PAPR. And then we also are looking at combination units,
3241 self-contained units, and other supplied air system.

3242 What we'd like to do as part of the discussion, and we're
3243 looking for your feedback, in terms of if that sequence of
3244 standards development that we've identified as a result of the
3245 initial public meeting, if that's still appropriate to
3246 continue at this time, or if there are other needs within the
3247 community where we should be addressing developing one
3248 standard ahead of another.

3249 Again, as I had mentioned this morning, is we follow -
3250 continuing to follow the same public process with this

3251 standard as we've done with the others. We're looking forward
3252 to trying to complete our concepts by the end of the March
3253 time frame. And again, as we continue to move along we're
3254 going to be continuing our internal discussions within NIOSH
3255 on how best to implement this requirement.

3256 And just in closing, I encourage you to submit your input
3257 to the docket for formal tracking consideration. If you have
3258 any questions, I believe this chart is available in the back
3259 at the registration desk. Again, we look forward in working
3260 with the community in developing the standard over the next
3261 several months. So with that, thank you very much, we're
3262 going to adjourn this meeting and then I believe reconvene at
3263 3:00 for discussions on the QA Module. Thank you.

3264 (Meeting adjourned.)

3265

* * * * *

NIOSH/NPPTL PUBLIC MEETING - OCTOBER 16, 2003

STATE OF WEST VIRGINIA,
COUNTY OF MONONGALIA, TO-WIT:

I, Carol A. Ashburn, Certified Court Reporter and Notary Public within and for the County and State aforesaid, duly commissioned and qualified, do hereby certify that the foregoing proceeding was taken by me and transcribed to the best of my ability and for the purpose specified in the caption hereof.

I further certify that I am neither attorney or counsel for, not related to or employed by, any of the parties to the action in which this matter is taken, and further that I am not a relative or employee of any attorney or counsel employed by the parties hereto or financially interested in the action.

I do further certify that the transcript within meets the requirements of the Code of the State of West Virginia, 51-7-4, and all rules pertaining thereto as promulgated by the Supreme Court of Appeals.

My Commission expires October 15, 2011.

Given under my hand this the 13th day of November, 2003.


CERTIFIED COURT REPORTER
NOTARY PUBLIC

