

NATIONAL INSTITUTE FOR
OCCUPATIONAL SAFETY AND HEALTH
NATIONAL PERSONAL PROTECTIVE TECHNOLOGY LABORATORIES
TOTAL INWARD LEAKAGE (TIL)
PROPOSED RULEMAKING PUBLIC MEETING

Thursday, July 29, 2010

Commencing at 8:38 a.m. at the Marriott Inn
and Conference Center UMUC, 3501 University Boulevard
East, Adelphi, Maryland 20783.

1 P-R-O-C-E-E-D-I-N-G-S

2 MR. HEARL: Well, good morning and welcome.

3 My name is Frank Hearl, and I'm the Chief of
4 Staff for the National Institute for Occupational
5 Safety and Health.

6 And we're here today to accept public
7 comment on proposed rules revising Title 42 Code of
8 Federal Regulations, Part 84, entitled "Total Inward
9 Leakage Requirements for Respirators" which is
10 originally published in the Federal Register on
11 October 30, 2009.

12 Before we begin the substance of the
13 meeting, I want to begin with a few announcements.

14 First, should there be the need for an
15 evacuation of the building, we would exit through the
16 door at the back of the room and go to the left, and
17 then there's a stairwell that would take us
18 downstairs. And as soon as you get downstairs,
19 there's actually several exits to the outside. So you
20 would move to there if need to be.

21 The nearest bathrooms are located out the
22 doors and to the right, just down before you get to

1 the end of the hall. Bathrooms are there.

2 Third, in deference to today's speakers and
3 in considerations for others attending the meeting,
4 I'd ask that everyone now take a moment to turn off
5 your electronic devices, or at least put them in
6 vibrate-only mode. And hopefully mine won't go off
7 because I didn't do that before I got started up here,
8 sitting over there.

9 So the purpose of today's meeting is to seek
10 public input and comment on the proposed rules that
11 were published on October 30, 2009, entitled "Total
12 Inward Leakage for Respirators."

13 NIOSH held an initial public meeting on the
14 rules on December 3rd. And at the request of
15 commenters at the December 3rd public meeting, we
16 extended the comment period from December 29, 2009
17 until March 29, 2010.

18 Prior to the close of this comment period,
19 two commenters requested further extension of the
20 comment period for up to one additional year, because
21 they're conducting independent research into the
22 scientific requirements of the proposed rule and its

1 economic impact.

2 Because the rule contains only one new
3 performance requirement that the commenters need to
4 analyze, the comment period had already been extended
5 once. The agency decided to extend the comment period
6 to September 30, 2010, to provide sufficient time for
7 comment. Let me move this ahead too.

8 Okay. So let me begin the program for
9 today's meeting by first introducing also the panel
10 members --

11 MR. SZALAJDA: No slides.

12 MR. HEARL: No slides. Well, one moment
13 please while we get that worked out.

14 MR. PERROTTE: What do you need, Frank?

15 MR. HEARL: Something to be on the screen.

16 Okay. Great. Actually I'm going to -- just
17 before I introduce the panel, I'm going to pause. And
18 let me see if the LiveMeeting -- if one of the persons
19 on LiveMeeting could identify whether you're seeing
20 the slides.

21 LIVEMEETING VOICE: I see them, Frank.

22 MR. HEARL: Okay. Great. Thanks.

1 So for today's meeting, I would like to
2 introduce the members of the NIOSH panel that's here
3 today. Jonathan Szalajda.

4 Jon, if you want to wave your hand.

5 Jon's current position is Branch Chief for
6 the Policy and Standards Development Branch at NIOSH's
7 National Personal Protective Technology Laboratory,
8 NPPTL.

9 He's in charge of the development of new
10 standards and standard operating test procedures. And
11 Jon's background includes more than 20 years
12 experience in the field of personal protective
13 technology.

14 William Newcomb. Bill Newcomb is presently
15 a physical scientist with NIOSH in the Policy and
16 Standards Development Branch and is the project
17 manager for the Total Inward Leakage proposed rule.

18 Jeff Peterson. Jeff is the lead engineer in
19 the Technology Evaluation Branch which handles the
20 certification.

21 And Rene Pana-Cryan. And Dr. Pana-Cryan is
22 an economist who works with NIOSH's Associate Director

1 for Science and as the coordinator for NIOSH's
2 Economics Program.

3 And in addition, present from NIOSH today
4 and assisting with the meeting are Mr. Les Boord who
5 is the Director of NPPTL.

6 And I'll see -- we also have Ron Shaffer. Is
7 Ron in here? I don't see him. I thought we did.

8 John Perrotte, Ziqing Zhuang, Gary
9 Walbert, and Charlene Jennings.

10 The federal announcement of today's meeting
11 called for participants who wish to speak to notify
12 NIOSH in advance so that we could schedule the
13 presentation. And we have five persons who have
14 registered to speak. And I think I may have a slide
15 on that.

16 The schedule for presentations today will be
17 in the following order. Dr. Phil Eitzman from 3M for
18 15 minutes.

19 I think I'm going to reverse the order so I
20 can put Craig third. And then we'll have Jeff Birkner
21 from Moldex will be the second for 15 minutes. And
22 then Craig Colton from 3M for 45 minutes. Dan Shipp

1 from ISEA for 15 minutes. And Jeff Weed from Weed
2 Respiratory Protections Solutions for 20 minutes.

3 We'll begin today's meeting with a
4 presentation by NIOSH staff who will briefly describe
5 the changes that are proposed by these rules and
6 comments that are being sought at today's meeting.

7 We're then going to invite to the lectern
8 the persons who have preregistered to speak at this
9 meeting in response to the Federal Register Notices I
10 just read off.

11 If a registered presenter is not present
12 when their presentation is scheduled to begin, we'll
13 continue with the next scheduled presenter. And after
14 the last presenter is heard, participants who missed
15 their scheduled time will be given the opportunity to
16 speak.

17 We will then have an open comment period
18 when parties who are participating using the audio
19 LiveMeeting conferencing will have an opportunity to
20 comment. And then others from the audience who wish
21 to offer a comment or make a presentation will have an
22 opportunity to be heard.

1 The meeting will continue until four o'clock
2 p.m. or until there's no further comments offered by
3 the attendees in person or the LiveMeeting
4 conferencing system, whichever comes first.

5 So I want to point out a few things to you.
6 If you haven't already done so, please register your
7 attendance by signing the sign-in sheets outside the
8 room at the registration table.

9 Again, if you want to speak but didn't
10 pre-register, if you could let someone at the
11 registration know that you want to speak, I'll be able
12 to call your name. That way I'll check it there
13 before we get to the open comment period.

14 The meeting today is being recorded and
15 transcripts will be placed on the regulatory docket.

16 When you get up to speak, please indicate
17 your name, organization, and please do use the
18 microphone so that your comments can be accurately
19 attributed and so that the people who are
20 participating by LiveMeeting can hear what you have to
21 say.

22 In the request to reopen the record,

1 commenters asked for additional opportunity to
2 evaluate feasibility and cost associated with the
3 propose ruled. NIOSH encourages presenters and those
4 offering comments today to address feasibility and
5 economic impact. However, any comment relevant to the
6 proposed rule is welcome.

7 I'd like to now introduce Mr. Bill Newcomb
8 who will briefly describe the proposed rules and will
9 identify some specific issues to be addressed today.
10 Bill.

11 MR. NEWCOMB: Thank you, Frank. And good
12 morning, everyone.

13 Back when the Bureau of Mines started
14 certifying respirators back in -- even before I was
15 around, they had some qualitative methods for
16 measuring the face seal leakage performance of
17 respirators. And those were using coal dust and
18 things like that. And they were used until the 70s.

19 And when the Bureau of Mines promulgated 30
20 CFR 11, they were changed to make respirators for a
21 particular need to be modified in order to be tested.

22 In 1995 when Part 84 came about, the

1 respirators that needed to be modified were no longer
2 tested. This proposed rule would add a requirement
3 for testing of respirators that are made for
4 particular filtering, and in particular half-mask type
5 of respirators which would include what is commonly
6 called "filtering facepieces" today.

7 This proposal uses some test criteria which
8 was developed at NIOSH using some anthropometric data
9 to update and come up with a panel of faces -- face
10 sizes that are more representative of the population
11 that wears respirators today than was used in the
12 past.

13 The technology that was proposed uses a CNC
14 technology to measure the penetration of particles
15 into the breathing zone of the wearer. The exercises
16 are those that are typically used in fit testing of
17 respirators in the field.

18 The proposal also allows a development of
19 Niche respirators which could be made for different
20 ethnic populations or different sizes or different
21 sexes of users who have different facial
22 characteristics.

1 And the other requirement is that the
2 respirator manufacturer should be able to tell the
3 public who's buying a respirator what type of person
4 that respirator is designed to fit.

5 In the Federal Register Notice for this
6 meeting, there was two criteria that were asked to be
7 addressed. One was to share the results of new
8 research that may be available or in process in the
9 area of filtering facepieces or other half-mask
10 respirators inward leakage measurement.

11 And the other was to offer any additional
12 comments on the anticipated economic impact of the
13 proposed rule.

14 So those are the two main focuses of this
15 meeting. However, as Frank said earlier, any other
16 comments that are pertinent to the rule will be heard
17 as well. Thank you.

18 MR. HEARL: Okay. Thank you very much. We
19 can now move on to the first of our presentations and
20 Dr. Phil Eitzman from 3M will be first up.

21 MR. EITZMAN: If we could, we sort of got
22 our presentation, our slides together, so if we can

1 start now.

2 MR. HEARL: Oh, okay. I moved you back to
3 third since we were having technical difficulties.
4 But if you want to do it that way, that's fine. If
5 you would just be sure to identify yourself from the
6 microphone.

7 MR. COLTON: Yeah. This is Craig Colton
8 with 3M. The technical difficulties were with both of
9 our presentations.

10 MR. HEARL: Oh, okay.

11 MR. COLTON: Because it's one. And so I
12 guess we'll start up here.

13 MR. PERROTTE: Do you want that turned off?

14 MR. COLTON: Yeah.

15 MR. HEARL: Is it showing through the
16 LiveMeeting?

17 MR. PERROTTE: Yeah.

18 MR. HEARL: Is it showing through
19 LiveMeeting? It is, okay.

20 Okay, Craig. Go ahead.

21 MR. CRAIG: Yeah. Thanks.

22 I want to thank NIOSH for reopening the

1 comment period and scheduling this meeting and giving
2 us the opportunity to speak, and Bill I specially like
3 to note that granted me permission to speak. And I'll
4 speak freely if that's okay.

5 In this presentation, I'm going to start it
6 off and sort of give some overview of what we've
7 learned in this period since the rule was announced
8 and testing to the new standard or the proposed
9 standard test procedure.

10 And then Dr. Eitzman is going to come up and
11 go through the nitty-gritty details, if you will, of
12 the assessments that we performed. And then we're
13 going to pass the baton again and wrap it up with our
14 recommendations.

15 This presentation is going to summarize the
16 findings that we've made on studies that we conducted
17 after the proposed rule was made out or the proposed
18 rule was published, and then subsequently the period
19 closed and then when it reopened, we've done some
20 additional work.

21 So some of this information has already been
22 submitted to the record because we tried to get that

1 done before the comment period closed. And then we
2 have additional new information that will be shared
3 with you.

4 Well, as sort of alluded to, a couple of the
5 requirements that I think are the ones that we're
6 going to focus and talk about are listed up here in
7 this slide.

8 The first point you see there, this
9 requirement to fit persons with various facial shapes
10 and sizes, that's the same requirement that's been in
11 42 CFR 84, and in fact that language is even in 30 CFR
12 Part 11.

13 The difference is now how NIOSH is going to
14 assess that. And the proposal is using the standard
15 test procedure that we've all seen for determining
16 compliance and whether they meet it, and then the
17 pass/fail criteria that were suggested and so forth.

18 The second information is that the user
19 instructions for half-mask respirators need to specify
20 the intended population. But specifically, it states
21 that the user instructions need to identify the facial
22 size or sizes that the respirator is intended to fit.

1 We take that as being something apparently
2 more -- that is meant more than just small, medium,
3 and large because we've already had that for several
4 years.

5 Now, when we did our review or started
6 looking at the proposed rule, you know one of the
7 things that stood out in this publication was that
8 there was a statement that approximately 30 percent of
9 this class of respirators, meaning the half-mask
10 respirators or half-facepiece respirators, have face
11 seals that did not perform adequately to achieve a fit
12 factor of 100.

13 And everything that we looked at seemed to
14 indicate that it was the benchmark testing that NIOSH
15 had performed which allowed them to draw that
16 conclusion.

17 So when we started looking for information
18 that was available on the record, it became a little
19 bit hard to understand how NIOSH reached that
20 conclusion. One, there was no summary as to how the
21 data were evaluated from the benchmark test and
22 then -- so we were a little bit unclear about things.

1 However, what we did find in the docket was
2 information that had been presented at a public
3 meeting. And if you look at this slide where you got
4 the penetration criteria there, so 10 percent would be
5 like a fit factor of 10.

6 LIVEMEETING VOICE: Don --

7 MR. COLTON: Hello --

8 LIVEMEETING VOICE: Don, (in audible). How
9 are you?

10 MR. COLTON: I'm fine.

11 This is a LiveMeeting. You may be on the
12 wrong call. This is a respirator meeting.

13 MR. HEARL: Ask him to mute his mike.

14 MR. COLTON: Yeah. If you could mute your
15 mike, that would be appreciated.

16 MR. HEARL: Okay. Sorry.

17 MR. COLTON: Yeah, no problem. Makes it
18 exciting.

19 LIVEMEETING VOICE: I can't get there now.
20 I'm getting (inaudible) that it can't find RCC router
21 (inaudible) cannot be found.

22 And what's strange is I tried it yesterday a

1 couple of times and I was fine.

2 MR. HEARL: Are we muting this individual?

3 MR. PERROTTE: Yes.

4 MR. HEARL: Yeah.

5 MR. PERROTTE: Sorry about that.

6 MR. HEARL: Okay. Technical difficulties
7 are -- new technology.

8 MR. COLTON: Yeah. One of those things. If
9 we're going to learn them, we got to grow with them.

10 When we looked at this information, though,
11 that was presented, it was -- when they were talking
12 about a fit factor of 20 as a pass criterion, this
13 graph was shown and you can see that basically what it
14 had is indicated that out of the respirators that were
15 tested in the benchmark, about half of them had
16 adequate seals, to use the language in the Federal
17 Register, and the other half didn't.

18 So what this would seem to say is you got
19 about half of them that were well fitting, according
20 to this criterion and the other half that weren't.

21 So that seemed to be a pretty big
22 disconnect, at least in my mind, that now if you're

1 going to raise the fit factor and raise the pass
2 criterion, how is the number of respirators that are
3 going to have -- that will be effected become less.

4 Furthermore, there's additional information
5 in the docket that was also shown; where here, this is
6 just a slide looking at filtering facepiece
7 respirators.

8 And if we look at the criterion for what was
9 the closest to being proposed, a 1 percent penetration
10 or a fit factor of 100, and then looking at the red
11 line for pass percentage that they were looking at,
12 this seems to indicate that of the tests in the
13 benchmark, none of them pass, which indicates either
14 that there are no well fitting respirators in this
15 category, and I didn't show the last demerits, but
16 that eliminated quite a few facepieces also, or it
17 can't distinguish between well fitting and poor
18 fitting.

19 So the benchmark data seemed to be
20 inconsistent to us with the conclusions that had been
21 reached or stated there.

22 And again, like I say, we weren't clear so

1 we decided we needed to do some testing which is the
2 reason we've had all these requests for extensions at
3 various times. And we started evaluating first our
4 own respirators.

5 We reviewed the NIOSH -- NIOSH had nicely
6 provided us with the data, the benchmark testing data
7 from our respirators. So when we evaluated them to
8 look to see how they did at fit factor of 100, it
9 seemed to indicate that there wasn't -- the issue it
10 seemed to support what was shown in the graph perhaps.

11 So we started conducting the test on several
12 of our products as the STP was proposed, and those
13 results we've submitted to you and Phil will talk
14 about those in a little bit more detail.

15 Now, we also -- or the ISEA, the
16 International Safety Equipment Association, also
17 conducted a similar study which I think they will be
18 talking about here and did the same thing.

19 So as we looked at their initial data, it
20 seemed to be that we had data that contradicted the
21 conclusions that were reached or stated in the Federal
22 Register.

1 Now, NIOSH mentioned that in addition to
2 their benchmark testing, they had looked at some other
3 studies in reference to a couple of articles there,
4 one by Coffey and one by Lawrence. And when we looked
5 at that, that seemed to indicate that there were well
6 fitting respirators and poor fitting respirators on
7 the market.

8 So we used these studies to help us
9 determine which ones should be tested. And since
10 that, the initial beginning of the comment period up
11 until this point in time, we tested some, what we
12 thought were well fitting respirators. We've also
13 tested a poor fitting respirator as identified in the
14 those articles.

15 And what our result seemed to indicate is
16 that the proposed fit test isn't capable of
17 differentiating between well and poor eliminates
18 everything, at least when you're looking at filtering
19 facepieces and it --

20 So based on -- so another part of the
21 information was how well does this predict then what's
22 happening in the field with users. So we did some --

1 gathered some feedback from people who are using these
2 respirators in the field to see what type of fit
3 testing results they were getting. And that
4 information was also -- has been submitted and Phil
5 will review that with you.

6 And that showed that we had well fitting
7 respirators, at least when you think of pass rates of
8 90 percent for our workplace population. That seems
9 to be well fitting, but yet it would have been product
10 that was eliminated by the standard test procedure,
11 the proposed standard test procedure.

12 So our conclusion here is that as the rule
13 has been proposed that it shouldn't proceed in this
14 present form.

15 Now, we went and looked to see if there's
16 other information that maybe wasn't gathered by us.
17 There's an article that was in the American Journal
18 Infection Control that was sort of interesting.

19 They did qualitative fit testing on what I
20 think is a fairly large worker population, 1271 health
21 care workers. They used five different respirators to
22 fit their people. They fitted all of the males and

1 everyone but six of the females were fitted with
2 filtering facepiece respirators.

3 What's interesting, though, is the first
4 respirator they chose, the one that was like their
5 default or the one they started with was a filtering
6 facepiece. They got 95 percent of the males were
7 fitted with the respirator and 85 percent of the
8 females.

9 It's even more interesting to go and look at
10 the data. When they go to the second respirator or to
11 the third one, you find that they -- that respirator
12 was fitting other people who it hadn't fitted. So
13 maybe those are hard to fit people. You had a picking
14 up 80 percent and 70 percent of those people.

15 So -- the respirator that they were using
16 was also one of those that had been identified as
17 having good fitting characteristics in the article
18 that I have cited there.

19 In addition, the respirator had been tested
20 in a workplace protection factor and indicated good
21 performance in the workplace. In other words,
22 workplace protection factors in excess of its assigned

1 protection factor. Yet, this respirator model didn't
2 pass the benchmark, the proposed -- or didn't pass the
3 benchmark test, I should say.

4 So when we have tested it according to the
5 protocol, the proposed STP, it also didn't pass. So
6 now it seems to us we have a disconnect between a
7 laboratory test procedure that doesn't predict what's
8 going on in the real world, doesn't reflect real world
9 experience.

10 Here's some information from the OSHA docket
11 when they evaluated or looked at assigning protection
12 factors to respirators, this shows some of the
13 workplace protection factor results.

14 It's got elastomeric respirators along with
15 filtering facepieces there. But what these data show
16 here is that filtering facepieces and elastomeric,
17 they're achieving good protection in the workplace.

18 Well, if you believe you got to have fit in
19 order to do that, this indicates that there's adequate
20 or well fitting respirators in order to achieve that.

21 This is another slide that shows information
22 in a different way where you got the two populations

1 of elastomeric respirators and filtering facepieces
2 and what they achieve.

3 Again, for filtering facepieces to perform
4 at this level, they have to be well fitting
5 respirators.

6 Now, another issue with the current proposal
7 is the topic of individual fit testing. And
8 regardless of how products are designed and tested, it
9 doesn't appear that this protocol proposal is going to
10 ensure that respirators are going to fit people or
11 likely to fit people right out of the box.

12 Out of the box is language that we've been
13 getting from customers who have been waiting for this
14 that they think that's what this is going to do.

15 And NIOSH stated the reasons why they wanted
16 the respirators to fit people more likely with a
17 greater percentage without doing fit testing for
18 several reasons. However, I think you need to
19 consider that. Fit testing is more than just finding
20 the size of a respirator that fits one.

21 In fact, today if you look at things that
22 are written in A and C standards on fit testing in

1 that, look at the fit test as a part of the training
2 program on how to don it. In fact, it may even be
3 more valuable that way, in my opinion.

4 But people who don't have fit testing aren't
5 going to have programs. So now you got people where
6 the training is going to be lacking. The user seal
7 checks may or may not be done. Donning can be
8 improper. There's no supervision. So if they have
9 conditions that prevent good face seals like facial
10 hair and other issues that are going to interfere with
11 fit, these aren't going to be covered.

12 So the idea that someone is going to take
13 these and it's going to increase the number of people
14 are getting fit, I think, is very optimistic.

15 Also, in the study that we submitted to the
16 docket which I find interesting is one done in the
17 United Kingdom where they were studying elastomeric
18 respirators who had been tested and evaluated over
19 there and marked which had gone through their TIL
20 test, if you will, and the results were published in a
21 journal and the thing -- their point of view at the
22 time this article was done is that since they evaluate

1 fit during the certification, they don't do individual
2 fit testing. Luckily that seems to be changing, at
3 least, in parts of Europe now.

4 But when they went and did the survey, of
5 those people who had these respirators, two-thirds of
6 them, about, were not fitting the people that were
7 using them.

8 So regardless of what happens here to
9 provide effective protection, individual fit testing
10 will still need to be performed and in the context of
11 a complete respirator program.

12 Excuse me one moment. I forgot a prep.

13 (Pause)

14 MR. COLTON: Another issue is that of
15 panel-to-panel variability where our studies have
16 shown that the panel make-up would become very
17 important, in fact more important than the respirator
18 fit characteristics. Therefore, the test method needs
19 to overcome this issue.

20 Now, one way is to make this test more
21 robust and get rid of some of this variability or do
22 things that can accommodate that.

1 One would be to dramatically increase the
2 panel size. And based on our testing, the minimum of
3 105 subjects would be needed to do that. Now, you're
4 really talking about a lot of fit testing.

5 The other thing to do is reduce variability
6 in the panel cells. Even though we have two different
7 measurements to rely on, you know, there's a wide
8 variety of faces there. In fact, I would say as long
9 as people keep reproducing, it will be basically an
10 infinite number of fits that you could find in a
11 particular cell.

12 So in order to reduce the variability in the
13 panel cells, one of the things you might do is have
14 standardize faces for each box which is sort of
15 interesting or test tabs are for each cell.

16 Now, the other criteria is if you don't
17 handle the variability by increasing sample size and
18 making the test more robust, then another alternative
19 is to look at how you -- what you choose for the
20 pass/fail criteria of the test, so you could change
21 that which includes the passing fit factor or passing
22 rate.

1 The other thing is with all this variability
2 from panel to panel, whether a respirator passes or
3 fails is going to be based on the panel. NIOSH audits
4 are going to be just great because we're going to
5 have -- they'll be very challenging because when we
6 get a product approved in that, we may do nothing to
7 it, it's still produced to plan. And this variability
8 can show on an audit then that the respirator failed
9 the test and without the change to product and change
10 from QA plan.

11 In fact, one of the things that we know from
12 the benchmark testing is these two respirators were
13 tested. The difference between them is one's got red
14 straps and one has blue straps. And the benchmark
15 testing, one failed, one passed.

16 We've done enough testing on these two
17 models of respirators, if you will, that the one
18 fit -- fit on one predicts fit on the other in that.

19 So when we test them, there's no difference
20 in the fit here. Yet, the propose -- or at least the
21 benchmark testing didn't show to be able to separate.
22 They showed either both failed or both passed, you

1 minutes. So you've got a quarter of an hour for fit
2 tests. You've got a huge number of hours. In fact,
3 if you break it down to hours, that's something about
4 like five man years worth of fit testing. And
5 hopefully the machine is always working. This is just
6 nonstop fit testing. So whether or not implementation
7 period is realistic, it doesn't appear based on that
8 and that information.

9 And also due to the huge variability in
10 panel results, significant chance of failure will
11 occur with any given respirator model. And so if we
12 design the respirator with no changes here to the
13 proposed, if we start designing the respirators to
14 pass the proposed panel, then this standard becomes
15 basically a design standard. We're designing to the
16 box. And I believe we can fit the box and you could
17 fit the box well. The problem is you don't fit
18 anybody outside the box in that.

19 So in addition, all the respirator
20 manufacturers in order to add a design to the box is
21 going to reduce diversities from one facepiece to
22 another. So now the people who fit the common one,

1 but they're maybe not as common about what I call hard
2 to fit people, the respirators aren't going to be
3 there to use as backups as indicated in that health
4 care study that I mentioned earlier.

5 Small faces are -- the impact on small faces
6 is interesting. The panel moved upward into larger
7 faces which is the trend, I guess, with the U.S.
8 population. But we were already getting complaints
9 from health care workers that small faces were the
10 ones that weren't being covered and taken care of. So
11 this actually by shifting it and as we design to the
12 box, then we even have less chance of fitting those,
13 those people.

14 With regard to the user instructions, we
15 know that the two dimension facial measurements from
16 the panel do not predict fit. And providing this
17 guidance in the user instructions, that isn't going to
18 assure fit. The people are going to be most likely to
19 think that it does.

20 The proposed rule also requires that the
21 face sizes will be identified. So if the face sizes
22 are identified, then the users got to identify their

1 face size to know which one is right for them.

2 Now presently we have different size people
3 calling. In fact, in the health care study where they
4 used a small and a medium, you know, they held it up
5 to their face and did assessment there and they got
6 pretty good results.

7 But if we start specifying sizes where we
8 got your face width and face length, they need to be
9 able to measure that. That means they need the
10 respirator -- or employers will need to acquire
11 calipers, receive training on their use -- because
12 variability in the measurement is another issue --
13 measure facial dimensions for each wearer, acquire the
14 respirators for those face sizes, and then you could
15 fit test each wearer in the respirators that are maybe
16 designed for them.

17 Fit testing becomes cumbersome and
18 time-consuming.

19 One of the issues that seem to start this
20 rule making was that people weren't doing fit testing
21 and so this was a way to help with that, at least
22 they'd have respirators that would fit. Now you got

1 even more -- you may have more people not doing fit
2 testing.

3 With that, I'll pass this over to
4 Dr. Eitzman.

5 MR. EITZMAN: Hi. My name is Phil Eitzman,
6 and I'm a product development specialist at 3M
7 Company.

8 You need me to spell that or --

9 THE REPORTER: No. Thank you.

10 MR. EITZMAN: I think you got it. Okay.

11 And I'll be talking specifically about some
12 additional work that we are still conducting, some of
13 the results that we have.

14 How do I move it, down here?

15 MR. HEARL: Yeah.

16 MR. EITZMAN: Down here?

17 MR. HEARL: Yes.

18 MR. EITZMAN: Okay. All right. The stated
19 objective of the proposed regulation change or the
20 modification is to remove poor fitting half-facepiece
21 respirators from the market. That's one of the key
22 objectives.

1 A NIOSH study, and this is a study that
2 included a number of people from NIOSH, Lawrence -- I
3 won't go through those. But the title of the study
4 was Comparison of Performance of Three Different Types
5 of Respiratory Protection Devices by Lawrence, Duling,
6 Calvert & Coffey, presented test result for a number
7 of different facepieces. I'm going to refer to this.
8 Craig had already talked about it. I'm going to refer
9 to it a couple of times because we used it to identify
10 a poor fitting product that we evaluated.

11 In comments to the docket, both 3M and ISEA
12 have evaluated some well fitting products that were
13 identified in that Lawrence Study.

14 In our additional work that is continuing,
15 we have evaluated a poor fitting product that was
16 identified in that study.

17 Okay. I'd just like to talk kind of about
18 what does it mean to have a well-fitting or a
19 poor-fitting respirator.

20 And you know, it really depends upon context
21 and who you are. For an individual respirator user,
22 of course, that means you have a well-fitting product

1 that's something that you can pass a fit test on. So
2 you know, that's one definition.

3 Really it seems like what we're looking at
4 with this proposed rule is for the employer of a
5 well-fitting product or respirator is a respirator
6 that will fit some workers. And really what we'd like
7 to see is a significant fraction of the people that
8 you would, say, take a product and do some fit testing
9 that you get many of the users to pass that fit test.
10 You don't want a result where basically nobody passes
11 or at a very low percentage.

12 And we've have to remember that in some
13 cases what might be identified as a well-fitting
14 product may not fit workers at a particular work site
15 maybe because of ethnicity. There could be a number
16 of different factors that could cause low pass rates.
17 But if you're going to call it well-fitting
18 respirator, that should be something that's very
19 unlikely, something that you wouldn't -- you wouldn't
20 normally expect to see.

21 Craig made mention of this. This is a table
22 that appears in our comments that we submitted into

1 the docket in March of this year. And it shows the
2 workplace fit test performance or the pass rates of a
3 number of our products at some of our customers. And
4 what we did was we contacted these customers and asked
5 them what their experience was in pass rates. So, you
6 know, real world experience.

7 And we got five different models here that
8 we've identified with letters. These are the same
9 designations that we use in our submitted comments.
10 And you can see that looking across these we've got
11 pass rates anywhere from 80 to 85 for the Model G.
12 They're up to 100 percent for Model D in the last
13 account or the last work site there, that's Company 6
14 and -- or 99.5 for that Model C at the Pharmaceutical
15 Account, Company 2.

16 I'm going to be talking about -- just going
17 to remember a number here. We're going to be talking
18 about the Model A respirator, which is the one that
19 shows that 90 to 95 percent pass rate at Company 3.

20 All right. What's a poor-fitting
21 respirator?

22 For an employer, a poor-fitting respirator

1 is a respirator that fits less workers than they might
2 expect. So if they were thinking they were going to
3 get a 75 percent pass rate or higher, if they get
4 maybe 10 percent or less, that's clearly a
5 poor-fitting respirator. And these respirators do
6 exist.

7 The Lawrence, et. al paper identified a
8 number of filtering facepieces which had no pass
9 results. And in that paper when they looked at the
10 models, they actually conducted a workplace type fit
11 test with three different types. I think there's a
12 Bitrex and saccharin and the N95 companion test. And
13 then there were a number of respirators that either in
14 one or more than one of those tests had a no pass
15 result. In other words, across panel of people, there
16 were no passes.

17 So we think that that clearly identifies
18 these as poor-fitting respirators. So that's what we
19 were going to look at. Just kind of -- the question
20 then is, you know, how can we identify well- and
21 poor-fitting respirators?

22 Now, the NIOSH proposed TIL rule attempts to

1 do this. And what I'm showing here is just kind of
2 the elements of the test. It uses a 35-member panel
3 which is based on the bivariate NIOSH grid with the
4 exclusion of outliers through a NIOSH PCA panel. And
5 we want to show the test results. We basically
6 followed the parts that -- all the parts of the actual
7 test method.

8 We use the quantitative fit test which is
9 based on the PortaCount within 95 companion or the
10 PortaCount Pro Plus, depending on which model the
11 instrument used.

12 And then in the proposed rule, there's a
13 requirement that at least 26 of the subjects out of a
14 35-member panel have to have one of the fit tests with
15 a fit factor greater than 100 or a TIL less than
16 1 percent. At least one subject in each cell of the
17 bivariate grid must pass a fit test.

18 Okay. What we did for additional studies,
19 and this continuing and this is our evaluation of the
20 proposed TIL rule during the extended comment period
21 is we looked at the fit performance of a poor-fitting
22 respirator and we compared it to a well-fitting

1 respirator.

2 One of the six respirators with a no pass
3 result was evaluated with three 35-member panels, and
4 I'll go into a little bit more detail of what we did
5 there. But we did follow, as I said. We followed the
6 test procedure that was presented in the proposed rule
7 by NIOSH.

8 We designated this poor-fitting respirator
9 as Model H. That's just the letter that we hadn't
10 used yet in our designation of 3M model. So I'll be
11 calling that H after this.

12 And the well-fitting model, as I said
13 before, was that Model A which had the 90 to
14 95 percent pass rate in that previous table. And that
15 is in -- the data on that Model A is in our previously
16 submitted written comments.

17 Okay. So this is what we did. For the
18 Model A and the Model H, we did testing on three
19 complete 35-member panels. So we followed the rules
20 for basically assembling those panels in terms of the
21 bivariate grid and the PCA grid. So we did all the
22 measurements we needed to do to verify that they

1 wouldn't be excluded by PCA.

2 And for each of the 35 members in the
3 panels, we actually tested them three times regardless
4 of whether they passed. So we didn't stop. If they
5 passed the first time, we kept going and we did all
6 three tests just to collect a full data set.

7 And then for each of the 35 members -- okay.
8 I already said that. But -- yeah, I think I've got
9 everything there. Sorry.

10 These are the results that we got for both
11 of the models. And this is a lognormal probability
12 plot. You can see that they do approximately fit a
13 lognormal distribution because they are lying on the
14 lines there.

15 And I'm presenting the data here with fit
16 factor because we think that's the appropriate way to
17 describe the data since it is a fit test.

18 For Model A, which are the red points, they
19 have 79 of the 105 test subjects. That is about
20 75 percent had at least one fit test where the fit
21 factor was greater than or equal to a hundred.

22 For Model H -- and you can see the one point

1 here. It's that one point right there. There was one
2 fit test out of the 315 total which is 3 times 105
3 that was actually over a hundred. The other 314 tests
4 were less than a hundred. And so that one subject who
5 got the fit test with the greater than a hundred pass,
6 and so you had about a 1`percent pass rate with
7 Model H. So looks like they're different based on the
8 data that we collected.

9 Okay. So what criteria can be used to
10 differentiate between those two Models A and H,
11 well-fitting and the poor-fitting respirator?

12 We took the data and this 105 members panel
13 or three 35-member panels and we used that data to
14 construct a thousand simulated 35-member panels. We
15 did this by randomly selecting 35-member panels out of
16 the 105 subjects for each model. And those panels
17 were constructed so that we followed the criteria for
18 what was a valid panel.

19 In other words, they had to meet
20 requirements for the bivariate grid. And as I said,
21 we'd already verified that the PCR outlier rule was
22 met.

1 And just as a piece of information using the
2 105 members, you can actually instruct 10 of the 23rd
3 simulated panels that are valid. So there's a large
4 number of simulated panels you can create.

5 The simulated -- the reason that we did this
6 process was to pick up the variation and results from
7 35-member panels. And we'll see that as I show some
8 charts.

9 And then each of these simulated panels was
10 evaluated over a range of approval criterion. Craig
11 already talked about that a little bit looking at --
12 what we looked at specifically was fit test or fit
13 factor pass criteria and subject pass rate criteria.
14 So we looked at those two things

15 Okay. So I got some specifics here. I'm
16 forgetting my own slides.

17 We looked at a range of minimum fit factors
18 of 10 to 100. And we looked at pass rates all the way
19 from 0 percent or zero out of 35 subjects with the
20 passing fit test to 100 percent. That is 35 out of
21 35.

22 And a subject passes if at least one of the

1 three tests that was conducted on them was greater
2 than or equal to the minimum fit factor, so that's
3 that criteria.

4 Now, we did not look at the one pass per
5 cell. We've submitted extensive comments on this. We
6 don't believe it should be included in the rule. And
7 so the presentation that I'm giving today will not
8 include any discussion of that one pass per cell
9 requirement.

10 Okay. So this is the first piece of data
11 we're going to look at. And what I'm going to do is
12 I've got four charts and we're going to step through
13 different minimum fit factors.

14 This is a minimum fit factor of 10. And if
15 you look at the bottom axis, you can see that what
16 we've got is a range of results that we got for each
17 of the thousand simulated panels. And what is
18 indicated is the number of subjects that passed the
19 minimum fit factor on at least one of their tests.

20 And so if we use a minimum fit factor of 10
21 as our pass criteria, we can see that for the
22 poor-fitting respirator, which we have identified as

1 Model H, that actually 35 -- or 1000 out of 1000
2 panels actually passed the criteria or have fit
3 factors that are greater than 10, I mean.

4 So it actually -- you know whatever you
5 would put for a requirement for the number of people
6 in the panel but had to pass, this would do it if you
7 had 10 for the fit factor. So it looks like it's too
8 low.

9 And you can see that it's not
10 differentiating at all from the well-fitting product.
11 So actually this result -- if you were to judge them
12 you'd say, well you can't really tell them apart and
13 there's actually in a couple of situations where the
14 well-fitting product had a couple of people in the
15 panel that weren't passing.

16 So now as we move along here, we've got the
17 next chart which looks at a minimum fit factor of 20.
18 So we've moved it up a little bit. And now you can
19 see a couple of interesting things.

20 So as we now say that for a panel to pass or
21 for a subject to pass, they have to have a minimum fit
22 factor of at least 20 on one of their fit tests. You

1 start to see that there are a number of subjects that
2 are not passing and with the poor-fitting respirator
3 Model H.

4 And you also start to see the distribution
5 and results which is this panel-to-panel variability
6 that we've talked about quite a bit in both our
7 comments and our verbal and our written comments.

8 So you can see that now the poor-fitting
9 product is starting to be separated from the
10 well-fitting product. It's starting to move down.
11 But there's still a little bit of overlap.

12 And just I had some numbers here.

13 You got a pass rate of between 91 and
14 100 percent now with the well-fitting product, but you
15 got an overlap because the poor-fitting product has a
16 57 to 91 percent pass rate, so it would be a little
17 difficult to separate these two.

18 And you can see that there's a pretty wide
19 range in the pass rate for the poor-fitting product.
20 And this is just the effect of selecting subjects for
21 the 35-member panel.

22 Okay. So now we're going to go to minimum

1 fit factor of 50. Now we got more separation. Now
2 the well-fitting product just kind of come off the
3 right axis so you're starting to get failures in that.
4 Some of the subjects are not passing that fit factor
5 of 50 requirement. And we're seeing the poor-fitting
6 product has moved over to the left axis with 0 to
7 26 percent of the subjects passing and 60 -- for the
8 poor-fitting product of 69 to 100 percent of the
9 subjects passing for the well-fitting.

10 So now we got this clear separation. And
11 you could put a criteria somewhere in between those
12 two products and that gap in the middle that would --
13 you know, you could say with a very high degree of
14 certainty that you would reject the one on the left;
15 that is the poor fitting and you would accept the one
16 on the right which is the well fitting.

17 And then finally, in my last plot we've
18 moved up to a minimum fit factor of 100 and you still
19 see a good separation. Now the poor-fitting product
20 has basically been pushed over the left axis. We
21 still have a large region in between the two. And the
22 well-fitting product has moved down.

1 So for the well-fitting product, we have
2 between a 54 and a 91 percent pass rate and that's
3 kind of showing that panel-to-panel variability effect
4 that we've talked about quite a bit.

5 And then also on here I do have a line that
6 indicates where 3M and its written comments I proposed
7 putting the criteria, which is that 60 percent of
8 subjects with fit factors over 100 would be required
9 for product to pass -- for product to be approved
10 under the modified rule.

11 All right. Our conclusions from this
12 evaluation for possible approval criteria is that a
13 fit factor of 20 or lower, and we did look at other
14 fit factors so -- but you can clearly say that for 20
15 or below, you really can't differentiate between poor
16 and well-fitting products.

17 Fit factor 50 does provide good
18 differentiation that allows you to really separate
19 those two. And a subject pass rate kind of in the
20 middle of that area between the two products, that is
21 a 50 percent pass rate, which 18 out of 30, for
22 instance, would be sufficient to reject the really

1 poorly fitting products that would have little chance
2 of passing a fit test at an employer's work site.

3 Okay. All right. I think we're moving back
4 to Craig. So we're going to switch speakers one more
5 time and then we'll be done. There's just two more
6 slides and Craig will finish up here.

7 So thank you for your attention.

8 MR. COLTON: Thanks, Phil.

9 So there is an end in sight.

10 So to wrap up, despite the comments and what
11 tone you thought maybe they had there at the
12 beginning, 3M does support a fit requirement as part
13 of certification. I mean we do. It's just that
14 unfortunately we don't believe that the current
15 proposed fit test proposal will remove well fitting
16 devices -- well, that it will remove well fitting
17 devices from general industry and health care
18 workplaces in its present form.

19 In order to meet the proposed test method as
20 it's been published, it's going to force redesign of
21 respirators which is going to reduce the diversity of
22 styles and shapes of facepieces among the available

1 designs, reduce or eliminate respirators that you can
2 go to when you have a hard to fit person and eliminate
3 respirators that fit people outside of the bivariate
4 grid.

5 And now based on the information not only
6 that we had submitted in the first open comment
7 period, but now with the information that was
8 presented here in the work conducted in the second
9 one, our recommendation would be to replace the
10 percent TIL maximum with a minimum fit factor of 50
11 and a 50 percent pass rate, remove the one pass per
12 cell requirement, and then change the user
13 instructions to where they could be amended to where
14 user instructions for half-facepiece respirators shall
15 specify information or procedures necessary for the
16 intended population or some population of users in
17 that.

18 And with that, I thank you for your
19 attention and I'm done.

20 MR. HEARL: Thank you. Let me just check
21 and see if we have any questions from the panel to the
22 speakers. Bill.

1 Identify yourself for the record.

2 MR. NEWCOMB: Bill Newcomb. I have a
3 question concerning the one -- one person per cell.
4 And that is what you propose is I think is a
5 50 percent pass rate for a respirator that is designed
6 to fit the population, the whole population with one
7 size.

8 If 50 percent of the pass/fail in virtually
9 all panels were only in cells 8, 9, and 10 or just the
10 middle cells in the large size and didn't fit any of
11 the small sizes in that panel, would you consider that
12 a respirator that fits the total population?

13 MR. COLTON: I don't think we make a claim
14 that respirator fits the total population everywhere.
15 Even the one size models, in fact -- you know, one
16 that's out there today isn't a one size fits all.

17 One size is designed to fit as the -- the
18 wording in the standard says is a large number of face
19 sizes and face variety. So it could be depending upon
20 the respirator.

21 And part of this what ends up being well
22 fitting or not, in this particular case, would be

1 something that I think has to be determined in part by
2 the user. And the market could end up dictating part
3 of that if people don't like that particular
4 respirator. You know, I wouldn't think they would be
5 buying it.

6 Phil, do you have comments?

7 MR. EITZMAN: No.

8 MR. NEWCOMB: I don't think you answered my
9 question. But thank you.

10 MR. COLTON: Well let me -- well, if it's
11 well fitting, I don't -- like I say, that's a -- I
12 don't know that the test criteria is a place to decide
13 that. I mean well-fitting respirators is what's in
14 the mind of the user to a large part and they would
15 still need to get -- and then I think we've got two
16 things here.

17 We've got a fit factor that we're looking at
18 for what I call a populational fit factor here which
19 would be if what we're looking at for passing a
20 respirator. And then we've got the individual fit
21 test which is still the one hundred. And I think
22 those are two different, different things.

1 MR. HEARL: Thank you. Any other questions
2 from the panel? If not, thank you very much.

3 And at this point, we'll move on to our next
4 speaker which is Jeff Birkner from Moldex.

5 MR. BIRKNER: Good morning. My name is Jeff
6 Birkner. I'm the vice president of Tech Services for
7 Moldex.

8 Moldex Metric is a safety product
9 manufacturer of hearing and respiratory protection
10 equipment. We've been in business for more than 25
11 years. Moldex takes great pride in its commitment to
12 health and safety of all workers.

13 We welcome this opportunity to provide
14 comments at this meeting today.

15 We applaud NIOSH in their efforts to
16 promulgate regulations which ultimately will provide
17 better protection for the public and we will continue
18 to work with them to ensure that the public is
19 properly protected.

20 Unfortunately, there's been a recent influx
21 of products into the market which we believe are below
22 the NIOSH quality standards and have the less than

1 adequate fit.

2 We believe that this has motivated NIOSH to
3 develop the new TIL regulations to some extent.

4 If this is the case, we believe there are
5 several ways to attack the problem, then ensuring that
6 quality products are supplied to the public.

7 First and foremost, NIOSH may wish to more
8 aggressively audit all respirator manufacturers
9 through field audits and redouble their efforts for
10 those manufacturers found to have deficiencies.

11 NIOSH should be equally aggressive in
12 performing their bench test audits of products they're
13 buying from the open market, and then testing in their
14 labs ensure again, redouble their efforts for those
15 manufacturers found to have deficiencies.

16 We believe that these are the first lines of
17 defense that NIOSH should be using to ensure that
18 certified quality products are reaching the market.

19 NIOSH has stated that with TIL, quote, The
20 employee is more likely to achieve a good fit from a
21 respirator maker that has been demonstrated through
22 testing to achieve the specified minimum level of

1 performance. Unquote.

2 Unfortunately the use of anthropometric test
3 panels is not the best predictor of fit on individuals
4 in the field. During lab evaluations two persons in
5 the same grid being fit tested on a mask can have
6 extremely varied fit test results with one person
7 getting far below the required protection of 100 as
8 the pass/fail criteria. And the other individual
9 achieving the fit in the thousands.

10 This evidences the fact that the use of
11 anthropometric panels cannot predict good fit on a
12 controlled panel and will be less predictive of the
13 fit of actual users. Thus, it's not likely that a
14 certification requirement such as this will lead to
15 more streamline fitting of individuals in the field as
16 NIOSH has suggested.

17 We strongly urge NIOSH to look carefully at
18 the data submitted by Dr. Lisa Brosseau and her
19 associates on behalf of ISEA.

20 We believe that this study proves the lack
21 of validity and extreme variability that is inherent
22 in the use of anthropometric panels to assess fit.

1 We also strongly recommend that NIOSH
2 perform their own study utilizing a protocol similar
3 to that of Dr. Brosseau which we believe will more
4 clearly demonstrate the inherent variability and
5 validity of use of bivariate anthropometric panels to
6 assess fit.

7 There's no available data which
8 statistically correlates bivariate grid dimensions and
9 in turn facial measurements to adequate fit of a
10 respirator used in the field.

11 Furthermore as noted above, there are many
12 examples where someone's face may fit the dimensions
13 of the particular grid and may easily fail the fit
14 test when someone whose dimensions also fall in the
15 same grid may get very high fit factors.

16 NIOSH also stated that poorly performing
17 respirators are being used by employees and other
18 individuals without the benefit of a complete
19 respirator program that includes fit testing.

20 NIOSH contends that the TIL would increase
21 the likelihood that these workers who lack fit testing
22 will be protected by respirators that are demonstrated

1 to generally provide a good fit to intended users when
2 worn properly.

3 Such stated intentions for the proposed TIL
4 regulations imprudently place NIOSH in the position of
5 encouraging users who do not perform fit testing to
6 continue to be lax in this requirement.

7 This is contrary to OSHA regulations and
8 contrary to fundamental practices that every health
9 and safety professional must be committed to carry
10 out.

11 Manufacturers uniformly warn that
12 respirators must be fit tested to ensure proper fit as
13 OSHA requires.

14 We believe that every respirator required in
15 the workplace must be fit tested on an annual basis,
16 no exceptions.

17 This even applies to the use of respiratory
18 protection when used for instance, H1N1 or other
19 pandemic situations, even though it requires adequate
20 advance preparation in planning by users such as
21 health care providers.

22 Some other items of concern in the proposed

1 regulation are that NIOSH states the applicant shall
2 specify in the user instructions the face size or
3 sizes that the respirator is intended to fit.

4 Pursuant to this requirement, one respirator may be
5 intended to fit all sizes.

6 And NIOSH also states if appropriate
7 pursuant to Paragraph A3 of this Section, then the
8 applicant should also specify in the user instruction
9 any additional descriptions necessary to indicate the
10 subpopulations the respirator is intended to fit, such
11 as sex, general facial characteristics, and/or precise
12 facial measurements.

13 We interpret this to mean that NIOSH is
14 encouraging, if not implicitly, requiring each
15 manufacturer to supply their products with a grid with
16 facial dimensions that a particular individual should
17 have in order for them to use the respirator contained
18 in the particular package.

19 Presumably this would be based on facial
20 dimensions that were used to conduct the certification
21 testing.

22 As noted previously, we do not believe that

1 facial grids will accurately predict the size of a
2 mask that a wearer will fit best.

3 Additionally, we're not in favor of placing
4 facial grids or similar specific measurement
5 parameters on box of panels -- on box panels of
6 respirators. Doing so is mandating what will be
7 argued to be an explicit warranty of fit to those
8 dimensions which will not be accurate as the factors
9 that contribute to fit are far greater than the
10 limited measurements in the anthropometric grid.

11 This situation will create some very serious
12 liability issues for manufacturers which could
13 ultimately drive manufacturers off the market.

14 It's notable that many individuals as well
15 as the Institute of Medicine pointed out to NIOSH in
16 the evaluation of their anthropometric panel that some
17 critical characteristic measurements for their
18 anthropometric panel were missing.

19 Based on our experience with Asians and some
20 other ethnic groups, we would strongly recommend that
21 NIOSH address the issue of nose bridge height.
22 Although the measure of nasal root breadth is included

1 in the NIOSH study, our experience indicates that
2 nasal root height may also be a significant factor in
3 determining the appropriate parameters that should be
4 used in developing a fit test panel.

5 Let me just diverge a little bit in saying
6 that the NIOSH test in the TIL is a bivariate and
7 doesn't even address -- it only addresses two
8 measurements. So that makes it even more difficult.
9 So two dimensional versus three.

10 It's particularly important in developing
11 sizes for certain subpopulations so in terms of the --
12 what I'm talking about is the nose measurements.
13 There are likely other parameters which should be
14 considered to correlate facial measurement with
15 respirator sizes.

16 NIOSH has not evaluated their panels based
17 on the IOM comments provided in the document entitled
18 Assessment of the NIOSH Head-and-Face Anthropometric
19 Survey of U.S. Respirator Users."

20 We strongly urge NIOSH to reevaluate
21 relevant parameters of face fit to supplement and
22 improve their existing panel.

1 Let me just also note that in the Journal of
2 International Society of Respiratory Protection, the
3 most recent journal were NIOSH -- many of NIOSH
4 employees actually wrote that article. I don't have
5 the name of the article. But it does address
6 anthropometric panels.

7 They note -- the authors note that nose area
8 was found to be frequently correlated to respirator
9 fit. And nose areas may be more significant than
10 other 3D parameters.

11 So in looking at that, obviously a
12 two-dimensional panel may not address fit at all in
13 any meaningful way.

14 In the proposed regulation, NIOSH outlines
15 their test procedure using PortaCount Pro Plus, the
16 procedures also outline in Procedure Number
17 RCT-APR-STP-0068.

18 The NIOSH procedure does not use any type of
19 chamber where the NACL challenge concentration is
20 developed and maintained.

21 This is a serious flaw in their protocol as
22 the PortaCount does not do simultaneous monitoring and

1 comparisons of outside concentration CO and inside
2 concentration CI. And therefore rapid concentration
3 change in the ambient environment could easily give
4 highly erroneous results.

5 Additionally, the protocol calls out in
6 allowable TIL of 1 percent. NIOSH needs to consider
7 the use of a higher TIL number based on an analysis of
8 variability. Although 1 percent is achievable in some
9 cases, the protocol variability may be such that well
10 designed respirators will easily fail.

11 By raising this number using a quantitative
12 analysis of variation, it will not deleterious effect
13 the evaluation of poorly designed respirators which
14 will likely fail anyway, but will allow the approval
15 of well designed respirators.

16 If NIOSH wishes to go forward with the TIL,
17 we would recommend that each respirator model be
18 treated as a family of respirators.

19 When a respirator model is fit tested, all
20 the sizes under that model should be considered as
21 part of the model's family. If a particular size of
22 that model does not fit an individual, the next size

1 should be used.

2 If necessary, all sizes in the family of
3 that model should be used on the test subject even if
4 it does not intuitively appear based on facial
5 dimensions of that individual that a particular size
6 of respirator will or will not fit that individual.

7 From a practical standpoint, we've
8 encountered this under many circumstances when even an
9 individual with a small face fits a size of respirator
10 that clearly would not -- that clearly one wouldn't
11 expect they would fit.

12 Performing the TIL in this way would
13 optimize the end user's likelihood that they be fitted
14 with a particular model of respirator. Most
15 half-masks come in at least two sizes and some even
16 come in five sizes. This large option almost
17 certainly ensures that a particular model will fit the
18 end user.

19 Finally, we believe that NIOSH has severely
20 underestimated the cost of this regulation to the
21 manufacturer which would ultimately be passed on to
22 the user. Thanks.

1 MR. HEARL: Thank you very much.

2 Are there any questions from the panel?

3 MR. NEWCOMB: Bill Newcomb.

4 I wasn't quite clear from your presentation
5 whether or not you were in favor of a Total Inward
6 Leakage requirement or not. However, you started it
7 by saying that the best way to ensure a good product
8 is through an audit. And if there is no test, then
9 the audit will not help any.

10 Do you have any thoughts on that?

11 MR. BIRKNER: Well, I think -- I'm talking
12 about the current existing test. I think to some
13 extent NIOSH could use the audits currently available
14 under the regulations to pick out bad product. I'm
15 not necessarily talking about badly fitting product.
16 That's a different issue and that addresses the TIL.

17 And in answer to whether we're in favor or
18 against the TIL, I think in my opinion they're better
19 ways to determine whether a mask fits an individual.

20 The most important issue is whether a
21 particular respirator fits a particular individual in
22 the field. And that's addressed through the OSHA

1 regulations.

2 Using a test panel with, you know, 10, 20,
3 30 individuals, I don't think is a predictor of a fit
4 in the field.

5 And lastly, I think NIOSH to some extent has
6 acknowledged that a bivariate panel doesn't contain
7 all the parameters that are necessary to be a
8 reasonable predictor of a bench test versus what will
9 be achieved in the field.

10 MR. NEWCOMB: Thank you.

11 MR. HEARL: I have one question actually
12 just to kind of elaborate.

13 Each model treated as a family of models
14 idea that you had, would you see there will be an
15 upper limit as to the number of sizes that would be --
16 fit in each family or is that specific --

17 MR. BIRKNER: That's market driven.

18 MR. HEARL: Market driven. Thanks.

19 Rene Pana-Cryan.

20 MS. PANA-CRYAN: Hi. This is Rene

21 Pana-Cryan.

22 Could you elaborate on your statement about

1 NIOSH having underestimated the costs of their
2 proposal?

3 MR. BIRKNER: You know, this kind of
4 regulation requires that, that -- although all
5 manufacturers do fit testing. But I mean it's going
6 to require they do fit testing when they're developing
7 respirators and historically, you know, we do very
8 well. But this regulation because of the requirements
9 in terms of actually submitting the panel data and
10 that kind of thing will actually be quite expensive.

11 MR. HEARL: Any further questions from the
12 panel? Thank you very much.

13 MR. BIRKNER: You're welcome.

14 MR. HEARL: Okay. The next speaker I have
15 on our list is Dan Shipp from ISEA.

16 MR. SHIPP: I don't have any slides. So
17 this will be for the next -- the continuation of my
18 presentation, if you will.

19 Good morning. My name is Dan Shipp. I'm
20 president of the International Safety Equipment
21 Association, also known as ISEA.

22 As I hope most of you know, ISEA is the

1 trade association for manufacturers of personal
2 protective equipment, including all kinds of
3 respiratory protection for workers certified by NIOSH
4 under 42 CFR Part 84.

5 ISEA members in the Respiratory Protection
6 Group are knowledgeable in the design, manufacture,
7 approval, selection, fit testing and use of
8 respirators in all occupational settings.

9 ISEA appreciates the opportunity for the
10 extension of time given for the consideration of the
11 Total Inward Leakage proposal. We're here to express
12 our views.

13 As you know, the association has been an
14 active participant in the discussion of this proposed
15 rule since the concept was first presented. I think
16 our initial comments on the concept date back at least
17 three years.

18 ISEA last December at the first public
19 meeting began a discussion of the proposed rule and
20 what we consider to be some of the shortcomings, or
21 the apparent shortcomings in the test method, and
22 decided at that time to commission an independent

1 study of the test methods using respirators that had
2 been identified by NIOSH as good fitting respirators.

3 That study was conducted. It was part of
4 our written comments that we submitted in March. And
5 you will hear a presentation on that study, following
6 my presentation, from Jeff Weed who is a member of the
7 Expert Advisory Panel and one of the principal
8 researchers.

9 ISEA and its members have discussed and
10 debated this proposal at great length since the close
11 of the comment period in March seeking a way to modify
12 this rule that would make it workable for
13 manufacturers, employers, and users.

14 You've already heard this morning from two
15 ISEA members. You'll hear the recommendations that
16 came from our expert panel.

17 As you can see, there are different
18 viewpoints on what would make this work. I remind you
19 that ISEA is a consensus organization. At the end of
20 the day, we keep returning to the one answer on which
21 I believe that ISEA has consensus, and that is does
22 this proposal, does any sort of TIL proposal actually

1 enhance worker health?

2 ISEA understands the concept of
3 incorporating fit testing into the certification of
4 respirators. We acknowledge that in a perfect world,
5 any half-mask respirator would provide an adequate
6 seal on any face every time.

7 Manufacturers invest a great deal of R&D in
8 the development of respirators that will not only pass
9 the stringent filtration efficiency testing necessary
10 for ISEA certification, but will also fit the greatest
11 number of faces. Failure to do this will doom a
12 product in the marketplace, and it should.

13 But we also know from experience that this
14 perfect universal respirator doesn't exist, because of
15 the variability of face shapes and sizes, coupled with
16 training and user experience in donning and using
17 respiratory protection.

18 In other words, nothing is going to take the
19 place of fit testing each user as the only way to
20 ensure that the respirator will provide the desired
21 degree of protection.

22 If we acknowledge that the need to fit a

1 respirator to the user is not going to change, then we
2 have to question the purpose behind the NIOSH TIL
3 proposal, the methodology proposed to reduce total
4 inward leakage by respirator design decisions, and
5 whether the changes proposed to the certification
6 process will enhance worker protection.

7 In the benchmark testing of 101 respirator
8 models, NIOSH found that 30 percent of respirators did
9 not provide a fit factor of 100, quote, for
10 substantial numbers of human subjects.

11 Using this data, NIOSH cites three
12 justifications for the proposed rule. I'd like to
13 address each of these three justifications.

14 First, NIOSH states that when an employer
15 buys one of the poor-fitting respirators -- and this
16 is a quote from the preamble to their proposed rule.
17 Fit testing of the employees should reveal that a
18 substantial proportion of the employees do not achieve
19 adequate fit.

20 This presumably compels the employer to
21 purchase other respirators and conduct additional
22 fit-testing on employees, continuing such purchases

1 and fit testing until respirators are identified,
2 through trial and error, that provide all employees
3 with adequately fitting respirators.

4 Let's remember that last sentence. We'll
5 hear it again in a minute.

6 NIOSH writes the proposal as if this would
7 be an ongoing process with the employer buying more
8 respirators and fit testing them until all workers are
9 supplied with an adequately fitting respirator.

10 ISEA asks if this is as burdensome as it
11 sounds. Putting yourself in the position of an
12 employer, if you buy a supply of respirators and find
13 that they don't provide a good fit to most of your
14 workers, you're not going to buy any more of them.
15 Nor is anyone else.

16 Why would there be a market for such an
17 ill-fitting product, unless employers are buying them
18 and providing them to workers without fit testing, in
19 clear violation of their legal obligation under OSHA
20 regulations?

21 Now consider the process using respirators
22 approved under the proposed TIL regulation. The

1 employer will have to evaluate intended users by
2 facial characteristics, take measurements and find the
3 respirator identified by a manufacturer as suitable
4 for these characteristics.

5 If everyone in the facility has the same
6 face, the employer gets lucky. But in the real world,
7 it is still likely that one model of respirator will
8 not adequately fit all workers.

9 To use a phrase from the NIOSH proposal --
10 and this is the sentence you heard before. This
11 presumably compels the employer to purchase other
12 respirators and conduct additional fit testing for
13 employees, but by mandating that a high percentage of
14 respirators achieve a fit factor of 100 in
15 certification testing. As has already been noted, the
16 employer's choices would be far more limited than they
17 are today.

18 The second benefit NIOSH finds in its
19 proposed TIL test is that it will provide better
20 protection for users that are not fit tested. It
21 cites a statistic that 40 percent of employers don't
22 fit test workers and that self-employed workers are

1 even less likely to be fit tested.

2 ISEA asks NIOSH what in its proposal would
3 force these non-compliant employers to meet their
4 obligation to fit test workers, especially if they are
5 being told that the respirators tested under the new
6 scheme are more likely to fit?

7 The third justification for the rule is that
8 stockpiled respirators, deployed in the event of a
9 pandemic or other emergency, might be given to workers
10 without a respirator program or fit testing.

11 In this case, the employer and the user and
12 the worker are presumably not involved in the
13 selection process, and the hazard may be something
14 like a virus for which there is no known PEL or
15 well-defined risk, so fit testing is even more
16 important to assure adequate protection.

17 Handing out respirators for use in hazardous
18 environments with the hope that they will fit most
19 users is playing Russian roulette with a worker's
20 health.

21 After all this, NIOSH acknowledges that
22 certification incorporating the TIL test will not

1 substitute for individual fit testing, respirator
2 training, and other components of the complete
3 respiratory protection program critical to worker
4 protection.

5 NIOSH claims that TIL will substantially
6 improve the current circumstances by approving only
7 respirators that demonstrate the ability to meet
8 minimum specified performance requirements.

9 ISEA believes that NIOSH already does this,
10 by testing and certifying respirators under 42 CFR
11 Part 84, in the existing certification regulations.

12 If NIOSH believes, as we do, that fit
13 testing, training and other elements of a respirator
14 program are critical to worker protection, ISEA is
15 hard pressed to understand why NIOSH is proposing to
16 add a component to respirator testing and
17 certification that appears to be designed to allow
18 employers and users to bypass these critical
19 safeguards.

20 Ever since NIOSH first proposed
21 incorporating fit testing into respirator
22 certification, ISEA has questioned the wisdom of this

1 approach.

2 After the current proposal was introduced,
3 we commissioned an independent evaluation testing
4 respirators using the NIOSH protocol. And you will
5 hear a report in a few minutes on the result of that
6 study presented by Jeff Weed. That study details the
7 shortcomings of the proposed methodology and makes
8 some recommendations for improvement.

9 But even if the test protocols are improved,
10 and the pass/fail criteria are modified to reflect the
11 realities of respirator design and performance, will
12 they replace the necessity of individual fit testing
13 to determine whether or not a respirator will protect
14 the wearer?

15 ISEA believes the answer is no.

16 Given that reality, isn't it better use of
17 NIOSH resources? Is it a better use of NIOSH
18 resources to continue to refine the certification fit
19 test with its inherent flaws or to redirect its
20 efforts toward enhancing respirator fit at the user
21 level?

22 ISEA believes the institute should focus its

1 research on improving user fit testing, developing
2 better field fit tests, better exercises that are
3 simple to administer and produce the most repeatable
4 and reliable results.

5 Concurrently, NIOSH could direct its energy
6 to working with OSHA, MSHA and employers to educate
7 workers and employers about the reasons why
8 respirators have to be fit tested, clearly explaining
9 the function of a respirator in reducing exposure to
10 airborne contaminants to acceptable levels,
11 illustrating the risks of misuse, and encouraging
12 rigorous enforcement of respiratory protection
13 standards and regulations.

14 Such an approach would do far more to
15 enhance worker protection than adding a complex and
16 unproven set of requirements to certification testing
17 of half-mask respirators.

18 As always, ISEA and its member manufacturers
19 stand ready to assist in this effort, to achieve our
20 common goal of protecting the health and lives of
21 workers everywhere. Thank you.

22 MR. HEARL: Thank you very much.

1 Any questions from the panel?

2 MR. NEWCOMB: This is Bill Newcomb.

3 You've pointed out some pitfalls with the
4 requirement for having fit testing as a requirement in
5 42 CFR 84. But at the present time, all tight fitting
6 respirators with the exception of particular
7 filtering, including all gas and vapor half-mask
8 respirators are fit tested as a matter of
9 certification.

10 Are you suggesting that these pitfalls that
11 you've identified are therefore in all of the other
12 types of respirators that NIOSH presently certifies?

13 MR. SHIPP: In the certification testing of
14 those types of respirators that you mention that
15 include fit testing, is the user told that this is a
16 respirator that will provide a fit for a certain face
17 size? Is the user given the instructions and the
18 guidance that would be proposed under this proposal?

19 The difference I see is at the user end, do
20 you believe that having that fit testing in those
21 respirators reduces the amount of fit testing that may
22 be done at the user level?

1 That's probably an answerable question.

2 MR. NEWCOMB: Yeah. I don't think that I
3 want to debate these issues at the present time. That
4 was just a question.

5 MR. SHIPP: I think you understand my
6 perspective here and point of view.

7 The point of view, I believe, of the
8 manufacturers, the consensus view within ISEA is that
9 yes, we understand fit testing. Yes, we understand
10 the certification process.

11 But in this particular incident will --
12 including the fit testing actually -- will including a
13 fit component in the certification actually at the end
14 of the day provide better fitting respirators and
15 reduce the likelihood that an employer, whether or not
16 they provide better fitting respirators, will they
17 reduce the likelihood that an employer will fit test
18 the workers which we find to be a dangerous thing?
19 That's my answer.

20 MR. NEWCOMB: Okay.

21 MR. HEARL: Okay. Thank you.

22 MR. SHIPP: Anything else? Thank you.

1 MR. HEARL: Any other questions? Okay.

2 In this case, we're ready for our last
3 scheduled presenter is Jeff Weed from Weed Respiratory
4 Protection Solutions.

5 MR. WEED: Thank you very much.

6 I'm here today because I'm a co-author of
7 this paper which is sponsored by ISEA. The principal
8 author is Dr. Lisa Brosseau and was unable to be here
9 today.

10 I'd like to acknowledge the participants in
11 this. The principal contractor was Environmental
12 Health and Safety, Incorporated; and the Project
13 Management was conducted by Ron Pearson. The Advisory
14 Panel consisted of the primary researcher, Dr. Lisa
15 Brosseau of the University of Minnesota and myself.
16 Statistical analysis was provided by Christopher
17 Pulling and Stacia Kraus of Integra Group, LLC.

18 The study goals that we had is twofold.

19 First was to evaluate the variability in
20 subject pass rates for half-facepiece air purifying
21 respirators following the proposed NIOSH test
22 protocol.

1 And also secondarily to determine the
2 probability of the subjects will attain fit factors
3 ranging from 20 to 100.

4 And during the study, we had two sets of
5 data. In this presentation, I'm calling experimental
6 data the data that we took as -- fit testing we did as
7 part of this study. And we also did analysis on the
8 NIOSH benchmark data that was obtained.

9 I'll cover some findings first and then get
10 into some details.

11 We found that the proposed test criteria
12 were very stringent and will exclude almost all
13 filtering facepiece respirators, which I refer to as
14 FFRs here, and 50 percent of the elastomeric
15 respirators, referred to as ER, from the marketplace.

16 And we found that 25 percent of the
17 filtering facepiece respirators and 80 percent of the
18 elastomeric respirators would be certified if the fit
19 factor criterion were reduced to 20 instead of the
20 hundred that's in the proposal.

21 55 percent of the filtering facepiece
22 respirators and 90 percent of the elastomeric

1 respirators would be certified if the fit factor
2 criterion were reduced down to 10 which is the
3 assigned protection factor.

4 Requiring that at least one subject in each
5 cell receive a passing fit factor is very stringent
6 and handicap cells with small numbers of subjects.
7 And, for example, because of the number subjects,
8 there was a 50 percent chance of failure in certain
9 cells and 25 to 33 percent chance in other cells and
10 11 to 14 percent in the cells that have more subjects.

11 We thought -- we found a proposed NIOSH
12 protocol would be more statistically or less variable,
13 I'd say, if there were more than 35 subjects in the
14 panel, more than one panel of 35 subjects, a similar
15 and larger number of subjects in each cell, for
16 example, greater than 5 per cell and using multiple
17 donnings of the respirator on each subject.

18 We did bootstrap analysis which is similar
19 to what the 3M presentation where we create virtual
20 panels randomly and statistically analyze those.

21 And we found that a bootstrap analysis would
22 lead to more informed decisions about the probability

1 that a respirator will fit a population of users. And
2 this would require multiple panels or multiple
3 donnings of a single respirator on each subject.

4 We found a consistent approach for testing
5 multi-size respirators is needed.

6 We recommend using approach that we used in
7 this study which included a preliminary qualitative
8 evaluation of each size through assisted donning. And
9 what that is is really the -- if you look at the
10 Appendix A of OSHA 1910.134, the advice there is to
11 have the person hold each size respirator up to their
12 face and kind of do a self-evaluation of which one
13 they think is likely to fit them the best. And that's
14 what we did in this study.

15 The NIOSH benchmark tests were not strictly
16 relevant to this certification protocol. We analyzed
17 them anyway. But they didn't use the 35 subjects.
18 They used 25. The test panel appeared to us to be the
19 Los Alamos criteria.

20 And also NIOSH tested each size respirator
21 with a separate panel. In other words, the whole
22 panel. They didn't select a size first like we did.

1 And what happened then is they were testing gross
2 mismatches in their study. A person with an obvious
3 should face was tested with a very large respirator
4 and that affected the data.

5 In our study, we only used the respirator
6 that the person felt was most likely to fit. So we
7 didn't have these gross mismatches.

8 The methods we used, the experiments with
9 the filtering facepiece respirator was we chose a
10 single cup shape respirator that was available on a
11 single size. We used the Lawrence study to choose a
12 respirator that was a very good fitting respirator.
13 According to the study, it was available now and it
14 also came in one size.

15 And the reason that we selected one -- it
16 only came in one size -- is because we were under a
17 time constraint. This study was done in January,
18 February, March 2010. And the closing of the comment
19 period was at the end of March at that time. So we
20 had to be finished and had to have it submitted by
21 then.

22 I think if we had known that the comment

1 period was going to be reopened three weeks later and
2 had more time, we might have designed the study a
3 little bit differently. But we had three months to do
4 many hundreds of fit tests.

5 The filtering facepiece respirator was
6 tested with one panel of 35 subjects on three
7 different days. They donned the respirator one day.
8 We tested -- we did three fit tests on that day. And
9 then on another day, we took the same make, model, and
10 size respirator and three fit tests with that
11 respirator. And then the third day repeated that
12 again.

13 We took also took a filtering facepiece
14 respirator and tested it with three different panels
15 of 35 subjects. Panel 1, each subject dons the
16 respirator three times. Panel 2, new set of subjects.
17 Each dons the respirator three times. And then
18 panel 3, the same thing. New subjects, three fit test
19 each.

20 Experiments with the elastomeric respirator
21 which was available in three sizes. We did a similar
22 test with one panel of 35 subjects, three separate

1 days, three fit tests on each day, same make, model,
2 and size.

3 And this case the size respirator that was
4 chosen was selected by allowing the user to examine
5 all three sizes, put them up to their face. They
6 didn't put the straps on. They just hold it to their
7 face and do a little preliminary kind of a user seal
8 check and pick the one that use best.

9 And that respirator was used for the rest of
10 the measurements. And we had our procedure set up so
11 that if the person couldn't get 100 on any one of the
12 three fit tests, then they would have to go back and
13 select another size from the two remained.

14 And if they couldn't pass one time with
15 that, then they'd have to go to the third size.

16 We found it unnecessary to use that
17 procedure, though, because everybody was able to get
18 at least one fit test above 100 with the first size
19 that they picked.

20 We analyzed the NIOSH benchmark test data
21 for filtering facepiece respirators and elastomerics.

22 ISEA members requested the data from NIOSH

1 and then gave it to us to study. We were unable to
2 get it directly from NIOSH. So we ended up with data
3 for 17 filtering facepiece respirators, 2 of which
4 were multi-size and 20 elastomeric respirators.

5 The NIOSH study was conducted with a
6 separate panel for each size. So there was gross
7 mismatches were allowed and the distribution was a Los
8 Alamos criteria rather than a bivariate.

9 The instrumentation was a TSI PortaCount
10 Plus Model 820 with a N95-Companion. We used a
11 special fit test software that did not have the upper
12 limit of 200 which is part of the commercial fit test
13 software from TSI.

14 We had a test chamber with a controlled
15 atmosphere with approximately an average of 2500
16 particles per cubic centimeters of the size that is
17 seen by the N95-Companion which is about 50
18 nanometers.

19 The aerosol was sodium chloride, generated
20 with a 6-Jet Atomizer. We used the exercises that
21 were in the TIL proposal and we had 60-second mask
22 sample time. Data was recorded every second.

1 And these test subjects did have previous
2 experience donning respirators.

3 That data analyses we determined the
4 geometric mean, geometric standard deviation and did
5 an analysis of variance. We looked at between-subject
6 vs. within-subject variability in fit, pass rates for
7 filtering facepiece respirators, and elastomerics
8 separately. And we did predictions of fit using
9 bootstrap analysis filtering facepieces, three panels
10 and using the NIOSH benchmark data.

11 Just an overall summary of some of the
12 numbers we got for the filtering facepiece single
13 panel done on three days. All days combined, we had a
14 geometric mean fit factor of 30.

15 On Day 1 just to give you examples, the mean
16 fit factor is 37; Day 2, 30; Day 3, 27. It's fairly
17 consistent.

18 And then we also looked at Donning 1 vs.
19 Donning 2 vs. Donning 3 and got mean fit factor is 36,
20 30, and 27.

21 For the filtering facepiece respirator that
22 was studied with three panels, done one time, one day

1 our geometric mean fit factor was 37. And looking at
2 them separately, panel 1 had 30; panel 2, 35; and
3 panel 3, 40.

4 For the elastomeric respirator, we had a
5 single panel for three days. All days combined the
6 geometric mean fit factor 3641. Looking at the days
7 separately, the mean fit factor is 3641, 4023 and
8 2981.

9 For Donning 1 looking at them separately,
10 Donning 1, 3294; Donning 2, 3641; and Donning 3, 3641.
11 So fairly consistent numbers.

12 We looked at between- and within-subject
13 variability. The filtering facepiece single panel
14 done three days. Between-subject variability was .5
15 which is greater than the within-subject variability
16 of .3.

17 Looking at the filtering facepiece, 3 panels
18 one day, we got between-subject variability of .8,
19 within-subject .2, and with the elastomeric respirator
20 between-subject variable was .5 and within-subject was
21 higher in this case of 1.2.

22 And we didn't have a real good explanation

1 for why the within-subject variability was so much
2 higher for elastomeric facepiece.

3 Looking at the NIOSH benchmark studies for
4 filtering facepiece respirators and elastomeric
5 respirators, the same way. Between-subject
6 variability range from .3 to 8, all the way up to 8
7 and within-subject variability range from 1.2 to 2.

8 And we have to keep in mind here when they
9 did the study, they had the gross mismatches that were
10 allowed in which I think explain some of those high
11 numbers.

12 Looking at pass rates for filtering
13 facepiece respirators for the first three days, single
14 panel three days combined, we looked at what fit
15 factor could we get to achieve the 75 percent pass
16 rate. That's a criterion in the TIL proposal.

17 So in this case, if you look on the far
18 right there, you see a 78 percent on the highlighted
19 row. So a fit factor of 25 was the highest fit factor
20 we could get and achieve a pass rate of 75 or greater,
21 75 percent or greater.

22 And that the zeros in the -- I got several

1 tables like this. The zero cells are highlighted in
2 red.

3 Looking at Day 1, 2 and 3 separately for the
4 filtering facepiece respirator, Day 1 was also a fit
5 factor of 25 was the highest we could get with
6 75 percent pass criteria. Day 2 was also a fit factor
7 of 25. And Day 3 was a fit factor of 25.

8 However, because of the requirement that
9 every cell have at least one pass, that fit factor
10 dropped down to 15 because we had some zeroes in
11 cell 1 at the 25 fit factor level.

12 So this is an example where we feel that
13 requiring at least one cell to pass, one person to
14 pass in each cell is something that's not necessary.

15 Looking at the filtering facepiece
16 respirator, three panels tested one time the same way.
17 We got a fit factor of 30 was the best we could do to
18 achieve the 75 percent pass rate.

19 Looking at the panel separately, panel 1 we
20 came up with 25 again. Panel 2 was 25, except we got
21 the same situation recurring where the requirement to
22 have one pass in each cell knocked it down to a fit

1 factor of 15. And panel 3 was little higher. We were
2 able to get a fit factor of 3 and get close to
3 75 percent pass rate.

4 For the elastomeric respirator, one panel
5 tested three days. We got a fit factor of 100 was
6 achieved by 94 percent of the people. So in this
7 case, the elastomeric respirator did quite well using
8 the criteria of 100.

9 Did the bootstrap analysis. This is of the
10 experimental data that we took for a filtering
11 facepiece respirator and three panels.

12 What we looked at was what fit factor -- if
13 we required 95 percent pass level for 95 percent of
14 the donnings, what fit factor could we expect?

15 And in this case, a fit factor of a 10 is
16 the most we could expect to get 95 percent of the
17 donnings to pass with 95 percent confidence.

18 Using the NIOSH benchmark data on filtering
19 facepieces, none of the respirators achieved the 95/95
20 criteria.

21 Using the bootstrap analysis of the NIOSH
22 benchmark data elastomeric respirators, we found

1 that the -- I don't have it highlighted here. But a
2 fit factor of 10 was necessary to get the 95/95
3 criteria.

4 In our overall recommendations, we thought
5 that NIOSH should use something like a 75 percent pass
6 criterion at or near the fit factor of 10, the
7 assigned protection factor, instead of proposal of
8 using a fit factor of 100.

9 We think they should drop the criteria for
10 having a pass in every cell. We think they should
11 increase the number of subjects in the panel or use
12 multiple panels.

13 Employ single, consistent method for
14 selecting appropriate size when testing multi-size
15 respirators.

16 And in this case, we recommend using the
17 OSHA Procedure in 1910.134 Appendix A and letting the
18 person pick the one that's most likely to fit. We
19 found in our study that that worked very, very well.

20 Consider incorporating multiple tests of the
21 same respirator for each subject to increase the
22 statistical reliability.

1 Consider the use of multiple panels and/or
2 multiple donnings per subject and a bootstrap analysis
3 approach to assess the probability that a respirator
4 will fit a population of wearers.

5 And that ends my presentation. Thank you
6 very much for listening.

7 MR. HEARL: Okay. Thank you.

8 Any questions from the panel?

9 Okay. Thanks.

10 So at this point in the program, we fit the
11 open discussion portion and I'd like to ask if there's
12 anyone who is on the LiveMeeting that would like to
13 make a presentation at this time.

14 Please identify yourself and now is the
15 time.

16 MR. PERROTTE: They're able to hear you.

17 MR. HEARL: Pardon?

18 MR. PERROTTE: They're able to hear you.

19 MR. HEARL: Okay.

20 MR. NEWCOMB: Did you turn them off mute so
21 they can answer?

22 MR. PERROTTE: Yes.

1 MR. HEARL: Well, perhaps one of the NIOSH
2 people who is on the LiveMeeting listening in could
3 identify themselves and just guarantee that we're
4 actually getting out.

5 LIVEMEETING VOICE: Well, I'm on line,
6 Frank. I can hear everything.

7 MR. HEARL: Okay. Great. Thanks.

8 LIVEMEETING VOICE: Every is fine in
9 California too.

10 MR. HEARL: Good to hear.

11 Is there anyone who's on LiveMeeting that
12 would like to make a presentation?

13 All right. Okay. That's the case, then
14 hearing nothing right now, we'll see if there's anyone
15 else in the room that would like to make a comment or
16 presentation, if you could please come forward to the
17 microphone.

18 Going once, going twice. It appears we
19 don't have any further comments. So I think at this
20 point then we'll be moving to close the meeting.

21 So I'd like to thank everyone for attending
22 today's public meeting. I especially want to thank

1 our presenters and commenters.

2 And if we could get back up the slide for
3 the -- some basic information slide from the original
4 one.

5 MR. PERROTTE: This slide right here, Frank?

6 MR. HEARL: No --

7 MR. SZALAJDA: The last slide.

8 MR. HEARL: -- the last slide, I think.

9 MR. PERROTTE: The very last slide on this
10 one?

11 MR. SZALAJDA: Yes.

12 MR. HEARL: There you go. That's it. The
13 final details.

14 So as you know, the comment period was
15 extended to September 30, 2010. And there's three
16 means identified for submitting comments to the record
17 which will remain open until September 30, 2010.

18 You can send it in by the Internet using the
19 www.regulations.gov website. You can send it to the
20 e-mail address: NIOCINDOCKET@cdc.gov and identify the
21 Docket Number of NIOSH 137 or the RIN Number of
22 0920-AA33, and send it by e-mail to that address. Or

1 you can mail it to the NIOSH Docket Office at Robert
2 A. Taft Laboratories, L Stop C34, 4676 Columbia
3 Parkway, Cincinnati, Ohio. And it's 45226 is the zip
4 code.

5 And so that docket will remain open until
6 that time.

7 So again, I thank the presenters for today,
8 and I thank you all for attending and thank my panel
9 members for being up here with me.

10 And we will be posting the transcripts of
11 this meeting onto the docket site once they're
12 completed.

13 And I thank you all very much. Have a good
14 day and have safe travels home.

15 (Whereupon, the proceedings in the
16 above-captioned matter were concluded at 10:34 a.m.)

17

18

19

20

21

22

CERTIFICATE OF REPORTER

I, Delores M. Green, reporter, do hereby certify that I was authorized to and did report in stenotype notes the foregoing proceedings and that thereafter my stenotype notes were reduced to typewriting under my supervision.

I further certify that the transcript contains a true and correct transcript of my stenotype notes taken therein to the best of my ability and knowledge.

SIGNED this 25th day of August, 2010.

Delores M. Green
Delores M. Green