

NIOSH/NPPTL Public Meeting to Discuss CBRN and Quality
Assurance

June 25, 2003 - 9:00 a.m.-4:15 p.m.
Hilton Garden Inn - Canonsburg, Pennsylvania

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

In the following transcript (sic) demotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

In the following transcript (phonetically) indicates a phonetic spelling of a word if no confirmation of the correct spelling is available.

In the following transcript "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

In the following transcript "*" denotes a spelling based on phonetics, without reference available.

In the following transcript (inaudible) signifies speaker failure, usually failure to use a microphone.

P R O C E E D I N G S

1
2 **UNKNOWN SPEAKER:** If everyone . . .I guess everyone is
3 seated so sorry for the 5-minute delay, but we had a little
4 technical difficulties. What we'd like to do is start the,
5 start the meeting and to kick it off Rich Metzler is going to
6 give a few opening remarks.

7 **RICHARD METZLER:** Good morning and welcome. It's a
8 glorious day in western Pennsylvania. For those of you who
9 are familiar with the area know this is about the third day of
10 sunshine we had this year. Again, welcome ladies and gentle-
11 men and partners for improving occupational safety and health.
12 I am very pleased to see you here today and to welcome you.

13 I'd like to point out that there is a very diverse group
14 with us this morning: representatives from the manufacturing
15 community, the ISEA, private laboratories who perform tests of
16 personal protective equipment, instrument manufacturers, uni-
17 versities, and in particular I'd like to thank the emergency
18 responder groups, the IFF, the IFC, the NABSCA, the U.S.
19 capitol police, HAZMAT response teams. It's important that
20 your participation stay at a high level so that we can imple-
21 ment the best of standards to protect emergency responders.
22 The program that's hosting this meeting today is NIOSH's
23 National Personal Protective Technology Lab. We had our
24 genesis just a couple of years ago prior to 9/11 with a

25 guidance and a mandate from Congress asking that we focus our
26 attention on state-of-the-art personal protective technologies
27 for all workers but with a special emphasis and encouraging us
28 to work on those special needs of emergency responder com-
29 munities to terrorist events. The programs relating to self-
30 contained breathing apparatus for CBRN response, full
31 facepiece gas masks, the escape hood program are all part of
32 our special emphasis program. Before I begin additional
33 comments on where we had been and where we are going, I have
34 special announcement to make today. Recently we were able to
35 select our permanent management team and I'd like to announce
36 that Les Boord who has almost 30 years experience in occupa-
37 tional safety and health protective technology business and
38 was a senior vice-president with a major manufacturer is the
39 Deputy Director for the National Lab. Roland Berry Ann
40 sitting in the back here; Roland you want to stand up and let
41 everyone see you; Roland is selected as the Respirator Branch
42 Chief. Ron Shaffer right over here in the corner joins us
43 from Naval Research Lab and General Electric, Ron is a Ph.D.
44 analytical chemist who brings an expertise on CBRN standards
45 or sensor technologies. He will be leading our research
46 program in personal protective equipment.

47 I see a lot of familiar faces and partners in the audi-
48 ence here. Many of you have been with us since the early 1999

49 when we were trying to eek out enough budget to hold our first
50 workshop jointly with DoD and OSHA in Morgantown. This was
51 our first real introduction into chemical warfare agents and
52 protection needs of emergency responders against terrorist
53 threats. We held that meeting in March of '99. It had
54 131 attendees and 15 inches of snow outside at that meeting.
55 There's a book in the back of the room that described for us
56 as a first resource, the protection needs for emergency
57 responders. Our early efforts were aimed at identifying the
58 protection needs for the responder community and building
59 crucial partnerships. And our laboratory, by the way, is
60 founded on the philosophy that quality partnerships enhance
61 safety and health and we do these partnerships through
62 bringing funds in from critical partners and also putting
63 funds out to critical partners to help us do our work. And
64 during that process, we were able to learn that the critical
65 first needs of the emergency responder community was self-
66 contained breathing apparatus and full facepiece gas masks.

67 Again, I remember sitting in Chicago with Chief
68 John Ebersol and he asked me the question will self-contained
69 breathing apparatus protect fire fighters from chemical
70 warfare agent threats. I didn't know how to answer that
71 question. He kind of put me on the spot. You know SCBA are
72 not products used by the military so that they weren't

73 certified and constructed to protect against chemical warfare
74 agent threats and NIOSH had no experience in the area. A lack
75 of response lead John to say you should not put your head on a
76 pillow and go to sleep at night until you have an appropriate
77 standard to protect these emergency responders. And it seemed
78 like it's something we might have been able to do in 3 months,
79 but in fact it took a couple of years to develop the appro-
80 priate standards for self-contained breathing apparatus.
81 These standard development activities are not the only
82 activities that we as a new lab had been participating in. We
83 sponsored a meeting in New York City following 9/11, that was
84 in December, where we brought in actual responders from the
85 Pentagon, the Oklahoma City disaster, and the World Trade
86 Center disaster and learned from the emergency responder what
87 they're protective technologies needs were, how well did the
88 equipment perform at that scene, and what they're shortcomings
89 and gaps in technologies were.

90 We're also doing a study with RAN where they're inter-
91 viewing hundreds of emergency responders in all walks of life
92 from that community to address what they're personal pro-
93 tective technology needs are. And that really does expand it
94 to HAZMAT workers, emergency medical workers, fire fighters,
95 police officers, the full gamete of emergency responders.
96 We're also developing and will have done by the end of this

97 fiscal year critical PPE guidelines for emergency responder
98 protective technologies during structural collapses.

99 This is not done alone and there are many partners we
100 have to thank. We did have many official partnerships
101 established. The National Institute for Standards Technology
102 has worked with us all along the way and in fact they along
103 with the Department of Justice really did provide the early
104 funding to initiate our programs and they continued funding in
105 part our work even through today. The SBCCOM, I'd like to
106 think of them as blood brothers . . . we're talking about in
107 the way of the standards for SCBA and gas masks could not have
108 been done without SBCCOM. We are one. OSHA, it's participa-
109 tion with us in providing us with advice and council and also
110 assuring that the standards that we develop can in fact be
111 implemented and enforced in the workplace. The NFPA, as a
112 private sector group, brings its body of standards in use with
113 our own new standards to ensure that we have a full range of
114 adequate protection. Not listed here are all of the user
115 groups, the fire chiefs, the fire fighters, the police, the
116 IAB, many organizations who have participated in every meeting
117 providing us with insights of what their needs are.

118 Today's meeting is going to discuss two important areas.
119 The first is the CBRN standards for air-purifying and self-
120 contained escape respirators. While the press and national

121 television media have not been putting a great deal of focus
122 on respiratory protection of late with one exception 20/20 had
123 a program last week in the shortfalls of gas masks protection
124 for first responders. The heat seems to be somewhat off in
125 this area but in fact with the introduction of these standards
126 we anticipate there will be a greater awareness and a greater
127 interest again in the coming weeks. There's also been a major
128 problem associated with the fact that there are so many prod-
129 ucts that can be purchased from a website where there has been
130 no standards used for designing and developing equipment to
131 provide adequate performance and many, many misrepresentations
132 of the equipment's capabilities.

133 The second part of this afternoon will address the
134 quality assurance module. A module in our terminology means a
135 set of standards for improving quality assurance in this case.
136 This is a module that we had to set aside while we responded
137 to 9/11 and the CBRN standards, but several things have hap-
138 pened that make the timing in introducing the concepts for
139 these upgraded standards the right time now, that is, ISO9000
140 recently came out with an upgrade and we see that as a very
141 improved set of quality standards. The respirator branch is
142 the cornerstone of a new national lab and it will and does
143 receive adequate funding from this new laboratory. We have
144 new experienced quality assurance staff who were trained,

145 educated, and have experience in the quality assurance
146 business and we have experience with using qualified labs in
147 the sense that SBCCOM labs have been qualified to our stan-
148 dards and actually using their standards and ours together.
149 And also we have an experience using private sector quality
150 auditors to supplement our own staff. All these things
151 collectively have given us a broader perspective to redefine
152 the concepts in the new quality standard.

153 And the last you'll hear from me is just a quick summary
154 of what we have done in the way of the CBRN standards. The
155 SCBA standards were implemented in December 2001. There are
156 3 manufacturers who hold approval on more than 12 models.
157 Additional applications are in-house as we are speaking and
158 nearing completion. The gas mask program was implemented in
159 March of 2003. There are five applications currently in-
160 house. Four of them passed the preliminary screening test
161 with sarin and mustard test, the system test that's done by
162 SBCCOM for us and we intend to finalize the escape APR stan-
163 dards by this October. We're optimistic that we'll be able to
164 even beat that date. And we've added to our agenda, not only
165 the APR part of it, but the self-contained portion of the
166 standards were integrated into the program. Next year we will
167 introduce the standards for power to air-purifying respirators
168 with other standards such as combination respirators, air

169 supplied, air purifying built into single products to come in
170 later years.

171 I would encourage you to continue your high level of
172 active participation at this meeting today, to get your
173 comments into the docket office as it would be our intent to
174 finalize these standards within the next 60 to 90 days. It's
175 a target that we think we could meet and are looking forward
176 to finalizing these standards.

177 And with that, I'd like to introduce the Deputy Director
178 for the National Personal Protective Technology Lab,
179 Les Boord.

180 **LES BOORD:** Thank you. Perhaps first we ought to
181 introduce the CBRN team which I'm sure everybody's by now
182 familiar with because they're seated up here at the table.
183 The first is John Szalajda, Frank Palya, everybody knows Rich,
184 Mike Monahan, and a new member of the team is Mike Bergman who
185 joined us several months ago and has been actively engaged in
186 the process. Throughout the audience, there are several
187 others who contributed significantly to the effort:
188 Eddie Sinkule, in the back and I'm sure there are others:
189 Roland Berry Ann. So I think everybody is pretty well known.

190 On the screen we have the agenda for today. I'm not
191 going to walk through each element of the agenda. I think
192 everybody can pretty much do that, but as Rich mentioned, the

193 focus and the primary objective of the meeting today is to
194 cover two major topics and two major project activities that
195 are being conducted within the laboratory. The first one is
196 the escape respirator standard and secondly is the QA module.
197 Hopefully the sun's shining today for the first time or for
198 the second time or whatever it is for this year is a good omen
199 because we have a lot of information to cover and a lot of
200 technical details. Then tomorrow is equally an active day for
201 manufacturers and applicants to attend a workshop on the
202 certification process. So we have 2 pretty active days of
203 activities that I think will have an impact on the laboratory
204 and particularly on the respirator branch. So the agenda is
205 as illustrated. We are pretty intense with topics today going
206 into the afternoon until 4 o'clock.

207 The major focus of the discussions today on the escape
208 respirator is going to focus on really five different areas.
209 We don't want to go back and rehash a lot of the information
210 that we've discussed previously in the April meeting that is
211 in the concept paper. What we'd really like to focus on is
212 the areas of work since the last meeting and plus some ancil-
213 lary things, but those areas really come down to the five
214 topics. One is breathing gas control which is the CO₂/O₂ con-
215 centrations. The second we want to talk about the description
216 of the categories: the general, the specific, and the high.

217 We want to spend some time on the LRPL. We've done quite a
218 bit of work on the LRPL and that will be a topic of discussion
219 today. Then we want to talk a little bit about the live agent
220 testing and what has been done in the area of live agent
221 testing since our last meeting in April. And then finally, an
222 area of the standard development that we spent some time on in
223 the last . . . since the last meeting is the testing sequence
224 so I think the sequence in which all the requirements will be
225 evaluated, the number of respirators, and so forth. So those
226 are the areas that we really want to discuss in detail.

227 Also the surveys that you have in your information packet
228 that you received from the meeting, one of the comments that
229 we received from the meeting in April was that a lot of the
230 background information that we presented is kind of redundant
231 because we talk about it at each meeting and we do have a
232 large percentage of the attendees to attend multiple meetings.
233 So what we're going to do is we're going to abbreviate those
234 discussions so we can really focus on the technical content.

235 Another area that we're going to do a little differently
236 this afternoon in the afternoon session is that we've taken
237 the opportunity to prepare all the comments that we've
238 received during the course of the meetings through the dockets
239 and sort of itemize them, tabulate them by topic area and we'd
240 like to walk through those so you can sort of see how we man-

241 age and what we are doing to manage the comments and the
242 information that we get from the interchange and the inter-
243 active part of the discussions that we do have.

244 And then finally to round out the day, we have the dis-
245 cussions on the QA module which I think is also a refreshing
246 step in the program. I think most of the people in the
247 audience are familiar with the previous activities on the QA
248 module which we're all very good actions and activities.
249 There was a lot of work done in that program and basically
250 we're renewing that effort and would like to get everybody up
251 to speed at where it is and where it is going.

252 Just some of the logistics before we get into the dis-
253 cussions, I believe that everybody has used the sign-in sheets
254 at the registration. So if you haven't though, make sure you
255 do sign in so that we have an accurate list of the attendees.
256 The meeting is being recorded so you should be aware of that
257 and it is transcribed then for the docket. One of the activi-
258 ties this afternoon when we talk about the comments that we've
259 collected on the previous meetings, that's where some of those
260 come from, from the previous recordings and transcription.
261 The presentations that we do today will follow the agenda.
262 The agenda is kind of broad without the specific technical
263 requirements, but those discussions will follow the areas that
264 I mentioned just a little while ago. Following each discus-

265 sion we will have a question and answer period. Okay, so that
266 you have the opportunity to ask any questions or provide com-
267 ment or provide input relative to the topic that's been
268 discussed. To do that, we would like the individual person to
269 go to the center of the room to the microphone and then
270 announce their name, their organization, who they represent,
271 and then to make the comment into the microphone. Then
272 finally we have the information relative to the docket. Okay
273 so we actually have two docket numbers illustrated there: one
274 for the escape respirator of the CBRN escape respirator and
275 secondly for the QA module. So I think that information is
276 also provided and available in your packet along with the
277 other contact information.

278 So with that, we'll move into the overview discussion for
279 the CBRN escape respirator and while we're not going to go
280 back and rehash a lot of the background, I think it's very
281 important that everybody understands the goal which we're
282 trying to achieve. So I don't think we can have a meeting
283 without stating what the goal for the project is and that is
284 basically to develop an escape-only respirator to be used for
285 CBRN chemical, biological, radiological, nuclear inhalation
286 hazards in the event or the incident of a terrorist event and
287 it's intended for the general working population.

288 The escape respirator does represent what I consider to
289 be a very complex problem involving hazard analysis. To
290 really identify escape respirators and escape respiratory
291 protection, there needs to be some forethought behind what the
292 intentions are. Okay, what you intend to use it for, where
293 you're escaping from what you're escaping from where. Okay,
294 what's you're . . . perhaps what the threat level is for the
295 area, where the respirator would be deployed, whether it's in
296 areas where high concentrations could be considered or whether
297 it's in a low-threat area or you may have lower concentrations
298 to be concerned about. All these factors I think need to be
299 part of an assessment to determine what type of an escape
300 respirator is ideal for the situation, but then there's also a
301 wide variation of what those hazards and threats may be. As
302 we well know from previous efforts in our standards develop-
303 ment and in our APR. We have, our gas mask APR, we have the
304 hazards of chemical warfare environment. We have biological
305 hazards. We have toxic industrial material hazards. So we
306 have a wide variety of hazards that can be the threat and I
307 think also that the . . . an awareness is to the hazards that
308 are applicable to the particular area need to be a
309 consideration.

310 In addition to all that, we have multiple escape activi-
311 ties that can be taking place. So when we look at escape from

312 terrorism events, it is indeed a complex problem. We have a
313 wide variety of hazards. Threat analysis can be site spe-
314 cific. As we said before, the hazards and the threats for one
315 metropolitan area may be significantly different then they are
316 from another depending on the industrial activities in an area
317 or just the general proximity to perhaps military installa-
318 tions and so forth. So hazard/threat analysis can be site
319 specific.

320 Escape strategies also can vary. Escape strategies are
321 exit immediately or progress to designated areas. These
322 factors, these threats, and the escape strategies they do have
323 an impact on what the respirator is expected to be able to do.
324 And as such, I think by virtue of that fact they have an
325 impact on the standard that we ultimately develop for an
326 escape respirator because if we have an escape scenario that
327 has specific requirements. I think our standard that we
328 ultimately end up with needs to be capable of being able to
329 certify that, that respirator. For this reason, we segment
330 the strategy for escape respirators into three categories
331 which most of you're familiar with: the high category, the
332 specific category, and we've renamed the bottom category
333 there. I should have done these or mentioned these in reverse
334 order, but the bottom category we're calling it a general
335 category. When you look at the rough classifications of those

336 categories, we will start at the bottom here. With the gen-
337 eral category, we're talking about multi-hazard protections
338 with chemical warfare agent capability. We move up to the
339 specific. We're now talking about that same general category
340 with multi-hazard protection, CWA capability but then the
341 ability to perhaps look at a specific threat from our list of
342 10 test agents. So it's sort of the blanket from the general
343 applied to the specific with the opportunity to focus or
344 concentration on specific hazards. And then obviously the
345 high category for oxygen-deficient environments or where you
346 truly have a unknown situation. If you take those categories
347 and then sort of designate them into the hazard description
348 and respirator performance, then I think everybody's familiar
349 with this tabulation if you've followed the concept develop-
350 ment for the escape respirator. But basically in going from
351 the bottom up again in the general category, we're looking at
352 an air-purifying type of an escape respirator. The same for
353 the specific category and the finally in that high category
354 where we have the oxygen deficiency potential that's where we
355 really are looking for self-contained. And that's the reason
356 for expanding the scope of the escape respirator concept to
357 include both the air-purifying type respirators as well as the
358 self-contained.

359 Which gets us to the concept paper, again, most of you
360 are familiar with the concept paper and the process that we've
361 been using to develop the standard which basically is the
362 concept paper. We first introduced the escape respirator
363 concept paper last August where we identified the framework
364 for the standard, a little bit about the categories and the
365 general ideas of what types of requirements should be
366 included. That has evolved through several iterations to the
367 point where we have the June 15th edition of the concept paper
368 which is going to form the basis for the meeting today. That
369 concept paper is organized into two parts. The first part
370 obviously addresses the air-purifying escape respirators and
371 the second is the self-contained escape respirators.

372 And rather than walk through the requirements in each of
373 those sections or each of those parts of the standard, I'll
374 just enumerate what the sections are and as the discussions
375 progress today, we will focus a little deeper into some of
376 these areas. But basically for part 1, we have the statement
377 of the goal which we reviewed today. We have the description
378 of the hazards categories. We have or section 3 addresses the
379 respirator use, escape only. Section 4 addresses the gas-life
380 testing, the 10 test representative agents, how they are
381 applied to the general and the specific categories. Section 5
382 addresses the environmental conditioning, the environmental

383 extremes that the respirator is going to be exposed to.
384 Section 6 identifies performance requirements and here we're
385 looking at like field of view and fogging and general
386 performance areas. Section 7 addresses design requirements
387 which for the escape respirator are not that extensive.
388 Basically, it's a hood-type escape respirator and I think
389 that's a good sign that the design-specific requirements are
390 not very extensive which means that the standard and the
391 evolution of the standard is very much oriented towards a
392 performance requirement. Finally, not finally, but section 8
393 addresses the applicable sections of 42CFR specifies the
394 appropriate sections there. Section 9 is service and main-
395 tenance. Section 10 is training. These areas require, as you
396 review the June 15th document, need to be some work done in
397 these areas, some additional effort spent and focusing on
398 those requirements, and finally, cautions, limitations, and
399 quality assurance requirements. So that's the layout for
400 part 1 - air purifying.

401 The part 2 of the concept paper addresses the self-
402 contained escape respirator and there we have basically five
403 sections. The first section is a general description of the
404 standard and the description of it. Section 2 identifies the
405 requirements and what we do here is we identify a three-tier
406 requirement for the self-contained unit and those three tiers

407 are covered by Sections 3, 4, and 5 which basically are the
408 first requirement is that it have a normal 42CFR approval as
409 an escape self-contained escape respirator. The second tier
410 of the requirement is section 4 which is what we're calling
411 the enhanced escape respirator requirements. These are the,
412 this is the area of the concept where we introduce the envi-
413 ronmental conditioning requirements for fogging, for field of
414 view, and requirements that are enhanced beyond the normal
415 requirements of 42CFR you have very applicable to escape
416 respirators and escape respirators for CBRN requirements. And
417 then finally section 5 is where we identify what the specific
418 CBRN requirements are and those really come down to two
419 primary requirements. The first one being the laboratory
420 respirator protection level testing which will be a focus of
421 the discussions today and then the chemical warfare live agent
422 testing requirements for the escape respirator are also cov-
423 ered in section 5. And the content of each of these sections
424 is identified in the June 15th edition of the concept paper and
425 will be the topics of discussion today. And with that, I'll
426 turn it over to Mr. Szalajda.

427 **JONATHAN SZALAJDA:** Good morning. As Les has mentioned,
428 we're going to cover a couple things in little less detail and
429 other areas, but we felt that there had been a few changes in
430 regard to the gas-life test requirements and we wanted to make

431 sure that you were aware of those changes as well as the
432 things that we've been consistent with the chemical warfare
433 agent testing for the air-purifying respirators. Just a
434 little bit of background, I think a lot of people have seen
435 this chart in other forms before, but basically we performed a
436 comprehensive review of various toxic industrial material data
437 list as part of the standards development program and con-
438 sulted with several different Government agencies in an effort
439 to try to identify potential materials that could be iden-
440 tified as respirable hazards to individuals and then identify
441 protection necessary for providing respiratory protection.
442 But as going through this review, we established, the emphasis
443 was to establish a list of toxic industrial materials and
444 chemical warfare agents that proposed or that presented a
445 respirable hazard to the individual and along with that we
446 came up with a list of . . . it varies from time to time but
447 it . . . we came up with a list of 170 potential respirable
448 hazards that would need to be addressed as part of providing
449 protection for the user. In an effort to try to reduce the
450 number of tests that are needed for certification, we took a
451 look at the different materials and categorized them into
452 agent families with the intent of identifying a test
453 representative agent to be conducted as part of the certifica-
454 tion test for each of the identified families and the way that

455 we broke the classification down was to work through identify-
456 ing the absorbents required to remove the hazard from the
457 breathing zone of the respirator wearer.

458 Where we ultimately ended up and initially this was
459 promoted as part of the gas mask standard, but the protections
460 that we are providing or providing the gas mask standard as
461 well as in the air-purifying escape respirator will protect
462 against 139 potential respirable hazards. We ultimately ended
463 up using vapor pressure as the single best indicator of the
464 ability to bond the challenge agent against the carbon used in
465 the filter. I think of note here and as far as the particu-
466 late family list includes a list of biological agents as well
467 as radiological and nuclear agents that we've published in
468 other forms. The complete list, the complete list of all
469 these chemicals are available and on our website. If you go
470 back to the initial June 2002 meeting, the list of chemicals
471 is available on that site.

472 In terms of the actual gas-life testing requirements,
473 there are a couple of factors that applicants should be aware
474 of. One is the identifying the test duration for the equip-
475 ment and the application that we've identified rating
476 intervals or duration intervals in 15-minute increments and
477 this will be specified by the applicant, the manufacturer, and
478 we will conduct the tests in accordance with the breakthrough

479 or at the test challenges and the breakthroughs that we've
480 identified to determine the capability of the item to meet
481 that requirement. In terms of the actual test itself, we'll
482 be conducting two tests: one at a lower humidity and one at a
483 higher humidity at relatively room temperature with a 64-liter
484 per minute flow rate and this is consistent with NIOSH's has
485 historically done with the industrial respirator testing
486 program. And also as a result of information that we've
487 received to the docket, there appears to be a need or a
488 concern over the capacity of these systems or any respirator
489 system at a higher flow rate so we've included a panic demand
490 requirement as part of the gas-life testing where we will
491 expect the respirator to provide a minimum service life of
492 5 minutes when we test at a 100 liters per minute.

493 In defining the test challenges for the respirator that
494 we ultimately ended up with a multiples of at least three
495 times the ideal H (phonetically) in determining the test
496 challenges. The breakthroughs that you see in the second
497 column are either set at one-half the permissible exposure
498 limit or at the American Industrial Hygiene Association's
499 Emergency Response Planning Guidelines and what these
500 guidelines are are the maximum concentration and air that
501 individuals can be exposed to for up to 1 hour without experi-
502 encing or developing irreversible health effects. Really the

503 intent in trying to set these, the challenge and breakthrough
504 up was to maintain a balance, a proper balance of requirements
505 for the filter to ensure that we can cover a broad range of
506 potential respirable hazards but yet still provide the
507 adequate protection to the user to the worker to be able to
508 exit from the site of an emergency where he would have to wear
509 one of these devices. As Les had mentioned, I think the area
510 which is new from the last time we were together in April was
511 with regard to identifying specific requirements in response
512 to some of the information and comments that we received
513 through the docket and also from stakeholders that we felt it
514 was important to delineate the requirements for the specific
515 category that there was a need to provide some structure to
516 identifying the test challenge requirements for the system
517 where we ultimately ended up is that we took a look at air
518 purifying, the gas mask standard and the test challenges for
519 the air-purifying escape respirator are based on the require-
520 ments on the gas mask standard. The only difference is in the
521 breakthrough values that were set and the breakthroughs are
522 consistent with what we set up in a specific category and the
523 one point I did want to try and make clear in determining the
524 specific category is that we felt based on the feedback we
525 received and the discussions that we've had internally with
526 the project team, we need to provide the general protection,

527 the across-the-board protection to the worker to the wearer of
528 the respirator in addressing all of the CBRN hazards that were
529 identified as part of the program and where we feel it's
530 advantageous with the specific category is that it gives the
531 leeway for the manufacturer for the applicant to go ahead and
532 identify certain chemicals that they may want to enhance to
533 provide additional protections whether it be ammonia or
534 formaldehyde or cyclohexane or a combination of where we can
535 enhance or manufacturer can enhance those certain test
536 representative agents to provide an additional capability and
537 that can be tailored towards a specific user community or a
538 specific user need.

539 We covered the benchmark testing. A lot of the benchmark
540 testing that we conducted in the April meeting and in summary
541 at least with the testing that was conducted, the benchmarking
542 of existing products performed fairly well. In terms of where
543 we saw shortfalls were in the areas of ammonia and nitrogen
544 dioxide and in part of addressing the ammonia concern we
545 looked at the, in setting up the original test matrix for the
546 benchmark testing, we used the initial concepts that we had
547 promoted for the test challenges and the test breakthroughs
548 which were more restrictive or more intense than what we
549 currently have specified. There may be some better per-
550 formance in with the commercially available products, but we

551 haven't re-evaluated them at the existing breakthrough con-
552 centrations. With the nitrogen dioxide, we were originally
553 sampling for NO and NO2 as is done with the gas mask standard,
554 but we consulted with toxicologists within NIOSH to try and
555 make a determination whether or not the amount of NO that
556 would come through the filter media would present a hazard to
557 the wearer then we were able to make a determination that the
558 amount of NO that would come through the filter during the
559 timeframe that the device would be worn would not be pre-
560 senting a respirable hazard so we were only sampling for NO2
561 in that test and that may make a difference in the ultimate
562 results. And again, this information, we do not have the
563 charts for the April meeting up on the website yet. We'll
564 probably have them up at the same time that we get the charts
565 up for today's presentations on the site and the benchmark
566 data will be available through the website.

567 To move to another topic in brief, we discussed the
568 chemical warfare agent testing requirements at the April
569 meeting for the air-purifying escape respirator. Those
570 requirements have not changed. These are consistent with what
571 was previously presented as well as what's currently being
572 done for the gas mask standard. And likewise this is still a
573 requirement for the sulfur mustard test. And with that, I'll

574 open up if there are any questions specific to the gas-life
575 requirements for the chemical warfare agent requirements.

576 **WILLIAM NEWCOMB:** Bill Newcomb, North Safety Products, is
577 it the intention that these escape respirators could be
578 approved for specifics at a different time than general, for
579 instance, a 15-minute general, a 30-minute specific or vice
580 versa?

581 **JONATHAN SZALAJDA:** I think . . . I'm not sure I under-
582 stand your question. All the general requirements have to be
583 met for the, that the manufacturer specific either 15, 30, or
584 whatever identified rating period and that's what will test
585 to. If you wanted to provide an enhanced capacity for the
586 general respirator, we would expect you to submit . . . if you
587 pick ammonia, you want to provide enhanced ammonia protection
588 that we would test at those specified concentrations for the
589 manufacturers, the applicants identified duration.

590 **WILLIAM NEWCOMB:** The question really . . . if you look
591 at the concentrations the contaminants, if you had a 30-minute
592 general, you would probably have a 15-minute specific on each
593 of the specifics.

594 **JONATHAN SZALAJDA:** Okay now I think I understand your
595 question now. We would probably have to evaluate that in
596 terms of the actual requirement if you wanted to specify that
597 you wanted to a joint approval as a general and a specific

598 application and then we would need to do the gas-life testing
599 for the specific requirement.

600 **WILLIAM NEWCOMB:** I just think it would be confusing to
601 the users.

602 **JONATHAN SZALAJDA:** Okay, that's a good point.

603 **UNKNOWN:** If I understand your question, you're talking
604 about the duration of use versus the general category and the
605 specific category so you may want to increase a specific
606 category but you're saying would that change the timeframe if
607 you had enough capability in the cartridge to say 30 minutes
608 for a specific application and 15 minutes for a general. I
609 also think it would be very confusing to have different
610 timeframes on the cartridge and we will have a discussion
611 about it and invite your comments for the docket, but it does
612 seem like as though each application should be for a stan-
613 dardized timeframe. Users are not going to be standing around
614 thinking how long they have protection for one agent versus
615 the next one. They won't know it's there, but we would like
616 your comments for the docket and we will debate that in house.
617 Thanks.

618 **MIKE KAY:** Good morning. Mike Kay, Ocenco Incorporated.
619 42CFR allows for multiple durations below 15 minutes above
620 60 minutes. Why break these down into 15-minute increments?
621 What's the rationale for that?

622 **JONATHAN SZALAJDA:** Part of our evaluation, we looked at
623 that comment earlier. We really didn't see that for this type
624 of device not really knowing where the escape respirator could
625 be used for a larger building, a multi-story building in a
626 large complex in terms of the person escaping from a potential
627 event having a specific time requirement to get from one spot
628 to another. We didn't really see it being advantageous to
629 have a 3-, 5-, 8-minute interval for the (inaudible) capacity
630 of the respirator and given the potential . . . one of the
631 potential applications for use and not knowing exactly where
632 the systems were going to be or going to be used or be placed
633 that having that extra capacity we felt was important.

634 **MIKE KAY:** Well if it's a CBRN event or a non-CBRN event,
635 the user doesn't know that they would purchase an apparatus of
636 any duration. Again, why would a CBRN event require a
637 15-minute escape when a non-CBRN event may . . . you could
638 have a 10-minute, 5-minute, you could have a greater than
639 60-minute respirator. You seem to draw a distinction between
640 a CBRN and a non-CBRN event.

641 **JONATHAN SZALAJDA:** These respirators are designed in
642 response to an event of terrorism. Now the intent is to
643 provide protection for the workers in a terrorism event where
644 a CBRN which could be a tech/bio/rad/nuke type of device could
645 be used. I think if you were looking at taking the device and

646 having it approved for another application, an industrial-type
647 application, our existing NIOSH requirements in place to take
648 those devices for specific hazards and provide protection in
649 relation to where an event has been categorized but we're
650 dealing in developing of the CBRN standard. We're dealing
651 with unknown, uncontrolled, unquantified types of events where
652 we're trying to develop a and provide a balance of capacity in
653 what the respirator can provide.

654 **LARS RONNER:** Lars Ronner from Sundstrom Safety, why is
655 not any requirement for carbon monoxide for specific category?

656 **JONATHAN SZALAJDA:** Oh thank you, that is a good point.
657 We, um, it didn't, it wasn't captured on the chart. There
658 will be a requirement for carbon monoxide identified. I think
659 it's identified in the concept paper, but that will be an
660 option for the manufacturer to do to submit a piece of
661 equipment that provides carbon monoxide protection. That will
662 be included as part of the specific category.

663 **BODO HEINS:** Bodo Heins from Draeger, in your intro-
664 duction you showed that for the high category it has to be in
665 a self-contained breathing apparatus and for specific and
666 general air-purifying, what's that mean that we cannot get
667 approved and unit oxygen supply for specific?

668 **JONATHAN SZALAJDA:** Well for the self-contained unit
669 which we'll be addressing in greater detail this afternoon,

670 you know you're dealing with a supplied area, some sort of
671 oxygen source type system. There are no gas-life requirements
672 associated with that. There's no filter with those types of
673 systems. What we're looking at in terms of the higher concen-
674 trations are dealing and identifying the requirements are
675 dealing with the potential of credible events that we iden-
676 tified as part of the SCBA program as with the initial
677 modeling that we did in conjunction with the Army and
678 identifying the tests that would be required for the system to
679 resist the chemical warfare agent penetration and permeation
680 and provide adequate protection for a person in a high
681 concentration type environment.

682 **WILLIAM NEWCOMB:** Bill Newcomb, North Safety Products,
683 when we're talking about carbon monoxide as an option, carbon
684 monoxide is usually associated with a product combustion. Yet
685 the flammability requirements are not optional. Well I don't
686 think that an escape respirator should be made out of a
687 flammable material. I'm wondering if the requirement that's
688 in there for flammability is a little stringent for the appli-
689 cation. Not talking about is something that's specifically
690 designed for escape from a fire.

691 **JONATHAN SZALAJDA:** Thank you for bringing that point up
692 too with the carbon monoxide requirement there'd also be a
693 requirement if you choose to provide protection for carbon

694 monoxide, there's also a flammability requirement associated
695 with that and for the flammability requirement we are using an
696 existing EN standard. I believe it's EN136 to conduct that
697 test. If there are alternate types of tests that we feel we
698 should consider, we would welcome you know to bring those to
699 our attention.

700 **KAREN NELSON:** Karen Nelson, Safety Matters Agent for the
701 Phoenix Protective Hood, I wanted one question. Should the
702 concept for the CBRN escape respirator standard contain any
703 suggestions regarding weight and dimensions of this escape
704 hood. Also, the 3,500 ppm carbon monoxide requirement, I'm,
705 why did they find concentrations so high in something that
706 could be like a 15-minute escape respirator. It takes, I mean
707 that's, it just seems high to me. I've been in a lot of test
708 chambers. It took us a long time to get it up to 1,200 ppm in
709 a small room contained when we were monitoring it so I can't
710 imagine if you were leaving an area where there was a carbon
711 monoxide, a fire say that you would encounter concentrations
712 that high.

713 **JONATHAN SZALAJDA:** Okay, thank you. I guess like with
714 all the other requirements, we try to base the carbon monoxide
715 challenge and the breakthroughs based on either a multiple of
716 the ideal H (phonetically) or the permissible exposure level
717 for the breakthrough or the Industrial Hygiene Association's

718 Emergency Response Planning Guidelines and we've tried to use
719 those numbers consistently throughout the identification of
720 the requirements for the testing and if we feel there are
721 other values that are appropriate, we welcome your comments on
722 that as well. I missed your first question.

723 **KAREN NELSON:** Regarding suggestions regarding size and
724 dimension. I'm assuming that an escape respirator even
725 though, of course you want CBRN capabilities that this would
726 be something that we can use in a much more likely event that
727 any civilian anywhere in the country would encounter a fire,
728 an ammonia spill, or industrial accident.

729 **JONATHAN SZALAJDA:** Oh, okay I guess just for the docket
730 in case anybody missed it. The comment is related to the size
731 and weight of the units and it has been one of the considera-
732 tions that we've been in considering or one of the topics that
733 we've been considering as part of the evaluation of the stan-
734 dard and you know while we feel we're getting closer to having
735 the goal, we haven't fully sat down and discussed size and
736 weight considerations and we'll make a determination between
737 now and the next release of the concept paper with that
738 requirement.

739 **WILLIAM NEWCOMB:** Bill Newcomb again, I was looking at
740 the June 15th draft where it indicates all specific and general
741 hoods would be subjected to the flammability test whereas the

742 previous draft limited to those with carbon monoxide. So I'm
743 a little confused with your answer to me.

744 **JONATHAN SZALAJDA:** Okay, the intent is if you have the
745 carbon monoxide requirement, then we would do the flammability
746 test for the air-purifying respirator.

747 **WILLIAM NEWCOMB:** Thank you. One of the, there is an EN
748 standard for hoods, flammability as well that I wanted to
749 point out. I'd also like to address the last commenter that
750 the weight and size are market driven. If the product fits
751 the panel that NIOSH is requiring it to fit, then it should
752 let the market drive things like weight. Those are design
753 constraints and not performance requirements. Thank you.

754 **JONATHAN SZALAJDA:** Okay Rich?

755 **RICHARD METZLER:** Rich Metzler, NIOSH, I do want to
756 respond to the size issue. Size is more important and some of
757 it does need to be in the form of the standard and you did
758 mention testing or passing the fit test. In our benchmark
759 testing, we found that some of the respirators that we tested
760 and we tested only three of what we thought were the best
761 among those on the market from three reputable companies and
762 what we found out was size does matter. Some of the neck dams
763 do choke individuals. Some of the size of the hoods do not
764 allow for the internal nose cup to properly be seated on a
765 face. Size matters and it will end up in our standard.

766 **JAY PARKER:** Jay Parker with the Bullard Company just to
767 amplify what Bill was saying. I also think we should use the
768 EN standard for hoods for flammability which I did mention
769 back in the April meeting. It's EN 270. Also on the service
770 life testing, you know that can be affected by breathing back
771 through the filter or cartridge. Is there a requirement to
772 have inhalation and exhalation valves on these units because
773 some of them may have integral type?

774 **JONATHAN SZALAJDA:** Yeah, there's a breathing resistance
775 requirement in the concept paper for both inhalation and
776 exhalation.

777 **JAY PARKER:** But that doesn't mean . . .

778 **JONATHAN SZALAJDA:** That doesn't require a valve, right

779 **JAY PARKER:** So there could be a unit that doesn't have
780 exhalation or inhalation valve?

781 **JONATHAN SZALAJDA:** Right. Having a valve isn't required.

782 **JAY PARKER:** Thank you.

783 **LARS RONNER:** Lars Ronner, Sundstrom Safety, again.
784 Talking about the flammability tests, the European standard
785 136 contains two flammability tests. One test with a single
786 burner with at 800 °C; six-burner test at 950 °C. The only
787 reason for the six-burner test is that the fact the full-face
788 mask are used together with an SCBA breathing apparatus.

789 Could you explain the reason to have a six-burner at 800 °C
790 which do not exist in the European standards?

791 **JONATHAN SZALAJDA:** Yeah, I guess the one thing that I
792 don't know if it came out in the concept paper, we were
793 looking at doing a single-burner test not a six-burner.

794 **LARS RONNER:** You're talking about a single burner?

795 **JONATHAN SZALAJDA:** Yes.

796 **LARS RONNER:** Thanks.

797 **JONATHAN SZALAJDA:** I'm glad I got the ball rolling this
798 morning.

799 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA, (inaudible)
800 sizes, couldn't sizes be dealt with through the test panel so
801 it doesn't have to be sizes saying it has to be this size or
802 this size? It is spread over a test panel which means you
803 take away the size restrictions.

804 **LES BOORD:** If I could, I think the issue on sizing and
805 so forth perhaps gets into our next discussion. So maybe we
806 could sort of defer that a little bit.

807 **UNKNOWN SPEAKER:** I want to make a comment on the
808 inhalation and exhalation valve. While the concept paper
809 itself doesn't specify the need for either of the two valves,
810 it is very much performance driven which will become obvious
811 when we start talking about the breathing gas control. Okay,

812 I mean it is, the factors are breathing gas control and then
813 obviously resistance.

814 **BODO HEINS:** Bodo Heins from Draeger, when I read the new
815 draft and came to the part 2, I got the impression that the
816 hood connector for the respirators only required for the
817 height category. Is it right or I guess a hood required for
818 the whole escape?

819 **JONATHAN SZALAJDA:** Yeah, there's a hood required for
820 each class of respirator, but the air-purifying is self
821 contained.

822 **LES BOORD:** The next area that we want to talk about is
823 the LRPL, laboratory respiratory protection level, requirement
824 and Mike Bergman is going to present to you some of the
825 details of the work that has been done in this area since our
826 last meeting in April, but before we get into those details,
827 I'd like to just go over a few things relative to the require-
828 ment. In my estimation and I think I probably mentioned this
829 at the April meeting. I think that the LRPL is probably the
830 most difficult part of the escape respirator standard and the
831 reason is that we're talking about defining and applying
832 anthropometrics data from anthropometrics that really has not
833 been brought together in a requirement criteria previously.
834 So we're looking about identifying the anthropometrics that
835 are critical and of important to ensuring that you have a hood

836 that is properly fits, properly fits the test subject. So
837 we're talking about the parameters, the anthropometric parame-
838 ters of certainly head size, neck size, circumference of the
839 neck, but in addition we find a lot of variation in the escape
840 respirators. Some of them have inner masks, so the inner mask
841 needs to be considered which means you need to somehow factor
842 in the face length and face width which we're all familiar
843 with from our previous work with the Los Alamos panel and full
844 facepiece respirator fit testing, but the difficulty is that
845 on a hood, you have all these things at one time. You can
846 have an inner mask, so face length/face width are important,
847 but you have a hood so that the neck circumference is impor-
848 tant, but by the same token, the hood needs to go over the
849 head. So you have this inner play of all these different
850 variables and dimensions that come into the equation here for
851 trying to determine how we can properly evaluate hoods and
852 sizes of hoods. So I think it's very complex. Some of the
853 information that you're going to see today will sort of
854 identify to you the logic and the thought process that we've
855 applied to come to the concept that's identified in the
856 June 15th addition of the panel. One of the key things that we
857 found in our testing in our breathing gas testing, the two
858 kind of merge here, okay is as Rich mentioned, we found a lot
859 of human interface issues, let's say, associated with using

860 hood-type escape respirators and those issues those human
861 interface issues are indeed and can be and appear to be size
862 dependent. So we have the aspects of tightness on the neck,
863 fitting over the head, fitting a nose cup to the facepiece,
864 and how that's done effectively. Our directions and our
865 concepts are in the June 15th concept paper where we identify a
866 test panel. The test panel does I think for the first time
867 actually try to take, it does take a step to identify criteria
868 for small, medium, and large and also a tool or mechanism for
869 relating the parameters (face length, face width to neck
870 circumference) and how we are proposing to approach that and
871 evaluating hoods. So with that, I'd like Mike to come to the
872 microphone and Mike's going to walk through some of the
873 analysis that he's done that's been used to construct the
874 concept the way it's identified in the June 15th paper.

875 **MIKE BERGMAN:** Thank you and I'd like to start out by
876 thanking our partners at SBCCOM for their help and their
877 consultation on this concept and also like to thank the panel
878 members here and others in NIOSH who have helped with this
879 concept.

880 The purpose of the LRPL is to establish a bench-mark for
881 performance in the laboratory for protection. It's not
882 intended as an indication of protection for an actual escape
883 scenario. The challenge we're up against here is that the

884 data on actually fitting hoods and response to anthropometric
885 parameters is limited and again we're trying to bring together
886 all of these anthropometric parameters (head circumference,
887 neck circumference, face length and width). We still require
888 a review of the data on the distribution of population in
889 response with these parameters. The (inaudible) the challenge
890 aerosol criteria remains the same. It's a 20 to 40 milligram
891 per cubic meter corn oil aerosol challenge with a .4 to
892 .6 micrometer mesh median aerodynamic diameter. We believe
893 that the option for multiple hood sizes is important for the
894 user to select the best fitting hood and we've seen that the
895 problems with the human interface if the neck seal is too
896 tight, it's uncomfortable. Also the inability to fit the head
897 through the neck seal and we want to ensure that if the unit
898 has an inner nose cup that it fits properly and also if
899 there's an interior head harness, it's important that it fits
900 correctly to ensure that there's a proper fitting of a nose
901 cup or interface cup seal. And the one-size-fits-all option
902 is also available.

903 The anthropometric parameters that are considered in this
904 concept are the neck circumference, head circumference, face
905 length and as an addition now the face width. There are two
906 LRPL values: the breathing zone LRPL which will remain at
907 2,000 and now the addition of the under-the-hood LRPL which is

908 simple location under the hood but outside of the breathing
909 zone and I'll get to the rationale for that. We believe that
910 the 2,000 LRPL and the breathing zone is consistent with the
911 current hood technology and I have some data from SBCCOM that
912 will show that it's possible.

913 What you see here is a chart of six hoods labeled A
914 through F. This is LRPL testing from SBCCOM. What's impor-
915 tant here is the past percent at 2,000 which is a cell in the
916 first row. That indicates the percentage of trials for each
917 hood that is at least 2,000. It could be 2,000 or greater.
918 What you see here from these 6 hoods there is only 1 that had
919 a past percent of 2,000 that is greater than 95% although
920 there are 4 hoods that are in the low 80s and approaching 95%
921 so we see that it is possible.

922 The rationale for the under-the-hood LRPL is we want to
923 protect users from an impairment of the vision due to expo-
924 sure. It is based on a percutaneous ECT50, an effective dose
925 for GB and with that effective dose it is possible to have a
926 slight reduction in vision, eye injury, and the pupils react-
927 ing weakly to light.

928 Further discussion on that, it is based on the percuta-
929 neous limits for GB. The LCT50 of 10,000 which is the median
930 lethal dosage and the ECT50 of 1,200 CT which where a user
931 could experience mild visual effects and so we come up with a

932 15 by dividing the outside CT of 10,000 which is the challenge
933 CT by 1,200 CT and we arrive at approximately 15. We multiply
934 that by a safety factor and arrive at 150. The rationale for
935 the size ranges come from a published study in the Department
936 of Defense Military Handbook. The author is Gordon and it is
937 a 1988 Anthropometric Survey of U.S. Army Personnel. The
938 ranges from that set of data covered the 5th percentile through
939 the 95th percentiles for both men and women. That is for head
940 circumference and neck circumference. For size in the face
941 length and width, that's adopted from the Los Alamos panel
942 which is also the criteria that we have for the CBRN, SCBA,
943 and air-purifying standards that are currently passed.

944 This is from the Gordon study of military personnel and
945 what I have here is a chart with the 5th, 50th, and 95th per-
946 centiles for men and women with their neck circumference and
947 head circumference. This is a graph of the percentiles of
948 neck circumference and what we see here is an overlap of the
949 ranges for the medium size hood of neck circumference range
950 for the women and the men. For the head circumference, we are
951 currently only looking at the large head size which is from
952 the 50th through the 95th percentile of men which also covers
953 the top of the population for the women. This is the subject
954 matrix that we have arrived at the columns, small, medium, and
955 large. If, for example, it's a three-size model, the small

956 size would have to meet all the criteria for the small column,
957 the medium size for the medium column, and the large for the
958 large column. If it's a one-size-fits-all model, it would
959 have to meet separately the criteria for the small and the
960 medium and the large. For selecting the panel, it's possible
961 that, for example, cell A for the small that is face length
962 and face width, if you select subjects for that cell and those
963 subjects also meet the criteria for the neck circumference for
964 the small cell C, you can use those subjects simultaneously
965 tested for the criteria of that cell. And again, for the head
966 circumference, currently we're not looking at the criteria for
967 the small and the medium sizes. There's a change in this
968 slide from what's printed in the handout of the concept and
969 that is in cell H, the large circumference, the change is now
970 568 millimeters. It was 569 and the reason for changing that
971 is to include from the 50th percentile man head circumference
972 at 568.

973 Here's an example of the requirements for simultaneously
974 including subjects. If it's a large hood, for example, and
975 there are no overlapping parameters for those subjects, you
976 would have a total for the large size 31 subjects. That's
977 11 subjects from the face length and width cell, 10 from the
978 head circumference cell, and another 10 from the neck
979 circumference cell. If you select your 11 subjects for the

980 face length and width cell and if 10 of those subjects also
981 meet their requirements for head circumference, they can be
982 tested simultaneously with those same subjects, but if they do
983 not meet the requirements for cell I for neck circumference,
984 you would have to recruit 10 more subjects for that cell.

985 And we now have a slide here. It's a chart of the mini-
986 mum and maximum subject requirements, subjects required for
987 testing. If it's a, for example, three-size unit (small,
988 medium, or large), then you would have to find subjects for
989 those cells. If it's a one-size-fits-all unit, then you'd
990 have a minimum and maximum subject number as well. That's all
991 and we will welcome your comments and questions.

992 **WILLIAM NEWCOMB:** Bill Newcomb, North Safety, how does
993 NIOSH intend to address the subjective things like the fact
994 that the neck seal is choking someone?

995 **LES BOORD:** Good question, what we intend to do is
996 introduce a practical performance requirement that will be
997 part of the evaluation of the respirators and the issues that
998 we'll talk about a little bit later that became significantly
999 important in our testing will be used to evaluate and estab-
1000 lish those practical performance evaluations.

1001 **WILLIAM NEWCOMB:** Thank you.

1002 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA, did I
1003 understand you right? We're talking about three sizes only?
1004 Is that what we're talking about here?

1005 **LES BOORD:** We have the ability with the anthropometric
1006 panel that we've identified. We segmented it into three
1007 sizes, correct.

1008 **GÖRAN BERNDTSSON:** We, as you know, we're working a lot
1009 on this particular part in the eye system*, but then, of
1010 course, you have the same problem in the United States as we
1011 have in the world. We will have a big mixture of Asian and
1012 other types of ethnic groups and they could have big heads and
1013 small noses so big heads and small faces and this is a . . . I
1014 would assume that you would like to have three sizes on the
1015 hood and the neck. That where you have three sizes on the
1016 inner masks so you can go up to six and nine sizes.

1017 **LES BOORD:** Well there are three . . . You have that
1018 capability within the panel that we've identified because you
1019 do have the face width and face length considered but then you
1020 also have the uniquely or you have the neck diameter as well
1021 as the head circumference to consider into the equation as
1022 well. The problem and the problem that we see is that we're
1023 talking in some cases it's like apples and oranges. You don't
1024 know the relationship between this and this so our approach is
1025 that you do see and you do expect that there will be overlap

1026 between those, but we don't expect it 100% of the time. And
1027 in those cases, then you need . . . according to the concept
1028 that we've identified, you need to uniquely look at those
1029 parameters that don't overlap.

1030 **GÖRAN BERNDTSSON:** Just for your information, we got hold
1031 of a publication that was done by the U.K. government who has
1032 actually a very comprehensive face sizes, neck sizes, head
1033 sizes on the very broad population taken out from the number
1034 of surveys around the world. May be we should have a look at
1035 that.

1036 **LES BOORD:** Yes, we would certainly be interested in
1037 looking at that anthropometric data. Thank you.

1038 **RICH STEIN:** Rich Stein from QPS, I have a question about
1039 the protection factor of 2,000. For example, you showed that
1040 six hoods had been tested and that one barely made the 95th
1041 percentile level. Has anyone done any testing on repeat of
1042 those hoods because there was wide variation and person-to-
1043 person protection factor testing? One of the things that I'm
1044 concerned about at 2,000 is that's about as high a number as
1045 I've ever seen on any product anywhere. The military which
1046 has five sizes and a very limited sized population has a 1,667
1047 and one of the things from a practical matter is that you
1048 could pass the test today. Let's say you had 20 subjects and
1049 you tested that same 20 subjects 6 months later on a QA audit

1050 and one of them fails which is not unusual because they can
1051 either pass or fail it at 2,000 on any given day and now
1052 you've got units in the field and what do you do about that?

1053 **LES BOORD:** So, well . . .

1054 **RICH STEIN:** Let me just continue, Les, a second. One of
1055 the things that you showed here is why you've had a PF
1056 requirement of 150 in the hood and you showed you wanted a
1057 certain margin of safety what at 10,000 CT, etc. Have you got
1058 a slide equivalent to that showing where you found and what
1059 was the rationale for the 2,000?

1060 **LES BOORD:** First of all the 2,000 is the same level of
1061 protection that we've identified or the same level of per-
1062 formance that we've identified in the full facepiece gas mask
1063 analysis and that analysis does have a rationale that produces
1064 the 2,000 number, okay, and it's based on a number of dif-
1065 ferent variables and I can get that information for you, but
1066 secondly, I wanted to comment on the data that was illustrated
1067 relative to the testing that's been performed and the level of
1068 protection the 2,000 performance level for the ABC whatever it
1069 was, 6 different respirators. The thing that you need to keep
1070 in mind there is that those respirators were not necessarily
1071 designed, at least not to my knowledge, designed against a
1072 specific-size criteria. What we've done in our concept is
1073 defined requirements for what those size criteria would be.

1074 The fact that that one indicated a greater than 2,000, 95.7, I
1075 think, greater than 2,000 was a design that was covering the
1076 range, okay. I think when you focus on size, if your seal is
1077 indeed achieved by the neck dam. I think when you focus on
1078 size, the design capability is there to achieve the numbers.

1079 **MARY TOWNSEND:** I'm Mary Townsend. I'm adjunct at the
1080 University of Pittsburgh and I have a comment related to this
1081 man's comment about the general population and that is did you
1082 inquire whether the National Center for Health Statistics in
1083 Haines, the National Health and Nutrition Examination Survey
1084 that was conducted across the entire U.S. population, sampled
1085 heavily Caucasian, Hispanic, African-American, did they do
1086 this kind? They measured lots of things. I know lung func-
1087 tion I'm especially familiar with, but did they measure head
1088 size and things like that. It was just in the late, early
1089 nineties I think.

1090 **LES BOORD:** To answer your question, I cannot answer it
1091 specifically relative to the cite of reference that you made,
1092 but I can answer in general that we did research potential
1093 sources for the anthropometric data because we were very keen
1094 on trying to find what the variables were and really what we
1095 wanted to try to do was connect them. We wanted to try to
1096 find out perhaps what those relationships were and we have
1097 been unable to do that to our satisfaction at this point.

1098 **MARY TOWNSEND:** I'll check and see whether any . . .

1099 **LES BOORD:** That would be great.

1100 **MARY TOWNSEND:** I forgot about that too.

1101 **LES BOORD:** And anybody, we would certainly welcome any
1102 anthropometric information that is available to make that
1103 known to us. Any other questions?

1104 So you can see my opening remarks. They say that the
1105 LRPL is I think one of the most difficult and challenging
1106 aspects of the escape respirator standard because, just
1107 because of these variables and the lack of scientific infor-
1108 mation, technical information, connecting and establishing
1109 those relationships. We have done, as you've seen, quite a
1110 bit of work to analyze existing data and try to form it into
1111 an approach to define a requirement. We are obviously
1112 breaking new ground in defining the panel and in defining the
1113 way the panel will be applied to testing a performance
1114 requirement.

1115 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA again, last
1116 question, are you going to adjust the sizes in the heads of
1117 smart man to accommodate these different neck sizes and so
1118 forth.

1119 **LES BOORD:** Good question, but the smart man, you need to
1120 keep in mind that the smart man testing requirement is focused
1121 on a different performance. When we do the smart man testing,

1122 we're not looking at the seal of the respirator or the inner
1123 face between the respirator and the mannequin. We're looking
1124 at the performance of the respirator and the functioning of
1125 the materials in that chemical warfare environment.

1126 **GÖRAN BERNDTSSON:** That's true, but it's relied on the
1127 inner mask sealing on the face. If you have a bit of leakage
1128 on the inner mask on the smart man, you will create a negative
1129 pressure inside the hood and if you don't have a good tight
1130 seal around your neck, you will have leakage into the hood
1131 then. That's not because it doesn't work too well, yes, but
1132 it always doesn't work on the smart man.

1133 **LES BOORD:** And that is as well a good comment, but the
1134 smart . . . and to perhaps take it another step, I think the
1135 smart man is available in multiple sizes. There are small,
1136 medium, and large smart man for that type of situation. That
1137 I need to defer to our SBCCOM partners including the net.
1138 Yes.

1139 **UNKNOWN ZONG:** This is (inaudible) Zong from NIOSH also.
1140 I just want to let everyone here know that our project is
1141 going well. We have measure almost like 4,000 worker rates so
1142 far by (inaudible) height and weight and lately we also had
1143 another dimension to measure the neck size. Also as soon as I
1144 let them know that, we need to consider that dimension, but we
1145 do have the head circumferences and the other things that I

1146 mentioned so our data correction is expected to finish by the
1147 end of the month and then we'll look at the data, analyze the
1148 data so by that time if we see, yes, a significant differences
1149 we'll revise the panel and we'll incorporate that into the new
1150 standard.

1151 **LES BOORD:** Thank you Dr. (inaudible). I forgot to
1152 mention that we have been working with Dr. Z in establishing
1153 the parameters that we talked about today. Just one other
1154 thing I would like to, two things that I would like to cover.
1155 One I'd like to backtrack a little bit, back to the comment of
1156 size and practical performance. I think you can see the
1157 direction that we're going. Okay, we do see that the sizing
1158 of the respirator and the human interface is an important
1159 criteria and we need to be able to address that, but secondly
1160 I would like to talk about the size and weight in terms of
1161 perhaps the package and the envelope and that's where I think
1162 that . . . So I think that we have two different topics on
1163 size and weight and I think the size and weight can't fall
1164 into the design requirement as opposed to a performance
1165 requirement, okay, of the package and I think in my opening
1166 remarks as I mentioned, the design requirements that we have
1167 identified thus far are kind of minor or kind of minimal not
1168 minor but minimal and I think that's probably good because
1169 that indicates we're achieving the performance that we want or

1170 the operation we want through performance requirements. With
1171 that, we're going to . . . we're running a little bit behind,
1172 but we're going to take a 15-minute break.

1173 Okay, if we're ready to resume . . . Continuing on with
1174 the requirements and the areas of the requirements that we've
1175 looked at since the April meeting gets us to the topic of
1176 breathing gas control and to start this discussion what I'd
1177 like to say is that the definition that's in the June 15th
1178 concept paper is actually a little confusing because it's the
1179 blend of two previous, two previous contests and it wasn't
1180 quite, it didn't come out quite the way we wanted it to in the
1181 June 15th edition. So what I want to do at the beginning here
1182 is identify what that requirement is and then I want to talk
1183 to you a little bit about how we get to the point to identify
1184 that requirement and then we'll take some questions.

1185 But basically the concept for breathing gas control and
1186 we're talking about carbon dioxide and oxygen in the breathing
1187 zone for the respirator. The concept requirement is that for
1188 carbon dioxide to maximum average inhaled concentration of
1189 2½%. The 2½% is actually a 42 CFR, Part 84 requirement iden-
1190 tified for self-contained breathing apparatus so that is the
1191 maximum and actually it's a sliding scale. If you're familiar
1192 with 42 CFR, it depends on the duration of the device. I
1193 think for less than 30 minutes it's 2½%. For 30 minutes to

1194 60 minutes, I believe it's 2% and then it continuously changes
1195 with the duration of the unit. That is the requirement that
1196 we are invoking for or attempting to use for CO2 so the maxi-
1197 mum is 2½%. The oxygen the minimum inhaled oxygen concentra-
1198 tion is 19½% and again that's identified in 42 CFR, Part 84.
1199 The way we intend on establishing conformance with that
1200 requirement is through human subject testing. Okay, so to
1201 establish and evaluate CO2 and O2 breathing gas performance,
1202 we will test it using human subjects. The criteria will be is
1203 that we will have two different weight categories that we look
1204 at: first one greater than or equal to 80 kilograms and then
1205 less than or equal to 60 kilograms. And the test subjects
1206 will wear the respirator for the duration, rate of duration of
1207 the unit and we'll have three levels of activities: standing,
1208 walking at 2.5 miles per hour on a treadmill, and walking at
1209 3.5 miles per hour on the treadmill. That's the requirement
1210 the way it will be editorially revised in the next edition of
1211 the concept.

1212 Now, how did we get there? In the last meeting in April,
1213 we reported on testing that we've done relative to evaluating
1214 breathing gas control. The bench-mark testing that we dis-
1215 cussed I think to some degree at that meeting was the bench-
1216 mark testing involving a metabolic simulator and this testing
1217 involved the escape respirators, various escape respirators at

1218 six different work rates as illustrated in the overhead there.
1219 We had a low work rate of established at approximately
1220 .5 liters per minute oxygen consumption and then varying at
1221 half liter increments, oxygen consumption up to the high work
1222 rate of 3 liters per minute oxygen consumption. Again, the
1223 bench-mark testing was performed on commercially available
1224 escape sets. We performed multiple metabolic simulator tests
1225 using each respirator and the results of those tests were that
1226 we observed carbon dioxide levels that exceeded 4%. That was
1227 common. In fact we had levels I think that went as high as
1228 perhaps 8%. On the oxygen concentrations, we likewise mea-
1229 sured levels of oxygen that were considerably less than 19.5%.
1230 I think in some instances it even went down to under 10%. So
1231 when we looked at the metabolic simulator data, we obviously
1232 had some concerns relative to what the requirements should be
1233 and what was the best way to achieve and establish conformance
1234 with those requirements. So what we did was we embarked on
1235 the second part of that bench-mark testing which is what we
1236 called human subject tests. And to do that we performed human
1237 subject testing using seven different test subjects: four
1238 men, three women and we had the tests performed at the work
1239 rates, three work rates: standing, treadmill 2.5, and
1240 treadmill at 3.5 miles per hour. The results of this testing
1241 were that we saw carbon dioxide levels as high as 5.5% and

1242 that would be a maximum average inhaled carbon dioxide concen-
1243 tration and we saw oxygen concentrations that were down as low
1244 as 14.8% minimum average inhaled concentration. Now both of
1245 these values obviously exceed what the requirements that we
1246 have identified from 42 CFR and that we used for other testing
1247 of other respirators so both exceed those requirements. But
1248 the question is: what's the physiological consequences?

1249 The next chart that you see is going to be overpowering
1250 for you, okay, but there's help. The chart that's on the
1251 bulletin board is what this is replicated from and the key
1252 values there, basically, this, to break this down a little
1253 bit, this shows test results from three different respirators
1254 using seven different test subjects at the three levels of
1255 work that we discussed. The areas highlighted in the blue are
1256 the areas where we experienced and had actual measurements
1257 that were reflective of the numbers that I mentioned: 5.5%
1258 CO2 and 14% oxygen. So I don't want to go into the chart
1259 during this discussion because I can't read it. So I'm sure
1260 you can't read it, but it is on the poster illustrated in the
1261 corner of the room and I think during the breaks Mike will be
1262 available and will be available to answer any questions that
1263 you may have relative to that.

1264 Other observations that we had during the bench-mark
1265 testing and as I think was already mentioned, we did observe a

1266 number of human factors, human subject interface issues and
1267 these were, ranged throughout the comments that are identified
1268 here. We had quite a few comments relative to the degree of
1269 tightness of the neck seal. Hooded respirators primarily
1270 achieved a seal using a elastic neck membrane. Types of com-
1271 ments we had: neck constriction, sensation of strangulation.
1272 And in some instances, we had people who just couldn't com-
1273 plete testing because of that. Other instances we had:
1274 people that had negative reactions to wearing and breathing
1275 through mouth bits and mouth pieces and interfaces between the
1276 breathing zone and the mouth and some individuals expelled
1277 mouth bits and so forth. In still other test subjects had
1278 difficulties donning the respirator, actually being able to
1279 physically open the neck seal, the neck dam, and stretch it
1280 over the head. So these are the types of requirements that we
1281 observed during the testing illustrated on the poster and
1282 these will be factored into practical performance evaluations
1283 for the escape respirators. So at that point, basically,
1284 we've dropped back to the 42 CFR criteria for carbon dioxide
1285 as I stated at the beginning. We will set the CO2 require-
1286 ments at 2.5% maximum and with a sliding scale so if it's a
1287 long duration unit, the CO2 will go and follow the tabulation
1288 that's identified 42 CFR and the oxygen concentrations at

1289 19.5%. At this point, I think we can open it up for any
1290 discussions.

1291 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA, I don't
1292 know if I misunderstood or was asleep here, but you said
1293 you're going to test over 80 kilo and under 60 and you
1294 classified that as two classes. What do you for the people
1295 between 60 and 80?

1296 **LES BOORD:** What we've observed in the testing? We
1297 actually have, the situation that you have relative to mea-
1298 suring the CO₂ and the O₂ is the ventilation rate. Okay, and
1299 where you have a particular problem is where you have a low
1300 ventilation rate so you have a low breathing exchange and the
1301 relationship that has with the dead volume of the mask, okay,
1302 and typically that low ventilation rate, you're going to
1303 experience with a light weight individual, okay, in the stand-
1304 ing conditions. So that's why we wanted to capture per-
1305 formance at that level. You have the other end of the extreme
1306 where you have a high ventilation rate where you have a large
1307 individual who's breathing heavy, okay, who perhaps has a
1308 different phenomenon that's occurring relative to CO₂ reten-
1309 tion in the respirator and displacement of the oxygen. So
1310 those, the testing that we have performed actually indicates
1311 that those two extremes are the most interesting areas.

1312 **GÖRAN BERNDTSSON:** But, wouldn't that be three classes
1313 then? What I don't understand is over 80, below 60, and then
1314 between 60 and 80.

1315 **LES BOORD:** Yeah, but you only, I think when you capture
1316 the performance at those two, at the two ends, then I think
1317 the in-between is going to be in line with those worse-case
1318 scenarios.

1319 **GÖRAN BERNDTSSON:** Okay. The other question is that you
1320 showed a slide with oxygen uptake and there was five, six,
1321 seven classes. Can you put that slide up again please?

1322 **LES BOORD:** Now those were for the metabolic simulator.

1323 **GÖRAN BERNDTSSON:** Ah.

1324 **LES BOORD:** Those were tests that were performed on a
1325 machine, machine tests.

1326 **GÖRAN BERNDTSSON:** Are you going to test those . . .

1327 **LES BOORD:** No. No. This was part of the, this was part
1328 of the bench-mark testing that we did to, in the development
1329 process and the process of developing our requirement the
1330 first thing we did was look at machine testing using a meta-
1331 bolic simulator operating at these ventilation rates. Now the
1332 reality is that if you look at the breathing rates that we've
1333 or the work levels that we've established for the requirements
1334 standing on a light individual less than 60 kilograms, you're
1335 probably going to be in the .5 per minute consumption rate.

1336 **GÖRAN BERNDTSSON:** Oh, maybe, but the, what we are doing,
1337 what we're doing in ISO, you are measuring this, you put body
1338 weight and we're using an ISO standard for, which is based on
1339 height of the person and starting at 1.7 as the standard ISO
1340 person male and 1.6 as an ISO woman I think and from there you
1341 can then scale it up and down because the metabolic rate is
1342 related back to your body, square meter surface area of your
1343 body, and then you can that way very easily don the different
1344 liters of oxygen required for doing this workload. So just an
1345 advice that may be don't using kilos may be using sizes and
1346 refer it back to ISO standard, it would be much easier as
1347 times moves on to have the same kind of starting references.

1348 **LES BOORD:** Thank you.

1349 **JAY PARKER:** Jay Parker with the Bullard Company, Les I
1350 didn't hear a reason why you removed the metabolic simulator
1351 testing. Wasn't that in the last draft?

1352 **LES BOORD:** Yeah.

1353 **JAY PARKER:** I guess it has been removed?

1354 **LES BOORD:** Yeah, it has. Actually we're relying on the
1355 human subject testing and the reason that we've decided to go
1356 that way is when we went through our bench-mark testing, the
1357 first phase was machine testing. Second test, second phase
1358 was the human subject testing and what we've found was that
1359 the low ventilation rates, we really didn't get 100% tracking.

1360 Okay, in other words, human subject testing the values that we
1361 were obtaining for CO2 and O2 were not identical with the
1362 types of results we were getting on the simulator. So rather
1363 than trying to identify a machine requirement, okay, that
1364 would be equivalent to the human requirement, our decision was
1365 to use the human subject testing. That's the proof. The
1366 machine test would be an approximation.

1367 **MICHAEL KAY:** Mike Kay from Ocenco, getting back to the
1368 ABMS and the human subject testing, at the public meeting
1369 regarding the SCSR rewrite of 42 CFR, the concept in that was
1370 to go to ABMS testing to get away from the inherent problems
1371 with human subject testing. Now the pendulum seems to have
1372 swung back the other way.

1373 **LES BOORD:** I think that, first of all, I can't speak on
1374 behalf of the SCSR, but I think that with the bench-mark test-
1375 ing that we've observed, that there are appropriate tests at
1376 this time that can be done using the simulator and the tests
1377 that perhaps aren't quite there yet. We think that there
1378 would be additional work required to actually tune and to
1379 develop a protocol that would be appropriate to use a machine
1380 test for the certification requirement, for this certification
1381 requirement.

1382 **RICH STEIN:** Rich Stein, QPS, Les, let me see if I under-
1383 stand correctly. If we make a submittal, is the first thing

1384 you're going to do is run a bench test to prequalify or you're
1385 just going to jump in to human test on this or how are you
1386 going to run this?

1387 **LES BOORD:** Yes, actually there is no machine test, no
1388 bench test relative to CO2/O2 that the criteria will be estab-
1389 lished using the human subject testing.

1390 **RICH STEIN:** Okay, so you're just going to run into that
1391 and put it on a human subject on a unit that comes in?

1392 **LES BOORD:** Yes and the test sequence will be identified
1393 actually in one of the next discussions what the overall test
1394 sequence is.

1395 Any questions? Okay with that we'll go to the next
1396 topic.

1397 **FRANK PALYA:** Good morning, my name is Frank Palya from
1398 NIOSH and I'm going to discuss the test methods and required
1399 quantity of the escape units that are required to complete the
1400 NIOSH certification of the CBRN air-purifying escape respira-
1401 tor. This chart is the summarization of the test categories,
1402 the quantity of escape units that are required for each of the
1403 test categories, and the test sequence within each test cate-
1404 gory. Each column is a test category and it identifies the
1405 test sequence: the top being the very first test and the
1406 bottom being the very last test within each of them. As you
1407 can see, there's the resistance in breathing gas and human

1408 factors and service life. There's no sequence to the ones
1409 right here, but basically it just starts at the top within
1410 each column and then goes down.

1411 I want to discuss each one of those columns. First being
1412 the resistance and breathing gas, from that we'll initially, a
1413 total quantity of 12 is required and 3 will be used for
1414 inhalation resistance and 3 will be used for exhalation
1415 resistance and now 12 will be used for the breathing gas
1416 concentrations. If you take note, the same respirators will
1417 be used for all the tests. In other words, there's three
1418 respirators that were used in the inhalation/exhalation will
1419 also be used in the breathing concentration. The reason for
1420 that was we're trying to conserve on the number of respirators
1421 required from the manufacturers. Again, as Les previously
1422 discussed, there will be 12 required for the breathing test-
1423 ing. Each one of these units which will be the human subject
1424 testing will only be used once by the human subjects for
1425 personal hygiene reasons.

1426 For the human factors, the total quantity of 3 to 9 is
1427 required for this series of testing. This is size dependent.
1428 If there's one size, then three are required. If there are
1429 three sizes, then nine will be required for this particular
1430 series of tests. First it'll be the field of view test con-
1431 ducted. We'll use the STP CBRN 0312 and that is the same

1432 standard test procedure that is used for the gas mask air-
1433 purifying gas mask.

1434 The next step would be the fogging and 3 to 9 will be
1435 used in that particular test. This is a new STP 0321. It
1436 varies from the gas mask fogging test in that you'll enter,
1437 you'll don the respirator in ambient conditions and then enter
1438 into a hot environment, a hot environment being 90 degrees
1439 Fahrenheit at 60% relative humidity and then go through a
1440 series of visual acuity tests and then another set of respira-
1441 tors, you'll don in ambient conditions and then you'll enter
1442 into cold condition of minus 13 degrees Fahrenheit.

1443 And then the final test in this series is the flame and
1444 heat resistance. No human subjects required for this par-
1445 ticular one, but it will be in the equipment in accordance
1446 with 136-1998.

1447 The next series I want to discuss is the gas service
1448 life. Thirty respirators are required for this particular
1449 test. Three respirators will be tested against each of the
1450 gases, each of the ten gases; however, before they'll be
1451 tested for the gas service life, they'll be subject to the
1452 hot-temperature storage, the low-temperature storage,
1453 humidity, transportation vibration testing, and then the drop
1454 test. These tests are pretty similar to the gas mask CBRN gas
1455 mask requirement; however, take note at the high-temperature

1456 storage. It'll be at a constant temperature for 5 weeks as
1457 opposed to the (inaudible) test required under the CBRN gas
1458 mask standard. After they go through all these series of
1459 durability testing, then they'll be tested for service life at
1460 100 liters per minute at 50% relative humidity in the
1461 challenge.

1462 The next is the service gas life rated at 64 liters per
1463 minute; 60 respirators are required for this. Again, they'll
1464 be subjected to the same durability testing. The durability
1465 testing is the same throughout all these tests categories:
1466 same hot temperature, low temperature, humidity, transpor-
1467 tation drop. Six gases, six respirator units will be tested
1468 for gas. Again there are 10 gases. They'll be 3 at 25%
1469 relative humidity and 3 at 80% relative humidity for 10 gases
1470 at 64 liters per minute.

1471 For the permeation and penetration testing, six respira-
1472 tors are required. However, initially there will be two
1473 respirators that will not be subjected to the durability
1474 testing. They are considered pre-qualifiers and they'll be
1475 subjected to the initial or one will be tested for GB and one
1476 will be tested for HD. Again, these are pre-qualifiers. Two
1477 of them will not be subjected to the durability testing. Once
1478 they pass their pre-qualifications, the four will go through
1479 the high temperature, low temperature, humidity, transporta-

1480 tion, and drop and then they'll be tested and challenged with
1481 the two against sarin vapor and two against sulfur mustard HD
1482 liquid and vapor. This was at the (inaudible) discussed
1483 previously.

1484 For the filter particulate efficiency, 20 respirators are
1485 required for this test. Again, they'll be subjected to the
1486 durability test and the filter efficiency will be tested,
1487 challenged, tested in accordance with the outlined in 42 CFR.

1488 And last is the laboratory/respiratory protection level
1489 testing. A quantity of 30 to 65 tests or respirators are
1490 required for this particular test. Again, when using human
1491 subjects, respirator will only be used once for hygiene
1492 purposes. The donning procedure is still being developed and
1493 the LRPL test is similar to the STP 0352. This 0352 initially
1494 was planned to be a generic test to test all of the, to test
1495 all the classes of respirators: the SCBA, the air-purifying
1496 respirators, the escapes. However, I think that we're going
1497 to have to make some modifications to this because of the
1498 donning procedures and different probes so, but all in all,
1499 it's very similar to the self-contained breathing apparatus
1500 standard test procedure that we currently use now to test LRPL
1501 test. And at this time, just any questions? Okay, thank you.

1502 **UNKNOWN SPEAKER:** I have a question here. On that test
1503 protocol, may be I'm not up to date, but the test protocol,

1504 the procedures you're doing in the test chambers, you said
1505 there was going to be the same with only some small changes
1506 because of the donning. What are we doing in the chamber?
1507 They do, I suppose to know that. Are we lifting boxes and all
1508 the other stuff that was done or is it (inaudible) an escape.
1509 Can you fill me in on that?

1510 **FRANK PALYA:** Well right now when we go ahead through the
1511 procedures, we're reviewing the procedures, this 0352 is on
1512 the website, but as we're going to go through and develop the
1513 test procedures, we're going to have to go ahead and find out
1514 exactly where the probe the respirators, may be from the oral
1515 nasal region, may be for the under the hood area, the ocular
1516 region. So with the test procedures, you're just going to
1517 have to be some slight tweaks* to it. I mean to go ahead
1518 there and follow one test procedure by step-by-step process
1519 would be very difficult so then we're going to have to break
1520 away from that again.

1521 **GÖRAN BERNDTSSON:** What are the subjects performing in
1522 the chamber?

1523 **LES BOORD:** Yeah, Göran, I, questions relative to the
1524 exercises they perform in the LRPL test. Those will not be
1525 the full set of exercises that are performed under the gas
1526 mask, but they will be a subset of those. Okay, so we don't
1527 see all of those as being the applicable exercises that'll be

1528 performed on this test. We haven't actually identified
1529 exactly which ones are included and which ones omitted, but
1530 it'll be from that list of exercises.

1531 **GÖRAN BERNDTSSON:** Because I would assume that very large
1532 proportion would be to run down stairways and that type of
1533 thing when you're escaping and that's very very much different
1534 than what we're normally doing for the other testing.

1535 **PAUL DUNCAN:** Paul Duncan, Scott Health & Safety, ques-
1536 tion, two questions, for the breathing gas test, do you
1537 actually have an STP established for that yet or is that one
1538 of the existing STPs or is a new one going to be coming out
1539 for that yet?

1540 **JOHN SZALAJDA:** Yeah, the breathing gas is not an exist-
1541 ing STP at this point because this is the first time that test
1542 will be used.

1543 **PAUL DUNCAN:** Okay, when do you expect that to be
1544 available?

1545 **JOHN SZALAJDA:** Actually we're in the timeframe. We'll
1546 talk about the timeframes and the schedules actually this
1547 afternoon. We're looking in the August timeframe to have that
1548 completed.

1549 **PAUL DUNCAN:** Okay. Just getting to a general comment, a
1550 sort of respectful request, it seemed like in the latter
1551 stages of developing the APR gas mask standard in the last

1552 draft a lot of design requirements showed up that hadn't
1553 really been previously discussed. For instance the gasket
1554 material requirement got much more specific than it was in the
1555 previous drafts and there's a last minute change in the lens
1556 abrasion testing. Lens abrasion testing in particular actu-
1557 ally required manufacturers provide flat samples. So here in
1558 the last minute the last draft that came out all of a sudden
1559 the manufacturers had to go about the trouble creating molds
1560 to mold representative thickness samples of their lens and
1561 hard copy them and etc. There was a frustration in the fact
1562 that appeared that that standard that portion of the standard
1563 actually been developed in conjunction with one or more manu-
1564 facturers and that information wasn't generally available to
1565 all the manufacturers. So it appeared actually like an unfair
1566 advantage to one or more manufacturers that were involved in
1567 that. I was asking if you could in reviewing just the general
1568 portion of the standard, where do you anticipate major changes
1569 in this? This is a good job of reviewing what has changed
1570 since the last standard since the last draft was issued but
1571 where do you anticipate the major changes occurring between
1572 now and the next review period?

1573 **JOHN SZALAJDA:** Okay, that's a good question. First of
1574 all, I'd like to just backtrack a little bit on the two areas
1575 that you mentioned. In both of those, the abrasion, the

1576 development of the abrasion concept as well as the development
1577 of the specifications for the gasket were both the result of
1578 comments that were generated at the last public meeting that
1579 we had for the air-purifying gas mask as well as comments that
1580 were submitted to the docket. So both of those were revisions
1581 to those requirements that were actually implemented to
1582 address comments that were submitted, raised at the meetings,
1583 and submitted to the docket. So the answer to the second part
1584 of your question, what we envision perhaps the impact of the
1585 changes as we go forward that is somewhat dependent on the
1586 kind of comments and the interactions that we get through
1587 these types of discussions and submittals that are made to the
1588 docket.

1589 **PAUL DUNCAN:** My observation wasn't . . . I could be
1590 entirely wrong, but that last version of the material stan-
1591 dards almost looked like a manufacturer's, a particular
1592 manufacturer's material spec. I pulled out our engineering
1593 drawing and plunked down the standard and all of a sudden so
1594 one manufacturer had it and everybody's going to have to meet
1595 it.

1596 **JOHN SZALAJDA:** Actually the requirement came from the
1597 military specifications for the gasket material that's used in
1598 the military masks and that was done. I don't want to go down
1599 this far, this path too far because it's related to the gas

1600 mask, but that was done because in the earlier editions of the
1601 concept paper and actually into the last public meeting, the
1602 design requirements for the gasket were very specific. They
1603 were specific in that it said it needed to be EPDM. The
1604 comments that were generated at that public meeting and in the
1605 docket was that there are other materials that can pass the
1606 agent requirements that by specifying EPDM we are being too
1607 design restrictive and what we should do in lieu of that is
1608 identify what the performance requirements that we needed to
1609 achieve as well as the physical properties of the material and
1610 that's basically what we did and to get to those requirements
1611 we looked to the military specifications for the M40 gas mask.
1612 So and then to try to anticipate the changes as we go forward,
1613 I think . . . The only thing I could say in a definite
1614 response okay at this time is that as we are going through
1615 these discussions you see that there are things that we've
1616 identified that we need to concentrate on. One is the comment
1617 that Göran just mentioned relative to the exercises that will
1618 be performed during LRPL. We pretty much know what the
1619 parameters and we looked at the parameters and how to do that
1620 and so forth, but we haven't focused on what the specific
1621 requirements will be. So that would be an area I would look
1622 to. Also in the area of the . . . as we get into this after-
1623 noon's discussion, you'll see some of the discussions and

1624 perhaps some open areas relative to the way that live agent
1625 testing is performed. Okay, so those may be types of areas to
1626 look at. So I think from listening to the meeting, you can
1627 sort of glean where we think we need to do addition work and
1628 we will do that work, but then we're always and we remain
1629 responsive to input that we get.

1630 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA again, one
1631 thing you could have done better on the last one was that
1632 actually you had a draft and then it went nearly 6 months and
1633 then it was finished and there was no communication via your
1634 website for 6 months and that I think how all of us who tries
1635 to be ready to go and you can do that better in the future.
1636 Make sure that you continue with what you started so well.
1637 Update every once a month and we will all be ready when you
1638 guys are ready.

1639 **JOHN SZALAJDA:** Yes, thank you and that is a good com-
1640 ment. We are sensitive to that, but unfortunately some of the
1641 situations relative to timing and issuing or let's say posting
1642 the requirements and the concepts are not . . . there are
1643 hurdles that we need to go through and it's really tough to
1644 predict what those hurdles, what their timelines will be.

1645 **PAUL DUNCAN:** Echoing Göran's comments, along the lines
1646 of . . . if something as simple like a monthly update, you
1647 know those have to . . . totally revised copy of the draft

1648 which is like a monthly update saying hey we here at NIOSH in
1649 developing these standards we're looking at these areas. So
1650 at least it gives a flag to the manufacturers like okay hey
1651 something that I might be doing in my development work. I may
1652 need to rethink this or may change my priorities a little bit
1653 and be prepared for a change that may be coming out.

1654 **JOHN SZALAJDA:** Good point.

1655 **PAUL DUNCAN:** Because it was quite a long time.

1656 **JOHN SZALAJDA:** That is a good point, thank you.

1657 **UNKNOWN SPEAKER:** And to wrap up this morning's for the
1658 air-purifying part of the standard, we wanted to at least ini-
1659 tially identify some of the costs that we envision that are
1660 going to be associated with the application of material for
1661 our evaluation. Basically and if you're familiar with the
1662 CBRN program, you know that we work with our partner and our
1663 NIOSH test agent at SBCCOM to do the chemical warfare testing
1664 and the LRPL associated testing. That will be no different
1665 for this system. We are currently in process at the NPPTL
1666 facility in Bruceton of establishing our own internal capa-
1667 bilities for conducting the environmental conditioning for the
1668 respirator systems. We hope to have that in place by this
1669 fall. What we're doing is again we're working closely with
1670 SBCCOM to replicate the systems that they have established at
1671 the Edgewood facility for conducting these tests. And again,

1672 as Frank had mentioned in discussion at the tester base,
1673 primarily on the Mil standard, the Mil-STD-810 and you know
1674 we're working closely with them to ensure that we get repli-
1675 cable results for the challenging of the respirators. Again,
1676 it's a long test cycle, you know, and unfortunately with given
1677 the types of tests that are available for us to do the
1678 testers, we don't see anyway to circumvent that portion of the
1679 process that we are looking at around 70-75 days to conduct
1680 the testing. And I think everybody can read the number at the
1681 bottom.

1682 How that breaks down, you know again we're looking at the
1683 testing but excuse me, button sensitive there, we're looking
1684 at doing the testing at the two sites. We have the penetra-
1685 tion permeation testing which is done by SBCCOM. Again we are
1686 considering as part of the application process to do the
1687 qualification testing first with two systems to ensure that
1688 they pass the chemical warfare agent testing, the penetration-
1689 permeation test prior to going to the expense of conducting
1690 the environmental challenging the systems. Again, we would
1691 end up ultimately testing six systems: the two qualification
1692 units and then the four units following environmental
1693 conditioning.

1694 With the LRPL, the numbers are off the actually we're
1695 looking at 30 to 65 escape respirators which will be dependent

1696 on the design, the individual design from the applicant as
1697 well as the (inaudible) if the manufacturer comes in with one
1698 size or multitude of size that will determine the actual
1699 number of items that are required for the LRPL. Again, we're
1700 looking at these tests will be done by SBCCOM using their
1701 facilities and their test subjects.

1702 As far as the particulate testing, we intend on doing
1703 that at the facility in Pittsburgh. Frank had mentioned the
1704 breakdown and the test that will be conducted as part of that
1705 application. A couple of things that I wanted to bring to
1706 your attention, things that may go away between now and by the
1707 time the standards are released. We had considered doing a
1708 particulate test following cyclohexane challenge. This was
1709 something that we had looked at as part of the development of
1710 the gas mask standard to ensure that we weren't getting
1711 particulate penetration following exposure to organic vapors
1712 and this was a consideration for the gas mask because of
1713 concerns that had been raised over intermittent exposures of
1714 the filter to contaminants. In looking at the escape respira-
1715 tor as a one-time only use, there may not be a need to conduct
1716 that test and we're in the process of evaluating the necessity
1717 for that. Everything else I think is fairly straightforward
1718 in terms of the sequence of doing the environmental condition-
1719 ing and then breaking out to either doing particulate testing

1720 of the service life testing or the bench testing for the human
1721 factors types of evaluation. We don't have a similar chart
1722 for the afternoon session. We go and discuss the self-
1723 contained units but I think you can pretty well identify the
1724 things that would be included in this part of the self-
1725 contained that we would be looking at . . . there wouldn't be
1726 a need for doing the gas testing as well as the particulate
1727 testing and that's about a \$9,000 savings. So with that I
1728 think we're pretty much on scheduled. I wanted to at least
1729 open up the floor for any comments from the attendees related
1730 to the air-purifying respirator.

1731 **WILLIAM NEWCOME:** Bill Newcomb, North Safety Products, is
1732 it the intention of NIOSH that this is a single-use escape
1733 device?

1734 **UNKNOWN SPEAKER:** Yes.

1735 **WILLIAM NEWCOME:** Then okay, I don't think it's specific
1736 in there any place and there is a requirement for maintenance
1737 in the proposed draft so I'm kind of confused as to whether
1738 this was the intention or is the intention?

1739 **UNKNOWN SPEAKER:** What I think part of what we're working
1740 and I guess this goes back to the gentlemen from Scott's
1741 comment as far as refinements to the standards. Part of what
1742 we've seen and getting comments back is a need for training
1743 and maintenance care and use of these systems and by main-

1744 tenance, I guess as part of what we're doing in a concept
1745 paper is we're going, and when we identify in terms of main-
1746 tenance requirements we're going to define that characteristic
1747 in the concept paper, but primarily we're looking at in terms
1748 of maintenance is the long-term care of these systems.
1749 Whether or not when a user which were to be, purchase one of
1750 these systems, put it in a drawer, put it in a filing cabinet,
1751 put it in a central location. Which should they do long term
1752 with these systems? Should they inspect these at some sort of
1753 relative frequency? After 6 months, should they perhaps con-
1754 sult the manufacturer and go back and have the items evaluated
1755 to make sure that they are maintaining the . . . meeting the
1756 requirements? These are parameters that we're still trying to
1757 come to terms with, but I think in terms of what you'll see in
1758 the concept papers that will define what we mean by
1759 maintenance.

1760 **UNKNOWN SPEAKER:** Excuse me, relating to the actual test
1761 method that we're working toward, if you had . . . How flexi-
1762 ble is this and how . . . For example, if you have 10 escape
1763 respirators that qualify to be tested and 8 of them are
1764 canisters, okay and one of them or two of them are fabric,
1765 completely different structure and makeup and everything else,
1766 is it up to the manufacturer to submit what a protocol for
1767 what they would think fair and accurate, a fair and accurate

1768 test of this filter material would be since it's so different
1769 than the other ones. How does that work?

1770 **UNKNOWN SPEAKER:** If I understand the question correctly,
1771 I think you're talking about different respirators that may
1772 utilize common components and how those would actually be
1773 evaluated through a testing program?

1774 **UNKNOWN SPEAKER:** Through different, yes, if there's one
1775 set that has a canister and the other set that has fabric or
1776 something different and the whole construction is quite dif-
1777 ferent that you want, but the filter material, I mean, you get
1778 past the leak test and everything else.

1779 **UNKNOWN SPEAKER:** I think the answer to the question in a
1780 general sense is probably the best we can do at this time is
1781 that we do have guidelines that we use in both the CBRN pro-
1782 grams that are already in place for the SCBA as well as the
1783 gas mask to determine how and what materials need to be tested
1784 and those guidelines come down to identifying materials that
1785 form a pressure boundary or materials of contact can likely
1786 contact the agent as well as materials that actually are used
1787 to provide the protection if it's a filter. So there are
1788 guidelines that we follow for the current programs and I would
1789 anticipate that there would be similar guidelines applicable
1790 for the escape.

1791 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA, in regards
1792 to I think it was Nort's (phonetically) question here. When a
1793 respirator runs out of shelf life, could it be sent back to
1794 the manufacturer for re-fitted, restored and sent out again.
1795 It's never been used. The agent just run out of shelf life.

1796 **UNKNOWN SPEAKER:** I think that those types of issues, the
1797 issues and what I think John was alluding to is a lot of those
1798 maintenance issues are, when we talk about maintenance, we're
1799 looking at what the manufacturer would recommend needs to be
1800 done to that unit as it's sitting in the desk drawer or
1801 hanging on the wall or being carried around in the car. If
1802 there are procedures unit specific that a manufacturer
1803 develops for doing measures such as you mentioned, then I
1804 think those need to be technically rationalized and justified
1805 through the certification program through the certification
1806 process. So I don't see that it's necessarily prohibited but
1807 I think there needs to be a technical rationale behind it.

1808 **GÖRAN BERNDTSSON:** Another question, is it going to be a
1809 maximum shelf life allowed from other approval process? In
1810 other words, if the manufacturer claims 10 years, is that
1811 going to be some kind of testing to validate that or is
1812 it

1813 **UNKNOWN SPEAKER:** The testing that we envisioned is
1814 basically the environmental conditioning that we expose the

1815 unit to. The actual recommended shelf life is I think a manu-
1816 facturer specific or driven type of a specification. Other-
1817 wise we would need to specify the packaging.

1818 **GÖRAN BERNDTISSON:** Isn't that a little bit loose? I mean
1819 that a manufacturer come in and say that I recommend 20 years.
1820 How do we know that the field tests are going to last after
1821 20 years?

1822 **UNKNOWN SPEAKER:** That's a good point. We are open for
1823 any original thinking there.

1824 **KAREN NELSON:** Would not the test the filter materials,
1825 are they not themselves be of fabric or (inaudible) or
1826 whatever's inside the filter? Is that not subject to tests
1827 that can determine if it loses integrity after a period of
1828 time? I mean, just the materials themselves, would that,
1829 isn't that . . .

1830 **UNKNOWN SPEAKER:** First of all address your name into the
1831 microphone.

1832 **KAREN NELSON:** I'm forgetting, Karen Nelson, Safety
1833 Matters.

1834 **UNKNOWN SPEAKER:** Okay, then to answer your question. I
1835 think that that, again, that perhaps becomes design specific.
1836 Okay, and the way the various materials that are used, the
1837 materials of construction are used and how those are packaged,

1838 contained, or sealed from the environment, I think is a design
1839 specific type of a situation.

1840 **KAREN NELSON:** Right, but my, as far as the question,
1841 would it not, if you're looking at this, at these materials as
1842 you test or as far as the construction of the item, is it not,
1843 I mean aren't there engineers who can tell you that like
1844 certain grades of rubber will loose integrity after and become
1845 brittle after so many years, so you couldn't claim a 20-year
1846 shelf life on that and just extrapolate that to the other
1847 materials.

1848 **UNKNOWN SPEAKER:** To answer your question, I think there
1849 are engineering guidelines and so forth for the design process
1850 to do that type of work.

1851 **RICHARD METZLER:** Rich Metzler from NIOSH, we have an
1852 experience with self-contained self-rescuers in the mining
1853 industry where there are substantial reliability problems with
1854 regard to the age of the unit and the use underground and the
1855 ability to inspect and know when to remove the products from
1856 service. While we invite your comments on the shelf-life
1857 issue, I can tell you if it comes down to a policy matter,
1858 there will be a limited shelf life of a short duration that's
1859 reasonable from an engineering perspective, but that age would
1860 be at the lower end not the 20-year end that I hear everyone
1861 talking about.

1862 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA again, this
1863 is really important. We really need to settle some kind of
1864 guidelines or some policies here because the difference
1865 between (inaudible) to the end user is going to be very much
1866 dependent on the shelf life and the price charged for this.
1867 So we can't leave this. We have to make sure that this
1868 doesn't get left open and no open ended (inaudible). We need
1869 to have a discussion or a dialogue to solve this unsolved
1870 question.

1871 **UNKNOWN SPEAKER:** Thank you. Just one other thing, I
1872 just want to remind everybody as far as we'll have individuals
1873 available to discuss the charts if you like to review the
1874 information that we've accumulated related to the breathing
1875 gas and the bench mark testing that we will have personnel
1876 available during lunch and the breaks to talk about that. I
1877 think at this point we'll go ahead and take our hour for lunch
1878 and I don't know exactly what time it is, but I'm guessing
1879 that it's 12 o'clock so if you can be back at 1, we'll resume
1880 with the self-contained portion.

1881 **UNKNOWN SPEAKER:** Okay as far as what we're going to
1882 cover this afternoon, we're going to spend about an hour
1883 addressing the self-contained escape requirements. We'll have
1884 an open period for comments to close out the discussion on the
1885 escape respirators immediately following the self-contained

1886 discussion of the escape respirator requirements and then
1887 we'll conclude with the QA module and wrap up at the end of
1888 the day.

1889 I think to reset the stage you know this morning we
1890 talked about part one of the standard. We addressed what
1891 we're conceptualizing for the air-purifying escape respirator
1892 and part way through the project of doing the air-purifying
1893 respirator, we had thought originally, the original plan that
1894 we had in terms of the sequence for developing the respirator
1895 standards we had considered doing the escape, the self-
1896 contained escape standard later in the cycle but you know we
1897 felt there was enough commonality between the requirements
1898 that it made sense from a programmatic stand point to go ahead
1899 and address the self-contained aspect at this time and so part
1900 two of the concept paper was born. And I think in looking at
1901 any time with the self-contained unit. You know we like to
1902 take advantage of the lessons learned and the modeling and the
1903 other work that we've done in developing the concept. Then in
1904 looking at, there's a lot of similarity between the self-
1905 contained families of respirators and to that end, we went
1906 back and looked at the SCBA, the self-contained breathing
1907 apparatus, standard. The first CBRN requirement that we
1908 developed and in that we had three tiers of requirements and
1909 it make sense for the self-contained escape respirator to use

1910 the same type of model and in that we're looking at compliance
1911 with the requirements of 42 CFR enhanced performance require-
1912 ments that we feel are necessary to harden the unit for this
1913 type of application as well as unique CBRN APR requirements.

1914 Again as I said, the first tier is the compliance with
1915 the requirements that have been delineated in the 42 CFR,
1916 Part 84 that have been established for a few years at least
1917 for the community that's familiar with these types of pieces
1918 of equipment that these requirements are the same. The second
1919 are things that we felt needed to be considered for the
1920 potential user population for people who may not have the
1921 familiarity of respirator usage. When you look at a self-
1922 contained self-rescuer type devices, there's certain parts of
1923 respiratory protection program that the mining industry takes
1924 into account for how the equipment is used. The worker, your
1925 conventional worker, may not have that same opportunity so we
1926 identified these requirements as considerations for the second
1927 tier of the escape respirator and we'll get into that a little
1928 bit over the next few minutes. The third tier is the require-
1929 ments for the CBRN in particular the chemical warfare agent
1930 testing and the LRPL and we're going to discuss those in some
1931 detail. And with that, we're going to cover first is the
1932 chemical warfare agent requirements and Les Boord,
1933 Mike Bergman, and Ray Lins from SBCCOM will be leading that

1934 discussion. Actually Les is so good he doesn't need the
1935 charts.

1936 **LES BOORD:** To start the discussion on the agent testing
1937 for the self-contained escape respirators, I'd like to just
1938 back track a little bit to refresh what we had presented and
1939 what we discussed in the April meeting. And basically, at
1940 that point in time, we, in the April meeting, talked about
1941 bench-mark testing for escape respirators and the self-
1942 contained units in the form of testing that we did on hoods.
1943 And basically when we look at the agent test requirements,
1944 we're looking at a self-contained unit so we're talking about
1945 high protections which really throws us into the levels of
1946 testing and challenge that we've identified for an SCBA which
1947 means sarin. We're looking at 2,000 milligrams per cubic
1948 meter and mustard, 300 milligrams per cubic meter. In the
1949 April meeting, we reported the results of bench-mark testing
1950 using hoods at those exposure levels and basically the result
1951 of that was that we were able to come to the conclusion that
1952 hood technology even at those levels of agent exposure was I
1953 think in line with the requirements so we didn't envision that
1954 would be a problem. So that bench-mark testing proved the
1955 hood capacity or capability. Since that time, what we've been
1956 doing is taking it a step further and we wanted to look at
1957 the, two things primarily. The first one is the challenge

1958 concentration: the 2,000 and the 300 and basically profiles
1959 for actually administering that type of a test on an escape
1960 respirator. So some of the discussion that Mike gets into is
1961 going to discuss different profiles for doing that test, but
1962 then the second thing is that we actually wanted to gain some
1963 experience and we'll share that with you relative to bench-
1964 mark testing existing escape units, self-contained escape
1965 units against the hazard levels that we've identified and the
1966 profiles that Mike's going to talk about in his discussion.
1967 And so with that, what I'd like to do is have Mike Bergman
1968 talk about the agent, the live-agent testing profiles again
1969 associated with the smart man testing and then following Mike,
1970 Ray Lins will share with us some experiences of the bench-mark
1971 testing on self-contained units. And before I go on any
1972 further, I would like to point out which I fell to do earlier
1973 is that we do have a smart man test set up at the back of the
1974 room which I think probably everybody has seen already but
1975 that is back there for your observation and questions to the
1976 technicians available to demonstrate that.

1977 **MIKE BERGMAN:** The concentration challenges for sarin and
1978 mustard have stayed the same. They are the same as the
1979 SCBA/CBRN standard. For sarin gas, the paper challenge
1980 concentration is 2,000 milligrams per cubic meter and that's
1981 going to be an important number. That concentration is going

1982 to tell us something about the time that we need to expose the
1983 unit in the chamber and I have a graph on that that I'll show
1984 you. If it's a 15-minute or longer rated unit, 15 minutes
1985 will be the time that the agent is generated for the exposure.
1986 The total test time will be twice the rated service time of
1987 the unit. For mustard gas, the challenge concentration is
1988 300 milligrams per cubic meter. Again if it's a 15-minute or
1989 longer rated unit, it'll be exposed with a generated agent for
1990 15 minutes and then it will remain in the chamber for a total
1991 time of twice the service time. The profiles come out of the
1992 fact that for GB it's not possible in 15 minutes to have a
1993 10,000 CT and that will show that we need to vary the
1994 concentration for that. For HD it is possible within that
1995 15 minutes to have a 4,500 CT and that will be a constant
1996 exposure at 300 milligrams per cubic meter. For GB, this is
1997 stage one of the agent. This is, the time is at the bottom of
1998 15 minutes and what we are doing here, the goal is to achieve
1999 10,000 CT as a total exposure. We are increasing the concen-
2000 tration up to 2,000 which is the maximum and then a decrease
2001 of the concentration. And then here, this is the total sur-
2002 face of, excuse me, the total testing time for a 60-minute
2003 rated unit. That is the first 15 minutes, the agent is gen-
2004 erated and then stage two there's no agent that is generated.
2005 For HD, we have a constant exposure at 300 milligrams per

2006 cubic meter for the first 15 minutes and then it will remain
2007 in the chamber for a total time of twice the rated surface
2008 time of the unit stage two. And now I'd like to take any
2009 questions about that and then we're going to have Ray Lins
2010 come up for further comment.

2011 **GÖRAN BERNDTSSON:** Göran Berndtsson from SEA, what's the
2012 logic of leaving it in the chamber twice the duration time
2013 than it is in SCBA? I mean it is when you're out of air,
2014 you're out of air so?

2015 **LES BOORD:** Yeah, but I think we all know that the
2016 service time on a self-contained unit is a function of the use
2017 rate.

2018 **GÖRAN BERNDTSSON:** That's true.

2019 **LES BOORD:** So even under 42 CFR, we have testing that
2020 establishes the rate of duration, 15, 30, whatever it is, but
2021 we also have testing, sedentary testing that's performed on
2022 the unit that goes well beyond the rate of duration of the
2023 apparatus. So the idea is to see what those affects are
2024 beyond the rate of duration.

2025 **GÖRAN BERNDTSSON:** Yes I can understand that, but when it
2026 comes to escape, it is very likely that the duration would be
2027 shorter than the rate because you're probably use much more
2028 than your testing.

2029 **LES BOORD:** I think that depends on the escape mode, the
2030 mechanism for escape because I think one escape strategy is
2031 certainly as you mentioned. Put on the escape respirator and
2032 go as quickly as you can to a identified area, a fresh air
2033 area, but it also may be to put it on and go to another area
2034 and perhaps wait. So there are different escape strategies
2035 and scenarios that I think need, that are realistic and need
2036 to be addressed as well.

2037 **RAYMOND LINS:** I'm Ray Lins from Aberdeen Proving Ground,
2038 Protective Equipment Team. We are accredited by ISO 17025 by
2039 A2LA and we're a certified testing laboratory for NIOSH for
2040 CBRN. It's kind of a timeline and you saw it this morning.
2041 In May we started on the (inaudible) testing of escape respi-
2042 rators. Recently we started testing the self-contained escape
2043 respirators to develop the standard test procedure and the
2044 goal is to start certification in October.

2045 In addition to the smart man testing that we do, we have
2046 swatch testing which we do and you just saw the swatch cup
2047 sitting in the back. It's important to look at the materials
2048 before you ever build the hoods. Escape respirators and know
2049 if they're going to last or not so we do have the system to do
2050 that. Three sets of six swatch systems, we use mini-cams for
2051 the agent detection. (inaudible) cups we use. That's a
2052 larger swatch for a semi-permeable material. A cheaper test,

2053 fruit fly test on swatches, we do those and then we can put a
2054 hundred of those in at a time so it's pretty inexpensive that
2055 way. And we're also a certified testing for NFPA swatch
2056 testing and we were certified by A2LA for that.

2057 I have a couple of charts to show you on some testing
2058 that we did. The first one was a hooded unit which used
2059 lithium hydroxide as an passive scrubber. That was mounted on
2060 a smart man head form. We only take one sample. One time we
2061 talked about different samples inside the hood and inside the
2062 breathing area. Since this just had a no nose cup or any-
2063 thing, we just took the samples inside the hood.

2064 This is an off-the-shelf item. Very short duration unit
2065 and worked out fairly well. Another test of the identical
2066 unit, both of these tests were with GB. HD, this kind of
2067 shows it. It probably needs some work on materials but it did
2068 perform for the first few minutes. Just a duplicate of the
2069 second test of the same thing. Another unit we did a self-
2070 contained compressed oxygen breathing apparatus contained
2071 lithium hydroxide. This one was a little bit different. It
2072 didn't use passive. You actually breathed through the lithium
2073 hydroxide and we had to modify the smart man tests setup for
2074 this. This actually used a mouth bit so we didn't have a
2075 smart man head form to put it on. This one did much better,
2076 test duration 1 hour and that was the HD. This was also an

2077 off-the-shelf item. After doing leak test on it, we had to
2078 kind of modify the hoses and seal them a little bit to make
2079 them leak proof. They did leak on a TDA 99 test before we
2080 ever put it on. So there was no sense testing it without
2081 fixing it first but after we fixed some of the leaks, it
2082 performed fairly well. As you saw the concentration profile
2083 earlier, that's a typical. That is the concentration profile
2084 running this unit up to 2,000. Held it for a couple of
2085 minutes then dropped down. The concentration profile that you
2086 saw in the HD would also have a ramp up on the front. It
2087 doesn't start off at 300. So it would have a ramp up very
2088 similar to this compared to what the other one did. Presently
2089 we have five smart man agent test systems. One smart man CK
2090 system, one medium leak test system, two small leak test
2091 systems, one of which you see in the back. In July we'll have
2092 a small head form set up for agent test. September we'll have
2093 two additional smart man agent test systems setup mediums and
2094 then to accommodate the self-contained tests that we're doing,
2095 we'll have two additional units set up with automated breath-
2096 ing simulator like the smart man. Questions? Pretty
2097 straightforward.

2098 **UNKNOWN SPEAKER:** Okay the next two requirements we want
2099 to talk about is the breathing gas control and the LRPL and
2100 these are basically a repeat of what we've discussed this

2101 morning. The requirements are the same and the evaluation
2102 methods will be the same as we discussed. So the CO2 is a
2103 maximum average inhaled concentration 2 1/2%. Again, it comes
2104 from 42 CFR, Part 84 and the paragraphs there are actually
2105 referenced here: 101 and 97. And then the oxygen minimum
2106 inhaled oxygen concentration is 19½%, paragraph 84-79. The
2107 establishment of compliance with a requirement will be through
2108 human subject testing. Again, two test subjects greater than
2109 80, less than 60 and the work rate's standing 2½ and 3½ miles
2110 per hour and conducted for the duration of the, the rate of
2111 duration of the respirator.

2112 And again, the LRPL is the same, same performance
2113 requirement that we identified this morning. Okay, so we have
2114 the purpose to establish a bench-mark level of protection
2115 under laboratory conditions and the 20 to 40 milligrams cubic
2116 meter of corn oil .4 to .6 micrometer mass media aerodynamic
2117 diameter. Again, factoring in the same panel, neck circum-
2118 ference, head circumference, face length and width, two areas
2119 of LRPL values, breathing zone and then secondly under the
2120 hood and so it's the same repeat of the APR requirement that
2121 we discussed this morning using the same panel with the
2122 F metric dimensions and the same application of the panel for
2123 small, medium, and large. Any questions?

2124 **RICH STEIN:** Rich Stein, QPS, on that breathing zone
2125 protection factor of 2,000 for the high again it doesn't quite
2126 fit in with the other categories which are low or specific
2127 which would also have an appropriate breathing zone LRPL
2128 probably lower which would make sense or raise this and then
2129 you won't have any units that pass?

2130 **UNKNOWN SPEAKER:** Thank you.

2131 **UNKNOWN SPEAKER:** Yeah, I want to discuss the test
2132 sequence and required quantity. This presentation's pretty
2133 much goes the same way as I did for the air-purifying respira-
2134 tors. Again, I have the charts set up where you have the
2135 various test categories: the breathing gas, human factors,
2136 penetration/permeation, LRPL. Again, the quantity is on the
2137 top here, required quantity for each of the test categories.
2138 The testing is at the very first test. It starts at the top
2139 and then it works its way down through. First I'm going to
2140 discuss the breathing gas. This is just a pretty basic here
2141 where 12 units are required for the breathing gas and the
2142 human subject testing methods will be used to test for the
2143 breathing gas. Again, the respirator will only be used once
2144 for personal hygiene reasons. The human factors, a total
2145 quantity of 5, between 5 and 11, again, it's size dependent.
2146 A 1 size, only 5 will be required. If it's 3 sizes, then 11
2147 will be required. The first test will be the field of view,

2148 then fogging resistance, and then flammability. Again, we'll
2149 try to use the same respirators for duplicate tests, multiple
2150 tests. The test method, again, field of view is 0312 and for
2151 the fogging resistance is the same as the air-purifying escape
2152 respirator. The permeation penetration testing, again, total
2153 quantity of six is required and same, we're going to have two
2154 respirators require two respirators for the prerequisite test
2155 which will not go through the durability testing of high
2156 temperature, low temperature, humidity, transportation, and
2157 drop. They'll be tested in the as-received condition for GB
2158 and HD. So, again, they'll be pre-qualifiers. If they pre-
2159 qualify, then they'll go through the durability testing and
2160 the test methods are indicated as such. And last is the LRPL
2161 testing. From Mike Bergman's presentation and we require
2162 quantity of between 30 and 65 respirators. Again, it's going
2163 to be size dependent. The donning procedures are still being
2164 finalized and then the LRPL STP will be used. Again, it's
2165 going to be similar to this 0352. Questions?

2166 **JAY PARKER:** Jay Parker with Bullard, how will duration
2167 be tested? I don't see any test for the duration of the unit.

2168 **UNKNOWN SPEAKER:** Go ahead.

2169 **LES BOORD:** The first tier of the requirements that it be
2170 42 CFR approved so duration is established under 42 CFR.

2171 **RICH STEIN:** Rich Stein, QPS, I think there was a sug-
2172 gestion at the last meeting related to the vibration testing
2173 wherein you considered separating units and have categories A
2174 and B. Have you considered that?

2175 **LES BOORD:** Yes, actually we did and I think the presen-
2176 tation that follows is going to go through and enumerate some
2177 of those types of comments, but on that one specifically, we
2178 did look at it and we actually bracketed what we thought
2179 those, I think we called them levels, level A, level B and we
2180 sort of theorized what they would be and bracket them, but it
2181 appeared to us that it was really well two things, making it a
2182 complex and complicated type of a requirement, okay, and then
2183 secondly, the opportunity for not following whether it's a
2184 level A or level B in the field in actual use I think
2185 was . . . There was no way to really see that you would
2186 adhere to it. In other words, if you had a unit that was
2187 designed to just be stored in a drawer, what's the guarantee
2188 that it's not going to appear out on a rail vehicle somewhere
2189 or a car somewhere being carried. So basically we just came
2190 to the conclusion that we decided not to go down that road,
2191 but it was considered.

2192 **UNKNOWN SPEAKER:** In response to your feedback, this is
2193 something new and I think as Rich Metzler had said this
2194 morning, you know, NIOSH in taking our role in trying to

2195 protect worker and safety and health, we realize the fact that
2196 as Rich has stated, that we need to do things in partnership
2197 not only you know in partnership with other Federal agencies
2198 but you know partnerships with manufacturers, partnerships
2199 with the stakeholders, partnerships with people that have a
2200 vested interest in the development of these standards for the
2201 protection of the worker and I guess this trial at least we're
2202 showing this at least as fair as the work that we've done with
2203 the escape respirator. You know, we've had an open docket to
2204 collect comments that individuals have made that felt that
2205 they had a contribution of some meaningful data, meaningful
2206 opinions to provide for us to formally consider as part of the
2207 development of the standard. And I want to make sure and
2208 reinforce the fact that you know with the docket has been open
2209 a lot longer than just since October. NIOSH has actually been
2210 collecting comments on CBRN since you know 2000 prior to my
2211 employment with NIOSH but at least I know for the last several
2212 public meetings in discussing this forum that we have invited
2213 the comments from the stakeholders to the docket and we
2214 welcome those comments and what we wanted to do is spend a few
2215 minutes to kind of describe for you what we do with the
2216 information. We certainly value the opinions and the data
2217 that comes forward through this mechanism. We also value the
2218 opinions that you know are voiced here in these meetings or in

2219 one-on-one meetings that manufacturers or other parties may
2220 request of being involved with us in developing the standard
2221 and I want to encourage you know all of you as a stakeholders
2222 in this process that if you feel you have a contribution,
2223 position, data, information that would be of value for us to
2224 consider in the development of these guidelines prior to us
2225 moving too much farther along the path, I would encourage you
2226 to make that submittal. What we try to do and what we've done
2227 with the information that has been received is to generally
2228 categorize the comments either in what's listed up here is how
2229 we've done it with the escape respirator. And we've done this
2230 all along with the SCBA with the gas mask. As the information
2231 has come into the docket, we receive the information, we ana-
2232 lyze it, and try to make the determinations where it's appli-
2233 cable, where it may not be applicable, or things that we may
2234 require additional research to investigate. What we try to do
2235 as part of our internal processes are to address requirements
2236 or address information that comes in in a narrative fashion
2237 that we might not specifically address a certain topic but if,
2238 we will look at the topic of the metabolic simulator in total
2239 and look at the types of, type of information that's being
2240 submitted for consideration and provide a narrative to address
2241 those concerns. And going through a little detail as far as
2242 some of things that we've collected on the escape respirator

2243 and I think you've heard in the discussion this morning a lot
2244 of these topics have been addressed in terms of our current
2245 conceptual thinking right now in terms of the need for the
2246 ABMS as part of the requirement. I think based on some of the
2247 information that we've seen and analyzed with our different
2248 research that we're not considering that part of the require-
2249 ment for the escape respirator.

2250 Fees obviously is a big topic and whether or not we can
2251 see any economies in reducing the number of test items that we
2252 subject through the certification process and that is one
2253 thing that we still have under consideration whether or not
2254 there is some flexibility of changing the number of items that
2255 we test. With this type of device, we've seen different con-
2256 cerns regarding beards and glasses and one particular comment
2257 was the need for having a good face seal or a good potential
2258 seal with the respirator whether it'd be with the nose cup or
2259 other concerns have been with use a full facepiece type system
2260 that you would need a seal around the face and how that would
2261 impact potentially wearing beards or the use of glasses and we
2262 see that concern really being addressed as part of the cau-
2263 tions and limitations aspect of the program. Then considera-
2264 tion for whether or not you would want a hooded device or some
2265 other type of system. This would really need to be addressed
2266 as part of the user's needs analysis for why they would need

2267 to have an escape respirator and how they best wanted to serve
2268 the population whether that they wanted to provide you know a
2269 hooded type system to accommodate certain things or if they
2270 would prefer to go another track with the device that they
2271 would select as part of their analysis.

2272 Breathing gas control, again, I think we've heard a lot
2273 of discussion about that over the last few hours but where
2274 we've ultimately ended up at this point following our review
2275 and analysis of the existing bench-mark data is falling
2276 back . . . the breathing gas as part of the requirement.
2277 Breathing resistance, I guess another topic as far as the,
2278 what's currently been specified in the concept paper as being
2279 too restrictive enforcing the use of ventilation and exhala-
2280 tion valves, but the one thing that we have considered and
2281 based on the population that could potentially be using this
2282 device being diverse and various physical conditions that we
2283 felt that the 20 millimeters of water was probably appropriate
2284 to encompass a wide range of the population.

2285 Communication, this was another issue that we bannered
2286 about. We do have a communication requirement for the gas
2287 mask. Originally we considered it as an option for the escape
2288 respirator, but, you know, from reviewing the docket comments
2289 as well as doing some additional conceptual thinking in the
2290 application of this device as being an escape respirator that

2291 there probably isn't a need for having a communications
2292 requirement, especially in the light of, you know, the poten-
2293 tial for using a mouth bit type system.

2294 The chemical warfare agent testing, I think the community
2295 as a whole is getting a little, a little more comfortable with
2296 regard to how or how this testing is being done. There have
2297 been some, I guess, inconsistencies with what we've specified
2298 in the concept paper and I think to that and we've tried to
2299 resolve those inconsistencies. I think one thing that we can
2300 appreciate with the technology, the test technology that
2301 SBCCOM has is that they truly have developed a capability of
2302 to test a wide spectrum of respirators and I think it's, the
2303 trend is that, you know, that capability will continue.

2304 One of the topics that was discussed at the last public
2305 meeting was the need for dermal protection and leaning towards
2306 the design for having a hooded-type hood requirement for the
2307 respirator and we feel that as a very important part of the
2308 overall design for the system. I think the concept was
2309 pretty, I think pretty well explained this morning with the
2310 selection of the two different criteria for sampling in the
2311 breathing zone and also sampling underneath the hood. And,
2312 again, the overall use of the respirator in conjunction with
2313 any other protective clothing would need to be addressed as
2314 part of the cautions and limitations associated with the

2315 respirator, you know, granted that, you know, considering
2316 using the escape respirator that people will probably maybe
2317 dress the way we are today, but in terms of being able to
2318 identify for the user community what, what this hood or what
2319 this system, the respirator system will and won't protect
2320 against.

2321 And, I guess one of the concerns from the last meeting
2322 was what specific and low and general and high all meant and I
2323 think you know we're trying to define that a little clearer as
2324 we move along. I think with the re-definition and I think by
2325 the time we get around to identifying the final concept paper
2326 that we should have this fairly well defined. Carbon monoxide
2327 we've also been discussing and you know that we feel that's
2328 important to leave as an added option for the manufacturer to
2329 pursue as part of his respirator if he so chooses.

2330 The field of view we initially started out in the concept
2331 paper using the requirements that were established with the
2332 full facepiece gas mask. Recognizing that, you know, there
2333 are intrinsic differences in the design of the system that
2334 we've established less restrictive criteria for the use of
2335 these hoods versus what had been originally identified.

2336 The fogging requirements, I think Frank had articulated
2337 this earlier that there are some deviations with how this test
2338 has done as compared to the way the requirement was originally

2339 established for the gas mask and at least at this point we
2340 feel those are adequate for providing the required protection.

2341 Flammability testing and wanted to make sure that we
2342 didn't ignore Jay with the comment about alternative tests.
2343 You know I think this is I guess of interest to the community
2344 in particular of using this in conjunction with you know
2345 evacuating from a or escaping from a scenario where fire and
2346 products of combustion may be involved. You know we have been
2347 looking at, you know, the different tests that have been
2348 required and we'll make a determination based on what we feel
2349 is appropriate for this type of system. Again, as I think Les
2350 had mentioned this morning, we are looking at a single burner
2351 not a multiple burner test for the requirement.

2352 There's been some general debate regarding the gas life
2353 and gas capacity and we did receive several comments regarding
2354 what should be established as the test challenges as well as
2355 the test breakthroughs. I think, in general, and I can't
2356 reiterate, I think reiterate this enough that you know in
2357 looking at the filter life is that we're really trying to
2358 achieve an overall balance of protection. You know in looking
2359 at this system as being an escape device to you know ensure
2360 that we're providing enough capacity in the filtration system
2361 to allow an individual to escape from an area. I think one of
2362 the things that we'll be continue to evaluate with regard to

2363 the gas life and looking at the breakthrough as the potential
2364 use of the emergency response planning guidelines and their
2365 appropriateness for this type of device. Also as Les had
2366 mentioned about the debate on the ratings, looking at level A,
2367 level B, you know, we could see this getting into a not just a
2368 certification, but also potential use nightmare for trying to
2369 sort out, you know, which devices go where and the lack of
2370 control in where these items may be used when the user pur-
2371 chase them and where they would potentially place them for use
2372 at a later time.

2373 I guess no one has commented on LRPL, but I think, in
2374 looking at the 2,000 value, you know, from our perspective,
2375 you know we're trying to identify values that are consistent
2376 with the protection we feel is necessary. I think, you know,
2377 in terms of doing the dual sampling I think is a step forward
2378 to helping protect the individual with the respiratory hazard
2379 as well as anything that they may encounter in the sensitive
2380 areas underneath the hood. You know, 2,000 I guess the, you
2381 know, we have some precedence in where that number came from.
2382 Obviously it's from a gas mask standard, but I think even with
2383 the experience with the military systems even though that the
2384 military and the joint service requirements may have a lower
2385 value that historically much higher protection values have
2386 been seen in testing. And, again, this is something that we

2387 will continue to consider over you know the next several
2388 weeks.

2389 Panic demand, you know, again, in trying to be responsive
2390 to some of the concerns that had been raised from stakeholders
2391 about providing excess capacity in the system for situations
2392 where people may be breathing at a higher flow rates that
2393 we've incorporated that requirement for both the general and
2394 specific category.

2395 One other, we didn't address this specifically as part of
2396 this presentation today and I think the manufacturers and
2397 other stakeholders that have been tracking the program know
2398 that we have a research and development program set up with
2399 our partners at SBCCOM for helping the manufacturers conduct
2400 pre-certification testing to see how well their materials or
2401 high well their systems may perform as part of the overall
2402 protection against penetration/permeation, effects of chemical
2403 warfare agents. Again, one of the things to note here is that
2404 for the R&D program that if there's certification testing to
2405 be conducted, certification testing will always have priority
2406 over the evaluations of the R&D program. You know, I think
2407 that's, I think with the system as SBCCOM continues to expand
2408 their capabilities as well as some other activities we may be
2409 considering that, you know, trying to ensure that we'll always

2410 have that capacity to be responsive not only to the certifica-
2411 tion program but also to be responsive to the R&D program.

2412 The R&D, and again, this is a good tool as far the pre-
2413 submission data. If you choose to participate in the R&D
2414 program, that information can be included as part of the, as
2415 part of the application package, but it won't be counted as
2416 certification data. I think we addressed this a little bit on
2417 the earlier slides as far as the different levels of classifi-
2418 cation, but again, you know, we felt that, you know, by trying
2419 to do too much with levels and with different description that
2420 we may be opening ourselves up to a cumbersome process not
2421 only for certification but also for user selection and use. I
2422 believe this came out of the October meeting that there was an
2423 issue raised regarding the population for who the escape
2424 respirator should be designed for and the suggestion was or
2425 the question was raised whether this system would be designed
2426 for the for non-ambulatory escapes or for children and the
2427 response at that time was that you know this is designed for
2428 the general working population and that still holds for what
2429 we're trying to do with the standard.

2430 And, in conclusion, this is where we see the program
2431 going over the next couple of months. Based on the oral feed-
2432 back, we've received from you today as well as the information
2433 from the docket and other information that we may receive from

2434 stakeholders, we'll be updating our concept paper within the
2435 next week and putting out a June 30th version and I think along
2436 with that it's important for you to keep in mind at this time
2437 is that we'll be looking for comments on this version of the
2438 standard and the information that we've discussed here today
2439 by the end of July and what we'll be doing at that time is
2440 reviewing, reviewing your comments, reviewing comments from
2441 other stakeholders as well as any new information that may be
2442 provided to NIOSH through the docket and make any final modi-
2443 fications to the concept paper. From that end, once we've
2444 completed that review, we will, we're planning on releasing
2445 the statement of standard for the escape respirators in August
2446 with the potential for beginning the actual certification pro-
2447 gram in the October timeframe. The next step in our process
2448 is we're going to begin work on the powered air-purifying
2449 respirator standards and we are planning on or developing and
2450 putting out our initial concept paper for defining the stan-
2451 dard in the August timeframe. And I guess just to keep in
2452 mind that you know with the concept paper process, it's an
2453 iterative process that types of things that you're going to
2454 see in August are more of the program goal and the criteria,
2455 the overall, the overarching structure as far as the types of
2456 requirements we envision for the PAPR. We aren't at this
2457 time, the actual definition of specific tests and specific

2458 requirements may not be as well defined as you're seeing now
2459 on these current versions of the escape respirator, but, you
2460 know, we are going to be moving forward in the development of
2461 that standard and to that end, that we envision that somewhere
2462 in the October timeframe we'll be conducting our next public
2463 meeting to introduce the powered air-purifying respirator
2464 standard and begin dialogue on the concepts associated with
2465 that. I am aware there are several other conferences going on
2466 during October. The fire fighters have the red-man conference
2467 in October. NIOSH has a big research agenda conference in
2468 October and we will be you know try to be sensitive to the
2469 scheduling of that meeting to allow you to make a choice or
2470 allow you to be able to participate and not have to make a
2471 choice between attending one or attending another. And with
2472 that, what I'd like to do is open up the floor for any general
2473 comments on the escape respirator and then we had a request is
2474 Mr. Bennett still in the audience? Mr. Bennett, okay, but at
2475 this point, I'd like to open up for any you know comments
2476 regarding the escape respirator, either the air-purifying or
2477 the self-contained.

2478 **GÖRAN BERNDTSSON:** Why should I break the tradition?
2479 Göran Berndtsson from SEA, have you considered to classify
2480 this in some other means than 15, 30, 45, and 60 minutes
2481 because the reason why I raised this is because the end users

2482 are going to expect that number to be the performance and
2483 that's not necessary true. Maybe classes should be 1, 2, 3, 4
2484 or it's only a test method as against a certain criteria or go
2485 to step numbers.

2486 **UNKNOWN SPEAKER:** I think, part of answering that ques-
2487 tion, I think gets into developing the guidelines for use
2488 associated with the respirator. I think along with the gas
2489 mask standard, we took the approach of identifying the rating
2490 as the tested period that you know you tested for 15, 30,
2491 45 minutes and part of what we followed on with that program
2492 is the development of guidelines to assist the user in how to
2493 use the system and what that means in terms of, you know, some
2494 of the things that we've conceptualized is that to help an
2495 industrial hygienist or someone know, you know, CBRN 15 means.
2496 Means what? It means that, you know, that you'll provide
2497 15 minutes worth of protection at this concentration and
2498 you'll get this breakthrough and you'll determine capacity for
2499 the system and basically what we're doing is we're determining
2500 system capacity for the filtration and I think the next chal-
2501 lenge for us is to take a look at in developing supporting
2502 guidelines and information associated with this product to
2503 carry that type of a discussion forward.

2504 **GÖRAN BERNDTSSON:** There is possibly a different audience
2505 here. I mean there is no fire fighter who doesn't know that a

2506 30-minute (inaudible) doesn't last 30 minutes. There's a lot
2507 of people in this industry who understands that and here we're
2508 going to go out to public who might not understand that what
2509 you are testing it against. I mean it could last 50 minutes,
2510 escape respirator could last 30 minutes or 20 or 25 depending
2511 on what (inaudible) could last 12 or 14 or 7. So that's why I
2512 think it is, it could be misleading to a novice audience.

2513 **UNKNOWN SPEAKER:** I appreciate, I appreciate your point
2514 on that, Göran, and I think part of the education process
2515 that's associated with the escape respirator, you know, falls
2516 into the analysis, the analysis and need for individuals or
2517 businesses when they make a determination that I need a
2518 respirator and part of that goes into if I need a respirator
2519 what kind of a respirator do I need and select a respirator
2520 based upon that need. You know one of the sidewalk
2521 conversations that we had earlier was somebody from one agency
2522 said they did their own, they did their own risk analysis and
2523 they made a determination that they weren't going to provide
2524 or they weren't going to purchase an escape respirator. It
2525 didn't make sense for their application and I think in dealing
2526 with this population that one of the criteria in looking, in
2527 looking forward and how it's going to be used is to raise the
2528 general understanding of the users as far as why do I need the
2529 respirator and then in turn how do I need to make that

2530 selection of a respirator that will provide the protection
2531 that I'm looking for.

2532 **RANDALL TEMPLETON:** It's Randy Templeton, DuPont. Your
2533 comments lead into my question and that is are we receiving,
2534 I'm sure you're in communication with OSHA on a regular basis,
2535 but is there a sense that there will be OSHA guidelines help-
2536 ing the general working population for which this standard is
2537 being written to assess their requirement to supply their
2538 employees with this product? We can develop a standard and we
2539 can design products and we can certify products against that
2540 standard, but who is it for? It seems to me that there's a
2541 limit for voluntary decision to use that.

2542 **UNKNOWN SPEAKER:** It might fall in NIOSH's realm.

2543 **RANDALL TEMPLETON:** Exactly.

2544 **UNKNOWN SPEAKER:** Thank you for that question. Actually
2545 I hate to put her on the spot, but we have a representative
2546 here from OSHA today, Caroline Freeman, who we've been working
2547 with, you know, during the development of the standards pro-
2548 cess and may be she can address that a little better than I
2549 can. So if you don't mind Caroline . . .

2550 **CAROLINE FREEMAN:** Ah yeah, I'm Caroline Freeman from
2551 OSHA and you mentioned guidelines. Guidelines are certainly
2552 doable. We don't have anything on our agenda right now for
2553 guidelines from the agency, but we certainly would consider

2554 guidelines. I don't know if your question is really directed
2555 towards requirements or recommendations or guidance or what we
2556 allow. Perhaps you can clarify that because certainly we can
2557 do guidance materials and think that they're important along
2558 with the training aspects perhaps even prioritizing what
2559 we . . . Who was the Federal agency who did a risk analysis
2560 and said that they didn't have any risk? Anyway, we would
2561 like to work with a, we would absolutely be in concert with
2562 NIOSH and working out guidance on these on these CBRN tests.
2563 We are very glad to see them. The more CBRN tested equipment,
2564 the more tested equipment that there is, the more we know and
2565 we can separate what we don't know and it reduces the need for
2566 professional independent judgment so certainly we'll be work-
2567 ing on guidelines, no problem. We just don't have it on the
2568 schedule now. None scheduled now. We are working on a
2569 guidance document right now that will tell you what OSHA's
2570 standards currently require and CBRN tested equipment comes up
2571 with that guidance document. It's not a particular guidance
2572 document on CBRN equipment. This is in a simple, single-to-
2573 use document. What do OSHA's standards, safety, health,
2574 construction require in the event of an intentional disaster
2575 or other types of situations where PPE are required? What is
2576 the bottom line on the current patchwork of Federal standards
2577 that are out there for the workers and certainly we are

2578 considering talking about CBRN equipment in that, but it's not
2579 specifically . . .

2580 **UNKNOWN SPEAKER:** That's after the fact? Right?

2581 **CAROLINE FREEMAN:** After the event.

2582 **UNKNOWN SPEAKER:** The escape respirator designed antici-
2583 pating the standard, what you just said(inaudible).

2584 **CAROLINE FREEMAN:** So does all of this, the purpose of
2585 this document is to anticipate the event.

2586 **UNKNOWN SPEAKER:** (inaudible)

2587 **CAROLINE FREEMAN:** To participate, yes, absolutely and
2588 OSHA's reactions as far as, may be I don't understand the
2589 question because OSHA's reaction in terms of enforcement
2590 capability would depend upon the, certainly we would want to
2591 go for prevention and planning and training. We hope that the
2592 document we put out is a planning tool. We certainly hope any
2593 guidance we write on CBRN equipment is a planning tool whether
2594 it's planning to escape or . . . We hope these are planning
2595 tools and we would take a lot of consideration at the amount
2596 of effort employers or other groups have taken in setting up
2597 strategic plans. Does that answer your question?

2598 **RICH STEIN:** Rich Stein, QPS, it appears that this docu-
2599 ment that we've looked at today is, I don't know, pick a
2600 number 70% complete and there are a lot of holes and if I
2601 understood your schedule, the next step is to have a full-

2602 blown completed document which then we have no ability to
2603 comment on and change? Is that the system? Is that how it's
2604 going to work?

2605 **UNKNOWN SPEAKER:** It sounds like a policy question.

2606 **LES BOORD:** I think in line with some of the comments
2607 we've heard earlier relative to how we continue to follow
2608 through or perhaps drop the ball with the full facepiece, we
2609 would intend to keep posting this document and our guidelines
2610 there were middle and end of the month. I see no reason to
2611 not continue to do that. We do know that on June 30th we will
2612 have a revision because we've talked about some of those
2613 revisions today that are going to appear in that document.

2614 **RICH STEIN:** But by revision, do you mean you're going to
2615 have a complete set of standards so that we can look at and
2616 say okay this is what they think is a complete standard then
2617 we can make our comments or is it going to be pieces again?

2618 **LES BOORD:** I guess I don't understand the pieces. I
2619 think the concept is a, it is an evolving, whoops, excuse me,
2620 it's an evolving document so it does become more mature with
2621 each, with each revision level.

2622 **RICH STEIN:** Okay, but then there'll not, at some point
2623 in August, there will be a completed document and that'll be
2624 it, it'll be done.

2625 **LES BOORD:** The goal is that towards the end of August,
2626 we should be looking at a, I always use the word near, near
2627 final, yes, final.

2628 **UNKNOWN SPEAKER:** I'll take both of those last two ques-
2629 tions. I tend to go out on a limb. With regard to cautions,
2630 limitations, restrictions of use, guidance, NIOSH is working
2631 on the guidance on these escape hoods as well as on other
2632 respirators. We'll be collaborating with OSHA on those. We
2633 do have some drafts already available, but with regard to the
2634 escape hoods specifically, we've looked at the manuals on the
2635 three escape hoods that we've tested and they're excellent and
2636 if anyone would refer to those manuals and read them, they
2637 would see what cautions, limitations, and restrictions of use
2638 are in fact important. So they're on target. With regards to
2639 the second question, it is a fact that within 2 months, we
2640 will have a final standard. I don't think it's only 70%
2641 complete. I think it's almost complete and I would say 90%
2642 complete. The issues you raised today on the 2,000 protection
2643 factor we'll look at, but within the next 30 days, we'll be
2644 finalizing the standard and our implementation date is some-
2645 where around the end of August and you probably will not have
2646 another opportunity other than what you send into the docket
2647 office to comment. We're seeing this as a near-complete stan-
2648 dard. So unless we see a major issue that would delay our

2649 implementation, we're on line for implementing in the sched-
2650 ules that you saw. Call me or write me a letter if you see it
2651 differently, but that's where we're going right now.

2652 **SAM SHEARER:** May be I can give them something to delay
2653 it with. Sam Shearer, CSE Corporation, this afternoon I heard
2654 a couple of words that sort of caught my attention and one was
2655 a nose clip, mouth piece. We're thinking may be we can use
2656 those.

2657 **LES BOORD:** There are escape respirators that do utilize
2658 nose clips and mouth pieces.

2659 **SAM SHEARER:** Okay, we use that in CSE's unit. Could I
2660 ask for one more piece: goggles which we use in . . .

2661 **LES BOORD:** The requirement for the CBRN escape respira-
2662 tors, both air-purifying and self-contained, are for a unit
2663 that does provide eye protection in the form of a head cover-
2664 ing. So it really is an integrated system that could include
2665 a nose cup or a mouth bit and nose clips with a hood.

2666 **SAM SHEARER:** I'm just wondering if I have goggles on,
2667 why do I need a hood?

2668 **LES BOORD:** Yeah. We're looking at the actual head
2669 protection, the percutaneous exposures for the agents on the
2670 head.

2671 **SAM SHEARER:** Yeah, but I have hands, arms, all of that
2672 that could be exposed.

2673 **LES BOORD:** True, but I think the experts will tell you
2674 that the eye is probably a little more sensitive than
2675 skin . . .

2676 **SAM SHEARER:** Yeah I know, but if I have goggles on, I'm
2677 sealed around. So that's protected.

2678 **UNKNOWN SPEAKER:** (inaudible)

2679 **LES BOORD:** That's a good comment. As it is now, it is
2680 stated as a hood, head covering.

2681 **SAM SHEARER:** Okay, I lost!

2682 **JAY PARKER:** Jay Parker with Bullard, you know I was
2683 struck a little bit by the fact that you're allowing a nose
2684 cup which you then say means that you have to be clean shaven
2685 and there's going to be a warning to that effect. Yet you're
2686 also saying that you know the unit has to be a hood so that
2687 people with beards can wear it. So I think there's a little
2688 ambiguity there that you might want to think about a little
2689 bit.

2690 **LES BOORD:** Yeah, I think that's a good point and I think
2691 that the facial hair issue is still an issue that still needs
2692 to be addressed through the proper cautions, limitations, and
2693 restrictions of use and the presence of facial hair can be
2694 damaging to any seal, okay, whether it's a nose cup or
2695 whatever.

2696 **BODO HEINS:** Bodo Heins from Draeger, what turnaround
2697 time do you expect for the R&D testing? You only said that
2698 it's probably two, but if I look to the actual, then I would
2699 expect it mostly a year until we would get results from it and
2700 that is much too long for a development.

2701 **LES BOORD:** The research and development program that we
2702 were addressing is the R&D program that we've implemented and
2703 instituted for the CBRN evaluation. That program is a 3-day
2704 test period. So and I think that is pretty well defined with
2705 the information that's on the internet and I think also
2706 provided in your information packets today. The, so the idea
2707 is the research testing is 3 days. You're in; you're out.
2708 The test data is yours. You have the data to utilize. The
2709 only conflict in scheduling that we run into is priorities
2710 relative to certification testing. So on a given day, if
2711 there's certification testing scheduled, that would have a
2712 priority and I think until this point that hasn't been a major
2713 a major issue.

2714 **GÖRAN BERNDTSSON:** Göran Berndtsson, SEA, I'm not really
2715 clear on that question to OSHA and may be I can refresh that
2716 again. Will OSHA have the requirement for buying hoods for
2717 escape purposes? If it is yes, that's fine. If it is no,
2718 would it have a guideline saying that if you buy escape hoods,
2719 they should be NIOSH approved, yes or no?

2720 UNKNOWN SPEAKER: Is that what you wanted to know?

2721 CAROLINE FREEMAN: Thanks Göran, these decisions will be
2722 made at a high level after careful consideration and discus-
2723 sion with NIOSH. This is a major question before OSHA now.
2724 As I said, as CBRN-tested equipment comes out, there's a sigh
2725 of relief by this Federal agency in terms of the need for
2726 personal judgment. So we'll be making that decision at a high
2727 level. We've been asked by several first-responder communi-
2728 ties. Well are you talking about escape hoods only or CBRN in
2729 general?

2730 GÖRAN BERNDTSSON: (inaudible)

2731 CAROLINE FREEMAN: CBRN in general and escape in particu-
2732 lar, what NIOSH is doing has tremendous impact and with the
2733 findings from NIOSH in their hands OSHA will certainly take
2734 appropriate steps and this will be made , this decision is
2735 being made and will be made at a high level with a lot of
2736 careful consideration. There's money issues out there and
2737 there's possibility and likelihood of the events and who is
2738 the target and how much time do we have in a situation where
2739 we probably have some certainties. We'll be moving fast on
2740 that high-level decision.

2741 LES BOORD: Thank you Caroline. As mentioned a little
2742 earlier, we do work closely with OSHA and they are aware of
2743 what we're doing and they are pretty much informed on the

2744 progress that we make and as Rich mentioned, the project to
2745 identify specific guidance documents, cautions, limitations,
2746 and restrictions of use is something that we are looking at
2747 and we've identified resources to do that and actually carry
2748 out that function.

2749 Okay if there are no further questions, what we'd like to
2750 do . . .

2751 **UNKNOWN SPEAKER:** I'm actually going to do something dif-
2752 ferent. I'm going to say I think you do a really, really good
2753 job. I'm pleased to see how this is developing as a part-
2754 nership with the industry and this meeting, I think, is very,
2755 very helpful. So I thought I . . . I want to say that.

2756 **LES BOORD:** Thank you. We can take a few more of those
2757 comments. What we'd like to do is according to the agenda, we
2758 had a comment period and we didn't have any official partici-
2759 pants and what we have is we've scheduled the discussions on
2760 the QA Module to begin at 2:45 pm, so we're running about
2761 5 minutes ahead from our break, but what we should do is I
2762 think let's take that break and let's resume at 2:45 pm at
2763 which time we'll take up the QA Module discussions. Thank
2764 you.

2765 **ROLAND BERRYANN:** We're ready to begin now about dis-
2766 cussions about the quality assurance module that will be
2767 coming out as a proposal this fall and I'd just like to make a

2768 few comments. The first one should make everyone happy is due
2769 to popular demand by participants, we're going to start tomor-
2770 row morning's meeting with the manufacturers to talk about the
2771 certification process and possible improvements to it till
2772 8 o'clock rather than 9. Please hold the cheers down. I
2773 know. Okay, uhm, what we're going to do here in the next
2774 about an hour is we're going to update everybody on what we've
2775 been doing on the quality assurance module and basically we
2776 have been revitalizing our efforts in the development of the
2777 concepts for the quality assurance and administrative pro-
2778 visions for a proposed rule that we intend to come out this
2779 fall around October. And, the first change has been personnel
2780 changes. Matt Boyer* was heading the project previously and
2781 when the transition to NPPTL, Matt did not transfer with the
2782 program and we've been lucky that Bob Stein and David Book
2783 who's joined our program and QA program have assumed the task
2784 of taking on the project and moving it forward and we're very
2785 pleased. They've been doing an excellent job. They've been
2786 building on the work that Matt did previously and what we're
2787 going to discuss today is, as I think a lot of you probably
2788 remember a few years ago, we had some public meetings and
2789 talked about the concepts. They were I think in 2000 as Rich
2790 said before the 9/11 events, relocation of the lab, and
2791 several other things that kind of slowed down the progress,

2792 but the good news is there's a new ISO standard that came out
2793 in 2000 that we're revisiting and looking at as to how we can
2794 implement that into our program. David's going to tell you
2795 about that. So we've done reassessments of the ISO standards
2796 and how we think we can implement those into our process,
2797 upgrade the standards. We've had some limited experience in
2798 the use of private sector auditors in doing quality assurance
2799 auditors and we've been reorganized into the NPPTL from our
2800 previous structure in the division of respiratory disease
2801 studies. So today's presentation, like I said, is going to
2802 focus on the changes and the concepts from which you saw and
2803 heard a few years ago and the concepts, the complete concepts,
2804 will be mounted on the web page within the next few weeks. So
2805 with no further ado, here's Dave Book. By the way, we value
2806 him so much. Ask any questions you want while he's here
2807 because next week, we're sending him to Toronto.

2808 **DAVE BOOK:** It's so nice to be loved. As Roland pointed
2809 out, we're trying to create a summary of what we've done.
2810 Most of this has been done through presentations and small
2811 group discussions rather than the formal paper and posting
2812 process that has been being used with the other standards that
2813 have been introduced. We're trying to catch up to that and
2814 get that information out to you on the website. These slides
2815 should be available with the packet that's coming later so

2816 we're playing a little catch-up here, but I think if you bear
2817 with me, you'll get some new information.

2818 Looking back, where were we? Let's get these up. Okay,
2819 from 1972 to 1995, we really had no new respiratory standards
2820 introduced. In 1995, we introduced the 42 CFR and a number of
2821 things happened with that. First off, it itself was a stan-
2822 dard for particulate filters and it was nice to have a newer
2823 standard, but it also introduced a modular process where we
2824 were looking to update the standard on a regular basis and it
2825 really began our standard development activities. Since 1996,
2826 you've seen the results of a number of those. The CBRN self-
2827 contained breathing apparatus standard is out. The CBRN air-
2828 purifying respirator standard is out. We've talked here
2829 extensively about the CBRN escape respirator and the self-
2830 contained self-rescuers so you know there's a bunch of
2831 activity on those fronts. And this is the new one the Quality
2832 Assurance Module and it's technically the Quality Assurance
2833 and Administrative Module, so you'll find a number of things
2834 outside of strictly quality assurance that are kind of
2835 attached here because it's an opportunity to move to role
2836 making and we like to take advantage of all opportunities.

2837 I'm not responsible for the little swooshing sounds.
2838 The, we, as Roland pointed out, we began this process in 2000.
2839 We had stakeholder meetings with individuals and groups. We

2840 actually had an announced conference with private sector
2841 laboratory folks and auditors to get their input to what they
2842 thought might be an approach to using their skills efficiently
2843 and effectively. And we had a . . . We had a public meeting
2844 in August on this subject. What we're trying to do is update
2845 you with information that's happened since that time. Since
2846 then, ISO 9000 has moved on from the 1994 standard to the 2000
2847 standard. The 2000 standard requires both a process focus and
2848 an effectiveness focus and we think those are really critical
2849 to some of our decisions subsequent to that. And, of course,
2850 the laboratory, the NPPTL laboratory itself was established a
2851 year and a half ago, and, of course, we have new personnel
2852 which is why I'm here and Matt isn't. And we're actually
2853 pretty excited about the new personnel. They came with a lot
2854 of experience from the industry and a lot of academic back-
2855 ground also, so we had the best of both worlds in that we got
2856 to see the experience of the long-time Federal employees that
2857 had worked on the respirator community and some new fresh
2858 faces and ideas and they worked really well together.

2859 Okay, the impact of the new QA Module, there are a couple
2860 that really rely on the manufacturers or the approval holders,
2861 would like to be able to encourage the youth of contemporary
2862 manufacturing processes and we'd like to be able to replace
2863 some outdated quality requirements. We don't want to be in

2864 the position of having manufacturers out there saying we could
2865 do this better except as NIOSH requires. So we're trying to
2866 get past some of those hurdles. We've also, we run a number
2867 of audit programs through NIOSH. One of which is a . . . and
2868 as we go out into the field, we really have found significant
2869 nonconformance rates. The statistic that's quoted most often
2870 has to do with the product evaluation program where we find
2871 40% of the products we look at out of compliance. Well at
2872 first (inaudible), that's really bad. Most of those are label
2873 or documentation problems which don't affect product, but 5%
2874 what of our product audits do reveal a significant
2875 health/safety performance problem that requires a retrofitter
2876 recall and we're going to . . . we'd like to be able to get
2877 that figure done. We hope this will help to do that. Also
2878 internally for NIOSH, we've hope the new standard development
2879 will allow us to use our internal resources better to utilize
2880 outside resources better, and of course, there's that fee
2881 issue we'd like to be able to retain those so that we can keep
2882 the program viable and that's part of the proposal.

2883 These are the slides . . . these are what was actually
2884 presented in 2000: these two-section slides and I'm just
2885 going to go through the objectives here and then as we get a
2886 little later, we'll see what our proposed mechanism was and
2887 what our current mechanism for meeting that objective are at

2888 this point. Sometimes they've changed; sometimes they've
2889 stayed the same so that'll give you some idea of where we're
2890 being consistent and where we're having new thoughts. We'd
2891 like the quality assurance program to be consistent with
2892 international standards. I don't think there's any disagree-
2893 ment with that from this room as far as I've seen. We got a
2894 number of products specific quality assurance requirements,
2895 quality plans, sampling procedures, quality production
2896 records. We had specific recommendations in those areas and
2897 you'll see how they've evolved. We'd like to validate a
2898 quality system prior to approval. At this point, the only
2899 validation step we have is a paper validation step and we're
2900 seeing that's not always effective.

2901 We'd like to be able to audit our manufacturers on a more
2902 frequent basis. That again goes back to what we're seeing as
2903 end-product questions. Semi-annual site audits was the most
2904 frequent requirements. We're not actually planning on showing
2905 up every 6 months, but we'd like the authority to show up that
2906 often if we like you a lot. And annual product audits and we
2907 see those two as tied together. Trying to be able to see what
2908 you're system is doing and what you're actually producing.
2909 The fees question we'd like to recover and retain fees. We'd
2910 like those fees to be for the approval processing that we're
2911 currently doing. There's a new records maintenance fee.

2912 We'll talk about that in a bit. Quality activity fees, we'd
2913 like those simply to cover our costs and we'd like to retain
2914 the fees within the program. This is consistent with what
2915 we've done with the CBRN program so again we see old programs
2916 and new programs walking hand in hand down pretty much to the
2917 same path.

2918 Label adequacy for air-purifying respirators, there was
2919 in 2000, there was a significant requirement to make them
2920 simpler to really put on there what the users need.

2921 Okay, so where are we at today? The QA Module, itself,
2922 is relatively mature. We've worked on it in house a lot.
2923 We're fairly happy with where it is and we're comfortable
2924 bringing it forward to say, let's see what you have to say;
2925 let's see how we interact; and hopefully we can go from here
2926 to CFR language fairly quickly. It's a hybrid process. It's
2927 like the CBRN process, but it's a little different. It says
2928 periodic posting of concepts. Well, the first period will be
2929 pretty soon. We're getting to that and again we're taking the
2930 opportunities to interact both electronically and in person.

2931 Alright, probably the first and biggest change, when we
2932 talked last, our approach to being consistent with internal
2933 standards was to incorporate the ISO 9000 elements into the
2934 body of the CFR. So you would have this whole extended NIOSH-
2935 specific ISO-like thing to comply with or to audit to. We've

2936 decided to bite the bullet and simply incorporate
2937 ISO 9000/2000 by reference. What this means is that those of
2938 you out there who are ISO certified are registered at this
2939 point should have a compliance system and shouldn't need to do
2940 a lot of NIOSH-specific things. There are some. We'll retain
2941 some NIOSH-specific things, but not nearly as much as if we'd
2942 taken the other approach. Those of you who are not ISO regis-
2943 tered, ISO is a standard. It can be applied whether you're
2944 registered or certified or not. So you'll have to create a
2945 quality manual as you do now and simply have it meet those
2946 elements. We'll leave that there.

2947 Product-specific QA requirements in 2000, we were looking
2948 to add specific end process controls. We decided that the
2949 manufacturers had a much better idea what their processes
2950 looked like than we did and we're simply asking that you
2951 upgrade your systems through your ISO 9000 process through
2952 your corrective actions, your preventive actions, your inter-
2953 nal audits that that should meet that requirement.

2954 There was a large discussion on sampling, sampling plans,
2955 and approaches on those subjects in 2000. What we'd like to
2956 do is to allow for a transition from a sampling and inspection
2957 mentality towards a statistical process control approach.
2958 Right now, I got one thumbs up anyway. Right now, the current
2959 sampling plan is based on military standard 105D which has

2960 evolved to ANSI Z1.04 and Z1.09, Z1.9, it's okay you know what
2961 it is. We're going to allow a transition period for those
2962 manufacturers out there use to that, working with that,
2963 dealing with that. We think we might upgrade the quality
2964 levels a little bit for consistency, but no major standard for
2965 a transition period. The, we, there are a number of manu-
2966 facturers who prefer to use a sampling plan or in position
2967 where they're purchasing a lot of their components and really
2968 can't do process, statistical process control of their sup-
2969 pliers. So we've left that option available through a zero-
2970 defects sampling plan which will go forward and that's really
2971 part of military standard 1960 for those of you who are
2972 working in this area. We think that provides the end user a
2973 little better protection. It should be a little simpler to
2974 use. It's, we're always going to need some sampling plans.
2975 Our preferred approach is to do statistical process controls
2976 specifically to monitor processes and we're measuring around
2977 CPKs and again those we looked at military standard 1916 which
2978 also lists a very comparative set of CPKs for minor, major,
2979 and critical components. That's very similar to what we've
2980 been doing. So we've adopted basically those levels of CPKs.
2981 That's sampling plans in a nutshell. I'm sure I'll have ques-
2982 tions and we can expand on that a bit.

2983 We wanted to incorporate first-piece inspection and
2984 tests. We're going to have limited implementation of that.
2985 There's still some in-house debate about what's a first-piece
2986 inspection, how long does a process have to be down before you
2987 start doing that. We're going to need some dialogue on that.
2988 We wanted a complaint notification program so that NIOSH knew
2989 when you were having major field problems because we get kind
2990 of blind sided with this stuff occasionally. We've left that
2991 in. We haven't changed that.

2992 Retention of quality records for the life of the major
2993 components, that seemed like a reasonable requirement and we
2994 really haven't modified that since we talked last.

2995 Now a day* quality systems prior to approval, we were
2996 looking at having a manufacturing site audit before granting
2997 an approval. That seems like a prudent thing to do. We've
2998 retained that without significant modification.

2999 Audit frequency consistent with current quality prac-
3000 tices, the original plan was to authorize RAB accredited
3001 auditors. That's a little bit redundant since they're already
3002 been screened and approved and vetted and all that good stuff.
3003 So we're going to use them. We're not going to try and set up
3004 our own accreditation of an accreditation program. And we've
3005 had some experience with that over the last year and a half
3006 where we've had external auditors doing some of the field

3007 audits for us sometimes accompanied sometimes alone. And
3008 that's going fairly well and we've learned a lot about how
3009 external auditors are going to approach the audits that we've
3010 been doing internally for years. And the mind sets are a
3011 little different. So we're hoping to be able to incorporate
3012 that information. We wanted to use authorized accredited
3013 labs. We've retained that. Again, we've had some experience
3014 with that, some limited experience with that with SBCCOM
3015 folks. We haven't expanded that at this point outside of
3016 Governmental laboratories but again the interaction has been
3017 valuable to us and we're looking to do that on a test-by-test
3018 basis not as a blanket laboratory approval program.

3019 Recover and retain fees, obviously, from this slide we
3020 had a lot of good ideas in 2000 and we've kept them all. We'd
3021 like to recover the cost for approval process. Those fees
3022 will go up, but there not the kind of changes you've seen with
3023 some of the CBRN fees. We're just trying to cover our actual
3024 real costs not that we're doing anything else over there in
3025 CBRN mind you but I know there's been some sticker shock over
3026 there. We'd like to have a maintenance of approval records
3027 fee and that's really two-fold. First off, it recovers our
3028 cost for doing those services, but the other thing is it
3029 forces us to be in dialogue at least once a year to see
3030 whether the check came in or not. We've been having a lot of

3031 difficulties with folks who've been maintaining obsolete
3032 approvals even though they haven't manufactured a respirator
3033 in 5 or 10 years and then you get to where they've gone out of
3034 business and you have this whole kind of gee I didn't know,
3035 gee you didn't tell me kind of scenario goes on. So that at
3036 least creates at least an annual dialogue to say you haven't
3037 sent me a check you're really still in this business and we'd
3038 like to recover the cost of the products audit and compliance
3039 investigations. One of the sneaky things we might ask for is
3040 when we do product audits is to have the manufacturers supply
3041 us with those devices, those respirators. Right now most of
3042 you've been very good about that and it's been a kind of a
3043 goodwill-okay-sort-of deal. We'd like to formalize that.

3044 In 2000, we were looking seriously at air-purifying
3045 respirator labels. It was considered that they were too
3046 complicated and they provided the user with information that
3047 they never used and there was a cost problem there. We're not
3048 at this point sure if the needs and the demands for that are
3049 still there, whether we want to clutter up the QA module with
3050 a label requirement so we need your feedback on this. So if
3051 this is an issue for you out there, let us know and we'll see
3052 that we get in here and we get this passed forward. This is a
3053 place where we actively are encouraging you to send us notes
3054 and letters and comments.

3055 Gee I wonder if there's a fourth one. Opportunities to
3056 improve, this is one of those is that we hope that by having
3057 these discussions that there'll be better acceptance of the
3058 rules as they come out and so . . .

3059 . . . will substantially improve the quality, the
3060 reliability, our ability to verify that on an ongoing basis
3061 and we think that retaining the fees will help us as we move
3062 forward in our program.

3063 Schedule, as in any schedule that involves Rich Metzler,
3064 it's ambitious. The QA concepts are currently being revised.
3065 This is, we've been working diligently on those. We're having
3066 our public discussion of the concept in June. We hope to have
3067 the formal document that outlines what we've been thinking,
3068 where we want to go, what our first pass of this might look
3069 like, post it on the website by the end of June. Those of you
3070 who have calendars know that that's soon, soon. The concept
3071 docket, hopefully we'll have that up by June and we can have
3072 some discussion over that, have those comments in by the end
3073 of July. We will piggyback on the next public meeting to have
3074 some additional discussion, do a whole bunch of internal
3075 pushing-this-through-Federal-Government stuff and hopefully
3076 have a notice of proposed rulemaking out by December/January.
3077 So that's an ambitious time schedule, but we think we can do
3078 that and we hope this is of sufficient interest that we will

3079 get feedback quickly and voluminously so that anything we
3080 might have missed or passed by we won't let it linger very
3081 long. That's the end of that for now. Questions?

3082 **WILLIAM NEWCOMB:** Bill Newcomb, North Safety, could you
3083 go back to that first slide?

3084 **DAVE BOOK:** Maybe, ooh, let's see, you want to help drive
3085 Bob? You can go out and come back. Yeah, because I'm not
3086 going to slide; here you go. (inaudible) I assume you want
3087 the next first?

3088 **WILLIAM NEWCOMB:** No, that's the one I wanted.

3089 **DAVE BOOK:** Okay.

3090 **WILLIAM NEWCOMB:** Is that really how you picture the
3091 manufacturers as dollar science?

3092 **DAVE BOOK:** No, no, we picture them as generating dollars
3093 for themselves. I don't really know why the dollar sign was
3094 picked. I didn't pick it, but you can interpret that however
3095 you want.

3096 **WILLIAM NEWCOMB:** On the NIOSH approval labels issue, we
3097 as a manufacturer have started to post our labels on our
3098 website; however, some of them are too big to get into a pdf
3099 file actually pulled on a website. So it's still an issue,
3100 but I think it's a good place to put them. Thank you.

3101 **DAVE BOOK:** Thank you.

3102 **JAY PARKER:** Jay Parker with Bullard, am I correct in
3103 that I think I heard you say that you're going to allow either
3104 the zero-defect sampling plan or increased sampling or higher
3105 or more stringent AQL levels under P105E?

3106 **DAVE BOOK:** Right. We'd like to grandfather folks in who
3107 are current manufacturers to 105T or E for about a 3-year
3108 period as a transition. We would then like to have two pos-
3109 sibilities for your sampling assurance programs: (1) zero-
3110 defect plan or an equivalent. There's always or an equivalent
3111 and/or to go to a statistical process control based around
3112 CPKs. So those are what we're viewing as a long-term answer
3113 to that question.

3114 **JAY PARKER:** But you won't accept 105E with more
3115 stringent levels as I believe ISCA had recommended to NIOSH
3116 back in 2000 instead of zero-defect plan?

3117 **DAVE BOOK:** We'll take it under advisement, but that's
3118 not, we'll go try to, that's news to me, and we'll go relook
3119 at that issue.

3120 **JAY PARKER:** Thank you.

3121 **DAVE BOOK:** I like this part where I say, "Seeing no
3122 other questions." Alright, what's next on our agenda?

3123 **LES BOORD:** That wraps up the program for today. I think
3124 the only message or information is that if you fill out your
3125 surveys that are provided in the information packet, that

3126 information is really helpful to us in building these meetings
3127 and also at the reception desk there is an attendance list
3128 available. So as you exit, drop off the survey form, pick up
3129 the attendance list. And, again, the start time for tomorrow
3130 is 8:00 a.m. Thank you.

(END)