

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

TBD-6001 WORK GROUP

+ + + + +

THURSDAY
NOVEMBER 4, 2010

+ + + + +

The Work Group convened in the Zurich Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., Henry A. Anderson, Chairman, presiding.

PRESENT:

HENRY A. ANDERSON, Chairman
MARK GRIFFON, Member*
R. WILLIAM FIELD, Member*

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

ALSO PRESENT:

TED KATZ, Designated Federal Official
NANCY ADAMS, NIOSH Contractor*
DAVID ALLEN, DCAS
BOB ANIGSTEIN, SC&A*
HANS BEHLING, SC&A*
NICOLE BRIGGS, SC&A*
JAMES EAST, SC&A*
MARY GIRARDO, Hooker Chemical*
SAM GLOVER, DCAS
RICHARD LEGGETT, SC&A*
JENNY LIN, HHS
JOHN MAURO, SC&A
JIM NETON, DCAS
GERALDINE PAGE, Hooker Chemical*
EDWARD PATTERSON, United Nuclear*
JOE PROVECCHIO, SC&A*
MICHAEL RAFKY, HHS*
BILL THURBER, SC&A

*Participating via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

C-O-N-T-E-N-T-S

	<u>Page</u>
Introductions	4
United Nuclear	11
Electro Met	158
Hooker Chemical	208
Baker-Perkins	251
Adjournment	271

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 9:05 a.m.

3 MR. KATZ: This is Ted Katz of the
4 Advisory Board on Radiation and Worker Health.

5 This is the TBD-6001 Work Group,
6 and we will begin with roll call before we go
7 on the record, starting with Board Members of
8 the Work Group in the room, with the Chair.

9 CHAIRMAN ANDERSON: Henry
10 Anderson.

11 MR. KATZ: And please speak to --
12 we have four different sites that we're
13 discussing today.

14 CHAIRMAN ANDERSON: I have no
15 conflicts.

16 MR. KATZ: Speak to conflict,
17 thank you. you don't have to list them
18 individually, and then on the line for Board
19 Members.

20 MEMBER FIELD: This is Bill Field,
21 no conflict.

22 MR. KATZ: Thank you, okay, and no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Mark yet. The NIOSH-ORAU team in the room.

2 DR. NETON: This is Jim Neton,
3 NIOSH, no conflicts.

4 DR. GLOVER: Sam Glover, NIOSH, no
5 conflicts.

6 MR. ALLEN: Dave Allen, NIOSH, no
7 conflicts.

8 MR. KATZ: And NIOSH-ORAU team on
9 the line? Are you expecting any folks on the
10 line?

11 (No response.)

12 MR. KATZ: Oh, okay. SC&A team in
13 the room.

14 DR. MAURO: John Mauro, SC&A, no
15 conflicts.

16 MR. THURBER: Bill Thurber, SC&A,
17 no conflicts.

18 MR. KATZ: SC&A on the line.

19 DR. BEHLING: Hans Behling, SC&A.

20 MS. BRIGGS: I'm sorry, Nicole
21 Briggs, SC&A, no conflict.

22 MR. PROVECCHIO: Joe Provecchio,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 SC&A, no conflict.

2 DR. ANIGSTEIN: Bob Anigstein,
3 SC&A, no conflict.

4 MR. EAST: James East, SC&A, no
5 conflict.

6 MR. KATZ: Can you say that again?

7 MR. EAST: James East, SC&A.

8 MR. KATZ: James East. Welcome
9 all of you, and then HHS or other federal
10 officials or contractors to the feds in the
11 room.

12 MS. LIN: Jenny Lin, HHS.

13 MR. KATZ: And on the line?

14 MR. RAFKY: Michael Rafky, HHS, no
15 conflict.

16 MS. ADAMS: Nancy Adams, NIOSH
17 contractor, no conflicts.

18 MR. KATZ: Very good, and then
19 there are no members of the public in the
20 room. Are there any members of the public on
21 the line?

22 MS. PAGE: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Do you want to identify
2 yourself or --

3 MS. PAGE: Geraldine Page, Hooker
4 Chemical.

5 MR. KATZ: Geraldine Page,
6 welcome.

7 MS. PAGE: Thank you.

8 MS. GIRARDO: Mary Girardo,
9 Hooker, Niagara Falls.

10 MR. KATZ: Mary Girardo.

11 MS. GIRARDO: Right.

12 MR. KATZ: Okay, thank you.
13 Welcome. Any others from the public?

14 (No response.)

15 MR. KATZ: Okay, and the Hooker,
16 where's Hooker on the agenda?

17 CHAIRMAN ANDERSON: Hooker is
18 third on the agenda.

19 MR. KATZ: Okay. So for Geraldine
20 and is it Mary? So Hooker is the third item
21 on the agenda. It will probably -- it's hard
22 to judge how long it will be, but it will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probably be an hour or more before you get to.

2 CHAIRMAN ANDERSON: Oh, I think
3 United Nuclear is going to --

4 MR. KATZ: Or many hours before we
5 get to Hooker. Do you have a sense John?

6 DR. MAURO: UNC is probably going
7 to be a little more busy than the others.

8 MR. KATZ: You think that's a
9 couple of hours' worth?

10 DR. MAURO: And after that, maybe
11 things will get settled into one hour each.

12 MR. KATZ: Okay. So it may be a
13 couple of hours before we get to Hooker.
14 You're most welcome to stay on for the entire
15 Work Group meeting. I just wanted to give you
16 that sort of heads up.

17 MS. GIRARDO: What should we do if
18 we don't want to stay on?

19 MR. KATZ: So, you can sort of --

20 CHAIRMAN ANDERSON: Should we do
21 that right after lunch maybe?

22 MR. KATZ: We could set a time, to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 make it easy for them, since --

2 CHAIRMAN ANDERSON: Yes.

3 MR. KATZ: So we could say that
4 we're going to get to that at 1:00.

5 MS. GIRARDO: For sure, 1:00 p.m.

6 MR. KATZ: Is it one or we'll say
7 -- we could break at 12:00 and get to it at
8 one.

9 CHAIRMAN ANDERSON: Yes, 1:00
10 would be fine.

11 MR. KATZ: All right. Would that
12 make it easier on you folks?

13 MS. GIRARDO: That would be great,
14 and what should we do when we return call, do
15 the same thing?

16 MR. KATZ: Oh, you do the same
17 thing. You call in just the way you did.

18 MS. PAGE: If we don't call back,
19 we can obviously read the agenda, correct?

20 MR. KATZ: If you don't call back
21 -

22 MS. PAGE: We can read the --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Oh, the transcript,
2 absolutely. There will be a transcript to
3 this. You'll get that when it gets posted.

4 MS. PAGE: Okay. All right.
5 Thank you very much.

6 MR. KATZ: Okay, you're welcome.

7 MS. GIRARDO: Thank you.
8 Everybody have a good day.

9 MR. KATZ: Okay, Henry. It's your
10 turn.

11 CHAIRMAN ANDERSON: United
12 Nuclear.

13 MS. ADAMS: Ted?

14 MR. KATZ: Yes Nancy.

15 MS. ADAMS: This is Nancy. You
16 might just want to make the announcement about
17 noise in the line, because there was a --

18 MR. KATZ: Thank you for reminding
19 me, Nancy. So everyone listening on the line,
20 when you're not speaking to the group, would
21 you please put your phones on mute. Use your
22 mute button. If you don't have a mute button,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 press * and then 6, and that will mute your
2 phone, and then press * and 6 again unmute
3 your phone.

4 And also please don't put the call
5 on hold at any point, but hang up and dial
6 back in if you need to, because the hold will
7 disrupt the call for everyone else. Thank
8 you.

9 CHAIRMAN ANDERSON: So we have the
10 matrix that was sent out for United Nuclear.
11 I guess SC&A, you want to begin with your
12 review of the --

13 DR. MAURO: Well there's, I guess,
14 some development from the last meeting, and
15 the matrix, everyone has it. Bill put
16 together the updated matrix. It is dated
17 October 20th, 2010.

18 Everyone should have that, and I
19 believe the second package in there, so if you
20 go a little ways in, begins the United Nuclear
21 series of findings and our understanding of
22 the -- what came out of the last Work Group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 meeting, so it's summarized that way.

2 Bill, you put this together. You
3 want to MC this, or you'll help me out as I go
4 through, okay.

5 MR. THURBER: The fifth column
6 over represents the three items that SC&A was
7 tasked by the Work Group at the last meeting
8 to examine, and we subsequently did that, and
9 issued a report on -- in September, addressing
10 these three items.

11 Our response to the three items
12 that we were tasked by the Board to look at is
13 in the last column on the matrix.

14 DR. MAURO: The way in which we
15 organized this, and it's sort of -- it's good
16 for me to get back, is that we broke the work
17 up until a number of parts, different people
18 work in different parts. The very first part
19 we did, was as you recall, there was a 97 page
20 SEC petition, a big one.

21 CHAIRMAN ANDERSON: Yes.

22 DR. MAURO: And it had a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important points that needed to be addressed,
2 and we collected all that and tried to
3 summarize it.

4 So the very first item, and we
5 actually don't have it here on the findings,
6 but I think it's going to be important some
7 place along the line, that we go over --

8 I think we boiled them down to six
9 items that are of concern to the petitioners,
10 and the degree to which we felt the Evaluation
11 Report addressed those items directly or
12 indirectly in the report.

13 So that's going to be -- so that's
14 going to be like an overarching as we move
15 through these things.

16 Okay. So with that, let's see if
17 we could just catch up with -- the first one
18 is a fairly straight -- we'll get there. I
19 figure let me just start from the first box.

20 CHAIRMAN ANDERSON: Finding 1.

21 DR. MAURO: Finding 1, Finding 1.

22 Finding 1 has to do with X-rays. We've been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there before, and this has always been in that
2 little bit of ambivalent situation. Whenever
3 you have a site, an AWE site, typically you
4 folks assign the classic chest X-ray as being
5 the dose, one per year, and do your dose.

6 Use OTIB-6 and come up with
7 numbers, and we always match them. But we
8 always ask the question, because it looks like
9 it's a little unclear. Well, what about
10 fluoroscopic examinations, photofluoroscopic
11 examinations, which sometimes could be very
12 high, I mean ten times or higher.

13 All we, I guess we're saying is
14 there any reason to believe there were none.
15 I guess I still have -- the position, NIOSH's
16 position is basically a position that for
17 AWEs, by definition, they're not there unless
18 you see them, or by definition no, we're
19 going to give them, unless we know they're not
20 there. I guess we've been in that limbo state
21 for a while.

22 CHAIRMAN ANDERSON: Did they have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the equipment at the -- if they didn't have
2 the equipment, I think it's a safe bet, and
3 some of these smaller sites are --

4 DR. MAURO: Yes, that would be an
5 answer. I don't know whether that would be
6 available, but it certainly won't be in the
7 medical record when probably whether they had
8 it or not.

9 MR. ALLEN: It usually just ends
10 up if we get any information and that's what
11 we use. The question has always been, I
12 think, the default, when we have no
13 information and it's not unusual we get no
14 information about medical from a lot of these
15 small companies.

16 CHAIRMAN ANDERSON: Yes, right.

17 MR. ALLEN: And the default had
18 been that the photofluorography was
19 essentially set up for like a mass production,
20 scanning. I think it was really kind of for
21 TV scanning is what it is mostly used for.

22 But some DOE sites started using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it for their routine scanning of people, and
2 for a smaller site, we generally assume that
3 they would invest in that equipment or just
4 mass training, when they just don't have that
5 many people. Plus as far as United Nuclear,
6 we don't have a lot of detail, but we do know
7 it was Mallinckrodt.

8 It grew out of Mallinckrodt, and I
9 don't believe we have any information that
10 they had any photofluorographic, and in all
11 odds, they probably would have sent people
12 from the Hematite plant down there if they
13 invested that much money into
14 photofluorographic.

15 So the default right now is what
16 you said, the standard PA chest X-ray, and
17 unless we find out something different.

18 DR. MAURO: I think that in some
19 capacity, having that written down as policy,
20 this is it.

21 MR. ALLEN: I agree.

22 MR. THURBER: You know, this has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been the issue on a number of sites, that the
2 language in the OTIB is fuzzy as to whether
3 one should assume or what one should assume
4 for non-DOE sites.

5 It's just unstated. It says for
6 DOE sites, you ought to assume
7 photofluorography in the absence of anything
8 else. It's kind of silent on the other, and
9 that's one of the reasons this issue keeps
10 coming up.

11 MR. ALLEN: Yes, and we know that
12 and I think there is a revision of TBD, TIB-6
13 in the works, but I'm not -- it never seems to
14 get to be a top priority.

15 DR. NETON: I thought we had that
16 already documented, but we'll take a look.

17 MR. ALLEN: It just doesn't seem
18 to get to the top of the priority list on that
19 particular one.

20 DR. MAURO: Yes. You know, I go
21 in, I do my reviews, I pull out my -- I get my
22 box. I go pull out my TBD 6, I read it, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that may not be the latest version. So I'll
2 still saying the same things. But if there's
3 a later version. If there is --

4 DR. NETON: We'll look. If not,
5 we certainly could clarify that.

6 CHAIRMAN ANDERSON: It's worth
7 noting, but I think we'll just pass on it
8 probably for now, and see what you have. The
9 other thing would be potentially if you were
10 reconstructing, and you got close, then you
11 might want to go into further effort to see if
12 there might have been fluoroscopies.

13 MR. KATZ: Bill, were you trying
14 to say something?

15 DR. NETON: I think Bob was trying
16 to say something.

17 DR. ANIGSTEIN: This is Bob
18 Anigstein. I have a question about that.
19 I've been working with that in other cases.
20 Is it not plausible that at a small site, it
21 would not have an X-ray unit, and that they
22 would send the workers for their annual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 physical to a doctor's office or to a nearby
2 hospital, which might have employed
3 photofluorography?

4 MR. ALLEN: It is possible, but
5 under EEOICPA, the radiation dose has to be at
6 the facility.

7 DR. NETON: We have sort of a
8 legal ruling that it has to be -- the exposure
9 has to have been incurred at that facility.

10 DR. ANIGSTEIN: Even if an
11 examination was required as a requirement of
12 employment, it wouldn't count?

13 DR. NETON: Yes, yes.

14 MR. ALLEN: Right.

15 DR. ANIGSTEIN: Oh, okay.

16 CHAIRMAN ANDERSON: Well, the
17 assumption is that it was at the site.

18 MR. ALLEN: The default without --
19 (Simultaneous speaking.)

20 CHAIRMAN ANDERSON: So even if
21 they were sent off site, the assumption is
22 that they were de facto assigning that does.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: It's one of those --

2 MR. KATZ: Sam is shaking his
3 head.

4 DR. GLOVER: If we know that they
5 went off site, we do not assign it.

6 MR. KATZ: Right, right. No, no.

7 CHAIRMAN ANDERSON: Oh, okay.

8 MR. ALLEN: By default we're
9 saying if we don't know.

10 MR. PATTERSON: Is there any
11 chance I can get in this conversation?

12 MR. KATZ: Who's speaking?

13 MR. PATTERSON: Edward Patterson.
14 I was an employee at United Nuclear.

15 MR. KATZ: Oh absolutely.

16 MR. PATTERSON: Okay. There was
17 no X-ray at the site, no equipment. I was an
18 X-ray technician at the hospital before I was
19 an employee at United Nuclear and we never --
20 all of the X-rays were taken at the hospital.

21 MR. KATZ: Okay. So what you're
22 saying is that the X-rays shouldn't be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 counted, because they're not done on site.

2 DR. NETON: If that's true, yes.

3 MR. KATZ: If that's the case.

4 Thank you, Mr. Patterson.

5 MR. PATTERSON: Okay.

6 DR. MAURO: As we do in the
7 procedures, we have basically resolved this
8 issue. Do we close it or do we say it's in
9 abeyance until some words are changed --

10 DR. NETON: Well, we can't close
11 it. The Working Group -

12 CHAIRMAN ANDERSON: Yes, I mean I
13 would --

14 DR. MAURO: You're okay?

15 CHAIRMAN ANDERSON: I'm okay with
16 closing it. Yes, I think that --

17 MR. KATZ: Bill Field.

18 MEMBER FIELD: Yes. I think it's
19 fine from what we know.

20 CHAIRMAN ANDERSON: Yes.

21 MR. KATZ: Okay. That's an
22 unusual batting average.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: Okay. Finding
2 we've got.

3 DR. MAURO: Now this Finding 2 has
4 to do with external photon and electron
5 dosimetry, and there's bit of a history here,
6 which led up to a point where some data were
7 provided to us. Nicole, are you on the line?
8 Nicole Briggs?

9 MS. BRIGGS: Yes, I was --

10 DR. BEHLING: John, I'm also on
11 the line, in case you didn't realize it.

12 DR. MAURO: Okay, both. I know
13 that you've both been involved in compiling
14 lots of data on film badge data for photon and
15 electron, because that was an issue. There
16 was -- in the process of going through this
17 particular finding, one of the steps along the
18 way was we were asked to look at some data,
19 and then see how it speaks to us.

20 The issue had to do with selecting
21 the basis for, I guess it was some ratios of -
22 - well maybe it's more than that, photon to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data exposures. In fact, there's a large
2 attachment to the -- I believe so, to the Site
3 Profile or review of the ER, it goes on for
4 many pages, where all that data has been
5 compiled and reviewed.

6 Do any of you want to tell the
7 story on where we come out regarding that
8 particular issue?

9 DR. BEHLING: Well, let me talk
10 about briefly the history. In the initial Rev
11 0, the assigned values were -- the data was
12 contained in the 1960 AEC compliance
13 inspection report, and that probably
14 represents 1959 data.

15 It was to be used for the entire
16 11-year period. That was really the basis of
17 the original finding, in the sense where you
18 were talking about a single AEC summary
19 report, which really did not talk about
20 primary dosimetry data. That was probably a
21 reflection of the year 1959, and that was to
22 be used for the full 11 years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 As a result of that finding, I
2 believe the Rev 1 of Appendix B changed that,
3 and they identified data that was in essence
4 data that they were able to uncover for the
5 period of 1958. They extended the period to
6 1973, and that apparently was now the basis
7 for the revised data that is to be used under
8 Rev 1 for the assignment of beta doses, as
9 well as the penetrating doses.

10 And so as far as I'm concerned,
11 they resolved some of the issue, but I
12 personally did not look at one of the things
13 that was identified in the last Work Group
14 meeting, as for SC&A to review that data that
15 had been uncovered, that represents 58 to 73.

16 Now I don't know if Nicole was
17 able to look at that data. I personally did
18 not. In fact, I wasn't aware that NIOSH had
19 provided us with that data.

20 MS. BRIGGS: Yes. Actually, I did
21 go into the data. All the data wasn't
22 provided in the report, either in the TBD or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the Evaluation Report. But they did have all
2 of the references, and I tracked down all the
3 references.

4 For the most part, there was one
5 sort of external exposure report for each
6 year, that listed film badge data by worker,
7 and I guess the --

8 What it comes down to is we were
9 able to put together a big table, and we've
10 got -- and I think it's Attachment B and C of
11 our report, where we've got each individual
12 that was in the data, their employment,
13 whether or not they had film badge data, their
14 position and where they worked.

15 I guess I'll try -- what it really
16 boils down to is, our findings for that is a
17 lot of the data -- well actually each, for
18 whatever reason each year, the data was
19 presented in different ways. So each year
20 sort of had to be addressed on their own.

21 But for many of the years, the
22 data is given as a cumulative, as a beta-gamma

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 together, and NIOSH used beta-gamma ratios in
2 order to break out the gamma exposure. One of
3 our findings, there's sort of two steps
4 involved in that. One is it wasn't clear if
5 the -- in order to develop those ratios, if
6 the values that were below the LOD divided by
7 two, if they were used as part of the ratio,
8 in order to develop those ratios. So that
9 wasn't particularly clear, because those
10 values shouldn't have been included.

11 The other thing is while we wanted
12 to see a little bit more of an explanation as
13 to how those beta-gamma ratios were developed.

14 For example, we thought it would have been
15 important to include a correlation
16 coefficient, to see exactly how robust the
17 relationship was.

18 So that's sort of a brief
19 description of the data that we've got here.
20 There's a lot of it. But other than that,
21 there was, you know, there was a lot of data
22 here. The data did seem to be a cross-section

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of different types of workers with different
2 types of job titles.

3 There also seemed to be a pretty
4 good cross section across all of the different
5 work locations, particularly the work
6 locations that seem to be more potential for
7 higher exposure, where enriched uranium was
8 handled. I guess it's the blue room, the
9 green room, the red room and the item room,
10 were identified as areas where enriched
11 uranium was handled.

12 So other than the issues we have
13 with the beta-gamma ratios, it seems that the
14 data that is used is really is a cross section
15 of -- seemed to be a cross section of all the
16 workers and all the work locations.

17 CHAIRMAN ANDERSON: So are you
18 saying it can be used to -- it's adequate to
19 build a coworker model, that the ratios are?

20 MS. BRIGGS: Yes, it seems to be
21 that way.

22 CHAIRMAN ANDERSON: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: The way I read it is
2 the data are there. They seem complete. So
3 perhaps in my parlance it means more of a Site
4 Profile kind of issue, where a little bit more
5 work needs to be done to justify the beta-
6 gamma ratios that were derived when you have
7 to go to those, when you have deficiencies.

8 But it appears that there
9 certainly is enough data there to build that,
10 and I guess we were having a little trouble
11 with the data documenting how you did it.

12 I think Nicole, is this one -- I
13 read. There are four reports we're covering
14 here. I read the four over the last couple of
15 days. Is this the one where we have more data
16 than they do, or is this -- am I referring to
17 -- in other words, when we went into the
18 database, did we uncover additional data that
19 was not reported by NIOSH, or am I crossing
20 wires right now?

21 MS. BRIGGS: I think you might be
22 crossing. This is -- NIOSH did actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 listed each and every exposure report that was
2 listed, and I went and tracked down each one.

3 So it was pretty complete. I didn't find
4 anything that NIOSH didn't use.

5 DR. MAURO: Now with respect to
6 this issue of beta-gamma ratios, what is it
7 about that that was troubling to you?

8 MS. BRIGGS: It's just it wasn't,
9 it really wasn't explained. The beta-gamma
10 ratios weren't given and their methods for
11 driving those ratios weren't explained in the
12 report.

13 That's simply what it is. It's
14 not necessarily that they were wrong, it's
15 just it would have been better if there was a
16 little bit more transparency.

17 DR. MAURO: Now in doing that, I
18 know there's always this discussion of leading
19 into less thans.

20 MS. BRIGGS: Right.

21 DR. MAURO: And you're saying that
22 it's not apparent whether the less thans were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 left in or not, in order to come up with the
2 ratios?

3 MS. BRIGGS: Right, not at all.
4 Like I said, they really are -- there's really
5 no description at all as to how the ratios
6 were described.

7 MR. ALLEN: As far as the
8 description in there being weak, you know,
9 I'll agree. You know, I basically just said
10 we took an average of these for those years.
11 As far as the less than, the LOD over 2,
12 that's the only thing I disagree with right
13 now at this point.

14 It is possible to determine a
15 ratio using those or not using those, just
16 using the positive ones. But if you determine
17 using the positive ones, it should only be
18 used on the positive coworkers.

19 What we did was added in the
20 missed dose that would be associated with
21 those readings, determined the means or the
22 median from both beta and gamma and determine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that ratio, and used it on, in higher data set
2 rather than just the positive readings.

3 I think it's two ways of doing
4 essentially the same thing, is what it amounts
5 to.

6 DR. MAURO: I know a lot of folks
7 bring this up, and I have to say it's one of
8 those things I didn't spend too much time
9 thinking about. So let's say I have a
10 population of workers where I have lots of
11 gamma data, and let's say 20, 30, 40 percent
12 of it is below the limits of detection.

13 Here's your basket of data, and
14 for those same workers, I also have data, and
15 the same situation exists. There's some
16 that's below. So that's my data, which is
17 probably very common. Now I have some workers
18 where I don't have measurements but I want to
19 build a coworker model and relate one to the
20 other.

21 I guess it's not immediately
22 apparent to me when and why you would not or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you would leave the less thans in, and
2 determining the means, the standard deviation
3 and the 95th percentiles. Doesn't the less
4 than somehow mess up your ability to assign a
5 mean to the distribution, because you've got
6 all these zeroes? They're not part of the
7 distribution. They sort of flattened it out
8 on you.

9 I know you folks have worked this
10 problem before.

11 MR. ALLEN: And I mean that's
12 right. They are part of the distribution.
13 They are part of the population of monitored
14 workers, and as you said, that would be used
15 for a population of unmonitored workers, the
16 analysis of this.

17 If you were leave out the zeroes
18 essentially, you would -- I mean it's hard to
19 explain the math and all.

20 (Simultaneous speaking.)

21 DR. MAURO: You've driven the
22 whole distribution off.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Right. I mean you're
2 going to assigning to home monitored workers
3 that, you know, if had a site that stayed 99
4 percent zeroes, then you're going to end up
5 assigning the monitored workers the highest
6 one percent you've got, and the guys that were
7 actually monitored and got zeroes are going to
8 get less. They're probably unmonitored for a
9 reason.

10 DR. MAURO: But there are
11 categories of workers where you would say I
12 want to assign to assign a geometric mean.
13 Now very often, when you have lots of zeroes,
14 geometric means zero.

15 (Simultaneous speaking.)

16 MR. ALLEN: -- for a missed dose
17 first.

18 DR. MAURO: Okay. So the zeroes
19 you assign the missed dose and you give it
20 some number, and then -- and that becomes part
21 of the distribution, and then you'll be
22 basically for the population of workers where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you don't have data, you're effectively
2 assuming I'm going to get missed dose, as if
3 they were badged.

4 Stay with me, as if they were
5 badged but you saw something less than a
6 particular level.

7 MR. ALLEN: Right. So essentially
8 the mid, the median becomes the sensitivity of
9 the system.

10 DR. MAURO: And I guess I would
11 argue that that's okay if the population of
12 workers that you have zeroed out, either are
13 unmonitored and you're assigning zero to or
14 missed dose, you have good reason to believe
15 that's probably right for them, you know.

16 And there's where I guess the case
17 comes in. When that happens, for example, by
18 the nature of the job or whatever limited data
19 you might have for them, to reinforce it, that
20 yes, it's reasonable to do it this way for
21 that group. Now there may be other groups
22 where you are developing a coworker assignment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 where maybe you wouldn't do that.

2 MR. ALLEN: Other sites.

3 DR. MAURO: Other sites, other job
4 categories. For example, even within a given
5 site there are lots of jobs, these different
6 rooms, for example. Now I'd imagined if there
7 were some workers in the red room or the blue
8 room that don't have any measurements, and you
9 know, you say to yourself well, what do we
10 assign to them, I'm not sure. I'm just saying
11 that this is has always been there, and to
12 this day, I'm not quite sure what the right
13 thing to do is.

14 MR. ALLEN: Well, I mean each one,
15 any time you do a statistical analysis of a
16 set of data, essentially you've got to realize
17 that it is that set that you're analyzing, and
18 then what you make of that set is the
19 question. That's essentially what you're
20 saying.

21 DR. MAURO: That's all I'm saying
22 really.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: And that's very site-
2 specific. In this particular case, as they're
3 saying on the phone it seems to be a pretty
4 good cross-section of the population, of the
5 external dose here.

6 DR. MAURO: So in this particular
7 case, I guess the answer to the question is on
8 the right-hand corner. You're recommending
9 that you use the full set of data for coming
10 up with the coworker. Is that where you are
11 on this one?

12 MR. ALLEN: Are you on the --

13 DR. MAURO: I'm on Finding 2 on
14 the right-hand side. Finding 2 under the
15 response. So it's Finding 2 of Finding 2.

16 CHAIRMAN ANDERSON: Finding 2 of
17 Finding 2.

18 (Simultaneous speaking.)

19 MR. ALLEN: Finding 2 of Finding
20 2, it is a little different story, and that
21 is, as I read this, it's essentially
22 questioning what we're doing for 1961 and '65.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Yes, again now zeroing
2 in.

3 MR. ALLEN: 1961-1965 was the time
4 frame where we had the beta and the gamma
5 rating separate. So we developed separate
6 coworker for Dose 2. There is no ratio used.
7 It's the data.

8 DR. MAURO: Okay, okay. Nicole,
9 do you feel as if you've gotten the
10 information you need to understand where the
11 rationale for the position that's being taken?

12 MS. BRIGGS: Yes, yes. I'm just
13 trying to follow along with the data too.

14 DR. MAURO: Okay.

15 MS. BRIGGS: There's a lot of data
16 to look at, so I'm just trying to --

17 DR. MAURO: Well I guess, right
18 now my sense is after reading this, I'm not
19 sure that -- I just want to make sure you're
20 comfortable you have what you need.

21 CHAIRMAN ANDERSON: So for an
22 individual who worked prior to that or after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, and this period, you would calculate it
2 differently?

3 MR. ALLEN: Yes, and the Appendix
4 right now has different values for each year.

5 CHAIRMAN ANDERSON: Yes, okay.
6 That's what I thought.

7 MR. ALLEN: Yes, based on data,
8 and you have to remember that is for
9 unmonitored workers. The monitored workers
10 were using the actual dosimetry data you have?

11 DR. MAURO: Yes, yes, yes. Okay.
12 So are we -- I'd like to hear from Nicole.
13 Do you feel this issue's been closed to your
14 satisfaction?

15 MS. BRIGGS: Yes, I think so. I
16 was just reviewing. I think what ended up
17 happening, I was looking at the matrix and I
18 was looking at our report, and I think there
19 may have been -- I think that there's a little
20 bit of a confusion between the findings and
21 the report, and now it translated into the
22 matrix.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So yes, it really boils down to
2 just that ratio issue, and it's really just,
3 you know, more of an explanation than anything
4 else. There's really no deficiencies in the
5 data.

6 DR. MAURO: Okay, good.

7 CHAIRMAN ANDERSON: So do we want
8 to -- I mean is this a document that, for the
9 -- you know, we've talked about it here, but
10 it's not going to be captured in the document.

11 So I mean is it -- is this something that
12 could easily be --

13 DR. NETON: Well, I think what
14 we're saying is that this is not a SEC issue.

15 I mean so we don't necessarily have to
16 correct it at this exact moment to satisfy an
17 SEC concern.

18 CHAIRMAN ANDERSON: Okay, okay.

19 DR. NETON: At least that's my
20 impression of what we're saying.

21 MR. ALLEN: Yes, SEC deals with
22 that, but we are undergoing a revision to this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Appendix as part of cancelling TBD-6001.

2 CHAIRMAN ANDERSON: Yes, I gotcha.

3 MR. ALLEN: And the resolution of
4 it here, essentially the explanation --

5 (Simultaneous speaking.)

6 CHAIRMAN ANDERSON: Yes. It's not
7 a difficult thing, but it's the kind of thing
8 that gets slipped through the cracks. A year
9 from now we'll forget.

10 DR. NETON: No. It will be talked
11 about in a Site Profile review.

12 CHAIRMAN ANDERSON: Yes, okay.

13 MR. KATZ: So in the new Appendix,
14 that they're revising the Appendix, that
15 explanation will be added.

16 CHAIRMAN ANDERSON: Better
17 documentation. Okay. That's right. So are
18 we comfortable? I'm comfortable closing it.
19 Bill?

20 MEMBER GRIFFON: Henry?

21 CHAIRMAN ANDERSON: Yes.

22 MEMBER GRIFFON: This is Mark

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Griffon.

2 CHAIRMAN ANDERSON: Oh good, Mark.

3 You have any thoughts?

4 MEMBER GRIFFON: Yes. I'm trying
5 to -- I know where you are now on the matrix,
6 and the only question I would have before you
7 close it, it's just my -- probably I'm just
8 reading up on United Nuclear.

9 Did they only do uranium work at,
10 pretty much exclusively uranium, or was there
11 any thorium work that was done there?

12 DR. BEHLING: There was thorium
13 there.

14 MEMBER GRIFFON: There was some
15 thorium work done there?

16 DR. BEHLING: Yes, it was.

17 MR. THURBER: For a brief time
18 they did some thorium work.

19 DR. BEHLING: In fact, that's
20 discussed in the next finding.

21 MEMBER GRIFFON: Okay.

22 DR. BEHLING: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER GRIFFON: I think I'm done
2 trying to catch up, but I think I am still
3 comfortable with this, and I just was reading
4 the Appendix.

5 CHAIRMAN ANDERSON: So that we'll
6 close it out with the proviso that --

7 MR. KATZ: Bill, are you okay too
8 with closing this?

9 MEMBER FIELD: Yes, I think that's
10 fine.

11 MR. KATZ: Okay.

12 DR. MAURO: We'll go on to Finding
13 3, which I believe is the neutron dosimetry
14 work which was done by Bob Anigstein, and he
15 had a number of technical findings regarding
16 the simulation. This is the one we talked
17 about yesterday Bob, is that right?

18 DR. ANIGSTEIN: Yes.

19 DR. MAURO: Do you want to give a
20 brief description of some of the concerns you
21 had with the approach taken.

22 DR. ANIGSTEIN: Okay. Basically,.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they can be broken down into three categories.

2 The first one is a technical issue that we
3 had. I mean the neutron dose was assigned on
4 the basis of OTIB-24, and we reviewed, SC&A
5 reviewed OTIB-24 in 2005, and we had a number
6 of scientific issues with it.

7 I won't go over all of them, but
8 what it boils down to is that in some cases,
9 as in the present one, the OTIB overestimates
10 the dose slightly, like we -- the independent
11 calculation that we did for uranium
12 hexafluoride, was that the dose could be 27 --
13 the OTIB-24 overstated the dose by 27 percent,
14 which was not a major thing.

15 But I would like to mention
16 incidentally that the same OTIB overstates
17 some doses by 400 percent, and others,
18 understates them by a factor of 16. So it's
19 just not a reliable guide.

20 Now that aside, the second issue
21 was that the dose is not bounding. The second
22 issue is that the extrapolation from natural

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 uranium to U-230 to this highly enriched
2 uranium is not done correctly, because the
3 neutron generation is not simply a matter of
4 the total alpha activity of the uranium, but
5 of the energy distribution of these alphas.

6 And even in OTIB-24, you see that
7 the uranium-234 is much more efficient at
8 generating neutrons than uranium-238, and
9 since in highly enriched uranium almost all
10 the activity is from U-234, on an activity
11 basis, then this assumption is not correct.
12 You could understate, significantly understate
13 the U-230, the neutron generation.

14 And then finally, we questioned,
15 this again is the second order, this is
16 probably the biggest effect. The second
17 order, again, is smaller effect, is the
18 limitation to 50 kilograms. I believe the
19 numbers were reversed.

20 The analysis assumes 50 kilograms
21 of -- assumes 50 kilograms of highly enriched
22 uranium, and 100 kilograms of 20 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enriched. Now the documentation shows at
2 least there was one case where the site
3 requested a shipment of 100 kilograms of
4 highly enriched, and I believe they only
5 requested 50 kilograms of the 20, 20 percent.

6 So there again is a potential for
7 understating the dose. It could be as much
8 100 kilograms, and the analysis of criticality
9 is not applicable here. Assuming that the
10 uranium is in a metal sphere with optimum
11 reflection yes, then the criticality, critical
12 mass is a little over 50 kilograms.

13 But when the uranium is in the
14 form of uranium hexafluoride in different
15 shapes, the critical mass would be much higher
16 most likely, with the uranium just by the
17 lower density will be more spread out and
18 greater chance of neutrons to escape.

19 So, we do not accept that the 50
20 kilograms is a limitation based on
21 criticality, where we can't say that 100
22 kilograms is possible but we require

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 criticality analysis to show that. Probably
2 the simplest thing to do is to be to assume
3 the 100 kilograms as a possibility.

4 Then finally, on flipping the
5 coin, the assumption that the worker, that the
6 organ in question would be one foot away from
7 that source actually will be from the centers,
8 since it's modeled as a point source.

9 That means it would be one foot
10 away from the center of this 100 kilogram
11 source or 50 kilogram source, if you will.
12 Also, it just does not seem realistic. It's
13 an upper bound, but first of all, we question
14 whether it's a plausible upper bound, and also
15 it would be a reasonable to use this scenario
16 to deny a claim, by saying well, it can't --
17 if the corrections were made, the technical
18 corrections that I testified which would
19 mostly result in a higher neutron dose.

20 Then one could say okay, this case
21 seems like a candidate for denial, and let's
22 give him the maximum neutron dose, and with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that, if you still do not meet the criteria
2 for compensability, then you can deny with a
3 clear conscience.

4 However, in the cases where that's
5 not the case, and a realistic dose assessment
6 is required, we question whether this meets
7 that threshold of plausibility. That's about
8 -- I mean that's it in summary.

9 CHAIRMAN ANDERSON: This is beyond
10 me, this is not my area.

11 MR. ALLEN: Well, I agree with the
12 ratios of uranium for enriched, that the U-234
13 would be more effective at producing neutrons,
14 and that wasn't considered in that analysis
15 there, so that should be slightly higher
16 there.

17 I haven't done the calculations to
18 verify or anything, but I believe Bob when he
19 says that the doses were started off at 27
20 percent too high and I think that it would
21 probably cancel out to a decent amount, to
22 where it's a small difference with the two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effects combined.

2 So then it comes down to just the
3 -- essentially the scenario, of how much you
4 can be, you can have in one place and how
5 close you are to it, and we agree. We tried
6 to make it a bounding scenario. Bob's opinion
7 is implausibly high. Our opinion is that it's
8 bounding, but it's not unduly high.

9 It's not a high enough dose that
10 would warrant compensation for, you know,
11 everybody. So it's essentially, is not unduly
12 high in that manner.

13 DR. NETON: This is not
14 inconsistent with exposure scenarios we have
15 used for other non-neutron exposures,
16 exposures to drumming operations, that sort of
17 thing.

18 DR. MAURO: We're in an area where
19 we -- it's not a difficult area, but it's an
20 area that we encounter time and again. When
21 you have a fairly simple physics problem,
22 persons working with a glove box, there's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 neutron source, and we don't have very much
2 information from the workers exactly what
3 transpired, what the size of the source was or
4 the distance was, how long they spent there.

5 There's no doubt that people could
6 come up with some reasonable scenario that
7 says we are fairly confident that this
8 scenario would probably bound most workers
9 that might have been in the vicinity of the
10 source. Here's where it's a judgment call.

11 One could come up with some
12 heuristics, saying listen, based on my
13 judgment, I think this does it. On
14 heuristics, that someone could argue well,
15 maybe that's not that plausible.

16 It's just a little bit too
17 conservative, and it's something that given
18 that, especially if you use a point source,
19 which is really not realistic, and you combine
20 that with a lot of other assumptions, you're
21 going to come up with a dose that perhaps is
22 too high, implausibly high.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In other words, to use the
2 language in the rule, you know, as a plausible
3 circumstances. Are those circumstances
4 plausible? I don't know if we're gilding the
5 lily, but perhaps they're not.

6 Now what do you do when you
7 confront something like that? You go talk to
8 the workers and get a better sense of well,
9 what did you do there; how long did you spend;
10 what kinds of things did you work with.

11 And eventually you come up with
12 well, here's the range of kinds of things that
13 people did, based as best we can tell, and
14 this is what we're going to model, as opposed
15 to let's say selecting a scenario which
16 intuitively seems to be a pretty bounding
17 scenario, notwithstanding the mass issue that
18 Bob brought up, the 100 versus the 50. That's
19 something that you guys could fix. That's no
20 big deal.

21 So I say to myself just about
22 everything Bob brought up is a Site Profile

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 issue, for you folks to deliberate on, whether
2 or not you can polish the apple and let's
3 maybe fix some of these things.

4 The other half is questions of the
5 scenarios that they're using that you decide
6 to model. Do you feel that that meets the
7 test of plausible circumstances regarding
8 time? Is it distance, time to the critical
9 organs, geometry, or perhaps is it too
10 conservative?

11 And now -- in my world, what
12 you've done is those assumptions represent
13 what I consider to be bounding, once the other
14 problems are fixed; bounding with regard to
15 the energy distributions and the mass. And
16 certainly one could say yes, it does place an
17 upper bound.

18 The only question is, is it a
19 plausible upper bound, and I mean this is
20 really -- now this word "plausibility" is our
21 plague, you know, what's plausible, and that's
22 very much a judgment call. One could argue, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think Bob would argue that well, you know,
2 it's not necessarily plausible. He doesn't
3 know.

4 I mean we talked about this
5 yesterday. I don't know if this is plausible.

6 What do you do within that circumstance? You
7 go talk to the workers, and I guess that's
8 where we -- that's where Bob and I walked away
9 from it, and we said okay, we both agree.

10 DR. NETON: What I'm hearing you
11 say though is that you think the dose is
12 implausibly high. That's what you're saying?

13 DR. MAURO: No, I'm saying it
14 could be. We don't know.

15 DR. NETON: Right, but you don't
16 know.

17 DR. MAURO: We don't know.

18 DR. NETON: I'm not sure. This is
19 a tricky -- I mean this is not -- by virtue of
20 the physics, it's a plausible dose. What
21 you're talking about is a worker --

22 DR. MAURO: Circumstance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Circumstance
2 relationship, which I think is pretty squishy,
3 and where we would always land on the side of
4 being more conservative I'm not. So you
5 interview workers, "Were you ever a foot from
6 the source?" Maybe, maybe not.

7 DR. MAURO: For four hours a day,
8 a new organ of concern, you know, his kidney,
9 his heart.

10 MR. KATZ: I don't think you need
11 to go to those kind of extremes at all.

12 CHAIRMAN ANDERSON: But that's a
13 thought. Mark, do you have any, or Bill, do
14 you have any thoughts on this?

15 MEMBER FIELD: I guess it's just
16 based on whichever's, you know, various
17 situations. So it's kind of hard to tell if
18 there's any here.

19 CHAIRMAN ANDERSON: Yes.

20 DR. MAURO: You're using a
21 convenient shortcut.

22 DR. NETON: To me that is almost

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like a Site Profile issue. You're really
2 trying to find the circumstances surrounding -
3 - it is plausible to have neutron exposures.
4 We think we can agree as to what the sources
5 were. Now it's a matter of where the worker
6 was positioned in relation to the source.

7 DR. MAURO: Well, also I believe
8 it was a point source.

9 DR. NETON: Well, okay.

10 DR. MAURO: So no attenuation. So
11 --

12 DR. NETON: Well, that can be
13 modeled.

14 DR. MAURO: Oh yes, that's a Site
15 Profile.

16 DR. NETON: All you want to talk
17 about is the distance, the time and distance
18 of the worker from --

19 DR. MAURO: From the source, to
20 the organ of concern.

21 DR. NETON: I believe that is
22 something that would not prevent dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reconstruction. In fact, if you don't know,
2 you would assume something very conservative,
3 which is what we've done in a number of
4 instances.

5 I think there's sort of a
6 precedent set for this for a number of cases.

7 This is the first time this has ever come up
8 in relation to a model, to model a situation
9 like this. I would argue that it's a
10 reasonable approach to bound the dose.

11 DR. MAURO: I guess the question I
12 would have is when you come to these
13 boundaries -- let's say first of all, given
14 that whatever modeling assumptions regarding
15 the size of the pit or whatever the source is
16 -- I'm not sure it was the pit, but whatever
17 it is, is you go with a realistic, as opposed
18 to a point, because the point, of course, is
19 going to give you, for the same quantity,
20 you're not going to have self-attenuation.

21 So that's going to be an
22 overestimate if you go with the point. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 distance is an overestimate. Maybe the
2 neutron spectrum, I think Bob pointed out, may
3 not be an overestimate. The time period that
4 you're going to assume the person's there is
5 an overestimate. So you've got these --

6 DR. NETON: We don't know that. I
7 mean I think, it's a reasonable estimate. I
8 think this is sort of the same situation that
9 we assume 2,000 hours exposure at the highest
10 MAC measured in a plant for the entire year.
11 I don't know why that's any different here,
12 and no one has suggested that's an implausibly
13 high value.

14 It's a conservative, bounding
15 value that we've applied, that is based on the
16 physics of a situation or the exposure limits
17 that have been measured.

18 DR. MAURO: And in your mind,
19 those are plausible circumstances --

20 DR. NETON: Yes, otherwise we
21 wouldn't have used them. The implausible
22 takes you in a value where you've sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 violated some basic --

2 DR. MAURO: Yes, one too far.

3 DR. NETON: Could the person have
4 been laying on top of the source or I don't
5 know. I guess something, something very out
6 of the ordinary.

7 DR. MAURO: Texas City, the
8 original Texas City, where you know, that's
9 what happened.

10 DR. NETON: Yes.

11 DR. MAURO: Yes. I have to say,
12 my inclination is to agree with you. I know
13 Bob, I know that, you know, you have some
14 thoughts about this too. I don't want to take
15 the wind out of your sails. Do you feel that
16 these are circumstances that could be
17 plausible or we don't know?

18 DR. ANIGSTEIN: Again, I'm
19 agnostic on this. I don't know. It sounds --
20 I think it needs to have some factual
21 information behind it, and since there are
22 surviving workers, and we have one on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 line, I would think that some in-depth
2 interviews could help refine the procedures,
3 could help refine what was there.

4 I know my own experience over the
5 past several years with GSI, General Steel
6 Industries was we got a ton of information
7 from them. There was a group of workers who
8 were very willing to cooperate.

9 We got a ton of information, where
10 we could practically write a book about just
11 what really happened there, and even though
12 there were some minor differences owing to
13 different accounts. But I don't see that that
14 has been done for UNC.

15 DR. MAURO: Would you agree this
16 is a Site Profile issue?

17 DR. ANIGSTEIN: Well, I don't
18 think it's my place. I'm not even sure if
19 it's SC&A's place to decide, you know, to
20 recommend a SEC. That's up to the Board. I
21 guess in principle, if we came up with a new
22 Appendix B and had, based on some realistic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 assumptions, which SC&A would presumably then
2 review.

3 I could see that this could be
4 resolved, let's put it this way. I can
5 certainly see that, NIOSH could, may be able
6 to resolve this issue, if that answers the
7 question. But if in fact will it be resolved
8 is another, is something else.

9 MR. KATZ: Can I just ask a
10 clarifying -- I mean do we not know what this
11 basic handling process was at this? Do we
12 know nothing about what they did at this
13 plant?

14 MR. ALLEN: They were making
15 commercial fuel, and get the basic idea. I
16 mean most of it, I believe, was glove box or
17 you know, close-in work that, you know,
18 somebody would be at arms length with, you
19 know, smaller quantities.

20 But the 100 kilograms was
21 essentially a quarterly order for the enriched
22 uranium. But there was other things they did.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We don't know for sure; we did some scrap
2 recovery, which is what they did for AEC.

3 We got the general idea of how all
4 that's done, we don't have the details on how
5 all that's done or what their work assignments
6 were with somebody chained to a table, you
7 know, eight hours a day or did they switch out
8 jobs type of thing. So the four hours at a
9 foot seems like a bounding estimate that we
10 could --

11 CHAIRMAN ANDERSON: It seems to be
12 a little thin, you know, the general
13 information --

14 MR. ALLEN: Well, the detailed
15 information, yes.

16 CHAIRMAN ANDERSON: That is there
17 by detail is ---

18 MR. ALLEN: You're talking work
19 assignments and everything else then. So I
20 mean what we normally get in these meetings is
21 how do you know somebody wasn't there four
22 hours a day, rather than the opposite of what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we're getting right now.

2 DR. MAURO: In NIOSH's defense, I
3 know that we've worked on other sites where
4 they were dealing with rods and billets, and
5 without very much discussion they said well,
6 we're going to assume they were four hours a
7 day, three hours a day at the rod, three hours
8 a day at the billet, one foot away and I guess
9 another hour or two in the lunch room, and on
10 that basis calculated the exposure, and we had
11 no problem with that. It seemed to be, well
12 that's the guy's job. So he's going to be
13 there.

14 So I have to agree that, you know,
15 what we're doing here is a little bit
16 different than what we've done before, in
17 terms of the threshold of acceptability. At
18 the same time, given a little bit more
19 richness to the story, that is yes, we spoke
20 to workers; we started to get a little better
21 understanding of what they did, what was their
22 daily routine like and how variable it might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have been, you know.

2 If that could be done, it raises
3 the, I guess the credibility of the scenario.

4 Yes, the scenario certainly was one that
5 could be plausible.

6 CHAIRMAN ANDERSON: I don't see a
7 worker interview stuff, but I'm not very good
8 yet at tracking stuff down and then -- so do
9 we have, has that, you know, has that been
10 tried? Maybe we have one worker --

11 MR. ALLEN: I could not tell you
12 for sure on this one where we stand. I think
13 there was some that weren't enlightening on
14 details of the operation, but I could be
15 wrong.

16 MEMBER GRIFFON: This is Mark
17 again. Dave, do you know -- the only question
18 I would have is, you know, I agree with John's
19 statement that we've sort of done this
20 approach before, but for this, the question I
21 would have is this enriched material.

22 Was there a limited number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 persons or was it more of a special operation,
2 or was it throughout the general operation all
3 the time? Did everybody sort of have equal
4 potential to be working with the enriched or
5 the natural material, or you just don't have
6 enough information? Is that the --

7 MR. ALLEN: Well, they were making
8 commercial fuel and my --

9 MEMBER GRIFFON: But then they
10 have -- yes, they have the 93 percent enriched
11 some time too.

12 MR. ALLEN: Right and --

13 MR. PATTERSON: They had 97
14 percent.

15 MEMBER GRIFFON: Oh, they did go -
16 - okay. I didn't see that.

17 MR. ALLEN: Yes, and Mark, I mean
18 to answer your question, no, I don't have a
19 good idea of whether that was scattered about
20 the plant or whatever it is. I think they had
21 more than one customer and they did different
22 things with different customers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. THURBER: Didn't they make
2 fuel for the Navy?

3 MR. ALLEN: That was one of their
4 customers.

5 MR. THURBER: And that certainly
6 would have been the highly enriched, and
7 knowing how the Navy does things, I would
8 presume that that's done in a special area
9 that would be --

10 MEMBER GRIFFON: Blind, yes.

11 MR. THURBER: Physically divorced
12 from the commercial fuel, which is only, you
13 know, UO₂ is only two or three percent
14 enriched. I would think there would be a
15 significant physical separation.

16 DR. NETON: But I don't think
17 we're going to be able to ferret out who
18 worked where on what projects. That's
19 typically not possible. In fact, when you
20 grant an SEC, you grant for all workers on top
21 of that --

22 (Simultaneous speaking.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ANIGSTEIN: This is Bob
2 Anigstein. I was suggesting that we find out,
3 you know, identify specific workers. I mean
4 that would certainly add another degree of
5 reality to it.

6 But to at least figure out, you
7 know, the maximum exposed worker, is that
8 plausible, and then if you can't distinguish
9 among the workers, then you give them the dose
10 of the maximum worker.

11 DR. NETON: What I'm hearing is,
12 you know, you're suggesting we go back and try
13 to lower these doses. I mean --

14 DR. ANIGSTEIN: Well, no. Just
15 that we try to find a basis, a realistic basis
16 for it. That's all.

17 MR. ALLEN: Part of the issue is,
18 and I wasn't saying it, but as Bill said, some
19 of this was for the Navy, and they seem to be
20 somewhat tight-lipped on the process of making
21 Navy fuels. It's not something they really
22 want to discuss with us and or that we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discuss in an Appendix, if we can get the
2 information.

3 DR. NETON: Well, I don't know. I
4 think we can commit to maybe trying to go back
5 and talk to some workers. We're not going to
6 resolve it, but I mean there's options you
7 can't share. We feel it's bounding. I'm
8 hearing SC&A saying they'd like to see a
9 little bit of investigation. If the
10 investigation --

11 MEMBER GRIFFON: I think Jim, I
12 don't -- I'm going to say, I mean I think it's
13 -- I think it is bounded. I think if you add
14 a little more information into it, it can add
15 to the description or the basis for the
16 plausibility argument, you know.

17 Then I think it is, and here's a
18 word I don't think I've ever used this word,
19 John, except to make fun of you.

20 DR. NETON: It's a tractable
21 issue.

22 MEMBER GRIFFON: A tractable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 issue.

2 CHAIRMAN ANDERSON: Tractable
3 issue, yes.

4 DR. NETON: And see, that was what
5 my point, original point was going to be, is
6 that I don't know if this is really an SEC
7 issue. We've got the physics down. We know
8 the source term. Then it's a matter of doing
9 as best job as we can of documenting the
10 exposure circumstance.

11 MEMBER GRIFFON: I would agree
12 with that.

13 CHAIRMAN ANDERSON: So what do we
14 want to do with this finding?

15 DR. BEHLING: Can I weigh in here
16 on this one, because I was originally the
17 person who made the finding. Obviously, Bob
18 Anigstein refined his assessment. But again,
19 going back to everyone's comment, I too agree
20 with Mark Griffon here. I think you're never
21 going to find the real answers, and I believe
22 that the model that NIOSH used is reasonable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I think we're never going to have
2 a definitive understanding of the issues, even
3 if we do identify specific workers who may
4 have had exposures. But in the end, it's just
5 anecdotal recall of what they may have been
6 doing, and in the end, we're probably not
7 going to do anything more than what we've
8 already done.

9 I believe the bounding values, as
10 we've done so many times in the past, are
11 oftentimes estimates, reasonable estimates
12 that are conservative. I think this is
13 resolved in my mind.

14 CHAIRMAN ANDERSON: It's not an
15 impossible estimate. I mean --

16 MR. ALLEN: It's physically
17 possible.

18 CHAIRMAN ANDERSON: Yes, it's
19 physically possible. So you know, I think it
20 is a bounding. So Board Members on the phone,
21 what are your thoughts with this one? Bill.

22 MEMBER FIELD: Well, I guess I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind of agree somewhat with what Mark said.
2 It seems like some more information on some of
3 the workers would really address the issue of
4 plausibility.

5 It sure looks bounded, but I guess
6 support for that, it would kind of be nice to
7 have a little bit of support by worker
8 interview, that this is surely plausible and
9 represents, in fact, worst case.

10 MR. ALLEN: We can make an
11 attempt, if that's what you want, to try to
12 get these details from workers, et cetera, the
13 high end risk. Like I said, I think we are
14 going to be unsuccessful. The more moderate,
15 20 percent enriched or something, there is --
16 we might be able to find, get some details
17 from workers on that.

18 DR. NETON: I've got to ask the
19 question. If we can't find any additional
20 information, where does that lead us? Is it
21 this issue that it's an implausibly high
22 value, or is it just, you know, we're stuck

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with what we have, which is a reasonable, a
2 physically possible upper estimate of the
3 dose?

4 CHAIRMAN ANDERSON: I mean my
5 sense is that the intent is can we come up
6 with a little bit more justification for it.
7 If we can, that would strengthen the case when
8 we go.

9 MR. ALLEN: We can say we can
10 attempt. Whether we're successful or not, I
11 have no clue.

12 CHAIRMAN ANDERSON: Yes. I don't
13 want to drag the thing on for something that
14 really is just augmentation of --

15 MR. ALLEN: This is for the
16 purpose of revising the estimate, not as Jim
17 said, not tractable or as Mark said, it's a
18 tractable issue. It's just a question of what
19 the number's going to be.

20 CHAIRMAN ANDERSON: Yes. I mean -
21 -

22 MR. ALLEN: We are in the process

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of revising, so we'll see if we can get some
2 interviews and go from there.

3 DR. NETON: We're going to go back
4 and revise these physics numbers anyways, so
5 we're in there doing that. We're going to
6 reevaluate those physics calculation, while
7 we're in the process of doing that. I don't
8 see that it's a big deal for us to attempt to
9 go back and talk with the workers.

10 CHAIRMAN ANDERSON: Let's make an
11 attempt at that then, is what I would say.

12 MR. KATZ: It doesn't sound like
13 you need, even if you were to speak to folks
14 related to the Navy work. I mean you're not
15 asking for detailed process knowledge. You're
16 talking about very general issues of
17 proximity, I mean which I don't think would be
18 held secret, you know, by workers, that sort
19 of thing.

20 MR. ALLEN: Whether it's actually
21 secret or not, they usually don't tell them
22 exactly what is, what isn't and some of them -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -

2 CHAIRMAN ANDERSON: Yes. They
3 don't want to say anything.

4 (Simultaneous speaking.)

5 DR. NETON: Part of me is almost
6 hoping that the actual film badge data itself
7 might be somewhat informative.

8 CHAIRMAN ANDERSON: Yes, if you
9 have some --

10 DR. NETON: Really high film badge
11 data, the only way you can get that high is to
12 be in fairly close proximity to these sources.

13 CHAIRMAN ANDERSON: Well, let's
14 ponder on that a bit, but let's not ponder it
15 too long. If you're going to redo the physics
16 numbers and come back and say that all looks
17 good, that I think would bring it --

18 MR. ALLEN: There are several
19 things to do. We'll see, you know, it's a
20 different group that will do some interviews
21 or track some people down, and if they come up
22 with something, they'll use it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 If they don't we'll -- they
2 should. We should know whether we're going to
3 have any success or not before we get to the
4 point of needing those numbers in the
5 revision. So it should not slow anything
6 down.

7 CHAIRMAN ANDERSON: Well that is
8 really my point. I don't want to drag on this
9 any longer than we have to.

10 MR. ALLEN: You and me both.

11 CHAIRMAN ANDERSON: Okay. So
12 we've kind of got activities we're going to do
13 on Finding 3. Hopefully, we can do that
14 before we would have our --

15 MR. KATZ: Next meeting.

16 CHAIRMAN ANDERSON: Which is in
17 two weeks. I'm kidding you. No, but you know,
18 we do want to not -- okay. Let's go on to
19 Finding 4.

20 DR. MAURO: I'll try to capture
21 that. I went over it, and Rich Leggett is the
22 author of this. In fact, he wasn't able to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 join us. But I just called him and he said
2 "Listen, if you think you might need me, give
3 me a call," and he's going to call in. But
4 nevertheless I know enough about it.

5 I could sort of get it rolling,
6 and then hopefully he'll sort of come in and
7 maybe enrich the discussion.

8 What we have here is -- the way I
9 look at it is there are two issues or three
10 issues, three issues. First of all is that
11 you're dealing with the concerns about
12 inhalation of airborne uranium while they were
13 doing what they do with this fuel, and there's
14 a lot of -- apparently, there was a
15 considerable amount of air sampling data, and
16 there was a considerable amount of bioassay
17 samples for some time period, I guess in the
18 early 60's.

19 And then in '62, for some reason,
20 around that time period, it dropped
21 substantially, the amount of bioassay samples
22 and the air samples, and there's a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discussion in our report of what happened. I
2 think in a nutshell it was looking to save
3 some money, you know, and trying to back off
4 from that.

5 So they cut back. So we actually
6 have this hole in the data for occupational
7 internal exposure, and then eventually the AEC
8 came in, it was inspection and said "Hold the
9 presses, Jack. We're seeing, we came in for
10 an inspection and we're seeing bioassay
11 samples, urine samples that are above the
12 allowable limits for occupational exposure."

13 Which sort of belie the limited
14 air sampling data, which said you're probably
15 okay. So this is like one of the times when
16 they said well, if you depend on air sampling
17 data to let you know whether or not everything
18 is okay, you might have a problem, because
19 sometimes there's not a good correlation
20 between the two.

21 So as a result, UNC went back in
22 and reinstated a more aggressive bioassay

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sample program. So one of our, and now the
2 concern that comes up is okay, so now you have
3 yes, if you've got lots of bioassay data you
4 can reconstruct the doses to the workers. You
5 could build coworker models from that.

6 But there's this time period. I
7 think there were a couple of time periods
8 where the bioassay data was sparse, and there
9 are some air sampling data. In our report,
10 Rich -- Rich, are you on the line?

11 MR. LEGGETT: I'm here.

12 DR. MAURO: Very good. You stop
13 me when I go off track, okay.

14 CHAIRMAN ANDERSON: Welcome.

15 DR. MAURO: Rich explained that
16 well, one of the -- okay. If you're going to
17 resort to air sampling data, he showed some
18 graphs in here that say you know, there's a
19 very poor correlation between air sampling
20 data and bioassay data.

21 So on those occasions when you do
22 have both, they said well, how good is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 air sampling data as a way to predict
2 bioassay, which is a true measure of intake?
3 He showed that it's pretty poor.

4 So when I walk away, my, you know,
5 my 30-second sound bite on this issue is you
6 know you really -- you've got a problem.
7 There are time periods when you are lacking
8 adequate bioassay data.

9 All you've got is some limited air
10 sampling data, and we're questioning whether
11 or not you really can reconstruct the doses to
12 those workers at that time period using air
13 sampling data, because of the lack of
14 correlation.

15 This is further confounded by the
16 fact that the workers, as represented in the
17 Site Profile or the ER, the workers are
18 represented as working with Type M and Type S
19 uranium, which is something that it doesn't
20 change very readily over time.

21 So if you're doing a bioassay
22 sample, you know, you don't really have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 take a sample every month or every week, you
2 know. It's pretty robust. But if you're
3 dealing with Type F, you've got a problem,
4 because you can have a real high spike of an
5 intake of Type F.

6 And if you don't take a urine
7 sample in the relatively short period of time
8 after that occurs, it's gone. The time
9 integrated dose over that period where it
10 clears to the bone, I believe, is a limiting
11 factor, could be pretty important.

12 That's the depth of my
13 understanding of the fundamental issue we have
14 with the internal dosimetry program and the
15 methods being used. Rich, please correct or
16 expand upon anything I may have just said.

17 MR. LEGGETT: You're doing very
18 well.

19 DR. MAURO: Okay. Well, if that's
20 it, that's our story, and we're not quite sure
21 whether or not how NIOSH plans to deal with
22 those time periods where there is a paucity of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bioassay data and air sampling data, and also
2 the fact that it's more than just S and M.

3 It's also F, and how we're going
4 to deal with that, in light of the fact that
5 you have certain limitations in the bioassay
6 and air sampling data.

7 MR. LEGGETT: John, I would like
8 to add, to emphasize that by far the weakest,
9 the thorn in their whole program was started
10 when they decided to end the bioassay program,
11 starting in early 1961, and for some reason
12 they decided to reduce the sampling program at
13 the same time.

14 I guess, you know, I guess saving
15 bucks was the key, but we don't know for sure.

16 But it's really just a black box in that
17 period, and it was -- their letters from UNC
18 management suggest that they rediscovered --
19 they discovered that they had a problem in
20 late 1962, but it was in fact the AEC who had
21 come in and done an inspection and said you
22 have a problem, and you need to restart your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bioassay program and increase your air
2 sampling program.

3 And when they did, they discovered
4 that they had some workers in the red room,
5 where they were working with highly enriched
6 uranium, they had urinary excretion rates
7 above a thousand dpm per day. So they had a
8 real problem there.

9 And you would think this, in the
10 highly enriched room, they would have a more
11 intense air sampling program than anywhere
12 else. So that suggests they may have had a
13 general problem.

14 DR. MAURO: I also noticed that --

15 CHAIRMAN ANDERSON: So that's the
16 SEC period, right, '61 to '65 wasn't that?

17 MR. ALLEN: I don't know. I'd
18 have to look. That's the period they stopped
19 doing the bioassay.

20 CHAIRMAN ANDERSON: Yes, yes.

21 DR. MAURO: But it's a covered
22 period?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: Yes. That covered
2 period goes well beyond that, on all sides.

3 DR. NETON: '58 to '69.

4 CHAIRMAN ANDERSON: '58 to '69.

5 MR. LEGGETT: I'll add one more
6 point here, that even before that, like in
7 1958, their air sampling program was really
8 sparse. They described it as they would do
9 complete sampling in the plant at least twice
10 a year, and they would do some sampling
11 somewhere at least one week out of every
12 month, and that's pretty sparse too.

13 DR. MAURO: Was the bioassay
14 sampling at that time also weak?

15 MR. LEGGETT: Well, it was a
16 systematic program, where they did either -- a
17 worker either twice a year or four times a
18 year, depending on his job, and that's enough
19 to give you a general idea of the conditions
20 in the plant, perhaps.

21 But if you find a worker who's had
22 elevated exposure, and you've got only two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measurements a year, four measurements a year,
2 you're going to have a hard time using that to
3 reconstruct his dose.

4 MR. ALLEN: Well, I think that's
5 where we disagree. Uranium, I mean especially
6 where you're talking bone surface, et cetera,
7 the integrated urinalysis over time is
8 directly proportionate to bone and every other
9 systemic organ.

10 The question is lung dose that
11 you're talking about, which is the -- you can
12 miss a super-high Type F intake if it was --
13 if you routinely got an acute intake the day
14 before urinalysis, the day after a urinalysis
15 samples, so that you have a long time for it
16 to clear before your next sampling. You can
17 miss a bunch.

18 Like I said, for systemic organs,
19 the urinalysis is good. Three months is at
20 various cuts an acceptable time frame for the
21 systemic. For the lung, Type F, you just
22 don't get any dose. It has about a ten minute

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 half-life in the lung, absorption half-life
2 for Type F. It's very fast. So we don't
3 think quarterly is sparse.

4 DR. MAURO: So quarterly is good
5 for S and M, but maybe not good enough for F?

6 MR. ALLEN: It is good enough for
7 F, because the only thing getting any dose is
8 the systemic organs, and --

9 MR. LEGGETT: Well, if you're not
10 worried about dose to systemic organ, then
11 that's true. But I assume you are at some
12 point worried about systemic organs.

13 MR. ALLEN: No. I'm saying it is
14 good for systemic organs. The biokinetic
15 model in the system is the same for S, M and
16 F. That's purely a lung absorption rate.

17 MR. LEGGETT: Well now if you've
18 got -- if your measurement, if you have
19 somebody who's being measured twice a year,
20 and you routinely and you come up with three
21 becquerels per day in his urine, and he got a
22 Type F you don't know when, then it could be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 huge dose to his bone surface, or it could be
2 a very small dose.

3 MR. ALLEN: Only if you assume
4 that it is an acute intake the day after the
5 last urinalysis, and you have to basically
6 keep assuming that where you get into
7 implausible, you know. That's where you're
8 really getting into implausible scenarios,
9 where a guy has to continuously get a big
10 intake on a particular day every time.

11 It's just as likely he got it, a
12 big intake the day before the sample, in which
13 case your sensitivity is very good. The
14 standard approach when you don't have incident
15 reports, you don't know of anything that's
16 unusual like that is to assume a chronic
17 exposure.

18 DR. MAURO: Okay. We've been
19 through this before. You're refreshing my
20 memory. So what we're really saying is Type F
21 is a challenge from the point of view of if
22 it's spikes, depending on when the urine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sample is relative to when the spike occurred,
2 it gives you a little bit of grief.

3 But if the spike occurs, would the
4 argument be that there are records that say
5 well, we know some kind of transient occurred.

6 Would this fella experience such a spike and
7 therefore follow-up investigations would have
8 been done.

9 If it's chronic, does it really
10 matter? You pick them up during the routine
11 sample, and because there's no evidence that
12 there was a spike and you are picking up, and
13 you believe he's being exposed to F, you just
14 simply assume that he's chronically being
15 exposed to F all along.

16 That would result in that
17 picocurie per liter in the urine and into the
18 calculation, and this is the protocol that you
19 would adopt. Is that in fact the protocol
20 that's adopted?

21 MR. ALLEN: Yes, it is, and then
22 the one issue we have, as has been pointed out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in 1961-1962, where they stopped doing the
2 routine sampling, as was I think it was
3 already pointed out, had a few samples towards
4 the end of that that showed very high, more
5 than they were expecting, and that created a
6 whole investigation and restart of the
7 urinalysis program.

8 DR. MAURO: Right, that triggered
9 that.

10 MR. ALLEN: What we did was
11 essentially assume the chronic, based on those
12 analyses, those high ones, come up with an
13 intake rate and gave them that or all the time
14 frame up to that point, and it does seem to
15 overestimate the earlier bioassay. So we
16 think it is a bounding estimate at that point.

17 If there was something more going
18 on, it should show up in those high samples
19 when they did restart the program.

20 DR. MAURO: And you had tried it
21 for S, M and F at that point?

22 MR. ALLEN: Yes, and in all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reality, when you do, do it from urinalysis,
2 that's the one that's going to give you the
3 higher dose. For the systemic organs it's
4 going to be close, but S generally gives you
5 the higher one.

6 And as far as the air sampling
7 that you were mentioning, the Appendix didn't
8 do any uranium intakes by air samples.

9 DR. MAURO: So even those years
10 that there was this window of two years,
11 lacking bioassay data, at the end of that
12 window, when AEC came in and said uh-oh,
13 you've got a load here.

14 You've got to get that program
15 back online again, you're saying that you
16 could recover from that, because the big
17 readings you'll get, I would say two years
18 later, can be used to reconstruct the intakes
19 that occurred for those two or three years
20 before.

21 I'm just trying to think.
22 Conceptually the way you do that is you see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the high numbers, and you ask yourself what
2 would the chronic intake have been over that
3 time period, to give you a continuous, and you
4 step in at the end, and there it is. If you
5 stepped in earlier, that's what you'd see too.

6 MR. ALLEN: Right.

7 DR. MAURO: Is there any concern
8 that if you did step in early it could have
9 been ten times higher, or that cannot happen
10 for S and M?

11 MR. ALLEN: There's no indication
12 they had a program for those two years, so
13 there was nothing that would have caused them
14 to reduce the airborne levels.

15 DR. MAURO: Well no. We know they
16 reduced the airborne --

17 MR. ALLEN: Is because they
18 started finding high urinalysis.

19 DR. MAURO: Well, the NRC stepped
20 in and found it.

21 MR. ALLEN: Yes.

22 DR. MAURO: So at some time in '61

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or '60, UNC decides --

2 CHAIRMAN ANDERSON: Do we have
3 those, when they came in and did their --

4 DR. MAURO: Yes.

5 CHAIRMAN ANDERSON: We have those
6 results?

7 DR. MAURO: Yes.

8 CHAIRMAN ANDERSON: Okay.

9 DR. MAURO: The reality is, the
10 history is it's the AEC in its inspection
11 role.

12 CHAIRMAN ANDERSON: But we have
13 the inspections, they came in and they did
14 biomonitoring.

15 DR. MAURO: Yes, and found the
16 problem, and we have that. They handled it.
17 So it's written up in the report.

18 MR. ALLEN: Yes, yes, okay.

19 DR. MAURO: Rich Leggett did a
20 nice job, and that -- you know, so they were
21 forced back in to all right, we'd better get
22 the program up and running again, right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 What I'm hearing is even though
2 they were deficient for a few years in the
3 amount of bioassays that should have been
4 taken, I think there's general agreement that
5 -- even the management agrees no, we should
6 not have cut back on the program.

7 You're saying that notwithstanding
8 that, when did data start to come in again
9 later on in '63 or whenever, '64, whenever it
10 started up again.

11 MR. ALLEN: Late '62.

12 DR. MAURO: '62. You're in a
13 position where you feel confident you could
14 place plausible upper bounds on the intake of
15 uranium for that window of time that you don't
16 have, and the basis for that, and I would
17 agree, is if you have a combination of two
18 things.

19 One, good records of incidents and
20 transients, where people might have
21 experienced acute exposures over short periods
22 of time, and knowing that they didn't occur,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and if they did occur, there were follow-ups
2 for those particular circumstances.

3 You know, so you've got that, and
4 you could make a case that we didn't
5 experience this unusual circumstances. Then
6 all you really have is a chronic, ongoing
7 program with people being exposed, and when
8 you pick it up at the end of that program, you
9 just pick it up and you really didn't miss
10 anything.

11 I'd have to agree. That model of
12 those years seems to convince me that you've
13 got a tractable problem. Rich, did I do a
14 disservice in my generalization of that?

15 MR. LEGGETT: Well, I don't think
16 that's a realistic situation for plants. I
17 think if that were the case, we could change
18 all the bioassay programs in the uranium
19 plants in the world and save a lot of money.

20 There's a reason that they do
21 bioassay every week when they deal with high
22 levels of uranium, and I don't think you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 skip a couple of years and assume everything
2 was continuous or assume that you always knew
3 when there was an incident, when there was a
4 leak, when there was a high exposure.

5 MR. ALLEN: Well I mean I've
6 worked at a uranium plant, and I've never
7 heard of a weekly bioassay sample for uranium.

8 I mean I've seen every two months or
9 quarterly in order to detect your 100 millirem
10 committed effective dose monitoring.

11 But and a two-year gap is more
12 than that, but we're not talking about having
13 a sensitivity of 100 millirem. We're talking
14 about estimating what the dose was and if it's
15 a rem, it's still a reasonable estimate. But
16 weekly analysis for uranium, I have never
17 heard of.

18 DR. MAURO: What I'm hearing --

19 MR. LEGGETT: Well, I've dealt
20 with a lot of them.

21 DR. MAURO: What I'm hearing is
22 here's the point of disagreement. It's good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to get to a place where it's clean.

2 DR. NETON: Well, let me -- this
3 is another situation where we've been through
4 this, a number of sites, and this has been our
5 approach.

6 DR. MAURO: Yes.

7 DR. NETON: So if now we're
8 hearing that incidents are relevant to all of
9 these situations, this is a new finding.

10 DR. MAURO: I'm not saying it is.

11 DR. NETON: Well, I'm just saying
12 that's what Rich was saying. I'm saying that
13 this is not something that -- it's something
14 that we hammered out very early on, about use
15 of chronic exposures versus incident-based
16 exposures.

17 Because like Dave said, the fact
18 is you might have one or two sparsely
19 occurring incidents in there, but it doesn't
20 add to the dose that much. If you have
21 multiple acute incidents, you essentially end
22 up having a chronic exposure scenario.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Yes. It's the old
2 question of how many acutes does it take to
3 make it chronic.

4 DR. NETON: Exactly.

5 DR. MAURO: Except if it's F, I
6 guess.

7 DR. NETON: Well, but the dose
8 through the F from the lung, I mean you're
9 going to bound that with a more insoluble.
10 The dose to the lung from Type F is very
11 small, with higher lung doses, assuming acute
12 exposures to more insoluble material.

13 DR. MAURO: I agree. I remember
14 three or four years ago, where you've done a
15 number of cases --

16 DR. NETON: We went through this.

17 DR. MAURO: And you showed that --
18 if we assume it's spike-spike-spike, as
19 opposed to chronic. Or but the only place
20 where I think we, there wasn't that, and this
21 is, and you need to correct me if I'm wrong,
22 is let's say the spike occurred right after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the last bioassay sample, and it may have been
2 a year before.

3 A spike occurs, intake occurs, and
4 it's going to gradually go down. A year
5 later, you pull your sample. Now --

6 MR. ALLEN: Yes, but even then,
7 you're talking one acute intake versus a long,
8 chronic. You typically end up with more
9 intake on the long chronic, unless you have
10 multiple acutes, and then you're talking has
11 to be multiple times, the day after the
12 sample, and it gets to be very unrealistic.
13 At some point, you have enough --

14 (Simultaneous speaking.)

15 CHAIRMAN ANDERSON: Yes, I don't
16 remember. But I do remember there was the
17 back and forth, and I don't think it was
18 really ever resolved. We just moved on.

19 Let's, just a question here. You
20 have on the next page Finding 6 under the
21 response on the poor correlation with air and
22 biologic samples. Isn't that true of most of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the sites? I mean isn't -- I mean that's just
2 -- I mean that's pecking order. You want
3 biomonitoring.

4 DR. NETON: And usually --

5 CHAIRMAN ANDERSON: Yes, go ahead.

6 DR. NETON: Well, I think that
7 they suggested earlier, that we really didn't
8 rely on the air samples in this analysis.

9 But oftentimes, most of the poor
10 correlation goes the other way, where the air
11 samples will show a higher exposure than the
12 bioassay samples, because air samples are not
13 particle-size selective. I mean so they pick
14 up everything that's in the air, not just the
15 respirables.

16 There was an analysis done at
17 Fernald that pretty clearly demonstrated that
18 in uranium. But that's a different issue.
19 We're not using it here.

20 CHAIRMAN ANDERSON: Yes, so it
21 doesn't apply.

22 DR. MAURO: It's not relevant.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: So that
2 Finding 6 there is interesting, but --

3 DR. NETON: I guess I'm not sure
4 where to go with it. I personally have not
5 read the analysis that Rich Leggett did in the
6 write-up, and I don't know whether -- do we
7 maybe just want to go back and respond to this
8 --

9 (Simultaneous speaking.)

10 DR. NETON: I don't sense that
11 we're going to solve it talking here.

12 MEMBER GRIFFON: Jim, did I
13 understand correctly that you're not relying
14 on air sampling here?

15 DR. NETON: That's what Dave's
16 just said.

17 MR. ALLEN: Not for uranium, no.

18 DR. NETON: Not for uranium.

19 MEMBER GRIFFON: You just used it
20 as sort of a check, a reality check kind of
21 thing?

22 MR. ALLEN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER GRIFFON: Okay.

2 DR. MAURO: We'll get to it --
3 thorium is going to be, that's next.

4 (Simultaneous speaking.)

5 DR. NETON: You know, I guess I'd
6 feel more comfortable if we went back and
7 looked at that write-up and responded.

8 DR. MAURO: You know, yes. I
9 agree. If it turns out that the way in which
10 you deal with this class of problems, and
11 we've hashed it out before --

12 DR. NETON: And if we need to go
13 back and do our analyses again and drag out
14 the old stuff from three or four years ago, we
15 can do that.

16 DR. MAURO: Maybe that's needed
17 every so often, every two or three years.

18 DR. NETON: I mean Joyce was
19 involved in the early go-round on this.

20 MR. KATZ: It was more than three
21 or four years ago.

22 DR. NETON: You know, Joyce

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Lipsztein was involved with this, and this was
2 her exact point early on, and we went through
3 several iterations of discussions on this. I
4 think we ended up where we are.

5 DR. MAURO: So here we have a site
6 where there's two or three years went by,
7 where there's no bioassay or limited bioassay
8 samples, and the question becomes how are you
9 going to deal with those guys? I mean that's
10 all it really comes out to, are you being fair
11 to them?

12 DR. NETON: Right, and the
13 question is really, is the chronic exposure
14 model appropriate and does it bound doses for
15 this Class of workers. You get into this
16 situation, though. How many acutes, how many
17 multiple acutes consist of chronic exposure.

18 MR. KATZ: What was the beginning
19 date where they cut the bioassay program?

20 DR. MAURO: '61.

21 MR. KATZ: Okay. So it's less
22 than two years we're talking about total,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right?

2 MR. ALLEN: I don't know the exact
3 date in '61.

4 DR. NETON: Did Hematite have Type
5 F material? I mean was it --

6 MR. ALLEN: They had some UF6, but
7 that's not something you're going to get
8 people acutely exposed to generally, because -
9 - I mean if you release that into the
10 atmosphere in Missouri with the humidity,
11 you're going to produce some hydrofluoric
12 acid.

13 MR. ALLEN: UO2F2 plus
14 hydrofluoric acid.

15 CHAIRMAN ANDERSON: People will
16 know if that happens.

17 (Simultaneous speaking.)

18 DR. MAURO: It's the HF.

19 DR. NETON: The HF is very
20 irritating.

21 DR. MAURO: But you see where we
22 are.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes. We'll go back.
2 I mean we have -- I think we need to develop a
3 little more formal position, rather than have
4 a verbal discussion.

5 CHAIRMAN ANDERSON: Yes. That I
6 think, especially of that, we could just go
7 back the historic seven or whatever years ago,
8 bring it up. We can update everybody on -- if
9 we have all of our copies.

10 DR. NETON: I mean putting what we
11 just discussed in writing would be helpful,
12 and have some considered discussion.

13 CHAIRMAN ANDERSON: So any of your
14 other SC&A responses under 5 here you want to
15 talk about?

16 DR. MAURO: Let's see. Is thorium
17 there?

18 CHAIRMAN ANDERSON: Yes. It's the
19 last one.

20 DR. MAURO: It's the -- okay, so
21 it's later on. So let's see if we --

22 DR. NETON: I'm confused. These

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are findings under number five, and now
2 there's an eight listed here. How does this
3 work?

4 DR. MAURO: I think --

5 MR. ALLEN: The findings on the
6 left are the original Appendix review, and
7 then there's some new findings with what we
8 call a targeted review.

9 DR. MAURO: Yes.

10 DR. NETON: But does this -- okay.

11 MR. THURBER: The findings on the
12 column on the right are the new findings,
13 based on the September 2010 review?

14 DR. MAURO: We went through two
15 rounds, when the ER came out.

16 DR. NETON: But then we go back to
17 Finding 5 on page 13.

18 DR. MAURO: Right.

19 DR. NETON: Which is not Finding 5
20 on page -- oh.

21 MR. ALLEN: Essentially, we're
22 discussing the findings on the right-hand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 side.

2 DR. MAURO: The right. That's
3 where we are, on the right-hand side.

4 DR. NETON: I understand. Okay.
5 So Finding 6 on the left-hand column is
6 irrelevant. Well, we've got two Finding 6's
7 now, because Finding 6 stands on page 13. We
8 also have a Finding 6 on page 12 that is
9 different than the Finding 6 that stands on
10 page 13. I mean I'm a little bit confused.

11 CHAIRMAN ANDERSON: But it's
12 Finding 6 under discussion of your initial
13 Finding 4.

14 DR. NETON: Well, I understand.
15 But we have two numbering systems here. It
16 doesn't say which column it came out of.

17 MR. THURBER: Well, but the
18 findings follow the documents.

19 DR. NETON: Yes, I understand.

20 MR. THURBER: Now we could have
21 renumbered them and make it conveniently
22 traceable back to the documents. That's the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reason we did it this way.

2 DR. NETON: I would have called it
3 Finding 4A, B, C, D or something like that.
4 But we have two Finding 6's right now. That's
5 my point.

6 MR. ALLEN: Yes, we do. We're
7 doing essentially the right-hand side. We're
8 just looking at the right-hand side as the
9 findings of the new targeted review.

10 DR. NETON: No, because the
11 targeted review has a Finding 6 that stands
12 from the earlier review.

13 MR. ALLEN: No. The targeted
14 review is the ones on the right.

15 MR. THURBER: The targeted review
16 is the last column.

17 DR. NETON: Right.

18 MR. THURBER: There were other
19 findings that were still open, but the new
20 work that we were asked to do at the last Work
21 Group meeting is covered in the last column.
22 That reflects the new work we were asked to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do. It doesn't mean that there were other
2 things that were not closed.

3 DR. NETON: But so we have
4 findings, the original findings and the new
5 findings?

6 MR. THURBER: That's correct, yes.

7 DR. NETON: But some persist from
8 in the first group. I would suggest that we
9 renumber all these when we're done, and make
10 them one.

11 MR. ALLEN: I'm following it.

12 (Laughter.)

13 CHAIRMAN ANDERSON: Well, if we
14 renumber, since we've been talking, the
15 transcript is going to reflect the numbers
16 you're talking about here. So let's not
17 change them until after that.

18 DR. NETON: We're down to Finding
19 8 on page 12.

20 CHAIRMAN ANDERSON: Eight, yes.

21 DR. MAURO: Thorium, I think and
22 just to start it off, I think that thorium was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reconstructed based on air sampling data, and
2 the assumption that the gross alpha activity
3 that was observed in the air samples at the
4 time of the thorium and the location of the
5 thorium operations I understand was somewhat
6 limited, can be assumed to be thorium
7 exposures, and on that basis, you can
8 reconstruct doses.

9 At that point, I'd like to turn it
10 over to Rich to add to that, and maybe give it
11 more nuance.

12 MR. LEGGETT: I couldn't hear you.

13 DR. MAURO: Oh, I'm sorry. I
14 simply introduced the idea that now we're
15 moving on to thorium, and it's my
16 understanding that the issues we raised
17 related to thorium have to do with that it's
18 all based on air sampling data.

19 MR. LEGGETT: That's right.

20 DR. MAURO: And whether or not you
21 can reconstruct thorium-232, I believe it's
22 232, inhalations, based on the air sampling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data that's available through NIOSH.

2 MR. LEGGETT: Yes. Well, that's
3 another running battle between us and NIOSH.
4 Joyce Lipsztein and I said "No, you've got to
5 have better than that."

6 But I think you have to have
7 better data than what they have to reconstruct
8 doses. They don't even -- I don't think the
9 information is available to say exactly what
10 radionuclides were monitored, but I couldn't
11 find it. But maybe it exists.

12 DR. MAURO: When you say what
13 radionuclides, do you mean that the -- I know
14 that the air samples were gross alpha, and the
15 presumption was that that was thorium that
16 we're looking at. When you're saying perhaps
17 it was uranium also?

18 MR. LEGGETT: Yes. I don't -- I
19 mean as far as I know, they didn't measure any
20 daughters. You know, we've had this
21 disagreement before. If all they're measuring
22 is gross alpha, which is probably the case,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and if they're actually also measuring some
2 uranium, they're probably okay if it's a large
3 uranium in there. I don't they know that
4 either.

5 DR. NETON: So why would that not
6 be conservative, though, if it included
7 uranium and daughters from thorium?

8 MR. LEGGETT: No. I say if it
9 does include uranium, that helps you out. I
10 mean that's -- you're overestimating.

11 DR. NETON: But even the
12 daughters, if they were in there, it would
13 overestimate the exposure of the thorium. I
14 mean we're assuming the gross alpha is
15 entirely related to thorium-232, and 228 I
16 guess, which is in there.

17 But I don't understand why that
18 would not be an overestimate in all cases?
19 It's at least representative. If it's only
20 thorium, it's an overestimate if there's
21 uranium and thorium daughters in the air.

22 MR. LEGGETT: I don't know. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean I don't know from that little bit of
2 information where it could be overestimating
3 or underestimating if it's daughters.

4 DR. NETON: Well, if you're
5 measuring gross alpha and you're attributing
6 every alpha emission to thorium-232 and
7 there's more in there, more alphas than just
8 from thorium-232, it would overestimate the
9 air concentration, would it not?

10 MR. LEGGETT: I don't know that
11 that's the case.

12 DR. NETON: Why not? If I measure
13 daughters and include them as thorium, would
14 that not overestimate the amount of thorium in
15 the air?

16 MR. LEGGETT: I don't know the
17 answer to that.

18 DR. NETON: Why not? You're
19 counting more alphas than are really there. I
20 don't understand why you can't agree to that,
21 but I mean that's why you wait for --

22 MR. LEGGETT: I don't know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There's little information.

2 DR. NETON: That's why you wait
3 for filters to decay for thoron and radon
4 progeny, so you don't overestimate the amount
5 of long-lived in the air. I mean that's a
6 standard practice in air sampling.

7 MEMBER GRIFFON: Yes. Jim, I mean
8 I certainly can agree with that. But I would
9 more have the question, can Dave, just to step
10 back for a second, can you just give me an
11 overview of what the air monitoring program
12 consisted of?

13 Was it general area samples, how
14 many do you have, and you know, just a
15 general, just to step back a second, just
16 because I haven't reviewed all this as -- I'm
17 not as prepared as I should be probably, but
18 just to step back a moment. I don't know what
19 kind of data we're dealing with even.

20 MR. ALLEN: I can give you just a
21 short one, because honestly I didn't go back
22 and look at it that closely myself. But it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was some 200 air samples, 212 rings a bell,
2 but that's probably wrong.

3 MEMBER GRIFFON: Over the course
4 of the ten years or so?

5 MR. ALLEN: No. Over the course
6 of the thorium project. The thorium project
7 was short-lived. I think it was in one
8 particular year or a portion of that year.

9 They did some 200 samples during
10 that time frame, and it was a thorium-uranium
11 mixed fuel, very much thorium. I think it was
12 like a few percentage points of uranium added.
13 It was a strange mix.

14 MEMBER GRIFFON: Do you know if it
15 was process samples, general area or -

16 MR. ALLEN: I believe there were
17 general area, but I don't have it off the top
18 of my head. I can find that out. I'm not
19 sure I can find out while we're talking
20 though. I don't know if I have that
21 reference.

22 MEMBER GRIFFON: Yes, that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 helpful. I'd just like to know --

2 DR. NETON: Yes. I think that's a
3 relevant issue here.

4 MEMBER GRIFFON: Yes.

5 DR. NETON: I would agree, that
6 that's a topic of discussion, whether or not
7 the gross alpha can be used to bound thorium I
8 think is a non-issue personally.

9 MEMBER GRIFFON: Yes, your point.
10 Jim, I agree with you on the gross alpha
11 issue.

12 DR. MAURO: Is the issue related
13 to the poor correlation between air sampling
14 and bioassay data, you know? This undermines
15 the use of air sampling data in general.

16 Now you had mentioned that and
17 this would be interesting. I didn't know
18 this, that when you use air sampling data to
19 reconstruct internal dose, it tends to
20 overestimate. I thought it was the other way.

21 DR. NETON: It can, it can. You
22 have to be careful. If you're using only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 general area samples, you would probably
2 overestimate. When you have enclosed process-
3 type samples, you know, near the operation, it
4 will overestimate intakes, because again
5 you've got the entire spectrum --

6 MEMBER GRIFFON: There's a lot of
7 things going on there. I mean I think Jim's
8 point was that if you used gross output,
9 you're assuming more alphas than are really
10 thorium. Therefore, you're overestimating
11 from that standpoint. But the location of the
12 sampler is so much more critical, I think.

13 DR. NETON: Yes. You've got to
14 look at the sampling. But if you have an air
15 sampling program that is fairly representative
16 of the workplace, it will typically
17 overestimate the intakes because again, you're
18 not particle-size selective. You have a
19 spectrum of particles in there.

20 MR. LEGGETT: Agreed.

21 DR. NETON: And then there's a
22 paper on -- Fernald did a paper on this a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 while ago, I mean in the 60's, I think, or
2 even late 50's, that compared urinary output
3 versus what was being measured in the air.
4 I'm reasonably certain, I hope I'm not -- on
5 this, but I'm reasonably certain that the air
6 samples, as they took them, overestimated the
7 inputs.

8 DR. MAURO: There's actually a
9 graph in this report that shows the
10 correlation between air sample and bioassay
11 sample. I wanted to open it up to see if it
12 goes the way you said. In other words --

13 DR. NETON: Yes. It could go a
14 number of different ways. I'm saying if you
15 have a representative air sampling program, it
16 will overestimate.

17 DR. MAURO: Yes. If everybody
18 has, I mean because this goes toward the
19 issue. If everybody has the report, the
20 United Nuclear report dated September 30th on
21 the top of the page there, I'm looking at page
22 24. You are? Maybe Bill will share it. Now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this was important to me. I think it's
2 important in the broadest of senses.

3 DR. NETON: What page are you on
4 John?

5 DR. MAURO: I'm on page 24.

6 MR. THURBER: Figure 1.

7 DR. MAURO: Figure 1.

8 DR. NETON: Just give me a chance
9 to bring it up here.

10 DR. MAURO: Sure. Now is for UNC.

11 This is when they had both data, and it seems
12 that it's a scattergram, whereby the best
13 example, I would imagine, is if you look along
14 the X axis and you see 50 picocuries per cubic
15 meter as the dust loading in the air, the
16 uranium, and you say okay, how does that
17 correspond to concentrations in urine.

18 Well, in one case it goes to 400.

19 But then in another one, in the same general
20 vicinity, it's 100. Now a factor of four.
21 I've got to tell you, a factor of four doesn't
22 give you too much grief, but it's a factor of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 four.

2 And so you know, things are
3 scattered in a way, and this is in the eye of
4 the beholder, how bad is that, what this --
5 you know, there really is not a nice trend.
6 You know, you would like to say oh, it's a
7 straight -- the fact that the best fit is a
8 flat line says a lot.

9 DR. NETON: Yes, and again, it
10 depends on which air samples you're measuring
11 in here or using in this analysis, and where
12 they were.

13 DR. MAURO: I think they're
14 coupled. I think it's the breathing -- in
15 fact, I remember reading this a few months
16 ago.

17 DR. NETON: These are breathing
18 zone air samples?

19 DR. MAURO: Yes. They were taking
20 -- well, I don't know if they're called
21 breathing zone. The samples were taken from a
22 header where the worker was, for the time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 while he's working there, and then the urine
2 sample was taken and they're sort of coupled.

3 I think that that's what their --
4 please, it's good to read it, though. I don't
5 know. Rich, am I representing this correctly?

6 MR. THURBER: It says they're
7 time-weighted averages, John.

8 DR. MAURO: Yes, and they're
9 coupled. The exposure --

10 DR. NETON: Time-weighted
11 averages. Well, we'd have to look at it. I
12 can't tell from there.

13 DR. MAURO: In other words, here's
14 the intake estimated for this guy for some
15 time period, and here's the urine sample
16 that's supposed to represent -- that's used.
17 Now the question becomes if you didn't have
18 the urine sample, would you be able to trust?
19 This says maybe not.

20 DR. NETON: In this particular
21 case, it appears that way.

22 DR. MAURO: Yes, and there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where -- so I think this kind of issue, and it
2 goes to the thorium question, was the thorium
3 question. You are using air samples.

4 DR. NETON: Right, and that's
5 fine. That's, we need to get with those
6 samples and look at them and see what the
7 developed spread and distribution of those
8 were.

9 DR. MAURO: Yes.

10 DR. NETON: But I have to go look
11 at the particular data that was analyzed and
12 see what.

13 MR. ALLEN: Well, in this
14 particular case, you've got -- it does point
15 out something Jim was saying about there is
16 often a lack of correlation, because the air
17 samples tend to go high, and that can happen
18 because of the exposure scenarios where you
19 don't know.

20 So you're assuming the guy is
21 there, whereas people actually tend to draw an
22 air sample when the work is going on, and not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 when it's not, and you've got to expose it at
2 all times. Also, air samples don't inherently
3 take into account the PPE, you know, the
4 respirator where --

5 DR. NETON: Exactly. That's a big
6 issue, where we had no account of respirator
7 protection at any of these sites.

8 DR. MAURO: So you don't know.

9 DR. NETON: And so you don't know
10 which one time the guy was wearing a
11 respirator and which time he wasn't. There's
12 a lot of issues here.

13 MR. ALLEN: Then you toss an
14 outlier in there and it can screw up any kind
15 of correlation. If you look at Figure 1, if
16 you throw out the one outlier that's at 400
17 dpm per liter of urine, it almost looks like a
18 straight line going up there, with some low
19 ones to the right that were probably --

20 DR. NETON: Where there may be
21 PPE?

22 MR. ALLEN: Respirators. So if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you have to look at that one outlier, and as
2 it is, it's 406 dpm per liter. Two months
3 later, he's got 45 and four months before he's
4 got 44, and routinely he's showing 10 and 20.

5 It is possible, and it has been a
6 problem in some of these, where a guy can
7 contaminate the sample, you know, not his
8 internals but actually his sample while he's
9 leaving.

10 DR. NETON: And not just
11 contaminate. You've got chronic exposure
12 scenarios ongoing at the same time. So a spot
13 sample taken during a monitoring campaign
14 could represent an accumulated body burden
15 that he's been excreting from --

16 There's a lot of issues here that
17 I think point to air sample data is not as
18 bad at predicting as -- based on looking at
19 straight analysis of urine data. You've got
20 to take in a lot of confounding -

21 DR. MAURO: The air sample is
22 point in time; the urine sample is time-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 integrated.

2 DR. NETON: It's time-integrated,
3 and then you've got PPE usage that's not taken
4 into account.

5 DR. MAURO: And so here we have a
6 perfect case. We have a guy here where he has
7 the air sample is up there, the 150 to 200
8 picocuries per cubic meter, but there's
9 nothing in the urine, right. Now what does
10 that mean? It's the highest of all the
11 measures --

12 DR. NETON: Because he was wearing
13 a respirator.

14 DR. MAURO: And there's nothing in
15 the urine.

16 CHAIRMAN ANDERSON: He was off on
17 vacation for two weeks.

18 DR. NETON: I would expect the
19 higher the concentration, the more likely it
20 is that he's wearing a respirator.

21 DR. MAURO: Yes, and there you go.
22 so what do you do with that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes, exactly.

2 DR. MAURO: Well now that being
3 the case. Okay, let me just play this out, to
4 untangle the knot.

5 MR. ALLEN: Well in general then,
6 we don't account for PPEs when we're doing air
7 samples, and in general, that ends up being
8 considerably higher than what you would get
9 from urinalysis. This is always an outlier
10 here, an outlier there.

11 DR. NETON: I think we saw this at
12 Simon, Simon Saw and Steel. The urine data
13 didn't track with the air concentrations that
14 were being measured.

15 It was much higher in the air than
16 in the urine. So it's really a case-by-case
17 basis. I mean I don't think you can pull one
18 air sample series of measurements off the
19 shelf and compare them and go "Oh, look at
20 this. There's no correlation."

21 There are a lot of papers that
22 have been published on this, and I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 frankly that you can go both ways, although I
2 would say most of the publications you're
3 going to find out there show these types of
4 uncorrelation.

5 DR. MAURO: What do we do here? I
6 mean given our thorium story.

7 DR. NETON: I think it's incumbent
8 upon us to demonstrate that we -- why we
9 believe that the thorium samples that were
10 taken were representative of the workers'
11 exposure.

12 DR. MAURO: Yes, and can be used.

13 DR. NETON: And it's going to be
14 an overestimate, because we've included
15 uranium and all the daughter products in the
16 air.

17 CHAIRMAN ANDERSON: But is it --
18 you know, I mean it's easy to do an
19 overestimate. The question is, is it a
20 realistic --

21 DR. NETON: I don't think gross,
22 using gross alpha is an issue personally. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think that's okay. I think the main issue is,
2 is this air sampling, these 200 or so air
3 samples adequate to document this less than
4 one year process that occurred.

5 And the fact that many of them
6 were process samples, I would say we've got
7 it. I mean we've seen -- process samples back
8 in this era are usually taken, you know,
9 they're even labeled as not indicative of the
10 exposure of the workers. They're like trying
11 to get a rough idea of an upper level of the
12 magnitude.

13 CHAIRMAN ANDERSON: So you'll put
14 together a little more documentation on that?

15 DR. NETON: I personally won't,
16 but someone will.

17 (Laughter.)

18 CHAIRMAN ANDERSON: I'm going to
19 parcel out assignments to individuals. No,
20 I'm just saying that I think to move this
21 along, that it's what we need.

22 DR. NETON: I agree.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: We need to show that
2 the air samples are representative of
3 exposures for the thorium project, and I can't
4 put my hands on this thing. I don't have it
5 with me and I can't put my hands on that
6 reference marker, because I don't -- I just
7 can't remember.

8 I'm thinking they're GAs, but I
9 cannot remember. That will be part of showing
10 whether it's representative or not though.

11 CHAIRMAN ANDERSON: So we'll get a
12 little report on that.

13 MR. ALLEN: Yes. We owe you
14 something there.

15 DR. MAURO: Okay. Finding 5. Old
16 Finding 5 as stands, we've had nothing new on
17 that, right? Yes. I'll tell you, when I was
18 reviewing this, I stopped at the --

19 (Simultaneous speaking.)

20 DR. MAURO: I didn't keep going
21 with the residual period. It looks like
22 there's some residual period issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. BEHLING: Yes, I can elaborate
2 on that, John.

3 DR. MAURO: Thanks.

4 DR. BEHLING: What it turns out is
5 that I looked at the protocol for determining
6 what the inhalation dose was for residual
7 contamination, as defined in the Rev 0 and
8 then Rev 1, and there was really no
9 significant difference other than the date on
10 Rev 1.

11 And on the basis of what the
12 protocol was, the intent was to use the
13 highest intake rate from Table D-1, to convert
14 that to an air concentration that would then
15 settle the floor for a period and accumulate
16 for one year.

17 Using their protocol, and they
18 used also a resuspension factor of E minus 6
19 and applying those values, I ended up with an
20 inhalation dose that was 20 times, 29 times
21 higher than the one estimated by NIOSH.

22 On this, they have something that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I didn't consider or identify, an error in my
2 assumption. I think the finding stood, as we
3 discussed the last time around. So what we
4 have here is a discrepancy that's about a 29-
5 fold discrepancy.

6 DR. NETON: So what you're saying,
7 Hans, is you think that there is some sort of
8 a calculational error in our --

9 DR. BEHLING: Well, I can only
10 look at my calculation, Jim, and I can't see
11 any flaw in what I did. If you look back at
12 both the original write-up and then the
13 subsequent review of Rev 1, I basically
14 regurgitated what I stated beforehand,
15 identified my calculations the way I saw or
16 interpreted your model, and I ended up with an
17 inhalation dose that was 20 times higher than
18 the value of 10.34 dpm per day for Type S
19 uranium, as defined in Table D-1.

20 So unless somebody can point an
21 error out in my calculation, I stand by my
22 initial statement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: We need to look at
2 that. It sounds like a simple -

3 DR. MAURO: Yes, yes.

4 DR. NETON: Unless Dave's got
5 something.

6 MR. ALLEN: Well, we discussed it
7 last time and I am looking at my notes and
8 can't recall the details. I think we did
9 point out an error in your calcs Hans, but I
10 just don't recall. I'm going to have to end
11 up -- it's a simple thing. I mean if there's
12 a math error, then it needs to be fixed
13 obviously.

14 DR. MAURO: So a Work Group action
15 item is want the calculation --

16 MR. KATZ: So do you have Hans'
17 actual calculations?

18 DR. BEHLING: Yes. Just for
19 information, it's on page eight of my revised
20 write-up that incorporates the revisions to
21 Rev 1, where I by and large went through the
22 same calculation and identified the values

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that I thought were appropriate, based on the
2 recommendation for using the data of Table D-
3 1, in terms of the highest intake rate.

4 I mean it's a very simple
5 calculation, where you end up with an air
6 concentration times a deposition velocity,
7 which I believe was also identified as
8 something, what was it, about 0.075 meters per
9 second or something.

10 I used all the recommended values
11 that NIOSH uses from deposition velocity to
12 resuspension, and came up with a ground
13 contamination at the end of a full year, and
14 then used the resuspension value of one E
15 minus 6, and I end up with a value, inhalation
16 value per day that is 29 times higher.

17 DR. MAURO: Hans, when you came up
18 with the buildup on the surface, and then the
19 dust loading from resuspension, did you decay
20 that or using what's constant during the
21 residual period?

22 DR. BEHLING: Well, there's no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reference to that. As I said, my flow
2 contamination level after one year was based
3 on a surface contamination that reflects the
4 maximum inhalation dose on Table D-1, and then
5 I used a 0.075 meters per second deposition
6 velocity, which is defined in meters per
7 second, and then the number of seconds in a
8 year, and end up with a dpm per square meter
9 of flow contamination, and then applied the
10 simple resuspension factor of E minus 6, and
11 ended up with an inhalation dose that's 29
12 times higher.

13 DR. NETON: Is that for a work
14 year or a calendar year deposition?

15 DR. BEHLING: That was, I believe
16 it was for 2,000 hours.

17 DR. MAURO: The reason I asked the
18 question is there's history here. Over the
19 course of the least couple of years, we have
20 concurred with you folks on at the beginning
21 of the residual period, you pick a number
22 that's at the end of operations and that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 represents sort of like the transition.

2 This is going to be the start of
3 our residual period. You pick some dust
4 loading, whatever it is, and then you -- and
5 we're okay with that. And then you apply a
6 deposition rate as .00075 meters per second,
7 which is the rate at which the stuff is
8 falling down, and you allow that to fall down
9 for a full -- I don't know, sometimes it's a
10 week and sometimes it's a year. It's a little
11 fuzzy. But anyway, the whole concept.

12 And at one time we had a problem
13 with that. We don't have a problem with that
14 because David made a very good case that this
15 works. So now you have the activity on the
16 surface of the ground at the beginning of the
17 residual period.

18 Then you say okay, but now we're
19 going to put -- now from that, you can get
20 external exposure, and also from that you can
21 get inhalation exposure from resuspension.

22 Now, our position regarding the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 resuspension factor has matured a bit. We
2 believe that if that, that residue, it sits
3 there, it hasn't been cleaned up, it's
4 substantial. 10 to the minus 6 is not a good
5 number. We like 10 to the minus 5.

6 However, if there was a cleanup
7 that took place, similar to what took place at
8 Linde, where they deliberately went in and
9 vacuumed all the stuff up, and now the 10 to
10 the minus 6 is starting to look good, because
11 there's lots of evidence from the NRC reports
12 that when you do clean the stuff up, that your
13 10 to the minus 6 is probably a good number.
14 And we may not have said this out loud before,
15 but I'm saying now, that when you could show
16 that, that the cleanup did take place, 10 to
17 the minus 6 holds up nicely. It's when there
18 was no cleanup.

19 Now, to go back to the original
20 question, but that's the beginning. So now
21 let's say you go with your model and you come
22 up with your dust loading at the beginning of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the residual period. Now you can do one of
2 two things. You've gone, you could just
3 assume it's constant. Okay, in this case you
4 assume it's constant.

5 That's extremely conservative. We
6 are prepared to accept that if you have FUSRAP
7 data 25, 30 years later, that where they
8 measure the air dust loading or measure the
9 surface contamination, as far as we're
10 concerned, you can draw a slope from that 1950
11 data, whatever it is, down.

12 Now in this case, Bill's just
13 pointed out that you didn't do that. You left
14 flat. That seems to be pretty bounding.

15 DR. NETON: I think we're all in
16 agreement with that. It's just that Hans
17 couldn't duplicate what our calculations were.

18 DR. BEHLING: And let me just
19 briefly, because it's a very short statement
20 that defines the model, and I incorporated it
21 into my finding, the very statement that I
22 used. It appears in both Rev 0 and Rev 1, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I quote the following.

2 "In order to estimate residual
3 contamination to the highest intake rate from
4 Table D-1 was converted to an air
5 concentration, to settle on the floor and
6 accumulate for one year. The surface
7 contamination resulting from this was then
8 assumed to expose an individual for 2,000
9 hours per year."

10 That's basically the sum total of
11 the model, as I see it described in both Rev 1
12 and in the original Rev 0, and I simply
13 applied that, using, again, the standard
14 deposition velocity and the resuspension, and
15 agreed even the $1 \text{ e } \text{ minus } 6$ might be
16 contestable, especially if you don't define
17 the circumstances.

18 If for instance, as John pointed
19 out, there was a concerted cleanup effort,
20 where the cleanup effort basically removes
21 differentially loose surface contamination, $1 \text{ e } \text{ the } \text{ minus } 6$
22 might be appropriate. In the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 absence of a cleanup operation, well perhaps 1
2 e minus 6 is not conservative, and maybe 1 e
3 minus 4 or 1 e minus 5 might be appropriate.

4 But in not even contesting that,
5 by simply applying the various parameter
6 values of deposition and resuspension, using
7 the models as described herein that I just
8 quoted, I ended up with an inhalation dose
9 that's 20 times -- 29 times higher than the
10 10.34 dpm per day that is defined by NIOSH.

11 So the only thing I'm asking is
12 where is the error or why can't I duplicate
13 the numbers that NIOSH quoted, without
14 necessarily questioning the assumed
15 parameters.

16 MR. ALLEN: Yes, and from the
17 notes I got, and I don't have your original
18 review with me; I'm trying to find it and I
19 don't have it right here, but my notes
20 indicated that I think last time we admitted
21 there was a math error for both Finding 5 and
22 Finding 6.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. BEHLING: Well, we haven't got
2 to Finding 6 and we will discuss it. There
3 was a mathematical deficiency in my
4 calculation. But I don't think that applies
5 here.

6 MR. ALLEN: Well, I was going to
7 say there was a math error, if I'm not
8 mistaken, on both of those. There was a math
9 error in the Appendix or a typo, one or the
10 other, and I think there was also one with
11 your calculation between the two of them.
12 It's math, and we intend to fix it, you know,
13 during the revision.

14 DR. MAURO: That makes life easy.

15 MR. ALLEN: Yes. I mean that's --

16 CHAIRMAN ANDERSON: Yes, okay.

17 MR. ALLEN: But it's math. It's
18 not something that needs -- it's not an
19 opinion, you know.

20 DR. MAURO: Do --

21 CHAIRMAN ANDERSON: Is that also
22 true then for 6?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: That's what my --

2 CHAIRMAN ANDERSON: -- 5 and 6 on
3 the residual, yes. So both of those are -

4 MR. ALLEN: That's what my notes
5 say but like I said, I don't have the detailed
6 thing here, and I really didn't look at those
7 old findings when we -- when I was trying to
8 get ready for today. I looked at the new
9 report.

10 DR. MAURO: In 6 though, we're
11 saying you're too hot. In 5 --

12 DR. BEHLING: No, in number 6, let
13 me clarify that, too. My estimate of residual
14 external radiation dose was based on Federal
15 Guidance Report 12 for U-234 and 235, and I
16 was obviously in error, and I think NIOSH
17 correctly pointed out that Federal Guidance
18 Report 12 for those two isotopes does not
19 incorporate the short-lived daughters that
20 would be in -- equilibrium with 234 and 235,
21 and they would be a major contributor to both
22 external and -- to external gamma and beta

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 radiation.

2 When I went back and recalculated
3 using Federal Guidance Report 12, but now
4 incorporating the short-lived daughters that
5 can be assumed to be in equilibrium with U-235
6 and 234, my calculation turned out to be
7 within a matter of a few percentage errors, a
8 few percent error of the dose estimates
9 derived by NIOSH. So I stand corrected, and I
10 withdraw that Finding 6.

11 CHAIRMAN ANDERSON: So we're
12 closing Finding 6.

13 DR. BEHLING: Yes.

14 CHAIRMAN ANDERSON: Finding 5 is
15 basically closed, as long as you've fixed the
16 numbers. So we'll sort of keep it open, but -
17 -

18 MR. ALLEN: In abeyance.

19 CHAIRMAN ANDERSON: Yes. We
20 aren't going to have to discuss it anymore.

21 DR. MAURO: Do we have any SEC
22 issues here that jump out?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: No, not in 5 and 6.

2 DR. MAURO: No -- I don't think we
3 talked about it on Hooker -- on United
4 Nuclear.

5 DR. NETON: I think the air sample
6 justification for thorium is potentially -

7 DR. MAURO: Okay -

8 DR. NETON: I don't want to argue
9 that too strongly, but I think that clearly we
10 need to demonstrate that the air samples are -
11 -

12 CHAIRMAN ANDERSON: I was going to
13 say that for here, I would say that's our
14 number one action.

15 DR. NETON: In my opinion, that's
16 true. I don't want to bias anybody else's,
17 but that's what I think.

18 DR. MAURO: I feel the same way.
19 It's important, though, that the Work Group
20 feels the same way.

21 (Simultaneous speaking.)

22 CHAIRMAN ANDERSON: Any comments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the phone?

2 MEMBER FIELD: No. I'm in pretty
3 much agreement. This is Bill.

4 CHAIRMAN ANDERSON: So do we want
5 to say anything about Observation 1?

6 MR. KATZ: Jim, do you need a
7 break?

8 DR. NETON: Well, I think once
9 we're done with the UNC.

10 CHAIRMAN ANDERSON: Yes. I was
11 hoping to close this.

12 DR. NETON: I don't know whether,
13 you know -

14 CHAIRMAN ANDERSON: I mean now
15 that it's --

16 DR. NETON: Observation 1
17 basically says that we should have a better
18 description of the characterization of
19 facility.

20 DR. MAURO: In other words, this
21 is just something for your information. You
22 can use as you see fit.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: I mean I would say
2 that we would take that under consideration
3 when we revise the Appendix, and we'll flesh
4 that out.

5 CHAIRMAN ANDERSON: And now that
6 we're doing away with the 6001 part, the
7 appendices have got to stand on their own
8 more, so maybe --

9 DR. NETON: To be fleshed out a
10 little better in the next revision.

11 CHAIRMAN ANDERSON: So we'll call
12 that closed, too. Any other issues on United
13 Nuclear? On the phone, questions, comments?

14 (No response.)

15 CHAIRMAN ANDERSON: Okay. So I
16 think we are going to move forward on getting
17 the thorium -

18 DR. NETON: Air sample.

19 CHAIRMAN ANDERSON: -- air
20 sampling.

21 DR. NETON: And we did agree to
22 provide some written response on the uranium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bioassay -- adequacy of the uranium bioassay
2 measure.

3 DR. MAURO: No action items for
4 SC&A, or did I miss something?

5 CHAIRMAN ANDERSON: I don't think
6 so.

7 MR. KATZ: No action items for
8 SC&A.

9 MR. ALLEN: And we were going to
10 try to see if we can get a hold of some
11 workers, and find some details on the neutron
12 exposure.

13 DR. MAURO: Yes, the story, the
14 neutron --

15 (Simultaneous speaking.)

16 CHAIRMAN ANDERSON: Okay. So
17 let's take a break then.

18 MR. KATZ: So should we say 15
19 minutes?

20 CHAIRMAN ANDERSON: Yes. So then
21 should we get started, I mean we're going to -
22 - can we do Electro Met?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Sure.

2 DR. NETON: We did agree that we
3 would reconvene at one o'clock.

4 MR. KATZ: So we want to break at
5 noon, about noon for lunch. But so we'll
6 reconvene at 20 after for Electro Met.

7 DR. MAURO: Am I safe to assume
8 that I should push my 3:00 flight to a later
9 time? We're not going to finish by 3:00, is
10 that right?

11 CHAIRMAN ANDERSON: Well, I don't
12 know, I mean you have a better sense -

13 (Simultaneous speaking.)

14 DR. NETON: Substantially less
15 time on these next two.

16 DR. MAURO: I'll take my chances.

17 CHAIRMAN ANDERSON: You don't have
18 some of your responses.

19 MR. KATZ: I'm putting the phone
20 on mute but not disconnecting.

21 (Whereupon, the above-entitled
22 matter went off the record at 11:06 a.m. and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resumed at 11:22 a.m.)

2 MR. KATZ: So we're reconvening
3 after a break. This is the TBD-6001 Work
4 Group, and we're going to pick up actually --
5 we thought we were done with United Nuclear,
6 but there's another piece that needs to be
7 addressed before we close that site --
8 discussions on that site. John, do you want
9 to --

10 DR. MAURO: Yes, I'll be glad to.

11 One of the things that we overlooked this
12 morning is part of the work we did when we
13 prepared our review of United Nuclear was to
14 review carefully the 97 page SEC petition, and
15 try to capture what the sense of those
16 concerns were. So our report includes a
17 couple of sections.

18 For those of you who have access
19 to the report, it's on page 10 of 91, called
20 Section 3. What we did here -- what Joseph
21 Provecchio, who's on the line, did, prepared
22 this for us, he said "Listen. I went through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the 97 pages, and I tried to collect the
2 information as best I could." Is someone
3 trying to speak?

4 (No response.)

5 DR. MAURO: No. Anyway, so there
6 are basically -- and to make sure we didn't do
7 -- if there are any petitioners on the line, I
8 would very much encourage to feed back if you
9 think that in condensing the 97 pages of
10 material into six fundamental issues, please
11 let us know if we missed anything of
12 importance. I guess that's the first thing.

13 Then what I want to do is -- and
14 we're not going to go over them right now
15 because we will in a second, then at the end
16 of our report, on page 29 of our report, where
17 we sort of summarize the whole story, you'll
18 see toward the bottom of the page, we
19 reiterate each of those six issues, and we
20 give SC&A's position whether or not we agree
21 that this is a concern that needs to be
22 addressed, or whether or not it has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 addressed adequately already in the ER, okay.

2 So let's go through them quickly.

3 The first one has to do with transuranics;
4 that is, they're radionuclides other than
5 thorium and uranium, that might have been
6 present in the feedstock that was handled,
7 including recycled uranium.

8 We looked into -- this is the
9 issue that was raised. The petitioners said
10 listen, you have to look at that. That's
11 important, and we looked into this and we
12 found that yes, there's evidence that there
13 was recycled uranium at the site, and --
14 because of the presence of technetium-99, and
15 we concur that this is an issue that does need
16 to be looked at, and that's where we stopped.

17 You folks certainly could take a look at it,
18 see if you agree.

19 The second issue, I'm reading it
20 quickly here, Joe, if you want to jump in and
21 help out a little bit here, please feel free
22 to do so. I'm just reading these concerns. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 don't know if he's there.

2 (No response.)

3 DR. MAURO: Well, I'll read it.

4 MR. PROVECCHIO: This is Joe. I'm
5 on the line. I had it on mute.

6 DR. MAURO: Okay. Joe, why don't
7 you go ahead and you take it. You did the
8 heavy lifting here. Just read through the
9 concern and I'm on page 30 of the main report
10 that I forwarded to you. Why don't you go
11 ahead and take it from here?

12 Just read the concern and what
13 SC&A's position is regarding the concern.

14 MR. PROVECCHIO: Well, the first
15 one you covered already, the fact that the
16 petitioners identified issues related to
17 transuranics, and we confirmed that that's
18 probably correct, and that needs to be
19 addressed.

20 The other one was taking a look at
21 the protocols and dosimetry data, that it
22 seems that the Site Profile may not be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consistent with the actual occupations and
2 task assignments at the facility, and this is
3 something too that I believe that if we
4 interviewed folks, with specific line of
5 questionings towards this, it may help us make
6 a better Site Profile to be able to
7 reconstruct the doses, particularly in areas
8 of bioassay or in the protective equipment
9 that was used in housekeeping practices.

10 The next concern was dealing with
11 the types of scans, and the ALARA protocols
12 that were taking place, that may or may not
13 have left personnel leaving the site with
14 contaminated clothing and so forth.

15 So there was specific comment
16 about the consequences that that may have
17 resulted in, and we think we need to be
18 respectful of the petitioners' concerns and
19 questions and address that as best we can.

20 The next one listed as Concern No.
21 4 was the challenges.

22 DR. NETON: Can we go back? I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 don't know if we're going to talk about these
2 at all, but --

3 DR. MAURO: Okay.

4 DR. NETON: This one seems to be
5 concerned with contamination of workers'
6 homes, and I'd just like to point out that I
7 think we all understand that those exposures
8 aren't covered under this program.

9 MR. PROVECCHIO: Well, whether or
10 not they're covered in the program, it's a
11 matter of being respectful of the petitioners
12 coming out and making a statement that needs
13 to be responded to, whether or not this is
14 included in the program or else, or how else
15 it would be addressed I think is something
16 that's worth consideration.

17 DR. NETON: Yes, I'm surprised
18 that's not in there. Normally, in the
19 Evaluation Report, there is a separate section
20 that goes through and discusses each of those
21 concerns.

22 CHAIRMAN ANDERSON: Recognizing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the --

2 DR. NETON: We'll go back and look
3 and make sure. If it's not --

4 MR. PROVECCHIO: Right. Well,
5 the next one included an allegation of
6 negligence and exposure to contaminants, the
7 worker occupational category and exposure
8 assumptions may not be consistent with the
9 claimant's duties that were performed.

10 So this again deals with listening
11 to what the petitioners have to say and being
12 able to respond specifically to their
13 comments, and not overlooking them and missing
14 a concern that was raised.

15 In Concern No. 5, again the actual
16 conditions and incidents on the site needs to
17 be addressed with respect to a possibility of
18 acute exposures and criticality incidents that
19 are questioned by the petitioners.

20 The last one, Concern No. 6, deals
21 with allegations of falsification of data and
22 fundamental disregard for human life and lack

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of quality control. These things, I think,
2 are very important to be specifically
3 addressed, how they're handled carefully and
4 not disregarded at all.

5 So I think the exposure of all of
6 our work to the petitioners' interpretation of
7 adequacy and being respectful of their
8 concerns, whether they have great validity or
9 not, is something that we need to address.

10 DR. NETON: I'm looking through
11 our section that addresses the petitioners'
12 concerns, and there are seven of them listed.

13 None of them match up with the ones that SC&A
14 apparently evaluated. So maybe there are
15 additional things in there that you guys,
16 pieces that are -

17 MR. ALLEN: I'm sorry. This is a
18 long write-up, if I remember right, and it's
19 essentially this is your version of parsing
20 that out --

21 DR. MAURO: Absolutely.

22 MR. ALLEN: -- into different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues, and I think this was our version of
2 parsing it out. I know they don't match up
3 well, but we can certainly look at that and
4 make sure they're --

5 DR. NETON: A couple of these I
6 could see where they're -- they sort of
7 overarch both issues, but they did not get
8 into the specifics, as Joe just did. We'll
9 look at it and see where we did or did not
10 address those. That's reasonable.

11 MR. ALLEN: Yes. I'm not sure how
12 to go about addressing them. I mean a number
13 of these were like the criticality accident
14 was a real accident. It does involve one of
15 our claimants, but it happened at their plant
16 in Rhode Island, not at Hematite.

17 It's not something -- it's not
18 something I really want to put in the Appendix
19 it's very -- but some sort of way of
20 addressing it, possibly in a letter to the
21 petitioner or, you know, making sure it is
22 addressed in the ER -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: I think you bring up a
2 very important point. The petitioners submit
3 their petition. It's granted, and the ER
4 comes out. The question is to what extent do
5 you explicitly address it and what vehicle is
6 used to make sure that the petitioners are
7 heard?

8 DR. NETON: Yes. I think it needs
9 to be in the Evaluation Report, not
10 necessarily in the Appendix.

11 CHAIRMAN ANDERSON: Yes.

12 DR. NETON: We don't need to
13 address criticality accidents that never
14 happened or didn't happen in the facility.

15 MR. ALLEN: And there's a number
16 of them about beryllium.

17 DR. MAURO: And I think it's
18 satisfying for them to know that we looked at
19 it, we thought about it --

20 DR. NETON: And so I think what
21 we'll point out here is that we probably
22 didn't do as good a job as we needed to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 address all of their concerns in their
2 petition.

3 CHAIRMAN ANDERSON: It was a long
4 petition. There was a lot there.

5 MR. PROVECCHIO: If I could just
6 add one more point. For that particular
7 issue, you know, Concern number 5, there were
8 six different locations where that was alluded
9 to, which was consolidated into one concern.
10 Each one of these concerns was a reflection of
11 multiple locations throughout the petition
12 document, that came up with the same issue.

13 DR. NETON: So what we might do is
14 have another section that is a roll-up of
15 SC&A's comments.

16 DR. MAURO: You might find that in
17 the very back of our report, there's
18 Attachment A, where Joe -- the six that we
19 just talked about actually is a condensation
20 of three pages of where we tried to take all
21 97 pages and create this, sort them all out,
22 so that it's -- it was really a two-step

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 process. So this might be helpful.

2 DR. NETON: That would be, yes.

3 CHAIRMAN ANDERSON: Any others?

4 MR. KATZ: So I just was unclear.

5 Is this going to be then a revision of some
6 piece of the SEC Evaluation Report, or just --
7 because I don't know. It seems to me our main
8 concern is that the petitioners get responded
9 to, in effect, for their concerns and if it's
10 not in the Petition Evaluation Report, I don't
11 know, unless you're going to do some -- it
12 seems like it needs an addendum or something
13 to more thoroughly respond to the concerns
14 that they raised. Otherwise, I don't know how
15 you -- or a document that just gets sent to
16 the petitioners that goes through these --
17 that's separate, but you think you'd just tie
18 it with your Evaluation Report.

19 MR. ALLEN: I mean I -- that's the
20 part I'm struggling with, too, you know. I
21 didn't want to put it in the Appendix if it's
22 not relevant. I was kind of saying a letter.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Jim was saying an addendum to the ER.

2 MR. KATZ: I'm mean it's
3 irrelevant in the sense that they raise these
4 as concerns, and all you're doing is saying --
5 in some cases you're saying this didn't occur
6 at the site. This is why it's not addressed
7 here. That's a response still. It still
8 acknowledges that they raised the issue and
9 you've put it to bed in a sense -

10 CHAIRMAN ANDERSON: And evaluated
11 it.

12 MR. KATZ: You don't have to go
13 into detail when you respond to it.

14 DR. NETON: Well, here's the
15 situation. I guess the scenario would be if
16 we revise the Evaluation Report, then that
17 would -- we'd have go all the way back through
18 the process and re-present, I think, Revision
19 1 of the Evaluation Report to the full Board.

20 MR. KATZ: But if this is just
21 really an Appendix to make sure that you've
22 buttoned up your responses to -- because you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have some, you said, responses to the
2 petitioners' concerns. If this is just, in a
3 sense, trying to do a more thorough job of
4 accounting for those and it doesn't change
5 anything in the Evaluation Report, I don't
6 think you have to re-present to the Board on
7 that. I think it's really just responsive
8 then to the petitioners.

9 DR. NETON: An addendum or
10 something.

11 MR. ALLEN: A separate supplement
12 to the ---

13 (Simultaneous speaking.)

14 MR. KATZ: No. I mean there are
15 distinctions, I know, in how you term the
16 document. But it should be tied somehow with
17 the Evaluation Report.

18 DR. NETON: No, I mean and it's
19 mostly because we want to make sure we have a
20 good record of this. We don't want to have
21 loose documents hanging out.

22 MR. KATZ: But it's not that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 different from when SC&A does these appendices
2 for their worker interviews. They come in
3 later from their reviews, but they don't get -

4 DR. NETON: We'll work out the
5 mechanism -

6 MR. KATZ: -- necessarily
7 presented separately.

8 DR. NETON: We'll do something in
9 writing, and my guess is it would be some sort
10 of a supplement to the Evaluation Report.

11 MR. KATZ: Okay. Now we're
12 finished with United Nuclear.

13 CHAIRMAN ANDERSON: Okay. So for
14 the next 15 minutes or 20 minutes or so, let's
15 start with Electro Met.

16 MR. THURBER: Okay. Everybody has
17 the matrix. We reviewed our findings on
18 Electro Met at the last Work Group meeting.
19 Subsequent to that, Sam Glover provided some
20 preliminary reactions to our findings, and
21 those are included in the column headed NIOSH
22 Initial Response.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 At the last meeting, we agreed to
2 do two things. One was to supply NIOSH with
3 our spreadsheets where we had come up with
4 some different numbers from those that were
5 included in the original NIOSH report, and we
6 did that.

7 The second thing is that we said
8 that we would provide a summary of the
9 interviews. That we have not done yet, but
10 the status is as follows.

11 We conducted a total of six
12 interviews. I believe two were of petitioners
13 and the other four were former Electro Met
14 employees. We have prepared an interview
15 summary. It is now at DOE for approval, so it
16 should be forthcoming very shortly.

17 We will provide that summary to
18 the Board initially, but we'll incorporate it
19 ultimately as Revision 1 to the Electro Met
20 report, where we will replace the existing
21 Appendix E, I believe it is, which contained
22 the first interview only, with the summary of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the interviews.

2 I would caution people when they
3 look at those, the interview summaries, to not
4 confuse some of the statements which clearly
5 related to the metallurgical plant, with what
6 went on in the Area Plant. So you know,
7 workers in some cases were clearly talking
8 about what went on and making ferroalloys.

9 And so -- and we didn't, obviously
10 didn't try to guide the interviews and so one
11 should read them with that proviso in mind.

12 That's really, I think it's
13 probably best to pass the ball to Sam at this
14 point because he has, as I say, has come up
15 with some preliminary responses here that you
16 may want to go through.

17 DR. GLOVER: I think we can go
18 through these fairly quickly. As we discussed
19 at the Board meeting, we didn't proceed -- a
20 lot of analytical things because we had a
21 large data collection that happened at NARA
22 and the other activities.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So one of the things we did, and I
2 was -- you guys had some different numbers
3 than we had. The first thing I did was to
4 have ORAU go back and collect all of the data,
5 go through every SRDB and put that into a
6 spreadsheet. Now I waited to evaluate it
7 because I wanted to make sure the NARA stuff
8 didn't have more.

9 Data was collected in that, and we
10 had to compare it against those listings to
11 make sure there was nothing new. So I think
12 we have probably a pretty good setting.

13 It has all of the bioassay data;
14 it has all of the external dosimetry data; it
15 has ring data; it has surface contamination
16 numbers. So we can look at the breadth of it
17 because there are some -

18 CHAIRMAN ANDERSON: Wasn't there
19 new data?

20 DR. GLOVER: What's that?

21 CHAIRMAN ANDERSON: Was there
22 additional data that you got from that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GLOVER: From what they've
2 looked at, it doesn't look like anything since
3 July or August has come in new. So it looks
4 like that that data we have -- now there's
5 some descriptions in there that's new about
6 the types of data that was collected.

7 So there's several hundred new
8 reports, and some of them are descriptive in
9 nature, but not analytical numbers, you know,
10 not more bioassay samples. I will say that
11 there are, and as we discuss the findings, I
12 have maybe perhaps a couple hundred more
13 bioassay samples than say the numbers you
14 started out with.

15 So trying to point, compare
16 exactly the number he got versus the number I
17 got, well I want to understand conceptually
18 maybe why we are different, why we had a
19 different number. We may not come up with
20 that same number now because we may have more
21 data.

22 Like now we have bioassay data in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 43 and 46, I think, when we didn't have any
2 before. So there's some more numbers, and we
3 can take a look -- or it's air data,
4 whichever. I have to look at the spreadsheet
5 that we can compare against. So maybe just
6 after the responses and we can kind of -

7 CHAIRMAN ANDERSON: Sure, yes.

8 DR. GLOVER: So last time, we had
9 talked about the DOE facility, about Electro
10 Met and how people were included. As I said
11 then, it is a DOL function. We contacted the
12 Department of Labor, and right now what they
13 do is they go to Electro Met and Electro Met
14 says -- they go to their database and they put
15 the people in there or not.

16 If we get them, as Dave said when
17 we were here last time, we're going to analyze
18 them. We're going to have to do a dose
19 reconstruction based on what we understand.
20 So their response back to me was, you know,
21 it's pretty limited what DOL is capable of
22 doing -- what Electro Met is capable of doing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: Could I just put a
2 little qualifier. This is a concern that Bill
3 and I talked about quite a bit. When we --
4 and we're going to get into it -- the
5 specifics of the areas where there may be
6 softness in your ability to reconstruct doses,
7 in the Area Plant, you know, what data you
8 have, now you obviously have more data, which
9 may help solve that.

10 And of course this could move in a
11 direction where the Board and the Work Group
12 may decide well maybe we have an SEC here,
13 whether or not.

14 DR. GLOVER: Sure.

15 DR. MAURO: The thing that is, I
16 think, very important for this Work Group is
17 if all of Electro Met is on the table, I don't
18 know the difference in numbers of people. But
19 I looked at the map. The Area Plant is like a
20 postage stamp on the United States.

21 So I don't know how many
22 different, the numbers of people involved.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But if the boundaries of the site of concern
2 is not the Area Plant but is the entire
3 Electro Met facility, Bill, you probably have
4 a feel for the numbers of people we're talking
5 about, the differences, thousands? It's
6 enormous.

7 DR. GLOVER: Yes.

8 DR. MAURO: So I think this is
9 very important.

10 DR. GLOVER: Well, I would say
11 that it's not -- we don't try to encompass the
12 activities that would have happened at the
13 rest of Electro Met. It is a DOE site, so the
14 DOE encompasses the activities we're trying to
15 bound.

16 It's just that they may be elbow
17 to elbow because there may be several -- now
18 that being said, and I haven't had time to vet
19 this with my colleagues because I was going
20 through some different -- we have all the SRDB
21 numbers, and I was showing Bill some things.

22 We actually have a 30-page listing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of what may be all of the employees in Electro
2 Met at the DOE site because they actually say
3 from 40 -- here's all the guys. Now we
4 haven't given that to DOE or DOL, so they
5 actually talk about their job titles, how long
6 they worked in different occupations. So
7 that's something that I must say is new, as I
8 was going through some data that ORAU
9 provided.

10 So we'll have to see where that
11 goes. But there is, I gave him the SRDB
12 number for that, and it's about 30 or 35 pages
13 of names and when they were employed and what
14 they did. So but I did clarify with DOL, and
15 these were initial responses that were
16 generated last time.

17 I will certainly update them to
18 work through there. Does that -- maybe you
19 want to have some more discussion on Finding -
20 - so that was Finding 1. I'll include the
21 email from the Department of Labor, what their
22 response back was, and certainly we will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 provide anything that we find that could be of
2 help to DOL. We'll give that to them.

3 CHAIRMAN ANDERSON: So it may be
4 able to define the workers who we're actually
5 in the Area Plant.

6 DR. GLOVER: That is their
7 concern. They may say -- they still -- that
8 is still their --

9 CHAIRMAN ANDERSON: They declared
10 -- the whole Area -- the whole Electro Met.

11 DR. GLOVER: No.

12 CHAIRMAN ANDERSON: No?

13 DR. GLOVER: They contact Electro
14 Met and ask them if they work there. Electro
15 Met, you know, at the DOE site, Electro Met --
16 that database may be so primitive that they
17 cannot put them in one facility versus the
18 other, and they just say that this is the way
19 it is.

20 So that's the ability that they're
21 able to differentiate. So I can't -- if they
22 put them and they give it to us for dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 construction, Dave is going to --

2 MR. ALLEN: The assumption is
3 they're in the Area Plant.

4 CHAIRMAN ANDERSON: Yes.

5 DR. GLOVER: Because they've
6 qualified them as DOE employees.

7 MR. THURBER: Because they worked
8 at Electro Met, period.

9 MR. ALLEN: That just comes down
10 to how DOL identifies as them as a claimant,
11 but as far as what we have to estimate, it's
12 the Area Plant.

13 DR. MAURO: Do we see any cases
14 where when we went in to do the DR review or
15 look at the work, that we felt that the person
16 didn't work at the Area Plant?

17 MR. THURBER: Yes. We covered
18 some of this in our review report. One of the
19 petitioners, based on the evidence that was
20 available -- was not clear that her spouse
21 worked at the Area Plant. There was --
22 actually, there was a dose reconstruction,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 too, that we looked at.

2 It was pretty clear that the
3 claimant did work at the Area Plant, worked at
4 Electro Met. So there have been several
5 instances of this.

6 CHAIRMAN ANDERSON: That's just an
7 anomaly in this system, that we really have a
8 problem we can't really deal with.

9 DR. NETON: They're -- employee
10 under this program, by definition. They work
11 in this facility.

12 CHAIRMAN ANDERSON: Yes. We can't
13 --

14 (Simultaneous speaking.)

15 MR. ALLEN: But you don't -- I
16 mean it comes down to, you know, it sounds, I
17 don't know, it sounds heartless or maybe not.

18 But it comes down to it's not our problem.
19 If DOL gives this to us, they are saying they
20 worked in the Area Plant.

21 If it's their error that that
22 occurred, then -- if we saw something, we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe try to say hey, here's some information.

2 But we never have done that, not with Electro
3 Met.

4 DR. MAURO: When we -- audit and
5 if we see that, we come to that -

6 MR. ALLEN: But it comes down to
7 the claims examiner's decision on whether or
8 not, you know, they're saying that's a covered
9 employee or not.

10 DR. NETON: Now what's the
11 definition of the facility?

12 DR. GLOVER: It is -- they built -
13 - DOE built a little building on Electro Met.
14 That is --

15 DR. NETON: But the facility --
16 what's the site definition for Electro Met?

17 DR. GLOVER: It's a DOE site,
18 based on the days -- the dates that that plant
19 was owned by DOE.

20 MR. THURBER: It's all employees
21 who worked at Electro Met, is the definition.

22 DR. MAURO: They opened it up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: No, that's the SEC
2 definition. What's the facility definition by
3 the Department of Energy website. A real
4 similar situation at GE. The GE covered
5 facility is really the AEC operation of the
6 DOE that occurred in that little --

7 MR. KATZ: If you have, and I'm
8 just curious about this, and maybe Jenny needs
9 to respond. If you have definitive
10 information as opposed to speculative
11 information, if you have definitive
12 information that a person never was, say, in
13 the building of concern, it seems to me you
14 still -- DCAS could still say there's no
15 radiological exposure in this case. Couldn't
16 you? Or not?

17 MR. ALLEN: No, the truth is it's
18 not so much radiological exposure. It is
19 they're not a covered employee, and that's not
20 our jurisdiction.

21 MR. KATZ: Okay, but I'm not
22 saying to say that they're not a covered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 employee.

2 DR. NETON: But if they're a
3 covered employee, by definition they had work
4 at this covered facility.

5 CHAIRMAN ANDERSON: They won't
6 have any biomonitoring.

7 MR. ALLEN: The truth is I'm not
8 sure -- I mean there's some -- some that look
9 pretty much like they were. I don't know how
10 definitive they're admitting it necessarily -

11 (Simultaneous speaking.)

12 MR. KATZ: That doesn't make sense
13 to me.

14 (Simultaneous speaking.)

15 MR. KATZ: No, I understand you.
16 NIOSH doesn't determine who's a covered
17 employee. Here's what I'm asking you.

18 CHAIRMAN ANDERSON: Wait, wait,
19 wait. Are we able to capture all this?

20 MR. KATZ: We can't. We have --

21 CHAIRMAN ANDERSON: Too many
22 talkers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: -- conversations going
2 on.

3 CHAIRMAN ANDERSON: Yes.

4 MR. KATZ: Here's my question.
5 I'm clear about they're a covered employee;
6 they have a right to a dose reconstruction.
7 But if our dose reconstruction method is a
8 method that applies to one building, and you
9 have definitive information they were never in
10 that building, why would you apply the dose
11 reconstruction method for one building to that
12 person, when you know they never were in the
13 building?

14 Why wouldn't you say this person,
15 yes, he's a covered employee but has no
16 radiological exposure because they're not in
17 that building?

18 MR. ALLEN: It comes down to how
19 you're defining knowing he wasn't. DOL has
20 said we know he was. By giving us the claim,
21 they're saying we know he was in the Area
22 Plant. That's the covered facility.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. LIN: So does NIOSH have this
2 information that -- that points definitively -
3 - they weren't even in that building, they
4 shouldn't be a covered employee. Then they
5 communicate that to DOL, and DOL has to make
6 that determination. So even if NIOSH finds,
7 you know, suggestions or something, it's still
8 up to the DOL to make that determination.

9 MR. KATZ: Okay, thank you.

10 DR. NETON: I mean just imagine if
11 it did go SEC, how it's going to be
12 administered. All employees who worked at
13 Electro Met would be the Class Definition.

14 MR. KATZ: No, I understand that,
15 Because in that case, we don't get the
16 information from the individual that -- DOL
17 doesn't get the information --

18 (Simultaneous speaking.)

19 MR. ALLEN: They don't get a lot
20 of information from -

21 MR. KATZ: Right, no, so I
22 understand what happens with an SEC, but I --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seems like it's a different case when it's a
2 dose reconstruction.

3 MS. LIN: But when it comes down
4 to it, DOL made a determination, and then
5 we'll just have to say, okay, then we do our
6 best to apply the doses to this person.

7 CHAIRMAN ANDERSON: It becomes a
8 coworker -

9 MR. KATZ: At the next Board
10 meeting, we're going to ask DOL to give a
11 discussion about defining Classes and all
12 that, which will be interesting. I think this
13 will sort of get into some of these issues.

14 CHAIRMAN ANDERSON: Okay. Moving
15 right along.

16 DR. GLOVER: This should be --
17 Finding 2 is very much the same way. But
18 everything outside -- is not covered. We have
19 a DOE facility covered -- that was built and
20 operated. In 1953 ownership was transferred;
21 it's done, no residual period.

22 Activities that happened before

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that thing was built, not covered. They're a
2 main plant, and we can pass that on to DOL.
3 DOL may choose to add a AWE facility for what
4 was done.

5 But that's not part of this
6 activity. But we will certainly follow up in
7 the SRDB information to DOL and let them
8 determine what they may want to determine.
9 That's outside the scope of what we --

10 CHAIRMAN ANDERSON: So you'll only
11 dose reconstruct for the period that the plant
12 -- the Area Plant was in -- a worker might
13 well have been employed there before.

14 DR. GLOVER: We would start when
15 that building was built.

16 CHAIRMAN ANDERSON: Right.

17 DR. GLOVER: We would end when
18 that building ceased to -- and we -- the two,
19 I got all excited. Oh, there's armies.

20 There's this research going on
21 before. Then I realized it's outside the
22 covered scope, so you can't do it. So there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some -- you know, they had some stuff that
2 they did on plants, some preliminary research.

3 It's separate.

4 MR. THURBER: And in one of the
5 interviews that you will see, the interviewee
6 said yes, there was some work done in the
7 research laboratory, which was building the
8 store before the Area Plant opened. But
9 basically it was some analytical chemistry
10 work and obviously would not have resulted in
11 any significant exposure.

12 DR. MAURO: But you're saying
13 that's not within the scope of the --

14 MR. THURBER: It's outside the
15 scope is what I heard Sam say, yes.

16 DR. GLOVER: As it is right now.
17 I mean if there's information to make the rest
18 of it an -- or something, that's something to
19 give the DOL, make a new AWE next to the DOE
20 site.

21 CHAIRMAN ANDERSON: Yes. Okay,
22 three.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. GLOVER: Finding 3, these are
2 all interrelated. These are the start and end
3 dates, start and end dates. This is a DOE
4 site. The other thing would be related to
5 what DOL would do with any additional
6 information we may have found.

7 So those are interrelated to one
8 and two, and I don't know if there's a way to
9 have that just encompassed, that we have, you
10 know, extra findings being tracked. Maybe
11 it's just not worth trying to close or open,
12 but it is obviously interrelated to the first
13 two discussion points. So I don't think
14 there's much update for that. Let's see.

15 CHAIRMAN ANDERSON: Pre '48 data.

16 DR. GLOVER: Finding 4, working on
17 reviewing all the data. So basically we have,
18 we've read and understood your concerns about
19 back-extrapolating. We have now, I believe,
20 compiled all this data, and it will be my job
21 to put some approaches together and meet with
22 Jim and with Dave, and see what the best

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 approach would be and how that -- so right
2 now, we're not be to speak to that. Right now
3 we have compiled the data.

4 CHAIRMAN ANDERSON: But you're
5 going to work on a justification.

6 DR. GLOVER: To see if that
7 approach is appropriate.

8 CHAIRMAN ANDERSON: Yes, right,
9 exactly.

10 DR. GLOVER: So that is the
11 current state that we had. We've updated the
12 data and it's the time to develop an approach.

13 DR. MAURO: I consider this to be
14 one of the most important issues because
15 earlier, when we looked at it, the concept was
16 the '48-'49 had data; '43 and '44 didn't, and
17 there was going to be an extrapolation. But
18 it was your position at the time that there's
19 every reason to believe that the later data
20 could be applied to the earlier years.

21 But we did point out that there
22 was a year, 1947, where clearly something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 happened that changed things, and it's going
2 to be difficult to extrapolate given that
3 change. Now that you have data for the
4 earlier years -- what I'm getting at is this
5 could have been a very important SEC issue, I
6 guess is what I wanted to say.

7 DR. GLOVER: It may still be. The
8 process may not be covered. So all those
9 things have to be evaluated, whether you have
10 this back extrapolation capability. So we do
11 have some early urinalysis data, and --

12 CHAIRMAN ANDERSON: We do have new
13 data that you can work with, so that -- yes.

14 DR. GLOVER: It's compiled in one
15 SEC, so we can all look at it and make it
16 easier to determine what may or may not be a
17 reasonable approach. So that will be our next
18 big step, is to come up with the -- to review
19 that against the appropriate approach.

20 CHAIRMAN ANDERSON: Okay, number
21 5.

22 DR. GLOVER: I think that this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would be something we would do very late in
2 the change. We agreed that we want to make
3 sure we fixed any inconsistencies, but that
4 we're not going to -- until we work out the
5 approaches, there's not much sense in doing
6 anything with 5. Right now, there's no action
7 until -- that would be done later. I think 6
8 -

9 CHAIRMAN ANDERSON: Same thing.

10 DR. GLOVER: Now this is -- as
11 we've talked about -- they compiled it. But
12 it does need to be carefully reviewed as to
13 whether it's BZ process or GA.

14 They've done some initial markings
15 on them, but it does need be very careful
16 about the type of data, and if it's really a
17 fixed head sample or if it was truly like a
18 HASL type BZ data.

19 So there's different kinds of BZs,
20 what they may call a BZ. So I do agree that
21 that -- part of our Finding 6, we certainly,
22 as part of our data analysis, we'll be looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at that. All right. Seven.

2 Well this -- we'll have to see
3 what the new found data works out. With that
4 SRDB, see if that sheds any light on some of
5 the titles and jobs that they were doing. It
6 may help fill in some holes about the type of
7 occupations that we have.

8 But I haven't done any additional
9 work with that at this time, so I haven't
10 proceeded. We've moved Finding 7 ahead.

11 MR. THURBER: Is there any
12 advantage or is it a bad thing to think about,
13 to minimize the number of job categories?
14 Like simplistically, operations people, office
15 people, which tends to circumvent the case,
16 well, was this guy really a laborer or was he
17 a supervisor or was he an operator, for -- and
18 particularly for a small facility like this,
19 where there were only 70 people maybe in the
20 Area Plant. I don't know. A question really.

21 DR. GLOVER: We will have to --
22 we'll make sure, as we develop our approach,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that we --

2 DR. NETON: Is this all related to
3 the ability to develop coworker model? Is
4 that what we're talking about here?

5 DR. GLOVER: Sure. Yes, how to
6 set that analysis up, yes.

7 DR. NETON: And how many workers
8 weren't monitored and what we're going to do.

9 MR. THURBER: Yes, and were they -
10 - was this a guy a laborer one day and an
11 operator the next day and --

12 DR. NETON: Well, we don't know.
13 We're going to assume he was probably an
14 operator.

15 MR. THURBER: That's why I say, if
16 that's the case, you know, why bother with
17 these?

18 (Simultaneous speaking.)

19 DR. NETON: Did we propose four or
20 five different --

21 DR. GLOVER: We had four or five
22 different. We have a supervisor, an operator

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 --

2 DR. NETON: Okay.

3 MR. THURBER: I'm sorry. I didn't

4 --

5 DR. GLOVER: Sort of the Appendix
6 C approach or sort of the Battelle approach.

7 MR. ALLEN: When we're actually
8 doing the DRs, they get the benefit of the
9 doubt on that, and in all honesty, it's kind
10 of a lessons learned to have something there,
11 so when you get the one that says they were a
12 bookkeeper in the admin building, you don't
13 have to give them operator dose, when you only
14 have one option --

15 MR. THURBER: No. That's why I
16 say, but if you narrow it down then --

17 MR. ALLEN: Yes, whether we got
18 the right separation --

19 MR. THURBER: Fewer things for
20 people to debate about.

21 DR. GLOVER: So we do understand
22 your point, and as we develop our approach,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we'll make sure that we look at what's
2 appropriate. All right. Finding -- I think
3 this is where we --

4 DR. NETON: Is this like rank
5 order versus curve-fitting kind of thing?

6 DR. GLOVER: Yes, all right.

7 MR. THURBER: It was just
8 interesting to us, when we did the analysis,
9 where you do the calculation when you take the
10 sum of the squares and all that sort of thing,
11 as compared to the curve-fitting, and you get
12 significant differences actually.

13 DR. NETON: That's not usually the
14 case for internal data. For internal data,
15 usually the 95th percentile fit is higher.
16 I've already done that for all the other
17 coworkers, and what happens is you get towards
18 the top and there's a tailing off because
19 people can only get so much intake, and then
20 you go out to the 95th and you end up with a
21 higher, in this particular case. Probably
22 based on the limited number of data, I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know.

2 MR. THURBER: Probably.

3 DR. NETON: But it's worth looking
4 at, and we'll take that in consideration.

5 DR. MAURO: When I was reading
6 this, I noticed there's a large section
7 dealing with the DWE concept that came out of
8 here, and the DWE concept, you're probably not
9 aware of this, only recently has emerged as
10 something that's of great interest, and this
11 is to imply here.

12 During the Weldon Springs Work
13 Group meeting, the discussion came up related
14 to -- in fact, I brought it up related to do
15 you use the classic HASL approach to do DWE,
16 and everyone agrees that that's good
17 industrial hygiene. Take these little
18 measurements and process them with HASL
19 protocol.

20 The point that was made, and I
21 think it was originally made by Arjun on
22 Mallinckrodt and it has really reemerged, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that does the classic HASL approach, is it
2 appropriate to apply to this program. SC&A's
3 position is no, and the reason is that the
4 HASL approach will come up with a central
5 estimate for a particular job, a best estimate
6 that is reasonable to give you an indication
7 of these are the kinds of intakes -- DWEs,
8 workers of this category, are expected.

9 However, there may be many workers
10 in that category, some of whom could be
11 substantially higher and some lower, as
12 evidenced by the samples that were taken for a
13 given task, sometimes spread over two, three
14 orders of magnitude.

15 Now where I'm going with this is
16 Bob Morris answered very nicely. He said
17 "Well, we're not doing that. We're not doing
18 that. We're using the Davis and Strom
19 approach from the 2008 Health Physics
20 journal," which is a much more sophisticated
21 treatment of the problem, where they apply
22 Monte Carlo.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So and now what we're seeing,
2 we're seeing we're in a transition mode. I
3 think you're in a transition mode, whereby
4 you're using the Strom and Davis strategy,
5 which we reviewed as of yesterday, and found
6 it very compelling.

7 I think that the degree to which
8 that applies here, I guess this is something
9 new that's not in the matrix. I'd like to put
10 it on the table. When you look at the issues
11 associated with the DWE work that was done in
12 this case, factor in the Strom and Davis paper
13 as dealing with this business of uncertainty.

14 I think it's important because
15 it's a way -- that's why he wrote the paper,
16 by the way.

17 DR. NETON: Well that was
18 specifically done on contract to us.

19 DR. MAURO: And it's great work,
20 and we reviewed it very carefully. You're
21 going to be seeing some work that -- because
22 it's related to Fernald and Weldon.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: I'm not working on
2 either of those.

3 DR. MAURO: Yes, I understand.

4 DR. NETON: Conflict.

5 DR. MAURO: But I wanted to bring
6 it up, and it applies here.

7 DR. NETON: Well, that's good.
8 I'm glad you mentioned that.

9 MR. THURBER: And indeed, part of
10 the -- some of the cases they looked at
11 included Electro Met data in that paper.

12 DR. NETON: I just want to mention
13 --

14 MR. KATZ: Yes, can I -- I was
15 going to mention it. It's -

16 CHAIRMAN ANDERSON: Yes.

17 MR. KATZ: -- and we have a
18 certain time frame for -

19 DR. MAURO: Yes. We've got to
20 come back at one.

21 MR. KATZ: So we can just break
22 this and resume this after we do Hooker.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: Yes.

2 MR. KATZ: Okay. So we're
3 breaking for lunch, everyone on the line, and
4 we'll be back -- we're going to try to be back
5 promptly at one, since we have folks from
6 Hooker who want to listen to that
7 conversation. Thank you.

8 (Whereupon, the above-entitled
9 matter went off the record at 12:03 p.m. and
10 resumed at 12:59 p.m.)

11

12

13

14

15

16

17

18

19

20

21

22

23

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Oh, yes. So we could
2 continue. I just didn't want to get deep into
3 Electro Met if they're -

4 CHAIRMAN ANDERSON: No, I think -

5 MR. KATZ: -- they're going to pop
6 on right now.

7 CHAIRMAN ANDERSON: It seemed to
8 me, at least my sense was, you've got new
9 data. You're going to be looking at that data
10 and a lot of the other issues are going to be
11 dependent upon how well you're going to be
12 able to use that.

13 So I'm not sure -- I mean if you
14 want to go through them all, but it seemed to
15 me we were sort of just doing an update.

16 DR. GLOVER: I think you're right.
17 It should happen very quickly. I think
18 you're right. Most of it is to go to that new
19 data, and I guess for number ten, I think
20 we've got --

21 DR. MAURO: Yes.

22 DR. NETON: Well, number nine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GLOVER: Nine, nine, okay.

2 DR. NETON: Nine because it says a
3 TBD-6001 issue, since there's no TBD-6001 --

4 MR. THURBER: Well, if TBD-6001 no
5 longer exists, the issue is irrelevant because
6 all it said was gee, this is not as
7 conservative as TBD-6001. So it just goes
8 away.

9 MR. KATZ: What issue number is
10 that?

11 DR. NETON: Nine.

12 MR. KATZ: Okay, so that's gone,
13 closed.

14 CHAIRMAN ANDERSON: Ten is the
15 issue of blowouts, yes.

16 DR. GLOVER: And that's something
17 I'm waiting for interview notes.

18 MR. THURBER: They don't reveal
19 that there was any major problem, which is
20 consistent with what's been said. As I
21 recall, and you of course will have it to
22 analyze for yourselves, one interviewer said

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 "well, sometimes the seal would leak," and I
2 presume that there's a seal on the bomb of
3 some kind.

4 But it was nothing like, you know,
5 the seal blew and we had contamination all
6 over the place. And in another instance, one
7 of the people talked about blowouts, but from
8 the context, it's not clear that that wasn't
9 an explosion in an electric arc furnace, which
10 happens all the time when you get moisture in
11 the furnace.

12 So it doesn't say anything
13 startling, let me put it that way. But you
14 all will make your own evaluation.

15 DR. GLOVER: Yes, I mean, we'll
16 see what those interviews have to say and if
17 we need to include those in our approach.

18 CHAIRMAN ANDERSON: So no residual
19 period.

20 DR. GLOVER: All right. Eleven is
21 withdrawn because there is no residual period.

22 CHAIRMAN ANDERSON: Twelve.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. GLOVER: 6001. I see if this
2 is -- since withdrawn. I think we'll -- with
3 the updated, it's really just part of that.

4 MR. THURBER: It's part of the
5 whole data update question.

6 DR. GLOVER: Thirteen, and that's
7 just -- we'll rewrite this, I mean, saying
8 whether we're not -- we'll make sure that they
9 match.

10 CHAIRMAN ANDERSON: Yes.

11 DR. GLOVER: Appendix C, actually
12 we'll write an individual updated --
13 individual Site Profile, right, Dave? So this
14 will be converted to --

15 MR. ALLEN: Yes, stand-alone.

16 DR. GLOVER: Stand-alone. So 13,
17 this will actually become a stand-alone.

18 CHAIRMAN ANDERSON: Fourteen.

19 DR. NETON: This is what we talked
20 about earlier.

21 CHAIRMAN ANDERSON: Yes.

22 DR. NETON: Photofluorography.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GLOVER: Yes, about whether or
2 not it was really even on site.

3 DR. NETON: That's our action item
4 for revising six.

5 MR. ALLEN: It needs to be
6 clarified for sure.

7 DR. NETON: Yes.

8 DR. GLOVER: Well, this is a DOE
9 -- one thing I'm going to say about the
10 argument. I hadn't recognized this is
11 actually a DOE site, and the discrepancy here
12 is that it does not use a -- you classified it
13 as an AWE in your description.

14 DR. MAURO: That's right.

15 MR. THURBER: That's right. But
16 that was before Ted Katz enlightened us last
17 Working Group meeting, and I probably didn't
18 pick it up.

19 DR. NETON: We should probably be
20 a little more clear on what we mean when we
21 say a DOE site for photofluorography. We mean
22 a DOE site that had a large number of workers,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 where it would be of benefit to use
2 photofluorography.

3 CHAIRMAN ANDERSON: Yes, cost
4 effective.

5 DR. NETON: As a practical
6 measure.

7 DR. GLOVER: So in this small
8 little facility, I don't think there's any
9 evidence that any medical facility was on site
10 --

11 MR. ALLEN: In all reality, it was
12 probably on the main part of the site, not in
13 the covered part of it. But we don't know
14 that. So we're assuming an annual PA, unless
15 we find something --

16 DR. GLOVER: But this is related
17 to PFG. We would get PFG at the same time.

18 MR. THURBER: But PFGs
19 conceptually could have been done at Electro
20 Met.

21 DR. GLOVER: Little Electro Met or
22 big Electro Met?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. THURBER: Electro Met.

2 (Laughter.)

3 MR. THURBER: Not at the Area
4 Plant, but you know, at the moment we're
5 talking about the whole facility.

6 DR. GLOVER: It's still only the
7 activities in that little DOE box. Even
8 though we're putting everybody elbow to elbow
9 in there, it's only what happens in that
10 little box, that little postage size stamp at
11 Electro Met that's covered. So if they go --
12 as soon as they step outside of that DOE fence
13 --

14 MR. KATZ: It's not a covered
15 exposure.

16 DR. GLOVER: -- it's not a covered
17 exposure.

18 MR. THURBER: I'm sorry.

19 DR. GLOVER: Electro Met is very
20 confusing.

21 MR. THURBER: But I thought that
22 the SEC petition dealt with all of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 employees at Electro Met.

2 DR. GLOVER: They can ask whatever
3 they want, but the only covered facility --

4 MR. ALLEN: Well, Electro Met that
5 covered EEOICPA's facility is the area of the
6 plant. It is just how you were defining
7 Electro Met. It would not defined as a DOE
8 site if you were talking the rest of -- kind
9 of like Linde Ceramics is really Linde Air.
10 Electro Met in the form of EEOICPA is the Area
11 Plant.

12 DR. MAURO: Okay, so the term
13 Electro Met is defined as the Area Plant. The
14 fact that there is this other place called
15 Electro Met that is much bigger is irrelevant.

16 CHAIRMAN ANDERSON: But as far as
17 getting into dose reconstruction, all you have
18 to do is have worked on Electro Met.

19 (Simultaneous speaking.)

20 MR. THURBER: That's the rub,
21 whether the two or three thousand people who
22 worked at Electro Met, include the 70 who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 worked in the Area Plant well that's the
2 population we are talking about.

3 MR. KATZ: It's not population
4 based. The question is location-based. So it
5 doesn't matter what happened outside of that
6 plant. It doesn't matter even though all
7 2,000, DOL may let them all in the door and
8 treat them as if they worked in that little
9 plant, the program only needs to reconstruct
10 doses that occurred in the plant.

11 MR. THURBER: I understand that but
12 I guess what Sam said is, as far as DOL is
13 concerned all of those two or three thousand
14 people are crowded into that little plant.

15 DR. GLOVER: We don't know, that
16 is the way -

17 DR. MAURO: Well there is no way
18 to know for sure that they were kept out, I
19 guess maybe that is a better way to look at
20 it.

21 MR. ALLEN: Actually I think there
22 is some evidence that there was pretty good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 security.

2 CHAIRMAN ANDERSON: Let's move
3 along. We recognize the issue. Okay next.

4 DR. GLOVER: This is -- we got new
5 data, whether we'll update our exact numbers,
6 but I will look at it to make sure why maybe
7 there is a discrepancy, so we, you know. So a
8 little education on that. But we're not going
9 to come up with the same numbers because we
10 have additional data.

11 CHAIRMAN ANDERSON: Right.

12 DR. GLOVER: Finding 16. This,
13 you know, Jim, aren't you doing some stuff
14 with recasting? Haven't you got another area
15 where we're doing recasting exposures, you
16 know, to the surface?

17 DR. NETON: Always. The Puzier
18 effect?

19 DR. GLOVER: Yes, yes.

20 DR. NETON: Yes. That's a GSI
21 issue. Is it a GSI?

22 MR. ALLEN: TBD-6000.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: TBD-6000.

2 DR. MAURO: In general.

3 DR. NETON: In general, right.

4 Which finding are you looking at, Dave?

5 DR. GLOVER: Sixteen.

6 DR. MAURO: Sixteen.

7 MR. THURBER: All 16 said is in
8 Appendix C, you don't cover dose to the hands
9 and arms, and we think you should. That's all
10 this is about.

11 DR. NETON: That's something, did
12 we agree? We agreed that we'd do that and
13 consider it.

14 CHAIRMAN ANDERSON: Seventeen.

15 DR. GLOVER: I think that's the
16 last one, right?

17 DR. NETON: No, there's 18.

18 DR. GLOVER: Oh, there's 18.

19 CHAIRMAN ANDERSON: Yes, one more.

20 DR. GLOVER: The issue of the 95th
21 percentile of bounding.

22 CHAIRMAN ANDERSON: What about 17?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GLOVER: And you said -- you
2 know, seventeen, we've said that we are
3 clearly reviewing the 48 back extrapolates.

4 CHAIRMAN ANDERSON: So do we have
5 any time line thoughts on where's this fit
6 into your work schedule?

7 DR. GLOVER: It won't be touched
8 until January. I won't start working that up
9 until January.

10 CHAIRMAN ANDERSON: Okay.

11 DR. GLOVER: Because this, I've
12 got to fit this and Laughlin.

13 MR. KATZ: Does this look like
14 something that could be put to bed, your work
15 in January, within January?

16 DR. GLOVER: Depends upon how many
17 other fires we have, depends on other places,
18 because we have Sandia coming due in that time
19 frame too.

20 MR. KATZ: So the question, I mean
21 we can get to it later, but the question will
22 be whether we can book a Work Group meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 before the February, which is like the third
2 week in February, before the February Board
3 meeting? Is it in early February?

4 (Simultaneous speaking.)

5 MR. KATZ: It's not early
6 February. It's like the third week or --

7 MR. ALLEN: So the Work Group
8 could be early probably.

9 MR. KATZ: So if the Work Group
10 could be before that, that's the question.

11 DR. GLOVER: We can send this out
12 in an email and make a decision on this.

13 MR. KATZ: Or at the end of the
14 day today.

15 DR. GLOVER: All right.

16 MR. KATZ: I mean if we can. I
17 mean just where to schedule the Work Group.
18 Chew on that.

19 CHAIRMAN ANDERSON: We'll probably
20 push a Work Group meeting --

21 (Simultaneous speaking.)

22 CHAIRMAN ANDERSON: I mean it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 close to the big meeting.

2 DR. NETON: Well, if it gets too
3 close to the Board meeting --

4 CHAIRMAN ANDERSON: Then you can't
5 put it on the agenda, yes. So --

6 DR. NETON: But we have Los Alamos
7 Work Group scheduled for the 11th.

8 MR. KATZ: Yes, we do.

9 DR. NETON: And so the week of the
10 14th, I think, is the week before the Board
11 meeting.

12 MR. KATZ: That's right.

13 DR. GLOVER: That's in February?

14 MR. KATZ: Yes. If not, say so.
15 If it doesn't work before, then we just need
16 to know that then, and we'll plan for it
17 after.

18 MR. ALLEN: So just one thing I
19 wanted to point out here, to at least get a
20 feel from this room, is I sent out an email
21 and we intend to cancel TBD-6001.

22 DR. MAURO: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: In order to do that, I
2 need to revise these appendices, at a minimum
3 change the format so it's not an appendix to a
4 document that's going to be gone, Electro Met
5 being one of them.

6 I wanted to try to get this done
7 by the end of the year, but for a number of
8 reasons, I really don't want to piecemeal
9 these appendices and change it today, and then
10 change it again two weeks from now.

11 CHAIRMAN ANDERSON: Yes.

12 MR. KATZ: Absolutely.

13 MR. ALLEN: If we're still
14 analyzing the Electro Met or one of these
15 others.

16 CHAIRMAN ANDERSON: Doesn't make
17 sense.

18 MR. ALLEN: We might end up
19 putting out to where it's a format change,
20 without changing the numbers, just to allow us
21 to go ahead and get rid of TBD-6001, knowing
22 there's an additional change to come.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 As long as there's some text and
2 format without changing the numbers, that kind
3 of piecemeal I can handle a lot of easier than
4 me to start changing the numbers. I just
5 don't want that to be a surprise to anybody,
6 if that's what we end up doing.

7 CHAIRMAN ANDERSON: Okay.

8 MR. ALLEN: We will include
9 everything through these audits that we can
10 do. If we can close it out, we'll include it
11 all.

12 CHAIRMAN ANDERSON: Okay. So
13 let's move to Hooker.

14 MR. KATZ: Let's just -- let me
15 just check on the line and see if we have
16 either the people from the public from Hooker,
17 who are possibly going to join us at 1:00.

18 CHAIRMAN ANDERSON: They say they
19 might join us.

20 MR. KATZ: Yes. One of them said
21 that she might not. She might just --

22 MS GERARDO: Yes, I'm here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: Good, okay.

2 MR. KATZ: Okay, so that's Mary.

3 MS. PAGE: And Geraldine Page is
4 here.

5 MR. KATZ: And Geraldine's here
6 too. Very good. Okay. So we're ready.
7 We're going to start talking about Hooker now.

8 MS. PAGE: Okay.

9 MR. KATZ: Thank you.

10 MR. THURBER: Everybody has the
11 Hooker matrix. This is new material. We
12 issued our review of Appendix AA and the
13 findings that we arrived at are presented
14 here. We can go through these -- I'm sure
15 that NIOSH has not had a chance to examine
16 these or prepared any responses yet, or I
17 presume that's the case.

18 MR. ALLEN: I think we're ready to
19 talk to them. We didn't do anything kind of
20 formally.

21 MR. THURBER: Okay. Well then
22 we'll go through them with that in mind.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Finding No. 1, we felt that the assumption
2 that the required number of barrels could be
3 dumped in a single day was pretty overly-
4 optimistic, since we're talking nominally
5 about 400 barrels, and it seemed like guys
6 would have to really be humping to open and
7 dump that many barrels in a day. So we felt
8 that that number should be reexamined. The
9 second finding --

10 MR. ALLEN: Yes. That was a
11 fundamental one. It comes out we didn't
12 assume they were dumping 400 barrels. We
13 assumed they were dumping 40 barrels in a day.
14 Your estimate was based on the ten tons per
15 month being the output of the process.

16 MR. THURBER: Yes.

17 MR. ALLEN: And ours was the ten
18 tons per month being the input of the process.

19 MR. THURBER: But if you take the
20 -- they gave you the total output, as I
21 recall, of -

22 MR. ALLEN: 150.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. THURBER: 150 tons, and that's
2 over nominally 15 months. So that's like ten
3 tons a month of product.

4 MR. ALLEN: It was actually, and
5 I've got it open here; let me find it. It was
6 approximately 150 tons of C2 slag were
7 processed. It doesn't say whether it was
8 input or output.

9 MR. THURBER: Well, then --

10 MR. ALLEN: We went back and
11 corrected that.

12 MR. THURBER: I went back and did
13 some analysis, because this one, even when we
14 were writing the Appendix, this part was not
15 clear.

16 As it turns out, you can estimate
17 how much mag fluoride was produced in the MED
18 from 1942 and 1945. It was about 150 or 1,500
19 tons, most of which was at Mallinckrodt, and
20 that was dumped at SLAPS, I believe.

21 CHAIRMAN ANDERSON: Right.

22 MR. THURBER: If this were a ten

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ton per month output, essentially they would
2 have needed to have an input of the entire
3 amount the MED ever processed. There's no
4 evidence that anything came from Mallinckrodt.

5 It was pretty much all from Electro Met,
6 which was very near the Hooker plant.

7 CHAIRMAN ANDERSON: Good. If you
8 are on the phone, can you hear us okay?

9 MS. PAGE: Yes.

10 CHAIRMAN ANDERSON: Okay, good.

11 Yes. speak up if you don't,
12 that's all, because people are spread around
13 the room, but the microphones are pretty good,
14 so don't be bashful.

15 MR. ALLEN: But that --

16 MS. PAGE: Okay, thank you.

17 CHAIRMAN ANDERSON: Go ahead.

18 MR. ALLEN: That little analysis
19 is what finally sold me on it, that the 152
20 tons total and the ten tons per month was the
21 input term rather than the output term.

22 MR. THURBER: Okay. It sounds

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sensible. I need to obviously go back and
2 double-check the language, but if what you say
3 is correct, then the one percent, I'm sorry,
4 the one day per month would be a reasonable
5 estimate.

6 MR. ALLEN: I guess that's your
7 item to go back and look.

8 MR. THURBER: Yes, absolutely.

9 CHAIRMAN ANDERSON: It's a task.

10 DR. MAURO: A small task, but a
11 task all the same.

12 CHAIRMAN ANDERSON: And we'll hold
13 you to it at the next meeting. Okay, three.

14 MR. THURBER: Okay, two.

15 CHAIRMAN ANDERSON: Two, two. I'm
16 sorry.

17 MR. THURBER: Okay. This comment
18 related to the fact that in estimating the
19 exposures, they only really looked at the
20 barrel dumping operation and did not look at
21 the issues of feeding the slag through the
22 digesters, filtering the slag, I'm sorry, yes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 filtering the output from the digesters, re-
2 barreling it for shipment, those kind of
3 things.

4 So our thought was that you were
5 missing some exposure by not including all of
6 those operations.

7 MR. ALLEN: Okay, and you did a
8 calculation here. You got 146 picocuries per
9 day in your write-up, and that is how we did
10 that.

11 The Appendix, though, has 156
12 picocuries per day for the final answer, and
13 the remaining came from a semi. The 95
14 percent of the time was a filter operator. So
15 it was considered in there.

16 MR. THURBER: Okay. It hit that
17 --

18 MR. ALLEN: Yes. I mean once you
19 actually put the math into the Appendix, it's
20 hard to put all that in there. I think it was
21 mentioned in there, but it's text, it's not
22 math.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. THURBER: Okay. Well, that
2 explains why those two numbers are different
3 too.

4 CHAIRMAN ANDERSON: So have we
5 resolved that, do we think?

6 MR. THURBER: I believe so.
7 Again, I want to take a close look at --

8 CHAIRMAN ANDERSON: Yes, all
9 right.

10 MR. THURBER: But yes, it makes
11 sense.

12 MR. KATZ: SC&A. We'll recheck
13 that one too.

14 CHAIRMAN ANDERSON: Yes.

15 MR. THURBER: Yes, and you said it
16 was filtration was 95 percent of the time.

17 MR. ALLEN: Yes. We used a
18 filtration, because the -- now I'm trying to
19 remember what the task was called in 6001.

20 MR. THURBER: There is a
21 filtration task there in the scrap recovery.
22 So I suspect that's probably what you used.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: And that seemed to be
2 the most appropriate, because the dumping was
3 already covered. It goes into a passive tank,
4 which is low airborne and a liquid system, and
5 the next time it's close to dry would be the
6 filtration, and that's -- even then, it's kind
7 of a cake.

8 MR. THURBER: It's a wet cake.
9 Indeed, I agree. Okay. Number three, we felt
10 that the intake values were -- well, we had
11 some problems with some of the assumptions,
12 and we made some alternative assumptions and
13 we concluded that your numbers were
14 conservative, but we felt that they might have
15 been unrealistically high as compared to the
16 alternatives.

17 You know, we had a big discussion
18 about this this morning as to what is
19 conservative or overly-conservative, and
20 certainly some of this is in the eye of the
21 beholder.

22 But the -- given the fact that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there is so much attention being focused on
2 surrogate data and whether it's used
3 realistically or not, we felt that that's
4 something that ought to be examined a little
5 more closely.

6 MR. ALLEN: And we, I mean we
7 considered a number of things, and in the
8 Evaluation Report, you'll see where there was,
9 and I can't find it right now. But I believe
10 it was some 400 EPM per cubic meter from
11 Electro Met from this material. We used 822.

12 MR. THURBER: And again, we did
13 not have the benefit of the Petition
14 Evaluation Report when we did this.
15 Certainly, there is more and I would say
16 better quality data in the Petition Evaluation
17 Report than was used here, and it's certainly
18 an improved document with better data, in my
19 view.

20 MR. ALLEN: Yes. We always seem
21 to find more as we go.

22 MR. THURBER: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: But the truth is, when
2 you start talking about surrogate, I mean our
3 opinion where there is a little more
4 robustness to something that's sampling many
5 plants or whatever, like the document used for
6 TBD-6001, you know.

7 There's, you know, several plants,
8 et cetera, versus one or two samples from
9 something that might be a little more similar.

10 It's kind of -- it's half a dozen of one, six
11 of the other type of thing, you know.

12 MR. THURBER: To some extent it's
13 in the eye of the beholder. The Finding 4 --

14 MR. KATZ: Well can I, before we
15 go on to four though, what is the -- so where
16 do things stand with three?

17 CHAIRMAN ANDERSON: As far as the
18 -- unrealistically high.

19 MR. ALLEN: Well anyway, it's
20 conservative. It was intended to be bounding,
21 and it's probably high. I think we would
22 disagree with the unrealistic adjective.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ANDERSON: Well, it would
2 probably help if you could maybe elaborate on
3 that as to --

4 MR. ALLEN: Well, I think some of
5 that was in the ER, and you said you didn't
6 have that when you --

7 CHAIRMAN ANDERSON: Okay.

8 MR. THURBER: No, and nor were --
9 nor have we been commissioned to review the --
10 we reviewed it in a very cursory manner, but
11 we did not -- we were assigned to review the
12 Appendix only.

13 MR. ALLEN: Okay. I mean right
14 now, we're kind of standing, as Bill said,
15 it's -- unrealistic is in the eye of the
16 beholder.

17 CHAIRMAN ANDERSON: Yes.

18 DR. MAURO: What might be helpful
19 is what we do, because we usually ask to do
20 this. Compare whenever you used surrogate
21 data, compare that use against the criterion.
22 For example, in a case where you're using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surrogate data from another site, built into
2 the process should be enough conservatism,
3 because you're coming from that site. You
4 don't have your own data to temper it.

5 So one could argue yes, maybe it
6 might be unrealistically high, but since we
7 don't have any data on our site, one could
8 argue we erred on the high side of it. That
9 would be one way of almost accommodating one
10 of the acceptance criteria.

11 Yes, it is more conservative. Now
12 of course later on, the last criteria is
13 plausible circumstances. Now and this
14 question's asked of us, and we try to address
15 it, but I think maybe you should have the
16 first run at it, because when you do -- in
17 fact, I'll make a suggestion here.

18 When you do use surrogate data, as
19 has been done here, it wouldn't be a bad idea
20 to put it to the board's criteria. Go through
21 it the way we've been doing it when we've been
22 asked to do it, and okay, surrogate data was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 used here, and that each of the criteria,
2 exclusivity, timely, the timing, the
3 plausibility.

4 You know, in any event, maybe four
5 or five criteria, having that, articulating
6 that, because eventually we're going to have
7 to do it. I think it would be better if you
8 would do it, as part of the basis upon which
9 you're building your case.

10 CHAIRMAN ANDERSON: Have we
11 already done that internally? I thought we
12 did that internally.

13 DR. NETON: I mean it's something
14 we do internally against our draft criteria.

15 MR. ALLEN: It was our criteria
16 before the Board adopted it.

17 (Simultaneous speaking.)

18 DR. NETON: What surrogate data
19 that we're talking about here now?

20 MR. THURBER: It's a May 2010
21 document.

22 DR. NETON: I know. But I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trying to think about this particular value
2 that we're discussing is at the --

3 MR. ALLEN: Is the, what we use
4 for drum dumping and --

5 DR. NETON: Okay.

6 MR. ALLEN: The TBD-6001.

7 DR. NETON: All right, and that
8 was taken from the --

9 MR. ALLEN: I get the two mixed
10 up, but Harrison-Kingsley or --

11 DR. NETON: Harrison-Kingsley or
12 the other report?

13 DR. MAURO: Christifano.

14 DR. NETON: Christifano, I think.

15 Just the final number of sites over a 20,
16 over a ten-year period or so.

17 DR. MAURO: Yes.

18 DR. NETON: Yes. I think we've
19 done this, so I don't have a problem with that
20 -

21 MR. THURBER: I guess basically
22 the argument that we made here was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specific data that were selected from
2 Christifano & Harris were for scrap recovery,
3 and in particular it was a guy that was
4 handling trays of oxide, and that didn't seem
5 to be a very good analog to the operations
6 that were being done at Hooker.

7 So we said gee, there are some
8 other operations out of Christifano & Harris
9 relating to ore digestion and things like that
10 that we think are better analogs, and require
11 fewer assumptions, if you will.

12 And by doing that and not having
13 to make some of these other assumptions, we
14 convinced ourselves that your numbers were
15 certainly bounding, or they were very
16 bounding.

17 DR. NETON: I think that's fair.

18 (Simultaneous speaking.)

19 DR. NETON: We'll certainly share
20 what we've done.

21 DR. MAURO: Yes. I think the
22 plausibility -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: It may be in light of
2 what we're hearing from you guys too, because
3 we just sort of didn't consider --

4 DR. MAURO: There was a time when
5 you would introduce a bounding set of
6 circumstances and, you know, that would be end
7 of it.

8 It suggests that we're convinced
9 that you placed a plausible upper bound. I
10 mean we used those words real loosely, but
11 plausibility has become really a key word,
12 plausibility of circumstances.

13 In other words, can the
14 circumstances that existed at this facility
15 handling that material, this is dolomite and
16 the other, can it be plausibly characterized
17 by using the scrap -- this is uranium, as
18 opposed to this residue.

19 And one could say "no." You know,
20 the two are very much different, you know.
21 One has very little uranium in it and one is,
22 I guess, all uranium or close to it. So I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mean it leaves you in a place where you're
2 going to have a -- you're going to have a
3 tough time getting by the plausible
4 circumstances.

5 MR. ALLEN: I think plausible
6 argument has to be how high the number is
7 though. I mean if, in this particular case, we
8 end up with a number that's fairly low, that
9 we're saying is possibly unrealistically high.

10 MR. THURBER: Well, I know. But
11 --

12 (Simultaneous speaking.)

13 MR. THURBER: We understand that.
14 I guess really more the focus is you could
15 come up with something totally off the wall of
16 a process that's totally unrelated, come out
17 with a nice big number and say it's bounding.

18 MR. ALLEN: Right, I would agree
19 if it was big. But I'm saying you could come
20 up with a very low number that everybody's
21 agreeing is high. That's a different story.

22 DR. MAURO: Is it?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: Yes.

2 DR. MAURO: I don't know.

3 MR. ALLEN: It is. Everybody
4 agrees it's high. I mean if you've got one
5 millirem per year external dose rate and
6 everybody agrees that's a high number, is that
7 really implausible?

8 DR. MAURO: Well, now you're going
9 to, you know, how do you trigger the one
10 millirem per year number.

11 MR. ALLEN: Well, you could call
12 it ten millirems per year. I mean at some
13 point, it's so low that it's not implausible.

14 MR. THURBER: I agree with that.
15 Unfortunately, that logic doesn't exist in the
16 criteria that we're asked to evaluate against.

17 DR. NETON: Well, it certainly
18 exists in our version. Our version talks
19 about plausible bounds being you can't, you
20 know, you'd have to evaluate it against
21 certain things, like is this a lethal dose of
22 external exposure you're providing, or is this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 --

2 MR. KATZ: I mean it says are you
3 -- have you estimated the maximum doses that
4 could have occurred under plausible
5 circumstances at that site. But so if what
6 they did at that site under plausible
7 circumstances as opposed to having them
8 imagining that they did some other crazy
9 things there.

10 Under the plausible circumstance
11 of their operations, does this estimate bound
12 then? That's the question.

13 DR. NETON: So it certainly bounds
14 it. The question is --

15 CHAIRMAN ANDERSON: How closely.

16 DR. NETON: Yes. I mean we're
17 splitting hairs here. I mean how close is
18 close to make it a bounding -- I can see if it
19 was if we used 50 milligrams per cubic meter
20 from Bethlehem Steel, and said okay, there's a
21 choking atmosphere of uranium in the air, and
22 it's certainly no higher than that. I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 raise the red flag on that one and say now
2 come on. That's not even close.

3 But if you're drumming stuff and
4 now it gets to the matter of the relative
5 concentration of materials, and if you have to
6 account for certain things like air
7 circulations that aren't built into these
8 calculations.

9 You know, there's some
10 uncertainties in there that are inherent that
11 we feel are comfortable picking a higher bound
12 and saying it's in there, you know. I don't
13 know. I agree with Dave. I mean if you get a
14 very low number and we're arguing whether it's
15 -- everybody agrees to a low number, but it's
16 higher than what you would expect there, is
17 that -- does that give you a situation where
18 you can't bound the dose? Then you get -- if
19 that becomes -- let's follow this through. If
20 that becomes the basis for SEC, now you've got
21 a health endangerment issue, because the SEC
22 criteria is that if you can't bound it, health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is essentially automatically in danger,
2 because you can't put this bound on the value.

3 So --

4 MR. THURBER: We're not saying you
5 can't bound it. We're convinced you can.
6 We're just arguing about whether this is the
7 right way to bound for this particular case.

8 DR. NETON: Well then see I think
9 then we need to take plausible off the table
10 and say that you don't think it's a realistic
11 value, and we should lower our value, and it's
12 a non-SEC issue.

13 MR. THURBER: We're not evaluating
14 the SEC.

15 DR. NETON: Well, that's true.
16 This is not an SEC.

17 MR. THURBER: You know.

18 CHAIRMAN ANDERSON: And the
19 question is do we want to charge --

20 DR. NETON: If you take plausible
21 off the table and say you feel it's an
22 unrealistically high value.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. THURBER: Which is what the
2 words were that I got chastised for, about
3 unrealistic.

4 (Laughter.)

5 DR. MAURO: SEC is at play and
6 we're trying to advance, and advancing our
7 Site Profile Review, there was a back room
8 objective. Maybe we could say something
9 intelligent about the SEC also. So it's not a
10 bad idea to talk about --

11 DR. NETON: Well, I mean we've got
12 to talk about it eventually. In this context,
13 I would say that you feel the number is high.

14 CHAIRMAN ANDERSON: And there
15 might be better choices.

16 DR. NETON: And there might be a
17 better choice to do.

18 DR. MAURO: For the surrogate
19 data.

20 DR. NETON: And I think our
21 response would be we'll take a look at it.

22 MR. THURBER: And certainly with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the new data that you have uncovered for the
2 Petition Evaluation Report, that makes a much
3 more robust argument, to me.

4 MR. ALLEN: Yes, and that's what I
5 was saying. It seems to fit better. It
6 doesn't seem to be as robust as the -- I can't
7 even say the name.

8 DR. NETON: Christifano.

9 MR. ALLEN: Christifano, yes.

10 CHAIRMAN ANDERSON: Number four.

11 DR. NETON: So we're going to take
12 a look at it. I mean that's the action.

13 CHAIRMAN ANDERSON: Observation.

14 MR. THURBER: There's an error in
15 the table. I think David and I talked about
16 this on the side at one time, that it needed
17 to be fixed, I think is the bottom line.

18 MR. ALLEN: And yes. We talked
19 about it and I agreed, and I'm trying to
20 remember what this one was. Okay. I remember
21 this one. It was simply an error in a
22 spreadsheet that got carried forward.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 As I said, we're revising the
2 appendices, so we'll correct that.

3 CHAIRMAN ANDERSON: Okay, next.

4 MR. THURBER: Number five, it
5 relied on some information in TBD-6001 to do
6 the, I believe this is the external dose, and
7 we thought it was again kind of a stretch to
8 use the numbers from TBD-6001, which dealt
9 with drums of uranium, and then try to
10 extrapolate down, if you will, to drums of
11 slag, and that a more robust approach would be
12 to use MCNP or something like to generate the
13 numbers on the basis of the real source that
14 we're talking about.

15 MR. ALLEN: And with the TBD-6001
16 going away, I can't see the point to it, so
17 I'm going to add to --

18 MR. THURBER: Right.

19 DR. MAURO: Quite frankly,
20 probably that's what you're going to do.

21 MR. ALLEN: I've got to go back to
22 the source documents. So it will be revised

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the next revision.

2 CHAIRMAN ANDERSON: Okay. Again,
3 Observation 3 is gone, because 6001 is gone.

4 MR. THURBER: Finding 6 again is,
5 suggests that it would be better to do some
6 modeling than to use some extrapolations.

7 MR. ALLEN: And it's almost the
8 same answer as far as we had to revise the
9 basis. We can't just point to TBD-6001.

10 MR. THURBER: Right.

11 DR. MAURO: Once you move up
12 everything, you're taking it from the top.

13 MR. ALLEN: I guess not modeling -
14 - make sure I get this right. Is this the
15 beta?

16 DR. NETON: Shallow dose.

17 MR. ALLEN: Modeling beta dose is
18 a little tricky with those programs, and those
19 programs don't do so well sometimes. So the
20 methodology will be revised. Whether it's
21 relying on a measurement somewhere or a model
22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. THURBER: I mean and you did
2 have, again, in the Petition Evaluation
3 Report, you had some new data which you used,
4 and that's certainly an approach. I think if
5 you can model it, the modeling would be
6 preferred. But there's certainly alternatives
7 available.

8 DR. MAURO: I'm putting myself in
9 your shoes. In other words you start with
10 Christifano & Harris, and TBD-6001, which is
11 some kind of aggregating and sorting out and
12 tabulating, to create a matrix from that.

13 And then of course you have your
14 appendices. Then your appendices then go back
15 to TBD-6001 to varying degrees. What you're
16 doing is you're pulling this out now. So in
17 my mind, good. Just get that out there,
18 because that was kind of confusing and
19 disorienting.

20 Now I -- now what you've just said
21 I agree with completely. All right. Now I'm
22 going to revisit, let's say some external

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exposure to beta from some situation. You can
2 do one of two things.

3 You can say I think I can model
4 this and come up with a scenario that will be
5 plausible and bounding, or I could go to
6 Christifano & Harris, which is a very good
7 source document, to see if they provide data
8 on beta exposure or whatever their data show
9 that can directly link, or do both.

10 (Simultaneous speaking.)

11 MR. ALLEN: I'm with you 100
12 percent on the internal.

13 DR. MAURO: Okay, but nothing on
14 the external.

15 MR. ALLEN: No. As Bill pointed
16 out, there's some data that's in ER that could
17 be used, and the question is going to be using
18 some surrogate data or using a model, you
19 know.

20 DR. MAURO: Oh, okay.

21 DR. NETON: I mean the issue here
22 is the dose rate coming off the slag, compared

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to -- I mean because we've done this shallow
2 dose for drums. It's got to be pretty low.

3 MR. THURBER: Yes. I'm sure it's
4 very low. There's no doubt about that. If
5 any coming out of a wooden barrel, if you
6 will.

7 MR. ALLEN: Just being a small
8 percentage of uranium and all that mag
9 fluoride.

10 DR. MAURO: Yes.

11 MR. THURBER: I would doubt that
12 there's any. But --

13 DR. NETON: Okay. We'll look at
14 it.

15 DR. MAURO: These are easy fixes.
16 You've got easy fixes.

17 MR. THURBER: Number seven, it
18 just wasn't obvious how the inhalation intake
19 of one picocurie per calendar day was
20 calculated, we felt that the transparency of
21 that process could be improved in the revised
22 document.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: And I agree. I think
2 the document just said we started with, or
3 something to that effect, and more detail in
4 the text.

5 MR. THURBER: Because we tried to
6 reproduce it and couldn't. Eight.

7 (Laughter.)

8 CHAIRMAN ANDERSON: A lot of these
9 you're going to get a revised document and we
10 don't need to trash on it now. Once we get
11 the text, then we'll see what you did and we
12 could talk about it more then. So let's --
13 we've got the assignment made, so I'm ready to
14 move --

15 (Simultaneous speaking.)

16 CHAIRMAN ANDERSON: Go ahead.

17 MR. THURBER: Eight deals with the
18 issue that we talked a little bit about this
19 morning, and we talked about many times in the
20 past, and that's the appropriate resuspension
21 factor to use, and as John indicated, we have
22 been refining our thinking on this, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clearly for a residual period where there's
2 evidence of cleanup and that sort of thing,
3 that 10 to the minus 6 is -- we would buy into
4 it.

5 So again, some support for that
6 selection in this revised document would be
7 improvement.

8 CHAIRMAN ANDERSON: All we've done
9 is double the length of your document.

10 MR. ALLEN: Well actually on that
11 one, I was going to say this is more of a
12 global issue. It's been discussed. Like you
13 said, it's in every --

14 DR. NETON: But I'm thinking he's
15 suggesting that if we could do it as a cleaner
16 operation, then this might be valid.

17 MR. THURBER: Yes. I think it's
18 in one sense it's global, but in another sense
19 it's very site-specific, you know. We have
20 evidence that there was a purchase order that
21 included cleanup, you know, and therefore
22 you've got something to document.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So it's both a global issue and a
2 site-specific issue.

3 DR. MAURO: And the data are very
4 solid, NRC work, the report they put out,
5 where they come out with a 10 to the minus 6.
6 They hammer it home cleanly. You know, when
7 you've cleaned up your site, your residue,
8 you're not going to have -- the resuspension
9 is good.

10 DR. NETON: The amount of loose
11 material is --

12 DR. MAURO: It's the loose
13 material, yes.

14 DR. NETON: And if we can justify
15 it based on the cleanliness of the operation,
16 we'll do it. If not, it will become a global
17 issue.

18 (Simultaneous speaking.)

19 MR. ALLEN: I'll tell you right
20 now. I mean they were dumping drums outside.
21 One report said they had respirators
22 available but they didn't need them because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the wind blew it away. It's not a clean
2 operation.

3 (Laughter.)

4 CHAIRMAN ANDERSON: All right
5 there you go. A global issue.

6 MR. THURBER: Well, but there's
7 also -- you know, this point is -- it's
8 unclear as to how much of the operations were
9 done inside as compared to outside, and I
10 think in the Evaluation Report, it suggests
11 that quite a bit of work was done outside.

12 But if you go back and look at one
13 of the documents that was prepared at the
14 time, I don't know that it was a contract
15 completion report or something like that, it
16 clearly indicated that most of the operations
17 were in the building that was specifically
18 built for the purpose. So --

19 MR. ALLEN: Oh, I agree. There
20 was a little bit of conflicting information,
21 but most of it seems to agree the vats and the
22 filter purses, everything were in a specially-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 constructed cinder block building, small
2 building.

3 MR. THURBER: Right.

4 MR. ALLEN: But the dumping was --

5 MR. THURBER: That would be the
6 sensible way to build that kind of a process.
7 You have a hopper or something and the
8 forklifts dump the stuff into a hopper, and it
9 gets conveyed into the building through a
10 bucket elevator or whatever.

11 MR. ALLEN: So I can take a stab
12 at this for a site-specific issue, and then in
13 the next Work Group we decide to push it into
14 that global issue, if that's where we stand.
15 If that's not right --

16 CHAIRMAN ANDERSON: If the site-
17 specific issues don't meet these kind of
18 criteria, 10 to the minus 6 and alternative
19 strategies, you know, you'll present that.
20 Okay. Nine.

21 MR. KATZ: Just as long as we're
22 on that topic, what Work Group would handle

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it? Procedures? Is it on the table for
2 Procedures?

3 (Simultaneous speaking.)

4 DR. NETON: TIB-70 is where that
5 value --

6 MR. KATZ: And we're working on
7 that already. Okay.

8 DR. NETON: That's forever, for
9 several years.

10 MR. KATZ: But it seems like then
11 it should be, we should put it to bed there,
12 because if we end up with a situation here
13 where we decide it's a global, we're going to
14 put it to bed because we have a petition. So
15 it's a priority for -

16 DR. NETON: Yes, if this is a
17 global issue, this would normally stay a TIB-
18 70 issue being handled at the Working Group.

19 MR. ALLEN: The other end of that,
20 Ted, is I think we reached agreement long ago
21 there is a number that can be used. It's just
22 a question of what that number, appropriate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number --

2 (Simultaneous speaking.)

3 MR. ALLEN: That's why the
4 petition is not really an SEC issue.

5 MR. KATZ: It's a TBD issue,
6 that's correct. Okay, thanks.

7 MR. THURBER: Finding 9, I think,
8 is really closely tied in with 8, and I don't
9 think it requires --

10 DR. NETON: With TIB-70.

11 CHAIRMAN ANDERSON: Connected.

12 MR. THURBER: It's definitely
13 connected, and Finding 10, I believe yes.
14 There's an error which is tied in with the
15 same error that was in Finding -- whatever, 4,
16 and it just got -- it gets extended into the
17 residual period. So that's readily fixable.

18 MR. ALLEN: No disagreements, so
19 --

20 CHAIRMAN ANDERSON: Any comments
21 or questions from Board Members on the phone?

22 MEMBER FIELD: No, I'm good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: Okay. The
2 only thing that maybe we could talk about is
3 the ER review. Do we anticipate that's going
4 to come, and how soon are we ready with it? I
5 just don't remember the timing on dealing with
6 the petition versus this.

7 MR. ALLEN: I don't have a clue.
8 I mean the ER is out.

9 CHAIRMAN ANDERSON: Yes.

10 MR. ALLEN: Were you guys tasking
11 the ER?

12 CHAIRMAN ANDERSON: No, no.
13 That's why I'm just saying, is that something
14 we want to --

15 DR. NETON: Have we presented it
16 yet?

17 MR. ALLEN: Yes, and --

18 DR. MAURO: Because I remember
19 listening to it, yes. A while ago.

20 MR. ALLEN: In Niagara Falls. It
21 was a while ago. We had to do it in Niagara
22 Falls. It was just down the road.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: Right.

2 MR. THURBER: We glanced at it
3 briefly and made a couple of comments here
4 that were very general and generic, but we
5 have not reviewed them.

6 MR. KATZ: So we need to assign
7 SC&A with sort of finishing the review --

8 CHAIRMAN ANDERSON: I think so,
9 yes.

10 MR. KATZ: Or beginning the
11 review.

12 (Simultaneous speaking.)

13 DR. NETON: Well, I assume if
14 you've done a Site Profile Review, then --

15 MR. KATZ: You've got a lot of
16 ground under your feet.

17 (Simultaneous speaking.)

18 MR. THURBER: But as we've said,
19 there's quite a bit of new and interesting
20 information in the ER that was not included.

21 DR. MAURO: Oh, okay.

22 DR. NETON: I was going to say,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because really just take what you have and see
2 which ones are SEC issues.

3 MR. KATZ: So SC&A will review
4 Hooker.

5 DR. MAURO: I mean think we just
6 -- in fact, let's get a little focused more
7 forwardly. This should be a focused review.

8 DR. NETON: Yes.

9 DR. MAURO: To zero in
10 specifically on those issues that we've
11 already covered, that we believe -- I may want
12 to get -- but we believe are potential to the
13 SECs. We will report back what we believe
14 those are, just so everybody agrees.

15 DR. NETON: But as you build the
16 statistics, there's additional information in
17 the ER that might mitigate, for lack of a
18 better word, some of these issues.

19 DR. MAURO: Okay. That's what I -
20 - I think I heard that. I think, as always, I
21 think I'd like to look at the petition, the
22 way we did for --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: You should look at the
2 petition, absolutely. And that will be an
3 extra piece? That certainly, because --

4 CHAIRMAN ANDERSON: We want to
5 sort of roll the two together. We don't want
6 to -- actually, that's something that's --

7 (Simultaneous speaking.)

8 DR. MAURO: I'm sorry. Maybe I
9 crossed wires with the previous one, but is
10 there some new data, new analysis going on
11 with this? Is this the one that had some new
12 data that you have --

13 DR. NETON: It's in the ER.

14 DR. MAURO: It's in the ER. It's
15 in the ER. Okay. There's a previous one we
16 had new data, but it's not in there yet.

17 MR. THURBER: They had some slag
18 data from other sites --

19 (Simultaneous speaking.)

20 DR. MAURO: Okay, good.

21 CHAIRMAN ANDERSON: So that's a
22 task. Otherwise, I think the rest of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are going to be when you redo the profile.

2 DR. NETON: Right.

3 CHAIRMAN ANDERSON: And do we have
4 a --

5 MR. ALLEN: There's some sort of
6 White Paper for the surrogate data --

7 CHAIRMAN ANDERSON: Yes, right.
8 Yes. I think that could be --

9 MR. ALLEN: And in all honesty,
10 the rest of these are just no-brainers.

11 (Simultaneous speaking.)

12 CHAIRMAN ANDERSON: Well, it has
13 to be done, and but --

14 MR. ALLEN: Yes, but I don't think
15 there's going to be any disagreement on --

16 CHAIRMAN ANDERSON: No, no. It's
17 a matter of finding the time to get it done,
18 and so when --

19 MR. ALLEN: Finding the time is
20 the issue.

21 (Simultaneous speaking.)

22 MR. KATZ: When is the time, Bill,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do you think it's required to do the Petition
2 Evaluation piece for SC&A? That would
3 probably take more time than Dave requires to
4 button up what's been addressed here already.

5 MR. THURBER: A couple of months.

6 DR. MAURO: Two months is great.
7 Two months would be very good.

8 MR. KATZ: Okay, okay, January.

9 MR. THURBER: Okay, because
10 there's a lot of, you know, bad time.

11 (Simultaneous speaking.)

12 DR. MAURO: Do we have any
13 interview data capture aspects to this?

14 MR. THURBER: We have not, we
15 haven't explored any.

16 DR. MAURO: Usually -- well, you
17 know what we'll do, is we will probably set
18 that in motion, but nevertheless move --, and
19 let the data capture interviews catch up.

20 MR. KATZ: It is a focus review,
21 so I mean the interviews would only be if you
22 see issues that you need to get from a few

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interviews.

2 MR. THURBER: The one thing that's
3 come up here that would be helpful, if we
4 could get at it, is what was done indoors and
5 what was done outdoors, and to what extent
6 were -- how were things cleaned up?

7 DR. MAURO: And I'll tell you, my
8 experience in all these SECs was it's not
9 always self-evident that there would be great
10 value to these, but it turns out every time we
11 do them, great value occurs. We learn
12 something, and if nothing else, the
13 petitioners have a chance to, you know,
14 communicate with us. How much of that turns
15 out to really make it home? It's hard to say.

16 But I really like the idea of going to those
17 interviews.

18 MR. ALLEN: Just to make sure
19 you're aware, and I know Bill's aware, this is
20 about a four-man operation that ended in 1946.

21 DR. MAURO: That's a simple thing.
22 Not too many people out there to talk to.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: And not many people,
2 and there was two or three interviews done--

3 (Simultaneous speaking.)

4 MR. ALLEN: With some conflicting
5 information. It's certainly worth looking at
6 those. I think we found the one guy that
7 might still be around that did it, one or two.

8 MR. THURBER: That's right.
9 That's a very good point. A very good point.

10 MR. KATZ: So Dave, do you think
11 that before the next Board meeting, which is
12 February, do you think your part of this, in
13 terms of having the petition discussion, could
14 be done? The TBD issues, of course, don't
15 have to all be put to bed, but --

16 MR. ALLEN: My thought is, I think
17 I've got like two issues here that I could
18 create some sort of like White Paper, and
19 possibly if there's any question in how I'm
20 changing the basis on these other things. But
21 I think if there's any question, I can
22 document them too, send this to the Work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Group.

2 Hopefully, about the time that
3 you're completing the ER review, then we have
4 one conversation, maybe put it all to bed, and
5 then do a real revision on the Appendix after
6 that.

7 MR. KATZ: Okay, so this is one
8 that --

9 MR. ALLEN: Like I said, there
10 might be a format revision done before that.
11 I'm not sure.

12 CHAIRMAN ANDERSON: Any other
13 issues with Hooker? Okay. Now we're just
14 looking at an update on Baker-Perkins and what
15 other sites are assigned to us?

16 DR. MAURO: Baker-Perkins is the
17 last one. We did have DuPont Deep Water, but
18 that we haven't acted on at all, for a variety
19 of reasons. So the only left today to talk
20 about, we did deliver relatively recently on
21 Baker-Perkins, and I wonder if James East is
22 on the line?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. EAST: Yes, he is.

2 DR. MAURO: And James, wonderful,
3 thanks for hanging in there with us. James is
4 the author of it, and aided us. What we
5 really can do now is tell our story about what
6 we found. I think you have the reports, but
7 my guess is you probably didn't have much of
8 an opportunity to look at the findings and the
9 thought process. If not, you know, we could
10 just give a summary to everyone of what's it
11 all about.

12 MR. ALLEN: I've got to call it up
13 and remind myself right now.

14 DR. MAURO: Yes, let's get a sense
15 of it.

16 (Simultaneous speaking.)

17 DR. MAURO: So James, if you want
18 to go ahead and just give, tell the story
19 about the findings. Does everyone have a copy
20 of the report? No.

21 MR. KATZ: Everyone received a
22 copy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Everyone received a
2 copy. Well James, I'll just leave it to you
3 just to go through the findings and set the
4 context as best you can, and I'm going to
5 leave. So I want to make my flight. So take
6 care, and thank you very much everybody.

7 MR. KATZ: Thank you, John.

8 MS. GIRARDO: Is it okay if Hooker
9 just signs off?

10 MR. KATZ: Yes, it's absolutely
11 okay, and thank you for joining us.

12 MS. GIRARDO: Do I conclude from
13 this that you still have work to do before you
14 make your decision?

15 MR. KATZ: Yes, that's exactly
16 right. So there will be another Work Group
17 meeting, and we haven't determined yet whether
18 it will be before mid-February or after that,
19 like late February or March.

20 But there will be another Work
21 Group meeting that you could listen into, and
22 the folks from NIOSH will send you a notice of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that Work Group meeting date.

2 MS. GIRARDO: If I'm lucky. They
3 didn't do it this time. Okay, thank you very
4 much for all your time.

5 (Simultaneous speaking.)

6 MR. KATZ: Thank you.

7 MEMBER GRIFFON: Bye.

8 MR. ALLEN: One of them was
9 anyway.

10 DR. NETON: I don't know if they
11 were petitioners or not.

12 CHAIRMAN ANDERSON: I thought the
13 lady speaking was the petitioner, but I might
14 be wrong.

15 MR. THURBER: Baker-Perkins.

16 CHAIRMAN ANDERSON: Yes.

17 MR. THURBER: James, go ahead.

18 MR. KATZ: Well Dave, have you had
19 a chance to pull up whatever information you
20 have?

21 MR. ALLEN: Yes. I think I can
22 discuss some of these issues on the surface.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I mean not deep, but it will probably knock
2 out some of this.

3 MR. KATZ: Okay. Go ahead then
4 James.

5 MR. EAST: Okay. In summary,
6 Baker-Perkins was a manufacturer of equipment
7 used for mixing, particularly in the baking
8 industry and Fernald thought that their
9 equipment might be useful in mixing uranium
10 with water and ammonia mixtures.

11 There was a test done at a Baker-
12 Perkins, over a five-day period, and we were
13 fortunate in that there are data sheets for
14 the air samples that were taken both before,
15 during and after the tests. So we have some
16 good airborne data for this test.

17 The one problem that we ran
18 across, and this will come up in a finding, is
19 that we were never able to identify which
20 building and where in the multiple sites that
21 Baker-Perkins did this building exist. It was
22 referenced in one place as "Laboratory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Building 15," but the data capture never found
2 a map that identified this and where it was
3 located.

4 Going into the occupational
5 medical dose, we looked at what Appendix P
6 stated about the occupational dose, and it
7 really just did not provide enough guidance to
8 help the DR in determining what medical
9 exposures would be expected.

10 So our finding is that it wasn't
11 sufficiently prescriptive in just defining
12 what the medical exposure would be. We drew
13 the conclusion that one exposure, because it
14 was a five-day period, one medical exposure
15 for this would be appropriate and more than
16 likely very favorable for the workers there.
17 There was no data to support any evidence of
18 medical exposure during this time.

19 If we look at Section 3, we are
20 looking at the occupational internal dose, and
21 we have guidance in Tables P-1 and P-2 that
22 indicate the daily inhalation and ingestion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 quantities for the workers. These are based
2 upon the general area measurements and the
3 breathing zone measurements that were made.

4 Those measurements are repeated in
5 Table 1. It's on page eight of the report,
6 and basically we have a pretty good idea of
7 what was going on, well-documented additional
8 notes.

9 One of the notes that we saw in
10 there was that the workers were wearing dust
11 masks and identified them as the half face
12 cartridge respirators. However, we did not
13 take credit for that protection in any of the
14 internal dose calculations.

15 We looked, going down into page
16 nine, we tried to unravel the background and
17 how they came up with their numbers, and it
18 seems that they took two steps to the right
19 and two steps to the left, and really didn't
20 define them too much, and ended up with what
21 we think was pretty good numbers.

22 But we found the approach a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not well-defined, and they throw in a factor
2 of 73 in there that wasn't described as to
3 what that meant. In a brilliant flash one
4 night that woke me up, I realize that 73 is
5 365 divided by 5. So that's where the 73 came
6 from, I imagine.

7 But they go in and take the
8 concentrations that were measured. They take
9 that and divide it out over the year, and then
10 tell you to multiply by 73 to make it the year
11 exposure again. I think this bouncing back
12 and forth leads to confusion and difficult to
13 understand what was really intended here.

14 I've performed the calculations to
15 verify their data, and I see my inhalation
16 data for plant for high has an extra factor in
17 that first line. That W factor is extra and
18 should be deleted from the equation. It
19 doesn't belong there, so it's an error on my
20 part.

21 But I went through the
22 calculations, and I agree that the final

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 numbers in the end all work out, if you can
2 figure out how the DR is supposed to use
3 those. So that's -- we find that confusing
4 and should be cleared up, and justification
5 for this approach is lacking.

6 For Finding No. 2, the approach
7 taken in the Appendix 2 exposure tables, of
8 annualizing the dose from five days of
9 exposure and presenting this data in terms of
10 exposure per work days is confusing. It can
11 lead to errors by the dose reconstructor. We
12 go on to Finding No. 3. NIOSH --

13 CHAIRMAN ANDERSON: Wait, wait.

14 MR. KATZ: James, just before.
15 Dave, do you want to respond to any of these
16 as we go or --

17 MR. ALLEN: If you want me to. As
18 far as Finding 1, it was the X-ray guidance,
19 you know -- needed some better guidance to
20 agree 100 percent. As far as Finding No. 2
21 with the annualized exposure for the five-day
22 work, I agree 100 percent that that is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 confusing.

2 I would like to point out and I
3 did check, and every claimant that we've had
4 so far have worked the entire year. So it
5 didn't make a difference as far as too much
6 confusion, but it could in the future. It is
7 confusing and we will revise how that's
8 presented --

9 CHAIRMAN ANDERSON: How many
10 workers were at the site?

11 MR. ALLEN: We've had four
12 claimants.

13 CHAIRMAN ANDERSON: This isn't a
14 petition site though, is it?

15 MR. ALLEN: This is an appendix
16 review.

17 CHAIRMAN ANDERSON: Yes, that's
18 what I thought.

19 DR. NETON: We've already
20 presented the petition and voted on it.

21 CHAIRMAN ANDERSON: Yes, I thought
22 so.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: And I think back to
2 you.

3 MR. KATZ: Okay. Thanks James.
4 Go ahead, again.

5 MR. EAST: Okay. Finding No. 3,
6 NIOSH should include guidance on how to
7 reconstruct doses for employees not working in
8 Building No. 15. We, as I pointed out, the
9 building wasn't identified as to where it was
10 in the site, and the two claims that I was
11 able to look at, they had, they did not
12 present any information to suggest that they
13 had actually been a part of this experiment,
14 and whether they were even in the building.

15 So by default, because we can't
16 show them, show that they were elsewhere on
17 one of the many sites in the area, this
18 becomes very conservative to assume that they
19 were in the room with the testing going on,
20 where the air samples were taken.

21 MR. ALLEN: Yes. We don't have
22 any information. We don't have any hope of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 putting them in a particular building or not.

2 The best we could do is divide it up into a
3 types of jobs, so that at least an accountant
4 or a secretary might not get the full brunt.
5 That's about all we can do with what we've
6 seen.

7 MR. KATZ: Okay, James.

8 MR. EAST: Okay. We're just
9 looking at findings, so go down to Finding No.
10 4. That we're looking at the intakes are
11 based upon half the breathing zone and half
12 general area samples. Obviously, there's
13 going to be some workers that were probably in
14 there working this the whole time, and as a
15 result, I don't see that as being a bounding
16 exposure for workers like that.

17 It may be for supervisors and
18 others, that we can't confirm were in the
19 area. When someone is confirmed in the area,
20 this will be more bounding of the dose.

21 MR. KATZ: Dave or Jim?

22 DR. NETON: I might have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different recollection of this, but I thought
2 that the Baker-Perkins workers were not even
3 really in the area. The testing was done by
4 the Fernald folks.

5 MR. ALLEN: They were certainly
6 there.

7 DR. NETON: That was my
8 recollection, was that the Baker-Perkins
9 people were not participants in this test.
10 They were there, but not doing the actual - I
11 think the Fernald people came out, set it up,
12 ran the process. We'll have to go back and
13 look at that. But that was my -- I could be
14 wrong, but that was what I recall. I don't
15 know. We'll look at it.

16 MR. EAST: Okay. Going into
17 external dose, it was based upon tables from
18 Tables 7.1 of 6007, and as found in earlier
19 reviews, this apparently contained some
20 errors, and there is the traceability here,
21 openness of where these numbers came from
22 seems to be lacking.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So where our finding is that it
2 contains errors, and it makes it difficult to
3 trace information that is in Table P-3 to its
4 source.

5 MR. ALLEN: On this one and the
6 next one, why don't you go ahead and explain
7 number six, and then I think I got the same
8 answer on both.

9 MR. EAST: Okay. Finding No. 6,
10 NIOSH should provide sufficient detail to
11 permit the reader to duplicate the dose
12 calculations in support of Tables 3 and 4.
13 This is actually from previous reviews, I
14 believe, of the TBD.

15 MR. ALLEN: Okay, and essentially
16 this is similar to what we've already seen, I
17 think, with United Nuclear and with Hooker,
18 was we're revising the Appendix and
19 eliminating TBD-6001.

20 So the Appendix will have to go
21 back to the source documents, et cetera, and
22 be a much more clear than just pointing to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 table that seems to have some errors in it.

2 MR. EAST: And then looking at
3 residual contamination, there is documentation
4 to show that there were, there was
5 decontamination of the equipment, that took
6 the equipment apart, cleaned it, measurements
7 were being made of the air during this time
8 period.

9 So and that the concept or
10 knowledge that typically, uranium and this
11 compound will be visible in values with the
12 concerned contamination. So we agree that
13 residual contamination would not be a source
14 term for any of the workers, and there was no
15 surrogate data used in this analysis, in this
16 TBD. So that's my report.

17 MR. KATZ: Thank you, James.

18 CHAIRMAN ANDERSON: So it sounds
19 like we have no real major issues here.

20 MR. ALLEN: Over the five days, I
21 don't think you can.

22 CHAIRMAN ANDERSON: Yes. Well, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was going to say, I mean but well, but we
2 still want to, if we can move this forward and
3 kind of get it done and off the table, it
4 would be very --

5 MR. ALLEN: Yes. I think this one
6 moves forward with the revisions to the
7 Appendix.

8 CHAIRMAN ANDERSON: I mean this is
9 one that by getting rid of 6001 created more
10 work for you. But other than that, it's --
11 any questions on the phone?

12 MEMBER FIELD: None from me, Bill.

13 MR. KATZ: So Sam, do you want to
14 just give us --

15 DR. GLOVER: This would be after
16 the Board meeting comes.

17 MR. KATZ: After the next Board
18 meeting.

19 DR. GLOVER: We're going to have a
20 couple, several coming up as far as things
21 that I have responsibility for, for SEC
22 reviews, that would be preferable to --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: There's no
2 real time constraint here.

3 MR. KATZ: Well, the only time
4 constraint is we do have -- some of these are
5 petitions that -- some of these are petitions,
6 to the sense that we tried to get those in a
7 timely way. So I think if we're shooting for
8 end of February or early March. Is that what
9 you're saying? Is that --

10 MR. ALLEN: That would be a much
11 quieter time than trying to get things done
12 right before a Board meeting.

13 MR. KATZ: Yes. Do people want to
14 look at calendars or do you want to book this
15 independently, as long as we have everybody
16 here.

17 CHAIRMAN ANDERSON: Yes.

18 MR. KATZ: It's been easy to do it
19 this way, and it's so hard by email.

20 CHAIRMAN ANDERSON: Yes, right.
21 What dates are we looking for?

22 MR. KATZ: Yes. So let me just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 run to that month quickly in my calendar and
2 see. Okay. So the Board meeting is the week
3 of the 21st. So then we're into March. So
4 like the first or maybe right after the Board
5 meeting is probably not the best time, because
6 Sam will probably be at that Board meeting
7 too.

8 CHAIRMAN ANDERSON: Right.

9 MR. KATZ: But what about the
10 first full week in March, the week of the 7th?

11 Does that seem reasonable for what you have
12 ahead of you Sam? I mean because Dave we've
13 talked. Dave has sort of checked these boxes
14 for his. He's good with that, I think, right?

15 CHAIRMAN ANDERSON: Monday the 7th
16 is good for me.

17 MR. ALLEN: What was the date you
18 were talking maybe?

19 MR. KATZ: So the first full week
20 in March for another, next Work Group meeting.

21 MR. ALLEN: I don't think I'm
22 ready today to guarantee that I'll have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everything done I'm supposed to. But I'll
2 definitely have some of it --

3 CHAIRMAN ANDERSON: The week of
4 the 14th? Would one more week help?

5 MR. KATZ: One more week always
6 will help.

7 MR. ALLEN: At this point, I don't
8 think I can --

9 CHAIRMAN ANDERSON: You don't
10 know, okay. I'm just looking at my schedule.
11 That week is much better for me.

12 MR. KATZ: The week of the 14th?

13 CHAIRMAN ANDERSON: Yes.

14 MR. KATZ: Okay, and that gives
15 them an extra week.

16 CHAIRMAN ANDERSON: It is not
17 going to take all week.

18 MR. KATZ: So let's just pick a
19 day, that week of the 14th.

20 CHAIRMAN ANDERSON: What works
21 best for you?

22 MR. KATZ: Any of those days work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for me. Want to do it in the middle of the
2 week, so no one has to travel on a weekend?

3 CHAIRMAN ANDERSON: Sure.
4 Thursday?

5 MR. KATZ: So how is -- or yes,
6 the Ides of March, March 15th?

7 CHAIRMAN ANDERSON: Tuesday?
8 Tuesday the 15th?

9 MR. KATZ: Does that work for you,
10 Bill, and you Mark, March 15th.

11 MEMBER FIELD: This is Bill. I
12 teach on Mondays and Wednesdays, but I could -
13 -

14 MEMBER GRIFFON: Thursday is the
15 17th, isn't it?

16 DR. NETON: Tuesday we're talking
17 about.

18 CHAIRMAN ANDERSON: Tuesday, March
19 15th.

20 MEMBER GRIFFON: Yes. Tuesday the
21 15th works.

22 CHAIRMAN ANDERSON: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: And Bill, how about
2 you?

3 MEMBER FIELD: I can make
4 arrangements.

5 CHAIRMAN ANDERSON: Okay, done.

6 MR. KATZ: All right, and then is
7 that it, Mr. Chairman?

8 CHAIRMAN ANDERSON: Yes.

9 MR. KATZ: We're adjourned. Thank
10 you everyone on the phone for hanging in with
11 us. Have a good evening, yes.

12 (Whereupon, at 2:13 p.m., the
13 above-entitled matter went off the record.)

14

15

16

17

18

19

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701