

UNITED STATES OF AMERICA
CENTERS FOR DISEASE CONTROL

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

TBD-6000 WORK GROUP

+ + + + +

MEETING

+ + + + +

THURSDAY
FEBRUARY 21, 2013

+ + + + +

The meeting convened in the Zurich Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky at 9:00 a.m., Paul L. Ziemer, Chairman, presiding.

PRESENT:

- PAUL L. ZIEMER, Chairman
- JOSIE BEACH, Member
- WANDA I. MUNN, Member
- JOHN W. POSTON, SR., Member*

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
DAVE ALLEN, DCAS
BOB ANIGSTEIN, SC&A*
TERRIE BARRIE*
BOB BARTON, SC&A
PATRICIA JESKE*
JENNY LIN, HHS*
ARJUN MAKHIJANI, SC&A*
JOHN MAURO, SC&A*
DAN McKEEL*
JIM NETON, DCAS
JOHN RAMSPOTT*
LaVON RUTHERFORD, DCAS*
JOHN STIVER, SC&A*
TOM TOMES, DCAS

*Participating via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

T-A-B-L-E O-F C-O-N-T-E-N-T-S

Roll Call	
by Mr. Ted Katz	4
Welcome	
by Chairman Ziemer	5
Summary of NIOSH Approaches for Final DR Dose Estimates	
by Mr. Dave Allen	9
SC&A Comments	
by Dr. Bob Anigstein	38
Petitioner Comments	
by Dr. Dan McKeel	75
Matrix Issues and Actions	
by Chairman Ziemer	111
Baker Brothers by Dr. Mauro	254
Simonds Saw and Steel	
by Chairman Ziemer	274
Next steps	
by Chairman Ziemer	277

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P-R-O-C-E-E-D-I-N-G-S

9:00 a.m.

MR. KATZ: Good morning, everyone in the room and on the line. This is the Advisory Board of Radiation Worker Health, TBD-6000 Work Group. And we are going to get started. Let's begin with roll call, beginning with Board Members with folks in the room and let me remind everyone of agency-related, to speak to conflict of interest too.

We're speaking about three sites today, GSI, Baker Brothers and Simonds Saw and Steel. Thank you.

(Roll Call)

MR. KATZ: Okay, then. There are materials, an agenda and materials for this meeting, posted on the NIOSH website under the Board section, under the schedules, meeting schedules section, for today's date. And let me just remind everyone on the line to please mute your phones except when you're addressing the group. If you don't have a mute button,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 please press *6 to mute your phone. And
2 pressing *6 again will take your phone off of
3 mute.

4 I can hear someone has a phone
5 ringing in the background, so that phone
6 should be muted. Thank you. And Paul, it's
7 your agenda.

8 CHAIRMAN ZIEMER: Okay. I will
9 officially call the meeting to order. Welcome
10 everyone. We're pleased that all of you are
11 here in the room and on by phone. We have
12 three main categories on our agenda today
13 really, sites to address. General Steel
14 Industries is the first, then Baker Brothers,
15 then Simonds Saw and Steel.

16 And the GSI one is an ongoing
17 activity. Right now we're focused on the
18 final models for dose reconstruction since the
19 Board took action at the last meeting on the
20 petition. So we're now focused specifically
21 on the modeling of the doses for both the
22 active and the residual period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And then we have on Baker Brothers
2 a carryover item that the Board assigned to us
3 at the last meeting, that is to review the
4 residual period and make a recommendation.
5 And then finally, Simonds Saw and Steel, we
6 have the issues matrix to deal with.

7 We're going to probably spend most
8 of the morning, if not all of the morning, on
9 General Steel Industries. And we'll plan for
10 a lunch break at noon. We'll plan for a
11 comfort break about midmorning as well.

12 Now on General Steel Industries
13 there are two documents that we're dealing
14 with today. One is the document prepared by
15 NIOSH OCAS, DCAS by Dave Allen which
16 summarizes the dose reconstruction results
17 from the NIOSH models and also compares them
18 with the SC&A models because really one of the
19 jobs we have here today now is to take these
20 models and look at the assumptions and come to
21 some agreements on what assumptions should be
22 best used to reach the final dose assignments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for each of these models.

2 And we also have a document from
3 SC&A, which was distributed. And theirs is
4 basically a response to the NIOSH report. So
5 I thought it would be of value initially for
6 Dave Allen to take a little time and highlight
7 the items in his paper and any issues that he
8 wants to raise with the Work Group. And then
9 we'll have an opportunity for SC&A to give
10 their take on it. Also we'll have opportunity
11 for the petitioners to comment as well.

12 The SC&A material, I think there's
13 a presentation that Bob Anigstein was going to
14 make. Bob's been fighting some respiratory
15 illness this week and hopefully will be on the
16 phone. We have here in the room a projector
17 with a copy of his presentation and Bob Barton
18 is prepared to provide that for us. But is
19 Bob Anigstein on the call yet?

20 DR. MAURO: Paul, this is John
21 Mauro. I just called his home number and his
22 office number and no one's picking up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ANIGSTEIN: John, I was on
2 mute. I didn't answer.

3 DR. MAURO: Okay.

4 CHAIRMAN ZIEMER: There's Bob.
5 Okay, Bob, thank you.

6 DR. MAURO: Thanks, Bob.

7 CHAIRMAN ZIEMER: Okay. So the
8 record will show Bob now has joined the call
9 as well.

10 MR. KATZ: Yes and for the record
11 Bob has no conflict with GSI. And Bob it's
12 correct, right, you have no conflict with
13 Baker Brothers or Simonds Saw as well?

14 DR. ANIGSTEIN: No conflict.

15 MR. KATZ: Thank you.

16 CHAIRMAN ZIEMER: Okay. So let's
17 begin. Dave, if you'll kick it off and make
18 whatever comments you wish to on your GSI dose
19 estimate comparison paper.

20 MR. ALLEN: Okay. When I started
21 writing this I just note that there was a lot
22 of models and a lot of tweaking that has gone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on in the last several years. So I tried to
2 summarize or actually look up and find and
3 summarize where we stood the last iteration
4 of models, SC&A and ours.

5 And I just tried to put this in a
6 summary form where you can see I just made
7 four tables for the photon dose and divided it
8 between radium era and the cobalt-60 era and
9 also between radiographers and non-
10 radiographers just to try to make a clear
11 picture of where these models all stand.

12 I think that the last thing to do
13 was see what the differences are in these
14 models, see what the assumptions are, where
15 there is disagreement and hopefully reach some
16 sort of consensus on what assumptions we
17 should use so we can then move forward, revise
18 the Appendix and move on.

19 CHAIRMAN ZIEMER: Right. And as
20 you get underway just for clarity, as I
21 understand it would be NIOSH's intention to
22 assign everybody into one of the categories,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 either radiographers or what are we calling
2 the others, others.

3 Everybody on the site would be in
4 one of those two categories because we haven't
5 separated out where people were working other
6 than on those, we know who radiographers are
7 but the others would be in the other category
8 then.

9 MR. ALLEN: Yes and then as it
10 turned out in the cobalt era it didn't matter.

11 They all ended up with that layout worker
12 dose would be applicable to either category.

13 CHAIRMAN ZIEMER: Okay. Go ahead.

14 MR. ALLEN: Okay. I'm not going
15 to read the whole thing. You have it here.
16 But you can see the numbers category by
17 category. Once I got through that I tried to
18 summarize what we had to sort out. So I
19 listed what I thought were the issues where we
20 agreed or models where we agreed and then
21 unresolved issues. And I discussed those.

22 As it turned out I thought we were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to a point where it was essentially the radium
2 source scenario and the layout man were the
3 two models where there was any disagreements
4 on the assumptions, et cetera. I think Bob
5 pointed out in his reply that I think we're
6 going to have to toss time line in there also
7 as far as there is the 1962 era where it's not
8 a nice clean break in the era there.

9 Essentially we have, I think,
10 three areas where we need to discuss what the
11 assumptions are and what we should use to
12 proceed with revising the Appendix. Do you
13 want me just --

14 CHAIRMAN ZIEMER: Go ahead and
15 highlight those for the record. I think we
16 want to have that on the record.

17 MR. ALLEN: Okay. The radium era
18 is essentially, you know, the era from the
19 beginning to that time frame in the 1962 type
20 of era. And what we have right now is that
21 for the radiographers NIOSH had an estimate
22 that used parameters that we were told for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fishing pole technique. And we used the
2 middle.

3 We had, there was a range of four
4 to six foot pole and 12 to 15 seconds to move
5 the source. We used the middle at 13.5
6 seconds for an average and five feet for an
7 average on the distance. SC&A used the one
8 maximizing the short distance, the longer time
9 frame to come up with their estimate.

10 And then probably the biggest
11 difference is in our estimate we assumed that
12 this wasn't all done by one person. There are
13 a couple people doing radiography that would
14 have traded off actually doing that. I think
15 I am more than willing to pull back that
16 assumption because it does look like,
17 especially in these early years, it was maybe
18 not a radiographer helper with the sources
19 every time. Whereas we've been told something
20 about radiographer helpers.

21 But there's really no information
22 that was always the case or frequent or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 anything else. So that particular assumption
2 I'm more than willing to pull back. I don't
3 have the number handy here but it raises our
4 estimate to some five rem a year, five point
5 something as I recall. I'm trying to find it.

6 In any case, it raises it to
7 somewhere around there. Meanwhile we have Bob
8 Anigstein at SC&A did a model estimate that
9 resulted in a dose of 9.69 rem per year to the
10 radiographers. That dose primarily from
11 placing the source and removing the source
12 from the fishing pole technique.

13 Bob also, I'm trying to get this
14 right, he used a summary of a dose record we
15 had from a radiographer and a telephone
16 conversation with him that gave ranges of how
17 often he did radiography. And he was part-
18 time on the weekend doing radiography. So Bob
19 prorated it based on those ranges, you know,
20 to get a bound, essentially a minimum and
21 maximum which came out to. I can't find this.

22 MEMBER MUNN: 9.39?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: Yes, I think it was
2 9.1 rem per year to 20.5 rem per year. And
3 lastly, there was a, one of the statements in
4 the application for an AEC license indicated
5 that while they were doing this technique they
6 had averaged less than 25 percent of the limit
7 and had always been below the limit. All of
8 the radiographers have always been below the
9 limit.

10 The limit was essentially 15 rem
11 for the first couple years, the first few
12 years through I think 1954, 55. And after
13 that it was essentially 12 rem a year. In
14 reality it's three rem per quarter and there's
15 a lifetime limit. But as long as they weren't
16 close to the quarterly the lifetime limit
17 didn't, usually wasn't the limiting factor.

18 So anyway, that's where we stand
19 on that. My opinion on the 12 and 15 rem per
20 year based on the limit, it's not really an
21 estimate so much as we have a statement from
22 GSI saying it was less than these numbers. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I was looking at those as a less than 15 and a
2 less than 12 rem per year.

3 The range, prorated range of 9.1
4 to 20.5 rem is an estimate. And then the 9.69
5 rem is for the model based on parameters given
6 by, I believe, the same person, the same
7 radiographer. And I think Bob had stated a
8 couple of times that was convincing to him
9 that, you know, three different methods all
10 came up in a similar range.

11 For actually revising the TBD,
12 like I said the 15 and the 12 rem I think are
13 less than numbers and I can't really use those
14 for doses that I put into an Appendix. The
15 range, the 9.1 to 20.5, I can't so much put
16 that in an Appendix either. But both of
17 those, all those values are something that the
18 estimate should fall somewhere in that range
19 or that vicinity.

20 The 9.69 I consider to be a real
21 estimate or a model. It is a maximizing like
22 I said versus an average type of thing. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it does fall into those ranges whereas ours
2 does not fall the range of the 9.1 to 20.5
3 prorated that Bob calculated from the summary
4 dose record.

5 So I'm not sure, this is one thing
6 I wanted to get the feel of the Work Group on
7 was where they felt comfortable with, we could
8 use the maximizing parameters that SC&A used,
9 they used the 9.69 model dose. Like I said I
10 would not recommend using those prorated
11 values other than to, as a, similar to what
12 Bob did, to say this shows that these numbers
13 are reasonable or another piece of information
14 shows they're reasonable along with the less
15 than 12 and less than 15.

16 CHAIRMAN ZIEMER: Well, let me
17 make a comment here because this is a subset
18 of a larger question that comes up under the
19 issue of sufficient accuracy. And that is if
20 one takes every assumption and uses the
21 extreme value, not just here but in general,
22 you end up with something that, surely it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bounds but it becomes non plausible.

2 I mean the SEC Work Group is
3 dealing with this right now on the sufficient
4 accuracy question because we have these cases
5 where you have a whole series of assumptions
6 and the claimant-favorable thing really comes
7 in once you've made reasonable assumptions.
8 And then you're taking the tail end of the
9 distribution. You build in the claimant-
10 favorability there.

11 Sometimes if you maximize each of
12 these you come up with a bounding number that
13 really isn't plausible. So I don't know that
14 you can necessarily justify taking that
15 maximum in every one of these assumption
16 cases. Like you're maximizing the dose from
17 the distance, you're maximizing it from the
18 time factors, you're doing all of those little
19 subsets and then you end up with this really
20 high number that is not plausible.

21 MR. ALLEN: Yes, and that is a
22 concern I had too. I'm well aware of, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, 95th and 95th and 95th and you end up
2 with an unrealistic estimate. But in this
3 particular case we were actually talking about
4 two parameters.

5 It's the amount of time and the
6 distance the person was. And the part I can't
7 get over is the lower limit of the prorated
8 dose from the summary that he had come out to
9 be 9.1 rem. Putting those two together, you
10 know, I'm willing to go with that estimate
11 that Bob had with the 9.69.

12 CHAIRMAN ZIEMER: It's just two
13 factors you mean.

14 MR. ALLEN: Yes, it's just the two
15 factors. But maybe we should, you know, hear
16 from Bob --

17 CHAIRMAN ZIEMER: We're not going
18 to decide that this minute. It's sort of an
19 open question. Let me ask another one here
20 while we have this chart here. So if you're a
21 radiographer in the radium era under the NIOSH
22 scheme as it stands here, you would assign

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that person three and a half, 3.573 for the
2 radium work, plus you would assign the 2.6 for
3 possible exposure to the St. Louis sources
4 also?

5 MR. ALLEN: No, and that gets into
6 the time frame is the --

7 CHAIRMAN ZIEMER: One of the three
8 things. Well all right. Well let me do it a
9 different way. The betatron operator
10 sometimes serves as the layout man, right?

11 MR. ALLEN: Yes.

12 CHAIRMAN ZIEMER: You would give
13 him both of those numbers or not?

14 MR. ALLEN: We would not.

15 DR. ANIGSTEIN: This is Bob.
16 These are mutually exclusive.

17 CHAIRMAN ZIEMER: Well but we have
18 layout man --

19 DR. ANIGSTEIN: Because he can't
20 spend all of his shifts as a layout man and
21 all of his shifts operating the betatron.

22 CHAIRMAN ZIEMER: Well I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understand that but we have a layout man on
2 the radiographer chart. So --

3 MR. ALLEN: Yes, what I tried to
4 do with this chart was to say that somebody
5 that is a radiographer in his early years, he
6 could have been doing radiography.

7 CHAIRMAN ZIEMER: You're going to
8 parse it out like 50/50 or something.

9 MR. ALLEN: He could have done
10 radiography there. He could have done
11 radiography out in the plant. He could be
12 working on the betatron. He could not be
13 doing them all at the same time.

14 And since we can't really place
15 him in one or the other it was list all these
16 scenarios, estimate the dose and then pick the
17 highest one, you know, what would be the worst
18 case.

19 CHAIRMAN ZIEMER: Okay. Whatever
20 the highest one is for him we give him that
21 100 percent of the time versus parsing it.

22 MR. ALLEN: Yes, so all of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doses you see here are assuming full-time
2 doing that job.

3 CHAIRMAN ZIEMER: Doing that job,
4 okay. If a person was a radiographer you
5 would still call him a layout man if he was,
6 if that number came out higher for him.

7 MR. ALLEN: Yes, that layout job
8 was done by radiographers.

9 CHAIRMAN ZIEMER: Yes, I know
10 that. Okay. These are just the numbers your
11 model came up with and then --

12 MR. ALLEN: Right.

13 CHAIRMAN ZIEMER: Okay. I got
14 you. Other questions or comments for, do you
15 want to go on to the next one or just --

16 MEMBER BEACH: This is Josie. I
17 was just wondering under the Ra-226 source
18 you've said that you didn't develop an
19 estimate in the radiograph room. Where was
20 that?

21 MR. ALLEN: At that time we
22 thought that the dose outside of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radiography room would be limiting and it
2 wasn't clear that room existed in those early
3 years. We knew it was either built or
4 modified in '62. It's turned out we got
5 better information now that it was modified,
6 the shielding was modified in '62 and it
7 actually did exist the whole time.

8 And I think Bob Anigstein, after
9 talking to several of the former
10 radiographers, came to the conclusion it was
11 99 percent of the time or more the radiography
12 was in the radiography room. So essentially
13 that's the estimate we probably should be
14 using.

15 For the radiographers themselves,
16 the vast majority of the dose comes from
17 placing the source using the fishing pole
18 technique. And that part of it ends up being
19 the same whether it's in the radiography room
20 or out. So there is a minor differences based
21 on the area where they're actually doing it.

22 DR. ANIGSTEIN: We talked about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the radiography room. At this point we're
2 talking about that concrete block structure in
3 the Number 6 Building.

4 CHAIRMAN ZIEMER: Building 6,
5 right.

6 DR. ANIGSTEIN: Not the betatron -
7 -

8 CHAIRMAN ZIEMER: We understand.

9 MR. ALLEN: Yes, and it's probably
10 good to point out.

11 CHAIRMAN ZIEMER: Okay, other
12 questions? Are we on to the other tables or
13 just general questions? No questions, Josie?

14 MEMBER BEACH: No.

15 MR. ALLEN: Okay, move on. Like I
16 said that was one of the issues is the
17 parameters for the radiography source
18 scenario. Another one is the parameters for
19 the layout man scenario and this is actually
20 associated with the new betatron attached to
21 Building 10 through a, what I call an
22 equipment tunnel.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And that's where the bulk of the
2 dose for this scenario comes from scattered
3 radiation coming down that equipment tunnel.
4 If they're working in Building 10 near the
5 opening for that tunnel. It's called layout
6 man scenario and it's based on the layout man,
7 which is essentially just marking the castings
8 for where to place film, et cetera for the
9 next shots on a casting.

10 DR. ANIGSTEIN: Excuse me. Not to
11 be a nit picker, what he's marking is the
12 repair not for the next project. He's marking
13 up the casting to tell the chippers and
14 grinders and welders where there's a defect
15 and where they should dig it out and repair.

16 MR. ALLEN: Okay. I was under the
17 impression it was both and actually laying out
18 a lot of those shots too. But either way it's
19 essentially --

20 DR. ANIGSTEIN: The betatron
21 operator in the betatron room laid out the
22 shots.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: Yes, I thought we were
2 told that sometimes they set those up ahead of
3 time while they were shooting other castings
4 to try to keep the betatron going. But in
5 either case --

6 DR. ANIGSTEIN: Actually you may
7 be right there.

8 MR. ALLEN: But in either case we
9 are, you know, talking about a person that is
10 working near that equipment door and there's a
11 lot of this dose of scattered radiation coming
12 down that equipment tunnel in some
13 configurations of the betatron.

14 DR. ANIGSTEIN: The reason that's
15 significant is if this was a fresh casting he
16 would not be getting beta dose from the
17 casting. And if it's been irradiated and he's
18 marking it up for repair. My understanding is
19 he held the film in one hand and a piece of
20 chalk in the other hand and said here is a
21 defect, let me mark it.

22 And therefore he was getting a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very high exposure with any activation of
2 steel you would be getting a lot of beta
3 exposure from the steel, which of course would
4 not be the case if this was a casting that
5 hadn't been irradiated yet.

6 MR. ALLEN: Yes, he'd be getting
7 beta exposure and some photon exposure. And
8 in either case I think both SC&A and our model
9 assumed it's a freshly irradiated casting that
10 he was working on.

11 DR. ANIGSTEIN: Okay, good.

12 MR. ALLEN: I think there is some
13 marking up for the next shot even after the
14 repair. Whether we call it the actual repair,
15 or finding the repair, or marking for the shot
16 after, it's very credible they're working on a
17 freshly x-rayed casting. And so both models
18 assume that and --

19 DR. ANIGSTEIN: I agree. If it's
20 had already been repaired they would need to
21 confirm the repair so it would have to be
22 marked. Okay, I'm just being technical now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: And, I lost my train
2 of thought. Yes, I wanted to, we're talking
3 about the layout man here doing this work on a
4 casting but in reality, as Bob mentioned, it's
5 also repair, et cetera. And those are not
6 radiographers grinding out the defects of weld
7 repairing or whatever.

8 So there is plenty of other non-
9 radiographers that are in that same vicinity
10 working in close proximity to the casting. So
11 even though this is called layout man, it's
12 really a scenario for radiographers and non-
13 radiographers, which is --

14 DR. ANIGSTEIN: Right, I specified
15 this was the layout man in the original report
16 back in 2008. The layout man gets to the
17 casting first so he gets the most of the
18 activation product. By the time it gets to
19 the grinders and chippers, it's already
20 decayed a bit.

21 So he would be the most, the
22 highest exposure would be to the layout man

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because he has the freshest casting after the
2 radiography and the others are ready maybe an
3 hour later. That's the reason for that.

4 But that's not, the purpose was
5 not to make light of the exposure to the other
6 workers, it's just that the, if you take the
7 layout man, his exposure will be bounding.

8 CHAIRMAN ZIEMER: Right, go ahead.

9 MR. ALLEN: Okay. The difference
10 that we need to discuss here is in the NIOSH
11 model we developed several shooting scenarios
12 for the betatron if you are shooting near the,
13 where the equipment is brought in on a
14 railroad track type of, there's essentially
15 some railroad tracks on a cart.

16 If it is shot while still sitting
17 on that you get a considerable more amount of
18 photon scatter down the equipment tunnel into
19 where this layout man could be working. If it
20 is put into the shooting room, casting is put
21 further into the shooting room and shot there
22 these numbers change quite a bit.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What we did in our model was to
2 come up with a number of different scenarios,
3 various angles and various locations in the
4 shooting room and estimated the dose at a
5 number of locations for each shot including, I
6 think there was three spots in the control
7 room and 10 Building where this layout man
8 would be and several other places we explored.

9 And we used the work schedules
10 that we had gotten from or actually SC&A had
11 gotten from the workers and developed a shot
12 scenario for the typical work environment.
13 And we used a solver in Excel to come up with
14 a combination of these scenarios that would
15 give us 10 millirem in the control room and
16 maximize the exposure in the Number 10
17 Building where this layout man would be.

18 And that's where we developed our
19 model. And Bob can confirm that SC&A used a,
20 they disagreed that all those shot scenarios
21 were realistic and they used one that they
22 considered to be realistic that maximized the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 dose in the Number 10 Building.

2 And I still say that would end up
3 resulting in more than 10 millirem each week
4 on the film badges. And I think Bob had a
5 disagreement based on what he has written in
6 his response here recently. But I think that
7 is explaining the issue or the layout man
8 exposure model.

9 And then there's the one last
10 issue I think or major category of issue here
11 that I think we have to discuss. I did not
12 discuss in this paper, my intentions and it is
13 not written in there, by any means. My
14 intentions when I wrote this paper were that
15 the dates, the exact dates when they shifted
16 from radium to cobalt and when they started up
17 the new betatron we knew the year and
18 approximately when it happened but not hard
19 and fast dates.

20 So my intentions were to use the
21 radium radiography as limiting through the end
22 of 1962 and then the new betatron as limiting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 starting in the beginning of '63 on. Bob in
2 his reply, pointed out some other dates in
3 there and broke it up into several, 1962 and
4 in the 1963 time frame into several different
5 categories.

6 And some of that I think he's got
7 a good estimate there. I think there's, I
8 take a little bit of issue with some of those
9 dates. And I don't know if you want to start
10 discussing the details on those at this point
11 or?

12 CHAIRMAN ZIEMER: Well we'll hear
13 from Bob and also from the petitioners and
14 then we can try to come to agreement on some
15 of these issues. Just want to get the issues
16 out here, see what they are. So those are
17 your main issues then, anything else that?

18 MR. ALLEN: No, I think everything
19 else the neutron dose, et cetera falls into
20 that, the scenarios that are used for the
21 layout man. And I think we have agreement on
22 the, how we go about the beta dose. It's just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 got to be reran based on scenarios, et cetera.

2 I think those three categories
3 we've settled on what assumptions we use for
4 those. I think we can go ahead and calculate
5 all the doses.

6 CHAIRMAN ZIEMER: Any other
7 questions from the, okay, let's hear from Bob
8 Anigstein then. And we have the slide
9 presentation here. And can we email that out?
10 It hasn't been cleared yet.

11 MR. KATZ: It hasn't been cleared
12 yet because I just got it.

13 DR. ANIGSTEIN: This is based
14 entirely on cleared material. So we usually
15 don't bother getting the presentation cleared
16 because it's taken out of the cleared reports.

17 MS. LIN: Ted?

18 CHAIRMAN ZIEMER: Jenny, go ahead.

19 MS. LIN: This is Jenny with ODC.
20 We just cleared that without PA redaction.

21 MR. KATZ: Okay, great. I'm not
22 on my email so.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ZIEMER: Okay. So can we
2 email that out to Dr. McKeel and to Mr.
3 Ramspott?

4 MR. KATZ: Yes, I don't know if I
5 have everybody's. I certainly know I have
6 Dan's email.

7 CHAIRMAN ZIEMER: You have John
8 Ramspott's also.

9 DR. ANIGSTEIN: I can email it
10 myself if you like.

11 MR. KATZ: Yes, if you have Dan
12 and John's emails and you do that, that's
13 fine. Thank you.

14 CHAIRMAN ZIEMER: And also --

15 DR. ANIGSTEIN: Should I do it
16 right this moment?

17 MR. KATZ: Yes, please.

18 DR. ANIGSTEIN: Okay, then just --

19 MR. RAMSPOTT: Could you send it
20 to me too because I don't have it?

21 DR. ANIGSTEIN: Who are you?

22 (Simultaneous speaking.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ANIGSTEIN: Okay, sorry. Give
2 me one minute. I'm going to put the phone
3 down.

4 CHAIRMAN ZIEMER: Does he have Ms.
5 Jeske's email also because she's on the --

6 MR. KATZ: Let me see if I can,
7 while he's doing that let me see if I have --

8 CHAIRMAN ZIEMER: Okay. We're
9 going to try to get it out to you Dan and John
10 and Ms. Jeske.

11 MR. KATZ: I'll know in a second.

12 CHAIRMAN ZIEMER: Terrie Barrie,
13 do you want a copy of this also?

14 MS. BARRIE: If it's not too much
15 trouble. If not I can try to follow along.
16 Thank you for asking.

17 CHAIRMAN ZIEMER: Terrie Barrie's
18 --

19 MR. KATZ: I probably have
20 Terrie's. I do have Terrie's so that's easy.
21 I don't have Patricia's, I don't think. But
22 Dan will have it and Dan can forward it on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. ANIGSTEIN: I have John
2 Ramspott's.

3 MR. KATZ: No, I know. And Dan
4 has Patricia's. So Dan can forward it on.

5 DR. MCKEEL: Josh Kinman has
6 Patricia's.

7 MR. KATZ: Yes, but Josh isn't on
8 this call.

9 DR. MCKEEL: Well then maybe you
10 can just get him to do that little task.
11 Thank you.

12 MR. KATZ: Well I mean he's not, I
13 can't reach him right now is what I'm saying.
14 So you can forward it if you want her to have
15 it now.

16 DR. MCKEEL: I'm really not at a
17 computer where I can do that.

18 MR. KATZ: Okay. Well if you
19 can't view it then there's no rush to get it
20 anyone.

21 DR. MCKEEL: No, there's a rush to
22 get it to us. I just can't view it. It's too

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 late.

2 CHAIRMAN ZIEMER: Okay, why don't
3 you go ahead.

4 Bob, you can go ahead and start
5 and as we proceed here. Bob Barton can
6 advance it as he accepts cues from you.

7 MR. KATZ: And Terrie, I'll
8 forward this to you.

9 DR. ANIGSTEIN: Sorry about that.
10 Okay. So, Bob, you want to put on the, well
11 put on the second slide. The first was just
12 the title.

13 MEMBER MUNN: It's up.

14 MR. BARTON: All set, Bob, go
15 ahead.

16 DR. ANIGSTEIN: You have the slide
17 two up?

18 CHAIRMAN ZIEMER: Yes, the slides
19 are up, Bob. Go ahead.

20 DR. ANIGSTEIN: Okay. So this is
21 getting to the dates. Now I have to confess
22 initially I thought we were just going to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 by calendar year. But I had the impression as
2 it was developing this that NIOSH was
3 subdividing into data.

4 And particularly the Work Group
5 specified the date, I remember at the meeting
6 back in November, they specified the date of
7 the beginning of the radium operation. I'm
8 sorry the end of the radium operation, the
9 beginning of the cobalt. So I just put it
10 down that the January 1st was the beginning of
11 the cobalt operations.

12 There's a possibility now it's
13 going to get moved back into '52. And
14 according to the records, May 21st is when
15 they acquired the cobalt sources. So
16 presumably, that's the date on which they
17 started to use them because they were under
18 pressure to get rid of the radium.

19 And then sometime in '63, we don't
20 know when so I just arbitrarily just to be on
21 the conservative side, assumed it to be
22 January 1st '63 was when St. Louis Testing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 began radiography at GSI. The administrator
2 that we talked to simply said he couldn't
3 remember the dates but he remembers it's when
4 they were working, they were simultaneously
5 working on the Gateway Arch in St. Louis. And
6 that began, construction on that began in '63.

7 Again, we don't know exactly when
8 the new betatron went into operation. But we
9 do have a photograph in the, one of the
10 issues, the September issue of the magazine
11 that John Ramspott very kindly furnished to
12 me, which showed a photograph of this building
13 being sort of like halfway built. The
14 foundation was laid, the walls were going up.

15 So it would not have been before
16 October 1st if they published this in
17 September, the half-finished building. So
18 it's just an estimate. Again, a conservative
19 estimate because that's where the higher doses
20 started. And then of course June 30 of '66 is
21 the end of the AEC-covered period.

22 And then just summarizing them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going onto slide three, summarizing the
2 sources of exposure, the direct penetrating
3 radiation, photon radiation would be stray
4 radiation during betatron operation and
5 delayed radiation from activated metal. This
6 means that the, so called, the technical term
7 that comes out of the MCNP program, they call
8 it delayed neutrons and delayed gammas.

9 Then the second category is the
10 exposure to the sealed sources. So you have
11 the two 500-millicurie radium sources starting
12 from way back when, until presumably May 21,
13 1962. The two cobalt-60 sources were assayed
14 some time in the spring at 260 and they
15 requested 300 millicurie sources and they got
16 slightly less. They got 260 and 280.

17 And these were used again until
18 the end of operations. Of course they decayed
19 a little bit during this time. Then you have
20 this 10-curie cobalt-60 source employed by St.
21 Louis Testing, presumably starting in January
22 '63. And then there was a 50 curie iridium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 source that they used occasionally.

2 They didn't use it too often
3 because it, the radiographs were too good.
4 They showed defects that they would just as
5 soon not highlight. So they didn't use it
6 very much. Then there was the exposure of
7 skin to non-penetrating beta radiation. And
8 this would be from the natural uranium, from
9 handling the uranium.

10 And it turns out there were two
11 short-lived isotopes of uranium which were
12 created through photoactivation which were
13 very strong beta emitters. They have half-
14 lives only for a few minutes. But if they
15 were handled immediately after radiography
16 there would be some significant skin dose.

17 And then there was activated steel
18 with a whole bunch of nuclides some of which
19 are long-lived, some of them are very short-
20 lived. The thing with activation is the
21 stronger the intensity, it sort of works
22 inversely. The stronger the intensity of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 radiation, the shorter the half-life.

2 So if someone comes out of the
3 wash he could be exposed for a long time to a
4 low activity or a short time to a high
5 activity, depending on the isotope. But the
6 doses tend to balance out in that respect.

7 And then getting down to where the
8 rubber hits the road, pardon that expression.
9 SC&A's position, I would disagree with Dave
10 Allen. SC&A's position is that these 12 and
11 15 rem units are actually hard numbers. These
12 men were badged, even though we don't have the
13 badge records any longer.

14 They were badged, we know they
15 were badged. We have good evidence they were
16 badged as early as '53 and probably before
17 both according to a photograph, which I know
18 was called into question, I think most likely
19 it's a photograph of a film badge and the
20 testimony.

21 The one radiographer who goes back
22 to '57 says he always wore a film badge and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have his exposure records, which indicate that
2 he was monitored or how else could they have
3 assigned him an exposure of 9.2 rem over a
4 period of 18 quarters. That was a part-time
5 radiographer. A full-time employee but a
6 part-time radiographer.

7 So we considered that these were
8 records that they reported to the AEC that no
9 one ever exceeded the dose. And it would seem
10 reasonable to think well if no one ever
11 exceeded half the dose they would say so. We
12 are here with the limit. But, you know, the
13 limit is 15, but nobody got more than 10 or
14 nobody got more than five.

15 They didn't say that. And they
16 didn't say that, probably it wouldn't have
17 been true. So they did say no one exceeded
18 the dose. Yes, it's true that the average was
19 25 percent. But we're not interested in
20 compensating the average worker, we're just
21 compensating the real worker who may have
22 gotten that maximum dose. Since you don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know who it is, somebody got that dose and you
2 don't know who.

3 So our opinion is you assign this
4 to everyone. And it's a number that you can
5 nail down. It's not based on assumptions. It
6 is limiting. It is on the high side. And
7 furthermore, my impression and this is from
8 being at the meeting in Knoxville and going
9 over the transcripts of the meeting, this is
10 what some of the Board Members assumed was
11 going to be assigned to everyone.

12 Because they were talking about
13 these are very high doses. I believe that
14 they assumed and actually at that meeting Dave
15 did not present this. His presentation was
16 limited to the uranium dust scenarios. And
17 this seemed to be the basis, the comments that
18 I was asked to get up and make, seemed to be
19 the basis of the Board's actions.

20 So I know that doesn't make it
21 binding. But that's the impression that I
22 had. Whereas the other, the model which I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 don't agree that the model is necessarily bad.

2 This is just based on this one person's
3 recollections 50 years later, we have to
4 remember. These are, this is a 50-year old
5 memory and not many of us remember distinctly
6 after 50 years or even five years.

7 And I think this gentleman was,
8 did his best to give me an accurate
9 recollection. But I would put more credence
10 to the exposure limits or the dose limits in
11 this case, the doses, then to the model. And
12 my purpose, our purpose, SC&A purpose was to
13 approach it from three different standpoints,
14 the three things that we could find.

15 One was the actual recorded doses
16 for a four and a half year period through this
17 one part-time, this one man who did the
18 radiography on weekends. And I was not like,
19 I mean he said the 9.2 lower limit is simply,
20 that's assuming that he worked the maximum
21 number of shifts.

22 According to his recollection, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 asked him if he was there. He said, yes, he
2 worked whenever he could. He needed the
3 money. But he worked on most weekends 80 to
4 90 percent of the time, 80 to 90 percent of
5 the weekends. But I said is it Saturday and
6 Sunday or just one day? And he was not clear
7 about that. He wasn't certain. Could have
8 been both days, could have been one day.

9 So that nine point, that prorated
10 9.2 would mean that he worked 90 percent. So
11 that he worked 45 weekends, full days, so 90
12 days, that's an extreme upper end. And the 20
13 rem would be that he worked the minimum.

14 He only worked 80 percent of the
15 weekends or 40 weekends and only one day. So
16 he works, so the range is he worked from 90,
17 from 40 days to 90 days and that's how those
18 got prorated.

19 So these are just, these are
20 useful numbers. I wouldn't call them good
21 numbers. They are useful numbers. And
22 they're useful because they show the range.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The 9.2 to 20 exactly brackets this 12 rem and
2 15 rem.

3 And the 15 rem of course was
4 earlier then this man's employment with the
5 '53 to '54 period and he didn't start doing
6 radiography until '57, in the middle of '57
7 according to my back, you know, counting his
8 exposure records.

9 So and we also believe and that's
10 a, it came as a little bit of a surprise to me
11 because it was my impression and perhaps it
12 was an incorrect one, incorrect conclusion,
13 that based on the discussion of the betatron
14 operator and the layout man, it was my
15 impression that NIOSH had decided to give the
16 highest doses to all workers.

17 Just like they did there I assumed
18 that their position would be the same would
19 apply to the radiographers during the radium
20 period. And I was a little surprised to
21 discover, no, that's not what they had in
22 mind.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So again, these 12 and 15 rem are
2 limiting, are bounding and given that you
3 don't know who the radiographers were, for
4 instance if this, if somebody like the person
5 who I interviewed did radiography on weekends
6 or did it part-time or did it who knows when
7 he may have had another job this person was a
8 laboratory technician or laboratory worker in
9 his normal Monday through Friday shift.

10 I could very well see a claim
11 coming in for a deceased worker or the family
12 members, yes, Grandpa was, had such and such a
13 job and they didn't know that he also did
14 radiography. And I would say therefore it
15 makes, it's reasonable, it's claimant-
16 favorable to give them the maximum. Again,
17 that's a decision I would assume the Work
18 Group would have to intervene at this point.

19 Then the scenarios that are lesser
20 issues the neutron and beta exposures are, the
21 layout here by which my scheme is to first
22 give you the overview and then a deeper

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion of some of the detail, that we have
2 the neutron dose, the limiting dose as to the
3 betatron operator during all periods even
4 though given that the betatron in the earlier
5 days was slightly less energetic.

6 We had a 24 MeV betatron. Then in
7 '63 they got the 25 MeV betatron that's, the
8 so-called new betatron actually was older than
9 the old betatron. That's a minor point. And
10 then the old betatron was at the same time
11 upgraded to 25 MeVs. So we ended up with two
12 25 MeV betatrons for this period.

13 And so we had the same without
14 making allowances for this difference in
15 energy, 480 millirem per year of neutron dose.

16 Whereas NIOSH had the limiting dose to the
17 layout man and so only a third as much. And
18 similarly the beta dose, ours is higher. I
19 think we were using, I think the difference
20 was we were using a newer version of MCNP.

21 This was a new capability they had
22 introduced into MCNP being able to do these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 activation projects. And then over a period
2 of several years from 2007 when we first
3 started using it to 2012, the capabilities
4 have gotten much more refined. So the newer
5 model is a more precise implementation of the,
6 the science hasn't changed but the programmers
7 implemented it.

8 So that's the reason for the
9 difference in the beta dose in SC&A and NIOSH.

10 Then the, I kind of stole my own thunder
11 because going on to the radiographers.

12 The 18 quarters for what we have
13 that would be his record, Form 4. And so 18
14 quarters total, there's no breakdown because
15 this was, this form was prepared when this new
16 company, I mean new, by new meaning new to the
17 GSI site, Nuclear Consulting Corporation was
18 brought in to assist them in getting in the
19 AEC license.

20 So they did a survey and they
21 started furnishing the film batches. We never
22 did find out, we could never find out, they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 didn't manufacture the film badges. We could
2 never find out where they procured them. It
3 wasn't Landauer as far as we could tell. So
4 that's why there were no records of those film
5 badges.

6 But based on supplying the film
7 badges in May, you know, April, May through
8 1962, and they simply gave each worker a
9 record of his prior exposure. And the basis
10 was simply said records. They don't say how
11 the measurement was made. They just say
12 records. And they just recorded total dose
13 for 18 quarters at 9.1 rem.

14 So that's the basis of that
15 prorated over, you know, depending on how many
16 days a year he actually spent doing the
17 radiography. And I list it here on, we should
18 be on slide 6, is that correct?

19 CHAIRMAN ZIEMER: Yes.

20 DR. ANIGSTEIN: So that is listed
21 here. And then there is the exposure rate
22 analysis. And I would just say that's a mix

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually. We, the R, most of that I simply
2 calculated by simply taking the distance and
3 using the gamma factor, it was 8.25 or for
4 radium-226.

5 And the exposure rate and the
6 particular point where, the nearest point on
7 the worker's body. But this is not really a
8 dose of the whole body. This is from a point
9 source. That's why it's not really an
10 accurate determination. The one where he's
11 sitting in the office in between shots, that
12 was done with MCNP and that's reasonably
13 accurate.

14 But that's a very small component
15 of the dose. Then the betatron operator, I
16 won't go into all the details of this, we
17 assumed that it would be, on Slide 7, the
18 maximum dose would be 26 millirem per week.
19 That's because it is theoretically possible
20 that there was 30 keV of radiation coming from
21 the betatron aimed at the back the workers.

22 This is residual radiation which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was reported by this former Allis-Chalmers
2 engineer. And we've never been able to
3 explain this. But just saying for the, giving
4 the workers the benefit of the doubt, let's
5 say there was 30 keV.

6 Then this would amount to a dose
7 of, for 10, you could be getting 26 millirem
8 and only 10 millirem would register on the
9 film badge because the radiation was coming
10 from behind. So this is limiting, again, I'm
11 not saying it's accurate but it's limiting.

12 It doesn't really matter because
13 the layout man is a limiting scenario. So
14 this is not actually used. Then the exposure
15 to the, I put it here because the time
16 sequence, because this is from the beginning.

17 There was always the betatron, depending on
18 whether it was one betatron or two betatron.

19 The exposure to the St. Louis
20 Testing sources, SLTL, the St. Louis Testing
21 Laboratories, was based on the fact that they
22 reported that the longest shot was 180 hours.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I believe they put it was one week and a half
2 a day. And they said there were 10 shots
3 during a six month period.

4 So the maximum fraction at the
5 time they had been shooting over would be 41
6 percent. Interesting enough that's the same
7 as the duty cycle for the betatron, based on
8 the workers' accounts. And so if you go with
9 the accounts as I said we had an exclusion
10 area boundary of two mR per hour.

11 The first thing they do is they
12 set up the shot and then the St. Louis Testing
13 radiographer, not a GSI employee, so he's not
14 covered, he's not a covered employee, would
15 come out and lay out this rope. It's a
16 familiar yellow/magenta rope marking off this
17 two mR per hour. And contrary to an earlier
18 assumption whether the guy takes a break, it
19 says, he doesn't take breaks.

20 If he has to go, he retracts his
21 source and stops the exposure and the source
22 is retracted, like a lead shield so that he

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 said the exposed source was never left
2 unattended. That's why he could have only one
3 worker instead of having to have two workers
4 that would of course double his labor costs.

5 So we assume that there is no
6 reason to distinguish between GSI
7 radiographers because GSI radiographers
8 probably have no reason to be involved with
9 this. If anybody it would be people in
10 production who would say this is the casting
11 we need to be radiographed, here's where I'd
12 like you to take the shot. And they might be
13 the ones who will be standing around waiting
14 for the shots and waiting for the films to
15 come back.

16 So this limiting dose would be to
17 some GSI worker not clearly identified, not,
18 most likely not a radiographer. So again
19 during this short period of time of say early
20 '63, January '63 until the time the new
21 betatron came in, this would be the limiting
22 dose would be the 2.67 R per year limiting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exposure.

2 And then finally I want to get
3 into the modeling of the layout man. We're on
4 Slide 8 now. And this is a drawing that's
5 taken from the AEC records. And right in the
6 center you see, the center of the slide you
7 see the control room. There was a desk,
8 presumably that's where the radiographer would
9 sit some of the time.

10 Now the reason that there is not a
11 10 millirem limit for the control room is not
12 viable because initially there was an
13 assumption made by NIOSH that this was the
14 total dose through the film and also that
15 there was a control batch. We don't, we
16 really have no information for part of the
17 time, not all the time, but for part of the
18 time the Landauer records have a badge that's
19 called betatron control one.

20 The serial number of the badge is
21 one, and it's abbreviated betatron CTL. We
22 really don't know anything about that. One

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 former worker that was, I got second hand
2 information, I didn't speak to him directly,
3 but got second hand information was, who said
4 he handled the badges at one time, he
5 distributed them. He doesn't remember
6 anything about such a batch.

7 So I'm not saying it didn't exist,
8 but I'm saying you cannot use that as a
9 source. And then, as explained in my report,
10 the doses reported were not the absolute
11 readings on the film badge. They were
12 differential readings.

13 You would first have an unnumbered
14 badge that was stored in the same rack where
15 all the other badges were, so whether it was
16 near the shooting area or far from the
17 shooting area, it really did not matter
18 because the first thing they would do when
19 Landauer would get the badges they would
20 develop them all in a single batch so there
21 would be no variation like the developer
22 changes strength from day to day, which it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 does, temperature changes.

2 And they would take the betatron,
3 the control badge, not the betatron control,
4 but the real control, their control badge and
5 they would measure the density. Everything
6 has some density or some, the film was never
7 totally clear. There was some background
8 fogging. And they would measure the density.

9 And they would take that number
10 and subtract it from all the other badge
11 readings. It's analogous like if you took a
12 urine sample that would have a blank. And
13 they would subtract whatever came up off the
14 blank from all the other readings. So you
15 could actually sometimes end up with a
16 negative reading because for some reason the
17 real badge has a lower density than the
18 control badge, but it's just variation.

19 So the fact that no, and then
20 secondly, as it's shown where it says A in a
21 circle in the center, there is a doorway from
22 the control room back into the processing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 area. The radiographer may have been going
2 out, he had a one-hour shot. Once he sees
3 that the betatron is steady and operating,
4 there's really no real need that he has to
5 just sit there for an hour and stare at the
6 dials.

7 He may take a rest room break. He
8 may go out into the processing area and help
9 with the film development or do many other
10 things. So it's not necessarily true that he
11 was always in the control room when the
12 betatron was operating. We can't make that
13 assumption. It may be the case, may not be
14 the case.

15 So I don't consider that to be the
16 limit. And furthermore that aside, by our
17 MCNP model we ran, we looked at this and we
18 tracked the results. The same exposures,
19 several exposure scenarios that give 9.2 to
20 the layout man, the 9.2 is combined betatron
21 radiation and radiation from the casting. But
22 it's almost all betatron. It's about 8.9

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something from the betatron.

2 Actually corresponds to 9.4
3 millirem per week at the control room desk.
4 So it is within that limit, within that 10 mR
5 ever if you wanted to argue that the worker
6 did stay there the whole time. So that's
7 basically it.

8 The next slide, the last slide,
9 Number 9, is simply the same picture as the
10 previous one. But here is the actual, here's
11 how we coded it into MCNP. It doesn't show
12 the control room. It just shows, but it does
13 show the position of the layout man who --
14 he's obviously in the center. If you flip
15 back, Bob, if you can flip back to the
16 previous one you see the railroad track going
17 into the Number 10 Building.

18 Obviously he's not going to be
19 spending his time on the railroad track
20 because you can't get the casting cars in and
21 out. So this is assuming that, the two models
22 and it had the casting like a few feet to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 either, the way this is oriented above or
2 below the railroad track. And it turns out
3 here with the limiting exposure scenario.

4 At this point, actually within
5 line of sight of the betatron, so it's not
6 actually scattered radiation, he's getting the
7 penumbra of the betatron beam. It's very
8 strongly focused forward. There isn't much
9 going off at such a steep angle, but there
10 still is some.

11 So that's the source of this
12 radiation. And again, I'm not saying that
13 this particular scenario was 100 percent of
14 the time. But we don't know, so rather than
15 having this assortment based on a number of
16 exposure scenarios which were not consistent
17 that NIOSH did, a number of those are simply
18 not consistent with radiography shooting.

19 Shooting the casting at an angle,
20 the purpose of it is to get through the
21 casting at the thinnest point and not to put
22 in more material and have it at an angle it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would take longer to shoot. So these are just
2 not real. I don't think that those are valid.
3 I mean, it's an ingenious approach. But I
4 don't think it works in this instance. So
5 that's basically our position.

6 CHAIRMAN ZIEMER: Okay. Thank
7 you, Bob. We'll have some questions here.
8 And let me start.

9 It appears to me now that your
10 argument or approach, which I didn't gather
11 from your initial paper, but now from your
12 presentation, is that, since your model values
13 are all below those existing limits of 15 and
14 then 12 rem per year for the early years, that
15 you're advocating that those upper limits,
16 those sort of regulatory limits be used in
17 assigning the --

18 DR. ANIGSTEIN: One of the model
19 values is actually higher --

20 CHAIRMAN ZIEMER: -- bounding
21 values.

22 DR. ANIGSTEIN: Excuse me. One of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the model values is actually higher. You can
2 call it a model, not the MCNP model but the
3 extrapolation from the badge readings, it
4 could be as high as 20.

5 But we're settling -- but that's
6 an extreme and therefore the way, I think the
7 most telling is that the nine -- just rounding
8 off the numbers -- the range of nine to 20
9 brackets the range of 12 to 15, and therefore
10 confirms the 12 to 15 as a plausible upper
11 bound.

12 CHAIRMAN ZIEMER: You are arguing,
13 rather than use for example the 9.2 for the
14 layout man, you would --

15 DR. ANIGSTEIN: No, no, that's a
16 different period. This is during the radium
17 era from '53 to May '62. The layout man
18 scenario could not have happened until they
19 installed the new betatron late in 1963.

20 CHAIRMAN ZIEMER: Let's say the
21 radium value of 9.39 during the radium era,
22 you would say instead of using that, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comes out of your calculation you would
2 recommend using either the 12 or the --

3 DR. ANIGSTEIN: That's right,
4 that's right.

5 CHAIRMAN ZIEMER: -- or the 15?

6 DR. ANIGSTEIN: I'm actually more
7 comfortable with that.

8 CHAIRMAN ZIEMER: Yes, thank you.
9 I didn't gather that from your write-up. But
10 that's what you appear to be saying in your
11 presentation.

12 DR. ANIGSTEIN: And this is, by
13 the way, this presentation is similar to the
14 one from the Santa Fe meeting, if we can
15 remember that far back.

16 CHAIRMAN ZIEMER: Let me see if
17 there are some other questions here, Wanda?

18 MEMBER MUNN: No, I don't, I
19 haven't been able to formulate a real
20 question. I guess I am a little skeptical
21 about using those upper regulatory limits
22 based on the information that we have with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 respect to actual exposure.

2 DR. ANIGSTEIN: Wanda, I am having
3 trouble hearing you. Could you speak into the
4 microphone?

5 MEMBER MUNN: I guess I'm a little
6 segregated from a microphone.

7 DR. ANIGSTEIN: That's better.

8 MEMBER MUNN: We are looking for
9 the right microphone. Bob, I just said that
10 although I haven't been able to articulate
11 very well why I'm uncomfortable with it, I
12 remain a little uncomfortable about using the
13 regulatory limits, the upper regulatory limits
14 as a reasonable bounding dose. It just seems
15 to me the information that I believe I've read
16 consistently says nobody came close to those
17 limits.

18 DR. ANIGSTEIN: That's not
19 correct. It simply said nobody exceeded the
20 limit.

21 MEMBER MUNN: Yes.

22 DR. NETON: Bob, this is Jim. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reading from your bullet on Slide 6 that says,
2 part of the quote from the facility in their
3 license applications. They were never
4 exceeding an average under 25 percent.

5 DR. ANIGSTEIN: They were never
6 exceeded, right. So that nobody exceeded --

7 DR. NETON: Right, but they
8 averaged under 25 percent.

9 DR. ANIGSTEIN: That's right. But
10 again, my, our position is we're not
11 compensating the average worker. We're making
12 a compensation decision on an individual
13 worker who might have been at the high end.

14 MR. ALLEN: This is Dave Allen.
15 And I took that, I am not trying to compensate
16 the average worker or whatever at about the
17 same. But the document itself says the
18 average is less than 25 percent, which to me
19 implies someone may have exceeded 25 percent.
20 It says no one ever exceeded the maximum.

21 So I'm really looking at that
22 entire statement as a range. And the range is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three to 12.

2 DR. ANIGSTEIN: I disagree with
3 that. I mean, that's a matter of
4 interpretation. It says, let's just look at
5 the whole, the previous sentence. The
6 exposure limits published by AEC were
7 followed. Limits mean that you can't get more
8 than 12 or 15. Those are the limits that were
9 followed. They were never exceeded, period.

10 And then this is a second
11 statement and by the way, I'm throwing that
12 in, they averaged under 25 percent. But that
13 doesn't mean that everyone got 25 percent or
14 less.

15 MR. ALLEN: Right, so I think that
16 means the maximum exposed guy you could say
17 got more than 25 percent, but less than 100
18 percent.

19 DR. ANIGSTEIN: Well, never
20 exceeded means they could have equaled.
21 That's the way I interpret this.
22 Mathematically that's how I interpret it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Okay. So three to 12
2 rem inclusive.

3 DR. ANIGSTEIN: I say that never
4 exceeded means it could have been as high as
5 12 or 15.

6 CHAIRMAN ZIEMER: Dave was asking
7 you if the range then is three to 12.

8 DR. ANIGSTEIN: Well, there's a
9 range.

10 CHAIRMAN ZIEMER: Yes.

11 DR. ANIGSTEIN: But again, it's
12 the, I mean our position is that it should be
13 the maximum.

14 CHAIRMAN ZIEMER: Okay,
15 understood. Other questions here or comments?
16 Now, so on the radium era where your
17 calculational value, the 9.69 --

18 DR. ANIGSTEIN: Right.

19 CHAIRMAN ZIEMER: -- you would say
20 well, rather than that you would use either 12
21 or 15 depending on which year it was?

22 DR. ANIGSTEIN: Correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: I'm just trying
2 to understand this. And then for the cobalt
3 era you would actually use the model values.

4 DR. ANIGSTEIN: Because that's all
5 we have, yes. That's --

6 CHAIRMAN ZIEMER: And so in the
7 cobalt era your maximum is a 9.2 for the
8 layout man. Is that right?

9 DR. ANIGSTEIN: Yes.

10 CHAIRMAN ZIEMER: Okay.

11 DR. ANIGSTEIN: That's the layout
12 man era. So there was a slight -- I don't
13 know. I have no problem if NIOSH wants to keep
14 it simple.

15 CHAIRMAN ZIEMER: No, I
16 understand. I just wanted to see what your
17 bottom line was here. And then for example,
18 if it were a skin cancer, then you would
19 assign the whole body dose value to the skin
20 and you add in the beta, I guess, right?

21 DR. ANIGSTEIN: Yes.

22 CHAIRMAN ZIEMER: Right. And then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in all of these you would add in the neutron,
2 although it's pretty small, but technically
3 would be added in, I think, right?

4 MEMBER MUNN: But it's essentially
5 non-existent.

6 CHAIRMAN ZIEMER: No, they have
7 some numbers.

8 MEMBER MUNN: It's there. Yes, I
9 know.

10 CHAIRMAN ZIEMER: NIOSH would add
11 it in.

12 MR. ALLEN: It's in the three
13 digits to millirem.

14 CHAIRMAN ZIEMER: Yes, but it
15 still gets added in.

16 DR. ANIGSTEIN: Well, the neutron
17 -- well, you don't just add the highest
18 neutron. It was, if the betatron, if for some
19 reason the dose reconstruction was such that
20 the neutron dose was important, and I can't
21 imagine that would be the case. But then
22 again, I'm not deeply involved in that, there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 should be added a dose of the betatron
2 operator.

3 However, there is a different
4 neutron dose to the betatron operator and to
5 the layout man. So whichever, again, is the
6 more limiting for an individual dose
7 reconstruction should be used. My guess is it
8 would be the layout man. Even though the
9 neutron dose is lower, the photon dose is much
10 higher.

11 MEMBER POSTON: Paul?

12 CHAIRMAN ZIEMER: Yes.

13 MEMBER POSTON: This is John
14 Poston.

15 CHAIRMAN ZIEMER: Hi, John.

16 MEMBER POSTON: I was waiting for
17 a time to break in. Just got back from class.

18 CHAIRMAN ZIEMER: Okay, welcome.

19 MR. KATZ: Welcome back, John.

20 CHAIRMAN ZIEMER: Did you have
21 some comments at this point? I don't know
22 when you came in on this, John.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER POSTON: No, I've only
2 heard the last five minutes. I just got out
3 of class. And I wanted to let you know I was
4 on the line.

5 CHAIRMAN ZIEMER: Thank you.
6 Okay.

7 DR. MAKHIJANI: Hi, this is Arjun.
8 Since Dr. Poston introduced himself, I
9 remembered that I am conflicted for Simonds
10 Saw, and I'll be signing off at that time.

11 CHAIRMAN ZIEMER: Yes, thank you.
12 Okay. Any other comments here or questions
13 in the room? Okay. I want to give the
14 petitioners a chance to comment and ask
15 questions as well. Dan, let me ask you if you
16 wanted to start with any comments or questions
17 at this point. And I'm not -- you may be on
18 mute. I'm not hearing any.

19 DR. MCKEEL: Can you hear me now?

20 CHAIRMAN ZIEMER: Yes, there you
21 go.

22 DR. MCKEEL: Okay. Well, I thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you very much for giving me an opportunity to
2 comment this morning. I'm going to try to
3 cover a few things that were just said during
4 the meeting. My general comment is that in my
5 opinion, the full Board needed to have both of
6 these papers by Allen and SC&A which were
7 delivered in February before they voted on
8 December the 11th to deny the GSI SEC.

9 It seems to me that these two
10 papers show that both DCAS and SC&A are still
11 far apart in their dose estimates and the dose
12 estimates that they give in the tables differ
13 by two to tenfold. And it seems to me that
14 although there has been some attempt at
15 resolving those differences, that really
16 hasn't happened so far in the meeting.

17 The SEC 105 deliberations are
18 over, except for the administrative appeal and
19 now the main Work Group task is to resolve all
20 the TBD-6000 Appendix BB remaining issues.
21 And I guess that ought to be related back to
22 the latest Appendix BB issues matrix which --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the one I have is from November the 26th. And
2 on that basis then, and this discussion I
3 presume, Rev 0 of Appendix BB from June of
4 2007 can be revised.

5 One thing that has never been
6 mentioned in this Work Group or by the full
7 Board, by anybody, and is very important
8 apropos today's Appendix B revision
9 discussion, is that NIOSH needs to acknowledge
10 that the GSI operational period has now been
11 extended by both DOE and DOL to include the
12 October 1 through December 31, 1952, period.
13 And that has happened.

14 I don't know exactly when it
15 happened. But I believe it happened some time
16 during January because the DOE facilities
17 database is so modified to indicate that fact.

18 I will say about the two papers that we're
19 talking about today, neither one of them
20 directly address the assigned doses in
21 Appendix B, Rev 0.

22 And neither one of them really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mention anything about the SC&A 2008
2 calculations and compare them with the 2012,
3 2013 doses. And it seems to me this is a huge
4 oversight and really is irresponsible. The
5 two latest 2013 papers do not reflect the fact
6 that earlier estimates gave radiographers a
7 tenfold higher dose than other workers,
8 whereas the reverse ratio was a result of
9 calculations four years later.

10 That's what we're talking about
11 today. The layout men, the layout workers,
12 all other workers in the plant are now
13 assigned a higher dose than the betatron, the
14 radiographers. The full Board on 12/11 was
15 not told how this magical reversal of betatron
16 non radiographer doses ratio took place
17 between Appendix BB and the 2008 computer
18 models and the 2012/2013 dose calculations and
19 models.

20 Mr. Allen's 12/11 assertion that
21 GSI non radiographers routinely got credit for
22 the highest dose scenario was inaccurate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Under Appendix BB, Rev 0, which all but four
2 early GSI dose reconstructions have relied
3 upon, radiographers got the highest dose
4 whereas now both NIOSH and SC&A have flip
5 flopped and conclude the reverse is true.

6 So layout men are assigned a much
7 higher dose and betatron isotope radiographers
8 using exactly the same computer models, but
9 this time they factored in an occult film
10 badge normalization process that really
11 neither Dave Allen nor SC&A has described
12 adequately enough to be properly evaluated by
13 anyone, including me.

14 What precisely was the process of
15 film badge normalization? I hope that could
16 be discussed. We know that non-radiographers
17 often get assigned the Appendix BB, Rev 0
18 lower dose level, contradicting what Mr. Allen
19 told the full Board in December.

20 Anyway, Dave Allen points out in
21 his latest paper that NIOSH did not estimate
22 the radium-226 doses in the radiography room

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in Building 6, relying instead on SC&A's dose
2 estimates. And he explained why. And we have
3 said all along that the block building used
4 for radiography in Building 6 had existed well
5 before 1962.

6 And I think we finally convinced
7 the Work Group that was true. My problem when
8 only SC&A calculates a dose in the radiography
9 room, then who will perform the validity check
10 on SC&A's methods? Would DCAS be evaluating
11 SC&A science? Conversely, SC&A did not
12 estimate doses for radium-226 and check DCAS'
13 dose estimates outside the Building 6
14 radiography facility.

15 Both cobalt-60, radium-226
16 sources, both the cobalt-60 and the radium-226
17 sources, were used outside the Building 6
18 block building used for radiography according
19 to one worker, [identifying information
20 redacted]. And sometimes those exposures were
21 unattended, according to the same GSI worker
22 supervisor.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I believe that it was very
2 misleading of Mr. Allen to imply on 12/11 to
3 the Board that being awarded an SEC would be a
4 bad thing for GSI claimants. That statement
5 is false. And I will transmit after this
6 meeting to the Board a brief analysis that
7 I've done comparing 11 sites that shows quite
8 clearly that if you have an SEC, these are all
9 AWE sites, and that if you have an SEC you get
10 paid higher percentages under Part B.

11 My analysis shows that at the SEC
12 sites, 52.43 percent of the claims were paid.

13 Those other than SEC, 26.43 percent of the
14 claims were paid, that is, compensated. And
15 even when you look at the completed dose
16 reconstructions for the non-SEC sites they
17 were lower, 26.2 percent then were the
18 percentage of dose reconstructions paid at the
19 SEC sites, which were 39.3 percent.

20 I also want to comment that there
21 has been much made of tying the present dose
22 bounds to the AEC regulatory limits in 1954

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and 1955. But I have not seen the source
2 citation for that information. And I think
3 that ought to be put into the record. And it
4 certainly ought to be documented clearly in
5 Appendix BB, Rev 1.

6 Early in the process of getting
7 GSI film badges, the folks at Landauer kindly
8 sent me, Emily Quirke and Chris Passmore,
9 kindly sent me their version of what the
10 regulatory limits were during the period that
11 Landauer was issuing their film badges to GSI.
12 And as I remember that data it was somewhat
13 different from the regulatory limits we're
14 mentioning right now. So I think that point
15 ought to be made very, very clear in the
16 revised Appendix BB.

17 Also although Paul mentioned that
18 there would be discussion about the residual
19 period doses, there was absolutely no
20 discussion, has been no discussion in the two
21 latest papers or today that TIB-70 does not
22 include the cyclical pattern of usage that GSI

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 buildings in the uranium path got because
2 there was numerous resurfacing of those
3 facilities by multiple commercial entities
4 during the residual period from mid-1966
5 through 1992.

6 So I think that NIOSH plans to use
7 TIB-70. But the exact intake model that NIOSH
8 will use for dose reconstructions has not been
9 spelled out clearly. Also, doses in neither
10 of these papers, bounding doses I'm talking
11 about, have been assigned for the two GSI 250
12 kVp portable X-ray machines or for the GSI-
13 owned iridium-192 source that six workers say
14 that GSI owned in either of the latest two
15 papers.

16 Then I wanted to mention that two
17 emails, which I will soon put into the record
18 in the administrative appeal, that are very
19 disturbing to me. And they've both been
20 posted in recent ANWAG blogs on the ECAP Board
21 website.

22 One of those was an email exchange

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in 2010, in which, at DCAS' request, the DFO
2 rank-ordered various sites with pending SECs
3 based on the degree of -- the term used was,
4 "political heat" that might be brought to bear
5 on SEC decisions. And for example, in that
6 email, both Los Alamos and Linde Ceramics
7 received high political heat ratings, whereas
8 GSI received a low rating.

9 And another SEC that I've been
10 involved with, Texas City Chemicals, and
11 several other sites received a quote, "never
12 mind" political heat rating by the DFO. I
13 personally believe this is one reason that GSI
14 SEC 105 has taken so long to adjudicate.

15 The other email thread was even
16 more disturbing. It was related to the issue
17 of using surrogate data at the Hooker
18 Electrochemical site and occurred during late
19 2009. In that email Dave Allen outlined his
20 quote, "throw them a bone" strategy this way.
21 I quote from his e-mail dated 12/19/09, found
22 on Page 4 of the FOIA file that was obtained

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 by the Hooker petitioner and forwarded to
2 ANWAG to be distributed to me.

3 The email is to Timothy Adler.
4 It's from David Allen. The subject is good
5 Hooker reading. And the body of the message
6 is as follows. Quote: "The truth is", this is
7 Dave Allen speaking. "The truth is my intent
8 is to, quote, 'throw-them-a-bone strategy.'
9 Basically give SC&A an obvious point to pick
10 on, so they will. Often they stop once they
11 find one. At that point I walk into a Work
12 Group meeting and agree 100 percent with all
13 their hits and let Work Group Members try to
14 figure out how they're going to make it an SEC
15 when there is total agreement." End quote.

16 I certainly plan to include this
17 information in my SEC 105 appeal. For I
18 believe the same deplorable tactic has been
19 used repeatedly during the deliberations on
20 GSI Appendix BB, the TBD-6000 and during the
21 decision process on SEC 105.

22 Finally, I need to make two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comments about things said today, which are:
2 Dr. Anigstein described correctly that St.
3 Louis Testing used two sources, one cobalt and
4 one iridium-192. And yet, in the Allen paper
5 the dose assigned for St. Louis Testing is
6 just a single dose.

7 They're not separately bounded in
8 Allen or by St. Louis Testing. I don't think
9 we have good separate doses for the St. Louis
10 Testing cobalt and iridium sources. And they
11 need to be entered as separate doses and
12 decided upon actually.

13 And then the final comment is
14 about an illogical set of assumptions that
15 apparently the Work Group, SC&A and Mr. Allen
16 are ready to accept. And that is as follows.

17 A lot of credence has been placed on a
18 statement in a GSI license application to the
19 SEC. This is not a statement by the AEC.
20 This is a statement by GSI management.

21 And it was made in 1963, actually
22 by Gordon McMillan who was the vice president

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and general manager at GSI. And it was Gordon
2 McMillan's application to the AEC that said
3 that people had always been badged for the
4 preceding 20 years and that the dose limits
5 were never exceeded and that the average was
6 around 25 percent.

7 But I need to put on the record
8 again, I think it's ridiculous to accept such
9 a statement, despite what Dr. Anigstein has
10 said about why would GSI management lie. The
11 reason they would lie is because, in fact,
12 there's voluminous testimony that there was
13 essentially no radiation safety program that
14 was effective at GSI up until 1963. It really
15 didn't exist.

16 But here's the illogic that you
17 all seem to accept. Dr. Anigstein says that
18 Nuclear Consulting Corporation, Dr. Connaker's
19 organization, who came in and helped GSI
20 prepare their license exam in 1962, Dr.
21 Anigstein says that in May of 1962, NCC
22 furnished film badges to GSI.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now why would they have to do that
2 if in fact, as Gordon McMillan said, people
3 had always been badged at GSI for the last 20
4 years? The other illogic that I think you all
5 have really, I don't know a better word but
6 accepted sort of uncritically, is the fact
7 that nobody has ever produced the actual film
8 badge records. Nobody has ever produced whose
9 badges they actually were.

10 Dr. Anigstein admitted this
11 morning, I think he's correct, that nobody's
12 ever actually identified where NCC badges
13 presumably given to GSI workers, where they
14 came from. So I'm suggesting that unless and
15 until those actual film badge records are
16 obtained, that you have nothing in that but a
17 statement from a GSI management person who has
18 a clear-cut financial interest and business
19 interest in having this license approved, who
20 had essentially no safety program that anyone
21 has documented, radiation safety program.

22 Now GSI had safety programs for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other aspects, like who got hit in the eye by
2 hot particles from the molten steel and so
3 forth. But I'm talking about a radiation
4 safety program. It really didn't exist
5 effectively before 1963.

6 And I think that information on
7 which a lot has been based is really too bad.

8 And the final comment I have is: I want to
9 underscore what Dr. Anigstein said. Not only
10 he, but the petitioners were strongly under
11 the impression that David Allen was saying to
12 the Board on December 11, 2012, that all
13 workers at GSI would be assigned the high
14 doses of, you know, 15 rem per year.

15 And I didn't think that was true
16 that day. I don't think it's true now. And I
17 think that's a very, very serious thing that's
18 happened. And the final comment is: this will
19 be a major element of my appeal. I think that
20 was a mistake for the Board to allow that sort
21 of a statement to be made knowing that it was
22 inaccurate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And I think it was very wrong of
2 Mr. Allen to even imply that to the full
3 Board. I think it was very misleading. So
4 that's my comment for today. Thank you very
5 much.

6 And I hope the Appendix BB matrix
7 issues are not glossed through but are
8 considered in detail apart from this
9 discussion and resolved and closed. And I
10 hope it happens today. Thank you very much.

11 CHAIRMAN ZIEMER: Okay, thank you,
12 Dan, for those comments. I want to give also
13 Ms. Jeske an opportunity to comment or add to
14 this discussion if she wishes.

15 MS. JESKE: Yes, I agree with Dan
16 on -- the voters were looking for a
17 clarification that day, and Mr. Allen
18 misleading them with the 12 to 15 rem was
19 crucial, I would think, in the vote. I may be
20 wrong, but I think it may have swayed some of
21 them, the Board Members, and of course that
22 will be part of our appeal when that time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comes.

2 I would like some clarification on
3 this. And I'm a little concerned too, I
4 guess, about so much emphasis being put on one
5 part-time radiographer, how accurate maybe
6 that would be for the Appendix BB revision.
7 Just concerns that I have and of course we'll
8 attach those to the appeal when the time
9 comes.

10 And the overtime for the part-time
11 radiographer, just a little clarification
12 there. Is that supposed to be in the revision
13 given to all the employees, that assumption
14 that they all worked that same amount of
15 overtime or is -- I mean, how is that going to
16 apply?

17 CHAIRMAN ZIEMER: I'll answer that
18 in part, and maybe Dr. Anigstein can add to
19 it. But I think he was using that to estimate
20 his number for that particular worker, which I
21 think he ended up saying he used something
22 like 80 percent of that. But we already have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a change in Appendix BB which has to show up
2 yet, which will affect everybody, and that is
3 that rather than the 40-hour work week there
4 is a higher number, which -- I forget what it
5 is right off the top of my head.

6 That was agreed to some time ago,
7 but it hasn't shown up because Appendix B's
8 revision has not yet occurred. But all past
9 dose reconstructions will include that, as far
10 as I know, a higher work week value.

11 MR. ALLEN: Sixty-five hours a
12 week.

13 CHAIRMAN ZIEMER: Sixty-five hours
14 a week. So everyone, I believe, will get that
15 benefit. That will be the value that will be
16 in Appendix BB.

17 MS. JESKE: Okay.

18 CHAIRMAN ZIEMER: Okay. Thank you
19 very much. I think we're going to take a
20 comfort break right now for 15 minutes and
21 then we'll return.

22 MR. RAMSPOTT: Dr. Ziemer?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Yes.

2 MR. RAMSPOTT: John Ramspott.

3 CHAIRMAN ZIEMER: Hi, John.

4 MR. RAMSPOTT: Could I make a
5 comment after your comfort break?

6 CHAIRMAN ZIEMER: Oh, you bet.

7 MR. RAMSPOTT: Thank you.

8 MR. KATZ: Okay. So 15 minutes.
9 Five of we'll resume.

10 (Whereupon, the above-entitled
11 matter went off the record at 10:41 a.m. and
12 resumed at 10:56 a.m.)

13 MR. KATZ: Okay, we are ready to
14 reconvene.

15 CHAIRMAN ZIEMER: We're back in
16 session. We're going to hear from Mr.
17 Ramspott next.

18 MR. RAMSPOTT: Dr. Ziemer, can you
19 hear me clearly?

20 CHAIRMAN ZIEMER: Yes, go ahead,
21 John.

22 MR. RAMSPOTT: I appreciate the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opportunity as well. And Dr. McKeel has
2 touched on a number of the items I was going
3 to talk about, so I won't beat a dead horse.
4 But I'd like to bring to attention just a
5 couple things here.

6 First off, I wanted to thank the
7 entire Board for taking the vote and making
8 the vote as close as it was. And I thank
9 those who I feel did the right thing. But I
10 too, one of the first things I looked at were
11 these two reports from SC&A and NIOSH. And
12 it's really, really unexplainable why the full
13 Board was not given privy to these two reports
14 before they took a vote because, like others,
15 I also felt very misled.

16 And I can't speak for the Board
17 Members as the information that Dave Allen had
18 given to the Board about the doses that were
19 going to be granted. I thought maybe it was
20 just my own personal opinion.

21 But I went back and I've reread
22 the transcript of the meeting about ten times

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 now. And I clearly see exactly what was
2 trying to be sold at the time to the Board and
3 it's not what's in the current White Paper.

4 I'm going to come to something
5 everybody seems to be basing a lot of
6 information on today. And a lot of the SEC
7 issues really are dose reconstruction issues,
8 they're the same thing.

9 And one of them is there appears,
10 and maybe you guys can tell me I'm wrong, but
11 it appears the layout man is the one that got
12 the highest dose according to everybody's
13 current models. Is that correct?

14 MR. ALLEN: I think what it is, is
15 the layout man is the limiting case once the
16 new betatron building is built.

17 MR. RAMSPOTT: Okay. Thanks,
18 Dave, because that's one of the things I
19 wanted to point out. Everybody seems to be
20 missing the point. There were a lot of
21 workers outside that old betatron building. I
22 mean, we've had workers tell you that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 railroad guys, chainmen, yard guys.

2 That old betatron had activity
3 outside it too, inspectors, people had to go
4 there, wait to get in when the shots were done
5 and there was just current, everyday activity.

6 There were people replacing railroad ties.
7 We've presented pictures that clearly show
8 that old betatron is not way out by itself.
9 If you look you see all kinds of activity
10 going right outside that door.

11 CHAIRMAN ZIEMER: John, you
12 understand that although they're labeled
13 layout men here that those doses would be
14 assigned to everybody.

15 MR. RAMSPOTT: You know, I do and
16 that's the next fault. Thank you, because
17 that's my next point. We're basing, we're
18 saying a layout guy apparently got the
19 greatest dose and we've got a GSI letter to
20 the Atomic Energy Commission with their
21 application and Dr. McKeel's totally correct,
22 that's not from the AEC saying they never

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exceeded.

2 It's GSI management saying they
3 never exceeded, actually from an individual
4 that was there for a pretty short period of
5 time. He's not one of the guys that was right
6 on the betatrons all those years. But I think
7 everybody's missing the point.

8 If those people -- now everybody
9 agrees the layout people got the most dose and
10 no one ever exceeded, those layout people
11 never wore a badge in their entire time. So
12 how can anybody at GSI know whether those
13 people ever exceeded an AEC limit? They
14 can't. That whole theory is fallacious.

15 You're basing something on a GSI
16 letter on something that's totally implausible
17 and unscientific. They never took a badge
18 reading from a layout man ever. The only
19 readings they got over that whole period of
20 time, the 13 years of the contract, were from
21 a few betatron people.

22 And yet you're using that "never

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exceeded" as your guideline. How can you say
2 they never exceeded when you don't know what
3 the layout guy ever got? There never was a
4 badge. There never was a control badge.
5 Everything, all the badges were held in the
6 betatron buildings. They were never worn out
7 there.

8 We've heard that testimony from
9 people over and over and over. That whole
10 theory which it seems like both these reports
11 are based on, doesn't work. It's not valid.
12 Now, you know, somebody could correct me on
13 that and say, well, the guy out there wore a
14 badge.

15 I may have missed the boat, but I
16 don't think so. I've talked to too many
17 people. I mean, is there a comment on that,
18 because I seem to say things, but I never get
19 comments back?

20 CHAIRMAN ZIEMER: Okay, here is a
21 comment. Here's Dave Allen with a comment.

22 MR. RAMSPOTT: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: John, this is Dave
2 Allen. That whole statement about the AEC
3 limits was only used as a check or, well, I'd
4 call it a debate. But I think Bob wants to
5 use that as our dose estimate and we want to
6 use that as a check on the model.

7 But it's only for the
8 radiographers in the radium era. It wasn't,
9 it didn't affect the layout man dose at all.
10 It wasn't part of that argument or that
11 discussion.

12 MR. RAMSPOTT: Yes, but the whole
13 thing, it sounds like the whole thing -- no,
14 Dave, I disagree. It sounds like the entire
15 program is being based on never going over
16 that limit.

17 MR. ALLEN: No, that was just a
18 statement in the application for the license
19 in 1962 that the radiographers used radium
20 prior to that and none of them ever exceeded
21 the limit.

22 MR. RAMSPOTT: Well how can you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 say that?

2 DR. ANIGSTEIN: The radium -- this
3 is Bob. The radium, all of the radiographers
4 wore badges whether they were in the betatron
5 room or whether they were the so-called
6 isotope, what they called isotope operators
7 who might have been in Building 6, they still
8 wore badges.

9 I agree that the layout man did
10 not wear a badge. The workers in the
11 finishing buildings didn't wear badges because
12 they were doing repair work on the castings
13 and there were -- the management was afraid
14 that the badges might get damaged with the
15 sparks flying from the steel.

16 However, there is consensus that
17 the worker whom I interviewed said he always,
18 with the radium radiography said he always
19 wore a badge.

20 MR. RAMSPOTT: The radiographer
21 may have worn a badge. But you're also
22 ignoring the fact that the radiographer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everybody seems to want to talk about is the
2 guy that's in the little 6 Building.
3 Everybody seems to ignore [identifying
4 information redacted] who did both radium,
5 cobalt and betatron work from time to time.

6 He said those sources and both
7 sources, not just cobalt but radium, were used
8 out in the plant. If everybody recalls, a
9 plumb-bob which contained radium was stolen in
10 10 Building, which brings me to another point.

11 DR. ANIGSTEIN: I hate to say that
12 there is no consistency on that plumb-bob.
13 The stories including the gentleman whom you
14 just mentioned, his story is completely
15 inconsistent with that of other people that I
16 interviewed.

17 MR. RAMSPOTT: I've talked to ten
18 different guys that maybe they weren't there,
19 but they've heard that exact story, one of
20 them being the manager of the betatron
21 building who said they thought the source
22 actually got ground up and went into the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 silica sand.

2 DR. ANIGSTEIN: I was there when
3 he said that.

4 MR. RAMSPOTT: Okay. So there's
5 another source.

6 DR. ANIGSTEIN: That was, I'm just
7 saying [identifying information redacted]
8 account was at variance with the others. He
9 told me that somebody walked off with the
10 source, threw it in the back of his car, threw
11 it over by the railroad tracks, completely
12 different story.

13 CHAIRMAN ZIEMER: Look, guys, I am
14 going to interrupt this discussion. We've
15 talked about that incident over and over
16 again. And we've agreed that if somebody
17 made, if a claimant says I was the one who
18 took that, that would be reconstructed
19 specifically for that individual. We've done
20 this before on incidents. That's not part of
21 this dose reconstruction.

22 MR. RAMSPOTT: Okay, Paul, I'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 back off of that. I guess I'll go back to my
2 main subject.

3 CHAIRMAN ZIEMER: Yes, if there
4 was a person who said you know what, when I
5 was there, I'm the guy that took the source
6 that would be handled differently. So that's
7 not part of this.

8 MR. RAMSPOTT: Okay. My main
9 point is: we're basing everything on people
10 who never wore badges. And I find that kind
11 of unusual. The other topic that I think was
12 being missed for dose reconstruction, which is
13 what we're after today, let's talk about the
14 layout man.

15 He's working on an activated
16 casting. He's also getting hit with what's
17 coming down that tunnel from the betatron and
18 we know radiography was done in 10 Building
19 from other people, you know, with the --
20 whether it was a plumb-bob or it was a cobalt
21 device camera, there's three sources that
22 layout man really should be subject to.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 At the same time, everybody says
2 he can't get -- actually I heard Bob say this
3 morning correctly, he had an activated casting
4 and he was catching something coming down the
5 tracks. But there was also a radioactive
6 source out there besides those two, according
7 to all other accounts.

8 DR. ANIGSTEIN: We are already,
9 John, already doing the absolute maximum
10 exposure he could have had. To say that he
11 was in the worst possible position, I'll admit
12 this is highly limiting to say that he was in
13 the worst possible position with the betatron
14 being operated in the worst possible position,
15 this is already an extreme limit.

16 To say then, oh, and by the way
17 someone was right next to him using radium.
18 That's just not plausible. That's just too
19 much, you know, I mean, you can just pile one
20 implausible scenario on top of another
21 implausible scenario and it gets to the point
22 where it just, it passes the credulity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. RAMSPOTT: If you say all
2 three add up to that, I take your word, sir.

3 DR. ANIGSTEIN: I mean, he may
4 have had one or the other. To say all at once
5 is just too unlikely.

6 MR. RAMSPOTT: At least two anyway
7 are very likely.

8 CHAIRMAN ZIEMER: Any other
9 comments, John?

10 MR. RAMSPOTT: No, I think for
11 right now that's it. I appreciate your time.

12 CHAIRMAN ZIEMER: Okay. Thank
13 you. Okay.

14 DR. ANIGSTEIN: And I would like
15 to make a couple of responses.

16 CHAIRMAN ZIEMER: Okay. Go ahead,
17 Bob.

18 DR. ANIGSTEIN: As far as John's
19 comment --

20 CHAIRMAN ZIEMER: Speak into your
21 phone, or your mic.

22 DR. ANIGSTEIN: The presentation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that was just done on my behalf by Bob Barton
2 was basically, I took -- that briefing was
3 essentially copied, not exactly because I made
4 some changes, from the briefing that was
5 presented to the Board at the meeting in Santa
6 Fe back in June. So the Board had certainly
7 gotten this information.

8 From the SC&A standpoint, this
9 last report is just -- there's nothing really
10 new. It just confirms and affirms our
11 position and what we did before. But all of
12 this had been presented to the Work Group and
13 was presumably available to the Board at
14 earlier times. So it's not, this is not brand
15 new information that is just being brought out
16 now after the SEC vote. That's one thing.

17 And I also have some rather strong
18 objections to comments made by Dr. McKeel. I
19 don't think Dr. McKeel, I don't think it's
20 appropriate him for to be using the term
21 irresponsible. That's completely out of line.

22 And I don't want to get into who's being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 irresponsible here.

2 As far as saying it's
3 irresponsible that we did not explain the
4 difference, yes, in 2008, we did the initial
5 analysis. We had limited information on the
6 betatron building. The only information that
7 we had was from the FUSRAP report, which was
8 not designed to give you an accurate drawing
9 of it. Their only interest was looking for
10 uranium contamination. And they gave an
11 outline drawing to show where they sampled for
12 uranium.

13 The most important thing is why
14 did the dose to the betatron operator change
15 drastically? Because we got the film badges
16 since then, thanks to Dr. McKeel who helped
17 track them down. As he knows very well, he
18 got, we got the film badges subsequent to that
19 2008 analysis, whether it was started in 2007,
20 our report came out in 2008.

21 We got that, those film badges
22 subsequent to that. He knows perfectly well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that that happened. And it's totally reckless
2 to say we were irresponsible for not
3 explaining the difference. I take great
4 offense at that.

5 And as far as the normalization to
6 the film badges, that was something that was
7 done by NIOSH. This is not something that was
8 done by SC&A. We don't accept that. So I
9 don't have to explain that because we didn't
10 do that.

11 As far as the -- minor points --
12 as far as the sources, the fact that St. Louis
13 Testing used both cobalt-60 and iridium-192,
14 first of all they used the iridium-192 rather
15 infrequently. And second of all, it makes no
16 difference what the source is because the
17 exposure assessment, the exposure estimate was
18 simply based on someone standing at the
19 boundary which was laid out at 2 mR per hour.

20 So 2 mR is 2mR whether it's from
21 cobalt or from iridium. The conversion from
22 the photon flux and the exposure to actual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 dose is slightly different. But we weren't
2 talking about it. We were just talking about
3 the exposure rate. So that is not a valid
4 point whatsoever.

5 And as far as the film badges
6 being supplied by the Nuclear Consulting
7 Corporation, I believe it is, there's nothing
8 illogical about that. They were brought in as
9 their radiation safety consultant. Here was a
10 highly reputable, highly recognized, unusual
11 credentials at that time certainly, of
12 somebody with a PhD in nuclear physics who was
13 also a certified health physicist. And he was
14 brought in.

15 And so it's not illogical that he
16 would be asked to supply the film badges as
17 opposed to whoever had supplied the film
18 badges before. Previously, they had film
19 badges. It seems to be quite clear. They
20 would simply be sent in by some outside vendor
21 and distributed among the workers.

22 And here Dr. Connaker became the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 middle man and handled the badges and reviewed
2 the results. So that was simply, I'm sure
3 he's probably a package deal where he did,
4 they did the radiation protection survey to
5 see whether there was adequate shielding.
6 They handled the film badges.

7 They calibrated, they even
8 calibrated the sources, those 260, 280
9 millicurie cobalt-60 sources were purchased
10 from a purveyor of the sources. But they were
11 calibrated and certified by Dr. Connaker, who
12 also --

13 CHAIRMAN ZIEMER: I'm going to
14 interrupt, Bob. I think we're rehashing old
15 ground that we've gone over many times.

16 DR. ANIGSTEIN: All right.

17 CHAIRMAN ZIEMER: What we need now
18 is to focus on these two, we have the NIOSH
19 summaries where they've compared what they did
20 and what SC&A did and tried to identify some
21 areas where we haven't come to total agreement
22 on what assumptions should be made. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what we'd like to do now is try to close some
2 of these uncertainties to the extent that it's
3 possible.

4 And so let me go back, Dave, I'd
5 like -- Dave Allen, I'd like you to kind of
6 take the lead on this and let's go through the
7 issues individually that we need to, where
8 there's a difference in what you are
9 recommending versus what SC&A is recommending.

10 And let's see if we can get some consensus
11 with the Work Group here on those so.

12 MR. ALLEN: Okay. I've got it in
13 essentially three categories here. And the
14 first one is not on that White Paper, it came
15 up after. And that is the time line. And I'm
16 gathering Bob doesn't have much difficulty
17 with what I was proposing earlier in the
18 meeting.

19 And I'd like to get the Work
20 Group's take on that. But my opinion the
21 cobalt-60 sources were purchased in May 21,
22 1962, and that was what Bob was using as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 start of a different era when cobalt sources
2 were used. I think they were -- the time line
3 I had they were purchased in. But I don't
4 think I can actually guarantee they were put
5 into service at that point.

6 CHAIRMAN ZIEMER: Actually, I
7 think we found a purchase order dated May 5th
8 in the original documents that Dr. McKeel had.

9 But I think the 21st was possibly a shipping
10 date. I don't recall. Maybe Bob Anigstein --

11 DR. ANIGSTEIN: I believe that
12 there was a statement in one of the AEC
13 documents that said that's when they were
14 acquired. I mean that's when they actually --

15 CHAIRMAN ZIEMER: Actually came on
16 site.

17 DR. ANIGSTEIN: -- came, you know,
18 arrived on site. So whether -- now I have by
19 the way, I meant to, I didn't get a chance to
20 break in with this comment earlier. I had no
21 problem if NIOSH wants to use round numbers
22 and say, okay, all of '62 is the radium era

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and '63 on is the new betatron era. That's
2 fine.

3 Earlier, I had assumed they were
4 doing that and then later on I got the
5 impression they were going to get down to
6 precise dates. So either way is fine. If
7 they want to just make it simple and make it a
8 whole year, everybody working there gets a
9 limiting dose for that year, I have no
10 problems with that. It's simple, it's somewhat
11 claimant-favorable. It's not terribly
12 exaggerated. So either way is fine.

13 CHAIRMAN ZIEMER: It's a little
14 more claimant-favorable to assume that the
15 radium continued through the end of the year
16 even though --

17 DR. ANIGSTEIN: Yes.

18 CHAIRMAN ZIEMER: -- a little
19 transition period.

20 MR. ALLEN: I mean, the other
21 piece of information there was the radiography
22 room with the cobalt sources exposed was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surveyed June 24th of '62. But then in June
2 and July, they modified the shielding and
3 resurveyed it again August 1st. There's a
4 real chance they were modifying things,
5 getting everything in position before they
6 actually started utilizing them routinely.

7 So I would like, as Bob said,
8 round it off to the end of '62 for the radium
9 era. That is slightly favorable and I don't
10 know if we have a really good, solid date on
11 exactly when they started using.

12 CHAIRMAN ZIEMER: The stop and
13 start. Other Members of the Work Group, can
14 we agree to identify the radium period as
15 going through to the end of '62?

16 MEMBER MUNN: Yes, if you give the
17 situation a little reality check. What do we
18 really know about how things really operate in
19 production facilities? To my knowledge,
20 nothing just comes in and is immediately done.

21 So there's always an enormous and
22 usually fairly long changeover period. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appears to me that from May to the end of the
2 year for 1962 would be a reasonable time to
3 assume that you still had the original
4 exposure.

5 CHAIRMAN ZIEMER: Okay. John
6 Poston, are you on the line?

7 MEMBER POSTON: Yes, I'm here.

8 CHAIRMAN ZIEMER: Are you okay
9 with that also?

10 MEMBER POSTON: Yes, sir.

11 CHAIRMAN ZIEMER: Okay. Then
12 we'll agree that would be the transition date
13 then that you would, in terms of your dose
14 reconstruction, you would continue the radium
15 assignments through the end of the year, 1962.

16 MR. ALLEN: And I was going to
17 pick up the limiting of the later years,
18 January 1st of '63, which would end up being
19 the layout man. Again, we know that's a
20 little early on that. But as Bob called it
21 rounding off to the full year. As long as
22 nobody has any objection to that, that's the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way I would like to do it.

2 MEMBER MUNN: None here.

3 CHAIRMAN ZIEMER: Let me just
4 raise this question. I wasn't aware until the
5 petitioner identified, I guess the Department
6 of Labor has added the early year to this
7 whole thing. That has apparently occurred in
8 the last few weeks, I gather.

9 DR. NETON: I don't recall when.

10 MR. ALLEN: It's been more than
11 that. It's been the last few months I think.

12 CHAIRMAN ZIEMER: Does that
13 automatically get added in and does that get
14 added to the --

15 MR. ALLEN: Yes, they extended the
16 start date from the beginning, from January
17 1st '53 to October 1st of '52.

18 CHAIRMAN ZIEMER: Has that wording
19 automatically been put into the --

20 MEMBER MUNN: Petition?

21 CHAIRMAN ZIEMER: -- petition?

22 MR. ALLEN: The petition, I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know. But it's going to go into the Appendix.

2 The revision of the Appendix will --

3 CHAIRMAN ZIEMER: But there's a
4 petition that because of -- the petition
5 originally started in --

6 DR. NETON: At the start of the
7 covered period.

8 CHAIRMAN ZIEMER: Right. And does
9 that get changed? The material, I assume, is
10 somewhere --

11 DR. NETON: Well, the petition has
12 already been dealt with on the definition that
13 was in place at the time.

14 CHAIRMAN ZIEMER: Right, but we
15 acted in December, and I assume by the time it
16 got to the Secretary the change might have
17 occurred. Well, we can't handle anything like
18 that here.

19 DR. NETON: We will certainly add
20 that extra time frame for the three months or
21 so at the beginning of -- prior to '53.

22 MR. ALLEN: It's certainly going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be added to dose reconstruction, et cetera.

2 CHAIRMAN ZIEMER: So the starting
3 period here is --

4 DR. NETON: The main difference is
5 Department of Labor can start sending cases
6 for people that have employment prior to '53.

7 MEMBER MUNN: Right, earlier.

8 DR. NETON: That's the main
9 difference. And we will reconstruct the doses,
10 because we'll have to go back and look at the
11 dose reconstructions.

12 CHAIRMAN ZIEMER: And there are
13 some that will get some additional assignment.

14 MR. ALLEN: But I think in those
15 situations, if DOL goes back and looks to
16 verify -- because sometimes they will verify
17 employment only from the start of the covered
18 period when there's actually reason to verify
19 earlier.

20 CHAIRMAN ZIEMER: Okay. Thank
21 you. We have agreement on that point. Let's
22 go to the next one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Okay. The next one is
2 the radium era, then, as far as radiographers.
3 Again, I don't think we have agreement with
4 SC&A on this. But I'm looking at the letter
5 or the statement to the AEC from GSI, the 25
6 percent versus 100 percent, saying the maximum
7 person was exposed to between 3 and 12 rem.

8 Then looking at Bob's prorated
9 full year estimate for the part-time
10 radiographer falling between 9 and 20 rem.
11 And then the SC&A model of the radiographer
12 was 9.69 rem. And I know in his statements, I
13 think he made the same statement during the
14 Board meeting. I know he has during the Work
15 Group more than once, that these three
16 independent analyses all kind of point to the
17 same range. And that's been convincing some
18 people for sure. And I don't believe we ought
19 to be using the 12 or the 15 as Bob is
20 recommending. I'm thinking more that 9.69
21 seems to fall right there and be consistent
22 with all three of these.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ZIEMER: You previously
2 had the 3.573 as your -- or no.

3 MR. ALLEN: Yes.

4 CHAIRMAN ZIEMER: Yes, 3.573 as
5 your maximum based on a longer distance, or a
6 longer fish pole, and what else?

7 MR. ALLEN: It was the median
8 point of the range that he said the fish pole
9 -- he would be four to six feet, we used five.

10 CHAIRMAN ZIEMER: He was using
11 four.

12 MR. ALLEN: Bob used four. And it
13 was a --

14 CHAIRMAN ZIEMER: And you used
15 five?

16 MR. ALLEN: Yes.

17 DR. ANIGSTEIN: My objection to
18 that is that I thought of that model based on
19 the radiographer's recollection as sort of a
20 reality check. Once again, we're dealing
21 with, I was talking to one person, a 50-year
22 old recollection. And also perhaps, I'm just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 saying, when you said 12 to 15 seconds, that
2 was his recollection. It could have been
3 longer.

4 Maybe he was very spry and really,
5 you know, was trying to minimize his radiation
6 exposure. Other workers might have been a
7 little less efficient. Maybe they spent, you
8 know, maybe they weren't quite as quick at
9 moving the sources.

10 CHAIRMAN ZIEMER: Yes, understood.

11 DR. ANIGSTEIN: That's why I think
12 this is just a single point, a single data
13 point as a reality check. But I would not
14 rest the dose limit on that. And I know I'm
15 beginning to sound redundant. Whereas the 12
16 and 15, again, these were records which we
17 don't have today. But they did exist at the
18 time.

19 The AEC inspectors could have very
20 easily said, let me see those records that
21 you've been maintaining. They went to the
22 site. This was based -- the statement was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 based -- it was made a couple of times.

2 It was also made by the radiation
3 -- not by the vice president, but by the
4 radiation safety supervisor who had been there
5 as it happened. He started at GSI just about
6 the beginning of the period of covered AEC
7 operations.

8 CHAIRMAN ZIEMER: Bob, we
9 understand that --

10 DR. ANIGSTEIN: More or less,
11 given a year --

12 CHAIRMAN ZIEMER: Bob, we've gone
13 through this. We don't have to repeat this.

14 DR. ANIGSTEIN: All right. It
15 seems like it's not --

16 CHAIRMAN ZIEMER: It's the issue
17 of whether or not to use the model that --

18 DR. ANIGSTEIN: I'm saying there's
19 more than one place that this statement was
20 made. And, okay. I'm sorry if I'm beating a
21 dead horse.

22 CHAIRMAN ZIEMER: No, but the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 issue, it's kind of a conceptual issue of
2 whether to use the --

3 DR. ANIGSTEIN: The maximum.

4 CHAIRMAN ZIEMER: -- the dose
5 limits, which I don't think we've done before
6 ever.

7 DR. NETON: I don't recall --

8 CHAIRMAN ZIEMER: -- versus a
9 model, I mean every place, a lot of places
10 that had dose limits, but we don't necessarily
11 use those as the bounds.

12 MEMBER MUNN: No, there is no
13 evidence to support using those as the bounds.

14 CHAIRMAN ZIEMER: Well, but these
15 numbers are very close to that. You're
16 talking about something that's close to 10 R
17 per year versus 12 in some cases.

18 MEMBER BEACH: I have a question
19 for Dave. Dave, are you talking about using
20 that 9.69 for the entire period, the '52
21 through end of '62?

22 MR. ALLEN: Through end of '62,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 yes.

2 MEMBER BEACH: Okay. Because SCA
3 has thought the dose would be higher in the
4 early couple of years versus --

5 MR. ALLEN: They were basing that
6 on the limit being higher. So the AEC
7 statement was really, when it said no one
8 exceeded a limit, it could have been as high
9 as 15 rem up and through 54 --

10 DR. ANIGSTEIN: Through 54. They
11 changed it to 55.

12 MR. ALLEN: Through 54, and then
13 the limit was three rem per quarter after
14 that, so they changed it to 12 rem per year
15 was the maximum. But there's no information
16 or evidence that anything actually changed as
17 far as procedures or processes or anything
18 like that. So I was -- this is kind of a less
19 than limit is how I was looking it, hitting
20 the twelve.

21 DR. ANIGSTEIN: I thought it was
22 significant that this statement was made, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they said, specifically didn't say, well we've
2 never exceeded the current AEC limit. That
3 would have been slightly more favorable.

4 He very carefully hedged it by
5 saying we never exceeded the then-applicable,
6 the limits applicable for each period. So
7 they were aware that the limits changed. And
8 they very carefully hedged their statement.

9 DR. NETON: Well Bob, that's sort
10 of a general statement. I mean the guy, you
11 know, he didn't maybe have the numbers at his
12 fingertips. He wasn't going to say we've
13 never exceeded nine rem or 10 rem or --

14 DR. ANIGSTEIN: I know. But it
15 seems to me --

16 DR. NETON: You're reading more
17 into it, I think, than is there, to be honest
18 with you.

19 DR. ANIGSTEIN: I know, again,
20 there's more than one way of interpreting it.
21 But it seems like he was very carefully
22 hedging and saying we never exceeded the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 limits applicable at that time.

2 So it would seem to me like they
3 were taking advantage of the more permissive
4 limit at the time and then said, whoops, now
5 we're going down because if they were sending
6 their film badges in to be read the, again, we
7 don't have those records.

8 But the film badge company, such
9 as the equivalent to Landauer in its time,
10 would be keeping the cumulative records and
11 would be sending back a report saying, hey,
12 this guy is close to the limit. But, you
13 know, and they would have warned them. So it
14 seems to be that there would have been some
15 logic behind that, okay. I'm probably going
16 on --

17 CHAIRMAN ZIEMER: Okay, well, I
18 guess we need, I think we need input now from
19 the Work Group as to what direction you want
20 to go on this and what made this, hear what
21 are your feelings on this. John Poston, let
22 me start with you. Since you're not here,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'll pick on you.

2 We're talking about using the,
3 what was then the legal limit versus the
4 number that we come up with calculationally.
5 And if you're responding, you're probably on
6 mute. I'm not hearing --

7 MR. KATZ: John Poston, are you on
8 the line?

9 (No response.)

10 CHAIRMAN ZIEMER: Okay, Josie.

11 MEMBER BEACH: I'm going to have
12 to agree with SC&A on this one and go with the
13 higher limit since we don't know for sure.
14 That's my opinion.

15 CHAIRMAN ZIEMER: You are more
16 comfortable with the 12, the 15 and the 12?

17 MEMBER BEACH: Yes.

18 CHAIRMAN ZIEMER: Wanda?

19 MEMBER MUNN: No, as I stated
20 earlier, I can see no justification. There is
21 no evidence to support the assumption that a
22 limit that was established by regulation was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 actually the highest, was anywhere near the
2 dosage that was actually being received by any
3 of the people.

4 We have evidence to the contrary.

5 We have evidence showing what some of the
6 doses were and we know what the source terms
7 were. Therefore, it seems to me that the
8 model has a basis in rational science.

9 And I'm not sure that one can say
10 that for accepting a regulatory boundary as
11 being a justification for assigning dose.
12 That doesn't meet the criterion that we often
13 talk about with respect to the science, in my
14 view.

15 MEMBER BEACH: Don't we also have
16 evidence in one case that was higher, up to
17 20?

18 MR. ALLEN: It's a range of values
19 that Bob calculated from a summary --

20 (Simultaneous speaking.)

21 CHAIRMAN ZIEMER: Yes, it's not an
22 actual exposure. Remind me of the distances,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 no, not the distances, the amount of time that
2 you used for --

3 MR. ALLEN: The individuals, that
4 was the fishing pole technique it took them 12
5 to 15 seconds to place the source and the same
6 amount to remove it.

7 CHAIRMAN ZIEMER: And Bob used --

8 DR. ANIGSTEIN: I used the 15.

9 MR. ALLEN: He used --

10 CHAIRMAN ZIEMER: And you used.

11 MR. ALLEN: I used 13 and a half,
12 the midpoint.

13 CHAIRMAN ZIEMER: Thirteen and a
14 half.

15 MR. ALLEN: And the same story
16 with the distances. It was four to six feet.

17 I used five and Bob used four.

18 CHAIRMAN ZIEMER: The biggest
19 difference comes from the distance thing than
20 the few seconds.

21 MR. ALLEN: I think so, yes.

22 MEMBER MUNN: Four feet is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much of a pole. Those of us who've used
2 poles.

3 DR. NETON: I might propose
4 something here that might help. I don't know
5 if it will or not. But we've got some
6 differences of opinions about what the range
7 of the doses are and Dave's original analysis
8 was around three. SC&A, using the same
9 scenario, being more conservative, came up
10 with around nine.

11 There is no possibility that the
12 person could have been exposed at the
13 regulatory limit. So perhaps maybe a
14 distribution could be used of these doses as
15 input in the program.

16 CHAIRMAN ZIEMER: And then you
17 would take the tail end?

18 DR. NETON: No, it would sample.
19 But I'm just, I'm not saying this would be the
20 ultimate one. But say a triangular
21 distribution with three as the low, nine as
22 the central estimate and upper regulatory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 limit as the high end. And that would be the
2 input term as the person's dose for that year.

3 CHAIRMAN ZIEMER: And then what
4 would happen? The computer would --

5 DR. NETON: Sample it.

6 CHAIRMAN ZIEMER: -- come up with
7 a, is this a Monte Carlo type sampling?

8 MR. ALLEN: We can, I mean we can
9 put a triangular distribution into IREP and
10 then IREP does a Monte Carlo type of --

11 DR. NETON: Because that allows
12 for all possibilities. It allows for, I
13 personally don't believe that it's appropriate
14 to assign everybody that ever worked at that
15 plant the regulatory limit for every year they
16 worked there. That just seems to be, this
17 allows for that possibility at the upper
18 limit.

19 IREP, you know, is picked, the PoC
20 is picked at the 95 percentile. How much that
21 contributes overall is hard to determine
22 because the uncertainty and all the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 parameters are factored in there.

2 But at least they would sample a
3 certain percentage of the time the fact that
4 it could have been as high as the regulatory
5 limit. Just an option.

6 CHAIRMAN ZIEMER: Yes.

7 MEMBER MUNN: It's a good option.

8 MEMBER BEACH: Yes, I agree. I'd
9 like to see what that would look like.

10 CHAIRMAN ZIEMER: If you do that -

11 -

12 DR. NETON: It would not be the
13 same as assigning everybody the maximum.

14 MEMBER MUNN: No.

15 DR. NETON: I'm not saying that
16 because it would --

17 CHAIRMAN ZIEMER: For a given
18 individual, you would get a little different
19 value for each of the years of work. How does
20 that --

21 DR. NETON: No, each input year
22 would be the distribution --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ZIEMER: You input the
2 year.

3 DR. NETON: That year, each year
4 would have that input distribution and the
5 upper limit would either be 12 or 15 depending
6 on the year. The lower and the middle values
7 would be the same. And it would sample that
8 distribution --

9 DR. ANIGSTEIN: What would be your
10 mode in the triangular distribution?

11 DR. NETON: I think it would be 9,
12 9.6, whatever the one, the model dose that
13 Dave was agreeing to earlier in the day. And
14 three would be a lower value, which would be
15 the lower end of the estimate based on the
16 fishing pole, you know, technique.

17 DR. ANIGSTEIN: I can't, wait a
18 second. The lower value would be three?

19 DR. NETON: Yes or whatever --

20 MR. ALLEN: Well it's, the value
21 in the White Paper I put out was three point -
22 -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER MUNN: 3.5.

2 DR. ANIGSTEIN: But that's based
3 on two radiographers.

4 MR. ALLEN: That's based on two
5 radiographers and I was going to --

6 DR. ANIGSTEIN: And that's not
7 consistent with the --

8 MR. ALLEN: I think it comes out
9 around five and half or so.

10 DR. NETON: I'm just proposing,
11 I'm not suggesting the final distribution
12 here. But I think --

13 DR. ANIGSTEIN: I can't agree with
14 that lower bound.

15 CHAIRMAN ZIEMER: I think it's his
16 footnote D is 5.411, if you said it's a single
17 radiographer which --

18 DR. NETON: If it's a single, then
19 it's five.

20 CHAIRMAN ZIEMER: So you've got
21 five, nine and 12.

22 DR. NETON: Twelve or 15 depending

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the year.

2 CHAIRMAN ZIEMER: Twelve or 15.

3 MR. ALLEN: The lower end would be
4 modeled with the --

5 DR. ANIGSTEIN: But this is
6 inconsistent with the range of nine to 20
7 which is based on a real person and his real
8 records extrapolated to a full-time worker.

9 DR. NETON: But I thought you just
10 agreed that no one had exceeded the limit,
11 though.

12 DR. ANIGSTEIN: No, I know, but
13 the point is the lower limit should be no
14 lower than about nine.

15 DR. NETON: Why?

16 MEMBER MUNN: Why?

17 DR. ANIGSTEIN: Because that's
18 the, that is taking the 18 quarters of records
19 --

20 CHAIRMAN ZIEMER: But of one
21 worker.

22 DR. NETON: Bob, they said 25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent, no one, most of the workers didn't
2 exceed 25 percent of the limit in their own
3 statement.

4 DR. ANIGSTEIN: Right.

5 DR. NETON: So I don't know why
6 the low should be nine then.

7 DR. ANIGSTEIN: Well that's just
8 based on this one worker.

9 CHAIRMAN ZIEMER: Yes, that was my
10 point.

11 DR. NETON: But I am saying that
12 in statements, AEC said --

13 DR. ANIGSTEIN: Yes, right. So
14 somebody, I mean some workers got nothing.

15 MEMBER MUNN: Absolutely.

16 DR. ANIGSTEIN: And you know, you
17 can go, you can say some people got zero
18 because they were never near the sources.
19 They had other jobs. The whole point is --

20 DR. NETON: Three, I don't think
21 zero is an appropriate level --

22 DR. ANIGSTEIN: I didn't say it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is. But I'm simply saying, by the same logic,
2 the three I don't think should be included. I
3 think it should be somewhere between nine and
4 12, I wouldn't disagree strongly.

5 CHAIRMAN ZIEMER: Bob, we're
6 talking about 5.4 as the lower part of this,
7 which in itself is still above the 25 percent
8 average.

9 MEMBER MUNN: And 3 R is not
10 negligible. If you're talking 3 mR that's one
11 thing. But 3 R is not negligible, for
12 goodness' sake. That's a dose.

13 DR. NETON: I don't know if we can
14 flesh out the exact details here. But is
15 there, I think --

16 CHAIRMAN ZIEMER: Well, I
17 certainly would be comfortable in principle
18 with using the triangular distribution that
19 included the 5.4, whatever that turns out to
20 be or exactly with the 9.69 as the central.

21 DR. ANIGSTEIN: I'm sorry. Where
22 did the 5.4 come from?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: That's by having
2 one radiographer instead of two. Remember the
3 3.5 --

4 DR. ANIGSTEIN: But if you had,
5 right here, I see the two radiographers -- you
6 had, I'm just looking at Dave's chart. It's,
7 I see, no, 5.4 is the fishing pole --
8 according to the footnote D as in dog, 5.4 is
9 for the fishing pole technique divided between
10 two radiographers plus 8.68, .868 at the
11 boundary.

12 (Simultaneous speaking.)

13 DR. ANIGSTEIN: It's still
14 assuming that the work was divided and I don't
15 think we can --

16 CHAIRMAN ZIEMER: No.

17 DR. ANIGSTEIN: That's what the
18 5.4 is.

19 MR. ALLEN: No, it's a footnote to
20 the 3.573 in the table.

21 DR. ANIGSTEIN: That's exactly
22 what I'm looking at.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: Yes, so the footnote
2 says that 3.573 came from 5.411 divided by two
3 radiographers plus the .868.

4 DR. ANIGSTEIN: Sorry, thank you.
5 Thank you. I got it the other way around.
6 So 5.4 is what you modeled. But you did not
7 include the, anything at the -- at the
8 boundary.

9 MR. ALLEN: That is true. It's
10 actually 5.41 plus the .868 is what the low
11 limit would be.

12 DR. ANIGSTEIN: So it should be
13 about 6.3?

14 CHAIRMAN ZIEMER: Yes, so it's 6.3
15 and then --

16 DR. ANIGSTEIN: Okay. If that's
17 the lower bound, sure I can go along with, I
18 think that's reasonable.

19 CHAIRMAN ZIEMER: And then a nine,
20 and then a 12 or 15. Josie, are you okay on
21 that?

22 MEMBER BEACH: I am comfortable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'd like to see that.

2 MR. STIVER: Okay. Triangular
3 distribution method?

4 CHAIRMAN ZIEMER: Wanda?

5 MEMBER MUNN: Yes.

6 DR. NETON: You can't do anything
7 other than triangular, I don't think.

8 MR. ALLEN: Not with that. I
9 don't think you could.

10 CHAIRMAN ZIEMER: Okay. That
11 certainly seems -- and I don't know if John
12 Poston got back on the line yet or not. John,
13 if you did, you can weigh in on this.

14 MEMBER MUNN: That is very
15 favorable.

16 CHAIRMAN ZIEMER: So that would be
17 assigned to all.

18 MR. KATZ: John, are you on the
19 line, John Poston?

20 CHAIRMAN ZIEMER: This would be
21 assigned to whom?

22 MR. ALLEN: Well, that's the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question on this one.

2 DR. NETON: If it was six point,
3 the lower bound was --

4 MEMBER BEACH: Five point --

5 MR. ALLEN: It's 5.411 plus .868.
6 Footnote D to my right.

7 DR. NETON: Like 6.3 or something
8 like that.

9 MR. ALLEN: Yes, 6.2, almost 6.3.

10 CHAIRMAN ZIEMER: We don't need it
11 exactly.

12 MR. ALLEN: Almost 6.3.

13 MEMBER MUNN: At the millirem
14 levels.

15 CHAIRMAN ZIEMER: Who is this
16 assigned to?

17 MR. ALLEN: Okay, that's the next
18 question on there. And the model I had and
19 what's in the White Paper here would be that
20 would be, there's no doubt that's for the
21 radiographers in the radium era.

22 Then the question is for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 others whether they should be assigned the
2 radiographer dose or whether they should be
3 assigned a different dose. And my opinion was
4 with this era, it should be a different dose
5 for non-radiographers because the vast
6 majority of this dose from all models comes
7 from the fishing pole technique and placing
8 the source.

9 And that's not from working near
10 the area or something that other workers would
11 get. And currently SC&A had an argument or a
12 model showing 2.087 for others from
13 radiography in the building, in the
14 radiography room of Building 6 and a blower
15 outside.

16 DR. ANIGSTEIN: That was based on
17 a very arbitrary -- it was just like sort of
18 in -- perhaps I didn't make it clear at the
19 time, it was sort of an arbitrary, it was like
20 an illustration with here's how we could model
21 this.

22 And this was based on an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assumption made in the Nuclear Consulting
2 Corporation's report saying if -- they didn't
3 even make the statement like we believe -- if
4 we assume there is a 25 percent occupancy
5 factor, it just said if we assume that, then
6 this is the dose rate that would be given at
7 this location.

8 That was based on the cobalt. So
9 I just said well if we assume the 25 percent
10 occupancy factor we get two, two point
11 something. But if we assume a 100 percent
12 occupancy factor then you get close to nine.
13 So I don't know how well based that occupancy
14 factor is.

15 It certainly was not based, I mean
16 as someone who had done a limited amount of
17 radiation surveys a very long time ago, it
18 certainly was not based on someone staying
19 there and taking a census of how many workers
20 spent how much time there. It was just some
21 kind of, it was just an off-the-cuff estimate.

22 So I don't think that's a very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 strong number. And also there seems to be an
2 inconsistency that if we're going to give the
3 highest dose regardless of occupation in the
4 betatron era, for the betatron exposures, then
5 it would seem that the same and I think this
6 is what the Board assumed based on a couple of
7 comments of, gee, we're assigning some very
8 high doses.

9 I don't think they were thinking
10 just of radiographers because I don't know if
11 this appropriate or not, but just out of
12 curiosity, Bob Barton at John Mauro's request
13 actually looked at all the pending, all the
14 claims that had up to now, under the initial,
15 there were a 100, whatever number there were
16 that were that had a PoC of below 50 percent.

17 There was only one radiographer there.

18 So we're talking about who is
19 going to be affected by a new dose assignment,
20 we're talking about one person. And it may be
21 four or six, Bob, correct me if I'm wrong,
22 that were, whose duties were unknown. So what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 do you, so basically there was 160-odd others
2 who are not even being affected by any of
3 this.

4 MR. ALLEN: Well that's not --

5 DR. ANIGSTEIN: And this dose of
6 two point something is actually lower than the
7 initial skyshine dose that was assigned of .7,
8 if you take .72 mR, it's not clear whether it
9 was mR or millirem. It's stated differently
10 in different parts of Appendix B, Appendix BB.

11 But if you take the number of .72
12 and multiply it by the new work hours of 3250
13 a year, you end up with actually 2.3 R or rem,
14 whichever the correct unit is, per year, which
15 is actually, so the old numbers were actually
16 higher than what is now being proposed.

17 CHAIRMAN ZIEMER: Well you have
18 the possibility of, well you have betatron
19 operators still in that early era, right?

20 MR. ALLEN: Yes, there is no
21 agreement there either, I mean --

22 DR. ANIGSTEIN: But we're talking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and perhaps we should just restrict this to
2 the radium era first.

3 CHAIRMAN ZIEMER: Well I'm talking
4 about the radium era and we have, SC&A has or
5 NIOSH has a table called radium era. And you
6 have some values for betatron operators.

7 DR. ANIGSTEIN: Yes, that's in the
8 old betatron.

9 CHAIRMAN ZIEMER: Right, right.

10 DR. ANIGSTEIN: Yes, you have the
11 betatron operator. But a radiographer can be
12 either --

13 CHAIRMAN ZIEMER: That's my point.

14 DR. ANIGSTEIN: -- and as a matter
15 of fact the gentleman doing my interview who
16 worked on weekends said he spent time, some of
17 the time he was in the betatron. Apparently
18 he was qualified to operate a betatron.
19 Sometimes he was in the betatron and some of
20 the time he was in the Number 6 Building with
21 the radium sources.

22 CHAIRMAN ZIEMER: Right. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you've got both those. And then you also have
2 other people. I mean, so it's not clear to me
3 how that's, how we're proposing to do that. I
4 mean for example if you have the radiographer
5 who is also a betatron operator, he can't be
6 doing both at the same time.

7 DR. ANIGSTEIN: A betatron, excuse
8 me, a betatron operator is a radiographer.

9 CHAIRMAN ZIEMER: I'm saying
10 though, he can't get 100 percent of the radium
11 source dose plus a 100 percent of the betatron
12 dose.

13 DR. ANIGSTEIN: Right, that is
14 correct. But we don't know who did what.

15 CHAIRMAN ZIEMER: So you either
16 parse it out or you give him the highest of
17 the two.

18 DR. ANIGSTEIN: Later, in the
19 later era, there actually were separate
20 licenses.

21 CHAIRMAN ZIEMER: I understand but

22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. ANIGSTEIN: You had to be
2 licensed to be an isotope operator but not to
3 be a betatron operator.

4 CHAIRMAN ZIEMER: Right, so and
5 then in addition we still have the rest of the
6 population. So that's what I want to get
7 clear. It's not clear to me what's being
8 proposed for the folks, the multiple duty.
9 For example, if the person's a betatron
10 operator and we don't know that he wasn't a
11 radiographer as well, are we going to give him
12 the radiography dose as --

13 MR. ALLEN: For those early years
14 he would get the triangular that we just
15 talked about.

16 CHAIRMAN ZIEMER: Okay. He would
17 get the higher one. Okay. What about the
18 rest of the folks there?

19 MR. ALLEN: That's the question
20 we've been working on here. And I was
21 proposing using SC&A's model at 2.087. Bob
22 says that's based on a 25 percent occupancy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that he considered arbitrary.

2 CHAIRMAN ZIEMER: That's other
3 people going into the source room.

4 DR. ANIGSTEIN: That model was
5 assuming that someone was standing one meter
6 outside the thin, the hollow steel door.

7 CHAIRMAN ZIEMER: Which value are
8 you talking about, the source?

9 MR. ALLEN: The 2.087.

10 DR. ANIGSTEIN: Yes, that number,
11 it assumes that somebody was standing outside
12 the door with no connection necessarily with
13 the radiographic operation, was standing
14 outside the door. And while the, you could
15 have two radium sources exposed at the same
16 time because you could do it by putting a
17 shield in between them. You could do two, you
18 could radiograph two castings simultaneously.

19 CHAIRMAN ZIEMER: How did you end
20 up with a higher value for that where they
21 were outside the room versus those --

22 DR. ANIGSTEIN: Higher value for?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Versus source
2 outside the room. That was because you
3 assumed a certain percent of the shots were
4 inside versus outside?

5 DR. ANIGSTEIN: No, I assumed that
6 all of the shots were in the center of the
7 radiography room.

8 CHAIRMAN ZIEMER: Okay. But what
9 about the second line, sources outside the
10 room?

11 DR. ANIGSTEIN: I did not believe
12 that was a credible scenario so we didn't
13 bother with that. It wouldn't have made any
14 difference.

15 CHAIRMAN ZIEMER: You have a
16 number.

17 MR. ALLEN: I put that in and said
18 I inferred it from --

19 CHAIRMAN ZIEMER: I got you.

20 MR. ALLEN: But no, they did not
21 state that number.

22 CHAIRMAN ZIEMER: I got you,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because your footnote said inferred from that.
2 Okay. So NIOSH is proposing that everybody
3 else in the plant would be given the number
4 for standing outside the source room.

5 DR. ANIGSTEIN: Again, the 25
6 percent is just an assumption without firm,
7 without any firm information.

8 CHAIRMAN ZIEMER: Let's see, is it
9 any different if the source is out, if it's
10 out you have the two mR per hour mark off plus
11 walking through the thing.

12 MR. ALLEN: NIOSH's last model on
13 outside the radiography room is over and down
14 one. It's 1.353 --

15 CHAIRMAN ZIEMER: That includes
16 people walking through it?

17 MR. ALLEN: Yes.

18 CHAIRMAN ZIEMER: So it's more
19 claimant-favorable to assume that, if the
20 source is inside then they're standing
21 adjacent --

22 MR. ALLEN: Near the door, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: -- near the
2 door. Did that, what was the dose rate at the
3 door? Was that above two mR, Bob?

4 DR. ANIGSTEIN: I'm sorry, say
5 this again.

6 CHAIRMAN ZIEMER: Well why would
7 the radiography outside, for the source being
8 in the room end up higher then when you had an
9 outside --

10 DR. ANIGSTEIN: I'm sorry. I
11 don't understand the question because --

12 CHAIRMAN ZIEMER: Okay. If they
13 take the radium source --

14 DR. ANIGSTEIN: We did not model,
15 we did not model radiography outside the --

16 CHAIRMAN ZIEMER: Well I'm asking
17 what the dose rate was at the wall, then,
18 where the source is inside? What was it?

19 DR. ANIGSTEIN: The dose rate at
20 the wall?

21 CHAIRMAN ZIEMER: Yes or where a
22 person was standing to get the 2.087?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ANIGSTEIN: That was based on
2 our MCNP model. It was, we actually modeled
3 the radiographic facility using MCNP.

4 CHAIRMAN ZIEMER: Right, the
5 implication is that they're getting more dose
6 then if the source was out and roped off at
7 two mR per hour.

8 DR. ANIGSTEIN: It may very well
9 have been more than two mR per hour.

10 CHAIRMAN ZIEMER: Okay. That's
11 what I was asking.

12 DR. ANIGSTEIN: Yes, it may have
13 been. Let's see, what I would have to do is -
14 -

15 CHAIRMAN ZIEMER: That's all
16 right, okay. I just --

17 DR. ANIGSTEIN: Just a second.
18 Take 2.08 --

19 MR. ALLEN: Okay, I got it. It's
20 in, it says exposure mR per hour 8.56 outside
21 the door. That's in the October 2011 SC&A
22 write up, Page 7.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER MUNN: 8.56 mR?

2 MR. ALLEN: 8.56 mR per hour while
3 the sources were exposed, which is not 100
4 percent of the time, et cetera.

5 DR. ANIGSTEIN: Right. It's based
6 on the ten exposures.

7 CHAIRMAN ZIEMER: It's actually
8 worse than having the source out and roped
9 off. It doesn't sound right.

10 DR. ANIGSTEIN: I think there was
11 a 30 percent duty cycle, was cited by the
12 supervisor and confirmed by the AEC inspector.

13 CHAIRMAN ZIEMER: Well this is
14 radium. This is radium.

15 MR. ALLEN: Yes, that was still --

16 MEMBER MUNN: That sure seems
17 high.

18 CHAIRMAN ZIEMER: That is a little
19 puzzling. So the proposal then is that
20 everybody gets assigned that value that's not
21 a radiographer or a betatron operator.

22 MR. ALLEN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Okay. Work
2 Group?

3 MEMBER BEACH: Okay. So which
4 value are we going to go with?

5 CHAIRMAN ZIEMER: He's talking
6 about 2.087. Did you double check?

7 MR. ALLEN: I did not check the
8 MCNP run. I checked the math from there and
9 then starting with that 8.56.

10 CHAIRMAN ZIEMER: Right, you would
11 have to confirm that because that's SC&A's
12 value.

13 MR. ALLEN: I would have to --

14 CHAIRMAN ZIEMER: If there was a
15 question why the petitioner is, you would
16 check their value and then you certainly
17 wouldn't -- do that. But assuming that comes
18 out, let me hear input. Wanda?

19 MEMBER MUNN: Well that's
20 certainly more claimant-favorable than I would
21 expect real life to be.

22 CHAIRMAN ZIEMER: Well sure,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because you have, you know, the likelihood of
2 everybody in the plant spending that much time
3 at this location is so small.

4 MEMBER MUNN: It's vanishingly
5 small.

6 CHAIRMAN ZIEMER: Right, it's
7 extremely claimant-favorable. Josie, you're
8 okay?

9 MEMBER BEACH: Yes, I'm fine with
10 that.

11 CHAIRMAN ZIEMER: I certainly
12 agree it's very claimant-favorable. John, are
13 you on the line?

14 MR. KATZ: John, are you trying to
15 speak and just low volume, or?

16 MEMBER POSTON: I guess. I also
17 have a cold. Can you hear me?

18 MR. KATZ: Yes, we can hear you
19 fine now.

20 MEMBER POSTON: Okay. I got
21 closer to the phone. I was on mute so every
22 time you would call my name, I have to unmute.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: So did you hear all of
2 that discussion?

3 MEMBER POSTON: Yes, I did.

4 CHAIRMAN ZIEMER: So are you okay
5 with going ahead with this for the radium era
6 for the rest of the population in the plant
7 who are not radiographers or betatron people?

8 MEMBER POSTON: Yes, I am. I
9 think it's very claimant-favorable. It
10 appears to be.

11 CHAIRMAN ZIEMER: Okay. Thank
12 you.

13 MEMBER POSTON: Paul, I'm going
14 to, I have another class coming in 15 minutes.
15 So I will disappear here soon.

16 CHAIRMAN ZIEMER: We're going to
17 break in a couple minutes anyway for lunch.
18 Okay.

19 MEMBER POSTON: I'll be back on
20 the line after I get out of class.

21 CHAIRMAN ZIEMER: SC&A, are you
22 okay with this then?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. ANIGSTEIN: I'm not.

2 DR. MAURO: And this is John
3 Mauro. I am. So, Bob, you and I disagree.

4 DR. ANIGSTEIN: This is a good
5 time to come --

6 DR. MAURO: I'm sorry --

7 CHAIRMAN ZIEMER: Bob, we're using
8 your value.

9 DR. MAURO: This is John Mauro
10 again. I've been listening carefully. First
11 I want to compliment Jim on, Jim Neton on
12 coming up with a solution to a very difficult
13 problem. He should work in Congress.

14 (Laughter.)

15 DR. MAURO: So I know that Bob and
16 I do not always agree. And you're watching
17 the sausage being made. But I think this
18 compromise is elegant.

19 CHAIRMAN ZIEMER: We're talking
20 about the radium --

21 DR. MAURO: The radium period
22 range with the, that was just described

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 applied to all workers. And I think if that's
2 the way I understood --

3 CHAIRMAN ZIEMER: We're not
4 talking about the triangular distribution now.
5 We're talking about the, yes, just the, it
6 would be Bob's second table --

7 DR. ANIGSTEIN: The sticking point
8 is the 25 percent occupancy. That is just an
9 assumption. That's the problem, that's the
10 place I have a problem with.

11 MEMBER MUNN: But it's a generous
12 enough assumption for goodness' sake.

13 DR. MAURO: Remember we are
14 applying this to a person that's working there
15 all the time, year in and year out. Not in a
16 given moment in time, not in a given month.
17 So when you think in terms of the aggregate,
18 it falls in a place that gives me comfort, the
19 whole idea --

20 DR. ANIGSTEIN: And it's also
21 averaged over the exposure. In other words,
22 if for some reason he was more likely to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there during the exposure, it's a 25 percent
2 multiplied by a 30 percent. Thirty percent is
3 the time spent on, that the radiography was
4 actually taking place during any one shift.

5 And then you're throwing in
6 another factor of 25 percent to say, well, the
7 occupancy factor for that location. So we're
8 actually talking about seven and a half
9 percent of the time that he's being exposed to
10 that radiation coming through the door.

11 MEMBER MUNN: Bob, I missed your
12 mathematics there somewhere.

13 DR. ANIGSTEIN: Well if the, this
14 is the table and Dave correctly cited --

15 MEMBER MUNN: I'm looking at the
16 table.

17 DR. ANIGSTEIN: No, if you're
18 seeing the, this is a report entitled Update
19 Sources Two. Unfortunately this is probably a
20 slightly longer one when Nancy Johnson sent
21 these out. She adds on PA-cleared not PA-
22 cleared. But this was a report which was not,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you know, it's not something that was given,
2 it's not something that's in today's report.

3 MEMBER MUNN: Oh, so I don't have
4 it.

5 DR. ANIGSTEIN: Well you do have
6 it somewhere. But not probably handy.

7 MEMBER BEACH: Was it in your
8 slides, Bob?

9 DR. ANIGSTEIN: Pardon?

10 MEMBER BEACH: Was that one of
11 your tables in the slides that you presented
12 earlier?

13 DR. ANIGSTEIN: No, no, no. We
14 didn't present it because we're no longer, I
15 was no longer standing behind this.

16 CHAIRMAN ZIEMER: Well, Bob, the
17 value --

18 DR. ANIGSTEIN: I don't think
19 there's any quick way, unfortunately if I were
20 there, I could put it on the screen. But I
21 can't.

22 CHAIRMAN ZIEMER: Okay, the value

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of 2.087 for sources in the radiography room
2 exposure to someone outside the room.

3 DR. ANIGSTEIN: Right.

4 CHAIRMAN ZIEMER: I understand the
5 25 percent occupancy.

6 DR. ANIGSTEIN: This is calculated
7 by saying there's a 25 percent occupancy
8 factor, so you multiply by 25 percent and then
9 there's also a 30 percent duty cycle, let's
10 call it, I call it here exposure duration,
11 that there is only 30 percent of the time are
12 they using the radiography.

13 CHAIRMAN ZIEMER: Yes, I
14 understood.

15 DR. ANIGSTEIN: So during any
16 eight-hour shift. So you're taking a 25
17 percent, multiplying by 30 percent,
18 multiplying by the exposure rate at that
19 location.

20 CHAIRMAN ZIEMER: Right.

21 DR. ANIGSTEIN: So what you're
22 really getting is the exposure rate of .856

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but only assigned seven and a half percent
2 total.

3 DR. NETON: Well what you're
4 saying, Bob, is that 25 percent of the time
5 the source is open, people could have been
6 standing there.

7 DR. ANIGSTEIN: In other words,
8 30, right.

9 CHAIRMAN ZIEMER: It doesn't
10 matter if they're there the rest of the time
11 because the source is not out.

12 DR. ANIGSTEIN: I'm sorry. I
13 didn't follow that.

14 CHAIRMAN ZIEMER: Well you're only
15 concerned with the time when the source is
16 out.

17 DR. ANIGSTEIN: That is correct.
18 But you know --

19 CHAIRMAN ZIEMER: You're saying --

20 DR. NETON: You're suggesting that
21 it would be --

22 DR. ANIGSTEIN: -- a correlation -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -

2 CHAIRMAN ZIEMER: Well it sounds
3 like you're arguing against your own number.

4 DR. ANIGSTEIN: Yes, I am. I gave
5 this as an example a year and a half ago.

6 CHAIRMAN ZIEMER: Are you thinking
7 that the occupancy should be greater?

8 DR. ANIGSTEIN: I'm not sure what
9 the occupancy should be. The 25 percent was
10 just not even a number that was used by the
11 people making this assessment.

12 They just said if it's 25 percent
13 here this is for cobalt so it's the same
14 facility, if the occupancy is 25 percent, this
15 is what the dose would be outside the
16 radiography room. They didn't say, they
17 didn't give any justification of why it should
18 be 25 percent. It was just a hypothesis.

19 MEMBER MUNN: What did you say
20 about cobalt? We're talking about the radium
21 source, right?

22 DR. ANIGSTEIN: Well we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assigning it, there was no survey done that we
2 know of, of the radium facility during the
3 period of radium usage. So I got that 25
4 percent from the survey done for the cobalt-60
5 sources which were brought in later in that
6 same room.

7 MEMBER MUNN: There would be no
8 reason to assume that the source exposures
9 were significantly --

10 DR. ANIGSTEIN: I can't hear that.

11 MEMBER MUNN: That's okay. I was
12 just muttering to myself.

13 CHAIRMAN ZIEMER: Well the real
14 question is whether or not really then is the
15 25 percent occupancy reasonable, unreasonable?
16 Is it way high, way low? It's sort of what
17 is the probability that someone in the plant
18 is standing there for a year, more than 25
19 percent of the time when the source is out.

20 MEMBER MUNN: It's a reasonable
21 assertion.

22 CHAIRMAN ZIEMER: It seems like a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 high number to me. I mean we're making
2 judgments here.

3 MEMBER MUNN: But it's certainly
4 claimant-favorable in my view.

5 CHAIRMAN ZIEMER: I mean you could
6 say 100 percent is claimant-favorable. But to
7 me it's not plausible.

8 MEMBER MUNN: But it's
9 unreasonable.

10 CHAIRMAN ZIEMER: Other workers
11 can't be standing there 100 percent of the
12 time.

13 MEMBER MUNN: No. No one is
14 standing there 100 percent of the time.
15 Twenty-five percent is reasonable.

16 CHAIRMAN ZIEMER: I would think 25
17 percent is pretty generous. But it's a
18 judgment. I don't know.

19 MR. RAMSPOTT: Dr. Ziemer.

20 CHAIRMAN ZIEMER: Yes, John.

21 MR. RAMSPOTT: May I just add one
22 thing. I will be very brief.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Yes.

2 MR. RAMSPOTT: Where they were
3 doing the radiography work in 6 Building was
4 at the time one of the main finishing
5 buildings. And there were workers in there
6 100 percent of the time. They actually have
7 photographs of that room.

8 It's a very big building. And
9 there definitely were and the workers have
10 said they were, you know, right near that
11 building and they've looked over the top of
12 it. But that definitely was a very heavily
13 occupied building.

14 CHAIRMAN ZIEMER: Yes, I think the
15 question is we're using a dose rate right at
16 the wall.

17 DR. ANIGSTEIN: He's using a dose
18 rate one meter from the door.

19 CHAIRMAN ZIEMER: One meter from
20 the wall. What would be the probability of
21 somebody spending 25 percent of their time
22 that close?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. RAMSPOTT: Very likely. They
2 were working there. That was the finishing
3 building. And due to factors, you just don't
4 know who was right there next to that wall,
5 you know.

6 CHAIRMAN ZIEMER: Yes, I
7 understand. Were there workstations right at
8 the, by the wall there?

9 MR. RAMSPOTT: Absolutely, sir.

10 CHAIRMAN ZIEMER: Got you.

11 MR. RAMSPOTT: And we've got
12 workers that will definitely attest to that.

13 DR. NETON: Well, was there a
14 difference of the door and the wall? I mean
15 the door was less shielding.

16 DR. ANIGSTEIN: I used the door
17 because that would be higher. The walls we
18 found were thick and fairly --

19 CHAIRMAN ZIEMER: So it's only at
20 the door.

21 DR. NETON: They would have to be
22 standing a meter from the door the entire time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or 25 percent of the time.

2 MEMBER MUNN: And that's the
3 point. It's not the level of occupancy of the
4 room. We're talking about an individual here.
5 And how long an individual would be there.

6 CHAIRMAN ZIEMER: At that door.

7 MEMBER MUNN: At that door, yes.
8 And they're not going to be there 100 percent
9 of the time. No one is.

10 MEMBER BEACH: Well what's the
11 difference between the door and the wall
12 because if there's work stations at the wall
13 they could be there 100 percent of --

14 MEMBER MUNN: The wall shields.

15 MEMBER BEACH: Right, I understand
16 that.

17 DR. ANIGSTEIN: The wall was much
18 thicker than the door. It provided much more
19 shielding.

20 DR. NETON: Standing right at the,
21 a meter from the door was higher --

22 DR. ANIGSTEIN: The door is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 essentially, the way we modeled it,
2 essentially two sheets of steel an eighth of
3 an inch thick, a hollow door. So there was
4 very little --

5 CHAIRMAN ZIEMER: Very little
6 shielding.

7 DR. ANIGSTEIN: -- very little
8 shielding whereas the walls are 16 to 24
9 inches thick and they're filled. They're
10 solid, they're not hollow.

11 MEMBER MUNN: So to sum, as I
12 understand it, the individual who has given us
13 the 2.087 R figure says he doesn't think it's
14 applicable in this case. And his boss says
15 he's, well, that we should accept it. Is that
16 essentially the bottom line here?

17 CHAIRMAN ZIEMER: I think that Dr.
18 Anigstein was saying that he was using it more
19 as an example than a firm assumption. But
20 it's moved from that point to us saying is
21 that a reasonable assumption, 25 percent, and
22 is it claimant-favorable also? And John

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Mauro, were you speaking to that point or were
2 you speaking to the previous point about the
3 triangular distribution?

4 DR. MAURO: Yes, let me --

5 DR. ANIGSTEIN: Wait a minute, can
6 I just, before John answers, I'd make this one
7 point. As I said before this 2.08 is lower
8 than the current Appendix BB default value for
9 non-radiographers, which if you escalate the
10 work hours -- don't change anything else --
11 just escalate the work hours to 30 to 55 hours
12 a week, you end up with about 2.3 instead of
13 2.08. So you're already reducing it by about
14 15 percent.

15 DR. MAURO: And let me help out a
16 little. What I'm hearing, I don't operate at
17 that level of granularity. What I'm saying is
18 a strategy has been put forth that I think is
19 bringing us to home plate.

20 One is that we could list the 15
21 at the upper end or 12, the nine as a mode --

22 CHAIRMAN ZIEMER: John, we're not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talking about that right now.

2 DR. MAURO: Then I have to say I
3 lost track of where we are. I thought we were
4 talking about pegging the lower end of the
5 distribution.

6 CHAIRMAN ZIEMER: No, no.

7 DR. MAURO: I'm sorry.

8 CHAIRMAN ZIEMER: We're on a
9 different subject.

10 DR. ANIGSTEIN: John, we're
11 talking about going back and having a
12 different dose reconstruction for non-
13 radiographers and for radiographers.

14 DR. MAURO: Let me, then let me.
15 I didn't hear that. I have to apologize. I'm
16 -- a member of this group having a Working
17 Group where we're airing things out.

18 So you have to understand where I
19 am right now is all workers, triangular
20 distribution, and what I'm saying, what I
21 heard and I guess my take-away is a place that
22 I'm at right now is not parsed between

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 different categories of workers. All workers
2 would be getting this low end, which might be
3 two, 2.8 or 3.

4 DR. ANIGSTEIN: That's not at all
5 what they were talking about, John. You're
6 mistaken.

7 DR. MAURO: Not just parse it
8 between different workers.

9 DR. ANIGSTEIN: That's not at all
10 what they were talking about.

11 DR. MAURO: All right. Then my
12 apologies. I might have just misspoke. Get
13 me aligned please so I can get back into this.
14 I thought that's where we were.

15 CHAIRMAN ZIEMER: We had been
16 talking about radiographers before when we
17 talked about radiographers and betatron
18 operators and so on. That was that triangular
19 distribution issue.

20 MEMBER MUNN: We're in a different
21 era now.

22 CHAIRMAN ZIEMER: Now, well, we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 still in the radium era. But now we're
2 talking about others in the plant and their
3 exposures to the radium source if they are
4 outside of the radiography room when the
5 source is out.

6 And SC&A had a value which turns
7 out to be 2.087 R per year and NIOSH has said
8 that they would accept that. And it is based
9 on people spending 25 percent of the time that
10 the source is out near the doorway to the
11 source room. It's nothing to do with the
12 triangular distribution. It's a different set
13 of information.

14 DR. MAURO: Are we still talking
15 though, there's a time period where we're
16 calling it the radiographer time period, that
17 from 19, October 1952, up to the, I guess the
18 end of October '52 up through --

19 (Simultaneous speaking.)

20 DR. ANIGSTEIN: We're going by
21 whole years now.

22 DR. MAURO: That's what I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 getting at. I understand that.

2 CHAIRMAN ZIEMER: Yes, it's the
3 radium era, John. The radium era, so we're
4 still in the radium era. But we're not
5 talking about the radiographers anymore. That
6 was the triangular distribution.

7 DR. MAURO: Yes, that's where I do
8 have a problem. Where we are parsing, when
9 the dose reconstruction is done, some judgment
10 is going to be made whether a person is a
11 radiographer and gets this distribution or
12 dose and if he's or he's some other category
13 of worker which would be something different.

14 Based on everything that I've been
15 looking at, listening to and working with Bob
16 on, making that distinction during the
17 radiographer era should not be done.

18 CHAIRMAN ZIEMER: John, the main
19 reason it's done in this case is that the high
20 dose comes from the fish pole technique. And
21 you know who does the fish pole part. That's
22 what, that's the controlling dose on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 radium sources for radiographers. It's the
2 controlling dose whether they're a betatron
3 operator or a radium source radiographer.

4 But the rest of the plant people,
5 presumably, are not holding the fish pole.
6 But they may be near the source. And that's
7 the difference.

8 DR. ANIGSTEIN: In that case,
9 which we also have to include what John
10 Ramspott pointed out, is the old betatron
11 building: we really don't have any detailed
12 information of what was going on outside that
13 building, whether there was any significant
14 exposure or not, I cannot say. And it was not
15 this 2.08, was not meant to be bounding for
16 all other non-radiographer exposures in GSI
17 during that time period.

18 We simply did not look at them
19 because we didn't have enough information.
20 And also were not motivated to look at it
21 because we assumed eventually that the
22 radiographer dose would be assigned to all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 workers and therefore, why bother looking at
2 all these other scenarios where we don't have
3 very detailed information.

4 CHAIRMAN ZIEMER: Well, that
5 wasn't clear to me from your report.

6 DR. ANIGSTEIN: Well, the report
7 in October 2011, was entitled "Update on
8 Sealed Sources." We did not talk about this
9 specifically, because NIOSH had prepared a
10 report that summer on sealed sources. And we
11 responded with two reports, one in September
12 and a second one in October, dealing with
13 sealed sources alone.

14 No mention was made of the
15 betatron. NIOSH did not produce a report on
16 the betatron until the following January. And
17 at that time, we discussed the betatron. So
18 we were not at that point, we were doing just
19 some scientific studies, some mathematical
20 calculations, computer modeling of what if,
21 what about this position, what about this
22 location, without making a final

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recommendation as to what should be the
2 bounding dose.

3 And again, we keep going back and
4 I think John is in agreement with me -- there
5 was a misunderstanding about what we were
6 talking about -- that it's very difficult, I
7 mean it's inconsistent, Dave Allen in the
8 report on the betatron said, we really don't
9 know what the various duties were. We assign
10 everyone the highest dose.

11 And suddenly we go back years
12 earlier and now we do know, NIOSH presumes to
13 know, what the duties are and is going to
14 assign different doses to different people.
15 And it seems to me to be inconsistent. And
16 without a really firm basis --

17 MR. ALLEN: Well, the difference -

18 -

19 DR. ANIGSTEIN: -- the firm basis
20 that the radiographer dose was not likely --
21 for several reasons, the radiographer dose was
22 not likely to have been exceeded. One, only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one of the reasons is the fish pole model.

2 CHAIRMAN ZIEMER: So I'm
3 understanding you to be saying then that SC&A
4 would propose that the triangular distribution
5 apply to others as well.

6 DR. ANIGSTEIN: Correct.

7 DR. MAURO: Correct.

8 CHAIRMAN ZIEMER: Okay. Now I
9 understand. Dave, do you have a response to
10 that?

11 MR. ALLEN: Yes, I mean, it's not
12 inconsistent, even though Bob says that. The
13 question is the credibility of exposure
14 scenario for someone that's not a
15 radiographer.

16 And as far as the layout man with
17 the new betatron building near the tunnel
18 there, it's very credible that somebody's
19 doing a weld repair or doing some other kind
20 of work with a casting right in that vicinity
21 that's not a radiographer, has nothing to do
22 with radiography.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 On the other hand, the vast
2 majority of the dose to the radiographer
3 during the radium era is from handling the
4 source and placing it next to the casting for
5 a few seconds every shot. And that's where
6 the vast majority of his dose comes from, and
7 it's not credible that other people, a welder
8 or an electrician or somebody else is going to
9 be doing that on a routine basis. That's why
10 there's a difference in the radium era versus
11 the betatron era.

12 DR. ANIGSTEIN: Actually, I agree
13 in one part. In one sense, I agree with what
14 Dave is saying. On the other hand, the
15 problem there is: this is a limiting dose.
16 And we can be very comfortable saying it's
17 highly unlikely that anyone else would have
18 exceeded it.

19 When you start getting down to
20 what is the limiting exposure scenario for the
21 non-radiographer, it's very hard to define
22 that because it could be the person standing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 outside the door. It could be the -- there
2 were several accidental exposures.

3 There was a case of someone being
4 inside the Army tank that was being
5 radiographed by the betatron, and he went
6 inside to take measurements and was oblivious
7 to the alarm, and stayed inside the tank while
8 the betatron was on. And you won't know who
9 that is. I mean, in that particular setting,
10 we have a name. But basically, you won't know
11 who that is because the dose --

12 CHAIRMAN ZIEMER: We're not
13 modeling accidents in this.

14 DR. ANIGSTEIN: Pardon?

15 CHAIRMAN ZIEMER: We're not
16 modeling accidents in these things. If
17 someone has that in their claim --

18 DR. ANIGSTEIN: But the point is
19 the accidents happened.

20 CHAIRMAN ZIEMER: All right.

21 DR. ANIGSTEIN: And there is not
22 necessarily any record. Not every one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these things has been documented. And these
2 are only a couple of anecdotal accounts that
3 we heard, whereas there could be others. And
4 again, the person, the dose reconstructor
5 would say, "Well, this is an engineer, a
6 design engineer, so what on earth is he doing
7 in the betatron room?" Well, he happened to
8 have been there. But the dose reconstructor
9 won't know that.

10 DR. MAURO: Yes, let me add, all
11 along for the past five years I have been
12 struggling, and everyone knows, with this idea
13 of unmonitored workers working with these
14 sources. And so much work went into looking
15 at these scenarios and off-normal conditions.

16 I have to say that this is what
17 when I started out, the idea that there could
18 be off-normal conditions that occur, you know,
19 quite often. And we looked at that. And Bob
20 and David both came up with different
21 approaches where people are walking by some
22 type of on-site mishandling, some perhaps

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 short periods of a lack of adequate control
2 over the source and training.

3 All of this is a collective
4 picture that emerged, which brought me to a
5 place that said that, you know, we don't, it's
6 very difficult to assign exposures to real
7 people. But it is possible now.

8 And that's why we walked away with
9 our recommendation that you could place a
10 plausible upper bound. And after a great deal
11 of soul-searching we did come, Bob and I, to a
12 place where we were comfortable with the 15
13 and the 12 as being the place to peg it as
14 being a plausible upper bound.

15 And so that's why we supported our
16 position, as articulated related to the SEC
17 decision. Now we're at another place. We're
18 saying, okay, we agreed that we are able to
19 place a plausible upper bound on the limiting
20 individuals, whoever they may be.

21 But we don't know who those
22 individuals are. And the idea that we could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 operate at this next level of granularity
2 where we could place a plausible upper bound
3 on different categories of individuals, to
4 think that we actually could identify who
5 those people are that fall into those
6 categories and what their plausible upper
7 bound is, I think that's carrying our ability
8 to reconstruct doses here at a level of
9 granularity that we cannot achieve.

10 And so SC&A's position -- and I'm
11 sure Bob -- and we're working this out all of
12 us together at this time -- feels that we're
13 having conversations about different jobs,
14 different concepts of where a person might
15 have been and how long. We cannot operate at
16 that granularity.

17 And Jim came up with a strategy
18 that -- and this is something that you
19 individually have to become comfortable with -
20 - that for any given worker we're going to
21 have one hard time saying we could place a
22 plausible upper bound on different categories

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of workers.

2 We're going to have a hard time
3 just identifying different categories, what
4 they did and their potential for exposure from
5 all of these variations that we've been
6 talking about. The people up in the rafters,
7 and people might have been over here or there
8 or doing this or in the bathroom.

9 I mean, it goes on and on and on.
10 Why I became comfortable with the position
11 we're in is the idea that we would assign to
12 all of the claimants this idea of this
13 distribution is the solution. And the idea
14 that you would have different distributions or
15 doses for different categories of people that
16 you feel you can do that -- when it's time to
17 do the dose reconstruction, I don't think that
18 you could do that.

19 You know, this almost becomes an
20 implementation question. You know, when you
21 get a particular claimant, what I'm hearing
22 is: well, we have different ways of dealing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with -- we're going to drop that claimant in a
2 box. We're going to call them this, a layout
3 man, we're going to call -- whatever it is you
4 want to call them --

5 CHAIRMAN ZIEMER: John, I'm going
6 to interrupt you at this point. I understand
7 your point. I think one of the things, one of
8 the assumptions I was operating at was that we
9 could in fact identify worker categories. If
10 we can't do that at this site, then exactly
11 what you say is true.

12 But I think I heard someone, maybe
13 it was Bob earlier, say that you went back to
14 the dose reconstructions that had been done
15 and you separated out the radiographers from
16 the others for some purpose. But if we can't
17 tell who's the radiographer, I agree with you.

18 This is what we do at other sites.

19 Am I wrong in the assumption that we can or
20 can't? Can we separate out? See, if we don't
21 know who is a radiographer you're exactly
22 right. If we do know, then there's the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 level. This is what we had at many sites,
2 remember?

3 And we've had this at Savannah
4 River, you know, we had all these different
5 coded jobs and so on. And it became a
6 question of: do we really know where workers
7 were or were they not based on their jobs?
8 And many of these sites we don't.

9 And so the job category -- or we
10 don't even know the job categories. So I know
11 we know who had badges.

12 MR. ALLEN: Yes, that's a very
13 small number of people.

14 CHAIRMAN ZIEMER: The rest of the
15 people, if we don't know whether they're
16 radiographers or if we don't know whether
17 they're betatron operators, then what you say
18 is exactly right. What do we know on this?

19 MEMBER MUNN: Well, I've heard that
20 also, that we knew who were radiographers.

21 CHAIRMAN ZIEMER: Yes, I thought we
22 did.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Here's -- maybe this
2 will -- this is my quote, transcript from the
3 December Board meeting. It says, "If we don't
4 know, we go through the possible scenarios.
5 If we do not know for all the radiographers --
6 we do not know who all the radiographers were
7 in the early years. So we had no choice but to
8 assume the worst, unless we know something
9 else. A lot of times survivors don't know
10 exactly what their loved one did, but they
11 might know that he was a lawyer or accountant
12 or something. And generally, we won't give
13 the really high doses to someone like that."

14 That's from the Board meeting.
15 That was the last discussion on whether we're
16 separating or not separating before the vote
17 came down.

18 CHAIRMAN ZIEMER: Okay. I am
19 going to ask you all to ponder this. We need
20 to take our lunch break. And we'll reconvene
21 at 1:25, okay?

22 (Whereupon, the above-entitled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 matter went off the record at 12:23 p.m. and
2 resumed at 1:26 p.m.)

3 CHAIRMAN ZIEMER: Okay, thank you.

4 We're ready to resume. I want to check and
5 see if Dr. Poston is on the line. I know he
6 had a class. He may not be back from that
7 yet. John, if you're on the line just let us
8 know.

9 MR. KATZ: John Poston, are you on
10 the line, maybe on mute?

11 CHAIRMAN ZIEMER: Okay, I
12 understand we got an email from Dr. McKeel; he
13 wants to make a comment. Dr. McKeel, are you
14 on the line?

15 DR. MAKHIJANI: The line is open.
16 This is Arjun.

17 CHAIRMAN ZIEMER: Okay. Dan
18 McKeel, are you back from lunch?

19 DR. MCKEEL: Yes, I'm back from
20 lunch.

21 CHAIRMAN ZIEMER: Oh, Dan, you
22 indicated you wanted to make a two-minute

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 statement. Should I start my stopwatch? Just
2 kidding. Go ahead.

3 DR. MCKEEL: I'll hurry up.

4 CHAIRMAN ZIEMER: That's fine. Go
5 ahead.

6 DR. MCKEEL: All right. I just
7 wanted to make two small but important points,
8 I think, and that is: we were talking about
9 the GSI radiographers.

10 And just to remind us all, there
11 were Landauer film badges only for 89
12 individuals from 1963 to mid-1966 of the
13 operation period.

14 So there was no Landauer film
15 badge monitoring data for anyone at the GSI
16 plant from October the 1st, 1952, through
17 1962, and that included radiographers and non-
18 radiographers. There was that summary report
19 for 18 months from one radiographer.

20 So I've made this point many
21 times, but that means that at least 97 percent
22 of the GSI workforce was never badged, and in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 our opinion, although obviously some badge
2 information is valid and important, the film
3 badge data we have doesn't span but a small
4 portion of the operational period.

5 The second point I needed to make
6 was that even though film badge data was
7 available, that in no way invalidates the fact
8 that when MCNPx and ATTILA were used to model
9 the betatron doses in Appendix BB and in 2008
10 by SC&A, the external doses for both codes
11 were far higher than in 2012/2013.

12 And the point is that they were
13 10- to 12-fold higher than the readings the
14 film badges show.

15 And it seems to me that this
16 discrepancy between the model data, when you
17 use model data for the betatrons in the 2012
18 and 2013 doses, is a major problem that just
19 can't be solved by simply ignoring the
20 betatron early computing models.

21 So in other words, another way to
22 look at it is the 2007/2008 MCNPx and Attila

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 codes, those doses that were calculated were
2 not validated by the actual measured data from
3 the film badges, and that's my comment. Thank
4 you very much.

5 CHAIRMAN ZIEMER: Okay, thanks,
6 Dan. Just before lunch we were talking about
7 the assignment of doses to individuals who
8 were other than radiographers and we had the
9 discussion about whether or not one could
10 actually make that distinction.

11 Let me give a few more thoughts
12 and then ask for others to comment. One thing
13 that we have here that's a little different
14 from an SEC, in an SEC, Labor has to be able
15 to put people in different places.

16 Here we're dealing with Appendix
17 BB, which will have set forth some principles
18 of how dose is to be calculated.

19 And although it's entirely
20 possible that a given claim we wouldn't be
21 able to distinguish what the work was, in
22 which case that person would be assigned the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maximum. I think it would be the radiography
2 dose or the layout depending on the years, but
3 if, in the claim itself it were established
4 that this person were an administrator who was
5 not a foreman or not working in the production
6 area -- I'm talking sort of in general terms
7 here, not specifically GSI -- but if it could
8 be well established that it was, say, a
9 secretary or a budget person or somebody who
10 would rarely frequent, I'm not saying would
11 never, but would rarely frequent the
12 production area, it seems to me that it would
13 still be appropriate to have in Appendix BB a
14 method that could be used in those cases,
15 again recognizing that if you could not
16 clearly establish that as a fact you would
17 default to the higher dose.

18 I think we've done this in other
19 cases. I've had a two, what did I call it,
20 maybe a two-level sort of reconstruction where
21 we had the sort of nuclear workers and then,
22 say, the office workers, if I can make that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 kind of a distinction or that may not be a
2 good description.

3 But my thinking now is, and I want
4 to hear from SC&A on this, John, I understand
5 the argument for saying sort of let's handle
6 everybody the same because we can't establish,
7 you know, specific work locations.

8 But it seems to me that it might
9 be possible in specific cases to establish
10 that they were not individuals who worked in
11 the area where the sources were.

12 And I'd like to ask Jim Neton if
13 he could sort of elaborate on what's been done
14 in other situations and then get some feedback
15 maybe from SC&A on this as well.

16 DR. NETON: Well, yes. This is
17 Jim. I can think of a few examples. The one
18 that comes to mind first is our coworker model
19 approach where we use the 50th or 95th
20 percentile doses to assign to workers who were
21 not monitored based on the determination of
22 whether they were really production workers in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there, chemical operators, or people who sort
2 of infrequently went to the plant and security
3 guards, that type of scenario.

4 The other one that comes to mind
5 is the TBD-6000. I think we have several
6 different job categories in there for --

7 CHAIRMAN ZIEMER: Already.

8 DR. NETON: -- already for
9 supervisors and main process workers, that
10 sort of thing, so the precedent is certainly
11 there so it's not something that we haven't
12 done in the past. This is frequently done.

13 I think the question here, though,
14 and what I hear John say and maybe Bob too,
15 that it'd be difficult to come up with an
16 alternative bounding scenario.

17 We are very comfortable, I think,
18 with the bounding scenario that we've
19 established now, maybe using a triangular
20 distribution.

21 But then what's the second cut
22 point? And here we're only talking about two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 different classes of workers, you know, the
2 radiographers and then everyone else. We're
3 not talking about teasing it out into four or
4 five different categories.

5 So the question is, you know,
6 we've done a lot of work on all the radiation
7 sources and exposures at the plant and is
8 there one that is less than a radiographer
9 dose that we believe could cap doses to the
10 other workers that pretty clearly weren't
11 working with radiography or betatron?

12 CHAIRMAN ZIEMER: Or not in the
13 production area, yes.

14 DR. NETON: Or outside the
15 production area.

16 CHAIRMAN ZIEMER: Yes, outside of
17 these areas. John?

18 DR. MAURO: Paul and Jim, I agree
19 with what you just said. My trouble was that
20 there will be workers who were in and around
21 the radiography area doing various jobs.

22 And maybe they're not actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 formally designated as radiographers but they
2 certainly were in locations where they had a
3 potential for significant exposure. That's
4 where I was coming from.

5 Now, what you just described,
6 Paul, is that what we have really is two
7 categories of people, those people who had a
8 potential for radiological exposures because
9 the types of jobs they had, where they
10 physically were located throughout their work
11 history, and then these other people that were
12 more like, as you pointed out, office workers
13 that maybe on some rare occasion they may have
14 gone into a radiological situation. I'm okay
15 with that distinction.

16 The problem I had was that it's
17 very possible that there may have only been a
18 limited number of people who were formally
19 designated as radiographers but there may have
20 been a large number of other people that
21 worked in and around in various functions,
22 whether it's maintenance or related

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 activities, in and around the facility. I
2 don't think you can make the departure there.

3 DR. ANIGSTEIN: John, may I
4 interject?

5 DR. MAURO: Yes, please.

6 DR. ANIGSTEIN: We have literally
7 one identified, literally one person out of
8 169 or 100 something -- Bob Barton, you can
9 correct me on that information -- 100-odd
10 workers who were there during the radium era
11 who had dose reconstructions of less than 50
12 percent and only one is identified as a
13 radiographer, so we're talking about a
14 minuscule number of identified radiographers.

15 And the question, the issue that
16 John and I have, I suppose that we agree on,
17 is: how do you assign doses to those people?
18 How do you parse out all the situations?

19 We have only the analysis of the
20 dose standing one meter outside the door of
21 the radiographic facility, used for the
22 radium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It's only one scenario, the people
2 who may have been in and out of the old
3 betatron building -- new betatron didn't exist
4 yet -- the people who may have been working on
5 the roof servicing the fan. There are so many
6 different possible scenarios.

7 CHAIRMAN ZIEMER: Yes, let me make
8 it clear, Bob, that I was not using
9 radiographer as a category.

10 DR. MAURO: Ah, okay.

11 CHAIRMAN ZIEMER: I was using --
12 if I can call the plant where the work is done
13 the production area. In other words, yes,
14 radiographers, layout men, all of those. I
15 think we're including all of those in the
16 first category. We're talking here about in
17 the admin building maybe.

18 MR. KATZ: Was there an admin
19 building?

20 DR. ANIGSTEIN: That's not the
21 impression I got from Jim and Dave in
22 discussing triangular.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 They said the triangular
2 distribution that we have consensus on applies
3 only to known radiographers or to people who
4 are not known not to be radiographers. That's
5 what I understood from this morning.

6 CHAIRMAN ZIEMER: Yes, if they're
7 known not to be is another question. I guess
8 we may have morphed a little bit.

9 I think, in our early part of this
10 discussion, some of us were thinking about
11 others in the plant area who were not in the
12 category of either radiographers, betatron
13 operators or -- well, I guess we were calling
14 everybody else layout men if we couldn't
15 distinguish otherwise, weren't we?

16 MR. ALLEN: In the later time
17 frame?

18 CHAIRMAN ZIEMER: In the later
19 category.

20 DR. ANIGSTEIN: Yes, but the
21 layout men only applied to '63, I thought.

22 CHAIRMAN ZIEMER: Right, but in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the earlier era we were, I think, including
2 betatron operators and radiographers together,
3 isn't that correct, and then everybody else?

4 DR. NETON: I've always considered
5 betatron --

6 DR. ANIGSTEIN: Can I just make an
7 observation?

8 MR. KATZ: Wait, before you do,
9 Bob --

10 DR. ANIGSTEIN: Can I be a
11 stickler for detail? Betatron operators were
12 radiographers, so what we mean is the
13 isotopes. They would have been called isotope
14 operators to distinguish them from the
15 betatron operators.

16 CHAIRMAN ZIEMER: Well, all right.

17 MR. KATZ: Bob, I don't think you
18 could hear Dave on the line because Wanda
19 coughed at the same moment Dave was speaking.

20 But Dave was saying the category
21 of people not known not to be radiographers is
22 the big category actually. There are a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 --

2 CHAIRMAN ZIEMER: Known not to be.

3 MR. KATZ: In other words there
4 are probably a lot of people, claimants, this
5 is what I understood they're saying, a lot of
6 claimants who may not be specified as
7 radiographers but you can't rule them out as
8 if they might have been radiographers because
9 you don't have that much information for a lot
10 of people.

11 CHAIRMAN ZIEMER: So you would put
12 them in that category.

13 MR. KATZ: So that you would put
14 them in the radiographer category. So despite
15 Bob Barton having looked and seen who is
16 actually specified as a radiographer, a lot of
17 other individuals may fall in that basket.

18 DR. ANIGSTEIN: Just for this
19 purpose, let me read to you. I have this list
20 right in front of me. Let me just go through
21 it very quickly.

22 Switchman fast conductor; laborer;

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mill laborer; pipefitter; grinder, inspector;
2 office manager; maintenance welder; clerk;
3 weigher and checker; crane operator; laborer,
4 millwright; laborer millwright helper
5 millwright; laborer; unknown; maintenance
6 welder; ironworker; timekeeper; core room
7 hydraulic presses; industrial
8 engineer/superintendent/accounting department;
9 furnace worker, truck driver; laborer, roller;
10 control specialist -- I won't read every one.
11 This is just in random order, just giving a
12 sample.

13 I mean, I would not want to be in
14 the position of a dose reconstructor,
15 particularly a dose reconstructor who didn't
16 spend five years studying GSI as I have,
17 having to make that decision based on this
18 cursory description. How do you know what
19 this guy really did?

20 The one worker for whom we have
21 this detailed information because he submitted
22 his AEC exposure record, he happens to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 alive and well and is not a claimant.

2 But suppose this is somebody --
3 you know, just find another person who had
4 passed away and for whom his survivors are
5 filing a claim. They would have said, well,
6 what did your father or grandfather do?

7 Oh, he was a lab technician.
8 Well, that's not a radiographer, not knowing
9 he did radiography on weekends. I mean,
10 that's a perfect example where the distinction
11 is so blurred.

12 CHAIRMAN ZIEMER: Okay, I
13 understand the point. I think we need to ask
14 NIOSH what they think is actually workable in
15 these cases.

16 DR. ANIGSTEIN: Even this one
17 category, which I just think is funny. This
18 applies to one person, maybe from a different
19 one of his survivors. Maybe he had children.
20 That strikes me as odd. Industrial
21 engineer/superintendent/accounting department.
22 To my knowledge, industrial engineers don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 work in accounting departments.

2 CHAIRMAN ZIEMER: My point was
3 that if you could establish --

4 DR. ANIGSTEIN: Yes, but the point
5 is "if." That's the biggest thing, if. Is
6 NIOSH going to, is Dave Allen's Appendix BB,
7 whoever writes the workbooks for the dose
8 reconstructors, are they going to be able, to
9 use John Mauro's phrase, parse the category?
10 Are they going to be able to give unmistakable
11 instructions to --

12 CHAIRMAN ZIEMER: I don't know. I
13 think that's what I'm asking NIOSH, whether
14 they can do that or not, okay?

15 DR. ANIGSTEIN: Okay, sorry. I
16 misunderstood.

17 MR. ALLEN: All right, this is
18 something that I did want to have some
19 conversation about so might as well get it
20 going here. We're just talking about the
21 radium era here first of all, right?

22 DR. ANIGSTEIN: Yes, and years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: Those years. And the
2 bulk of the dose for the radiographer himself
3 from every model comes out to be from placing
4 the source and pulling the source back out
5 using the fishing pole technique.

6 I drafted up over lunch here just
7 a paragraph or something for at least
8 discussion.

9 Something along those lines is
10 what I'd like to put in the Appendix as a
11 guideline, not a prescriptive, you know,
12 definitive thing for dose reconstructors, just
13 some guidelines on who to assign radiographer
14 versus non-radiographer and, at least like to
15 hear everybody's comments on it.

16 So I'll just read it off here.
17 It's about a paragraph and I was going to say
18 something like, "In general, radiographer dose
19 should be assigned to anyone who may have
20 handled sources to initiate or end the
21 radiographic examination.

22 "A complete list of radiographers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in this time frame is not available so the
2 dose reconstructor should consider anyone
3 whose job includes inspections to have been a
4 radiographer.

5 "This would include but is not
6 limited to those known as radiographers,
7 quality control, nondestructive testing or
8 inspectors. It would not normally include
9 those in administrative jobs.

10 "Claims with other job titles
11 should be reviewed carefully to attempt to
12 determine if they may have been involved in
13 radiography.

14 "However, it should be noted that
15 at least one individual working in a chemistry
16 lab also performed radiography on the
17 weekends, so dose reconstructors should review
18 telephone interviews and any other available
19 information to determine if the individual
20 energy employee may have been involved with
21 radiography."

22 I also included after that, "Also,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the models do not include dose associated with
2 incidents or unusual events.

3 "So if there is indication of such
4 an event, such an event could have affected
5 the energy employee's radiation dose. The
6 dose reconstructor should account for that
7 dose separately." That's kind of a separate
8 issue.

9 CHAIRMAN ZIEMER: Okay. Now, if
10 you had something like that, if the dose
11 reconstructor can't establish specifically
12 that the person didn't fit in the category,
13 for example, maybe it's a claimant, the son or
14 daughter of a deceased claimant --

15 MR. KATZ: Right, a survivor.

16 CHAIRMAN ZIEMER: A survivor. In
17 the absence of specific knowledge, then you go
18 ahead and assume, right? Because if they say
19 "I don't know," then you assume.

20 MR. ALLEN: Well, I think one of
21 the job titles or whatever that Bob read off
22 there was "unknown."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ZIEMER: Right, yes.

2 MR. ALLEN: You're stuck.

3 DR. ANIGSTEIN: There's only a few
4 of those.

5 MR. ALLEN: Yes, there's not a
6 lot. Usually survivors know something. They
7 know their husband was a welder or electrician
8 or, you know, lawyer or something.

9 DR. ANIGSTEIN: And a welder could
10 be someone repairing the castings right after
11 being radiographed and waiting for the next
12 radiograph.

13 MR. ALLEN: Right, but over the
14 radium era --

15 DR. ANIGSTEIN: The real problem
16 that SC&A, John Mauro and I have with this is:
17 granted, there are some people who are
18 unlikely to have been radiographers. How do
19 you assign them a dose?

20 If you use this limiting approach
21 and say, well, no one is any worse than that,
22 we're on fairly firm ground. We have good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 justification. We have models. We have the
2 evidentiary, the testimony. We have this one
3 film badge, one exposure record.

4 And otherwise you're on very
5 unfirm ground. We don't know. Yes, okay,
6 let's say we all agree that it's not likely
7 this man was a radiographer. What do you give
8 him?

9 Do you assume that he was outside
10 the door? Somebody could say no, no. He
11 wasn't outside that door. He was actually
12 working on the roof of the old betatron
13 building some of the time. He was outside the
14 door of the old betatron building.

15 Question whether there would have
16 really been very much exposure outside the old
17 betatron building if they didn't do that
18 technique of shooting down the corridor.

19 On the other hand, they did have a
20 sign that said, "Stay 100 feet," "Radiation
21 danger," which I believe Mr. Ramspott or Dr.
22 McKeel furnished a photograph saying,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 "Radiation danger," "Stay away 100 feet."

2 Now, that could have just been a --

3 CHAIRMAN ZIEMER: Well, your point
4 is if we don't assign the triangular
5 distribution, what do you use for the --

6 DR. ANIGSTEIN: Exactly, exactly.

7 CHAIRMAN ZIEMER: -- for the
8 alternative.

9 DR. ANIGSTEIN: Exactly.

10 CHAIRMAN ZIEMER: And NIOSH is --

11 DR. ANIGSTEIN: We could spend the
12 next ten years working up those scenarios.

13 CHAIRMAN ZIEMER: I think NIOSH
14 was proposing using your value for the source
15 in the radiography room, but you indicated
16 that was only there as an example, not for a
17 specific recommendation.

18 DR. ANIGSTEIN: Yes.

19 CHAIRMAN ZIEMER: So we're sort of
20 back to what is it that's going to be used if
21 we use anything, you know?

22 MR. ALLEN: And I think that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to affect how that decision's made from
2 like that paragraph I just read.

3 If we come up with an estimate
4 that's based on the worst, you know, what some
5 non-radiographer could have done, you know,
6 such as standing outside the radiography room
7 100 percent of the time, you know, working
8 right next to it or whatever, that should
9 cover a large number of the people in the
10 plant.

11 If we come up with an estimate
12 that's the shine, you know, 100 feet away from
13 the old betatron building, it's going to be a
14 fairly low number and that estimate should not
15 cover, you know, much more than
16 administrative.

17 CHAIRMAN ZIEMER: Yes, but if you
18 go from the 25 percent occupancy to the 100,
19 which in my mind is really not plausible, but
20 if you do that, you go from 2 R per year
21 basically to 8 and you're right back in the
22 other distribution anyway.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. ANIGSTEIN: Exactly.

2 CHAIRMAN ZIEMER: Okay, other
3 comments? Jim, give us some wisdom here. Got
4 any left?

5 DR. NETON: I used up my wisdom.

6 CHAIRMAN ZIEMER: You used it up
7 in the morning, yes.

8 (Laughter.)

9 DR. NETON: I don't know. I still
10 feel that if there are people at a minimum
11 that are clearly in administrative classes of
12 work, I mean, to assign them 9 rem as a
13 central estimate just seems to be not
14 appropriate.

15 I think we've looked at all these
16 potential sources of exposures that we could
17 bound, at least in administrative Class work.

18 That's just my opinion. I think most of
19 those stand out pretty clearly. It's
20 something that we do routinely at all the
21 other sites.

22 I'm not sure why, in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particular instance, SC&A has decided to draw
2 a line in the sand and say, well, you have no
3 idea what these workers did.

4 We do this all the time.
5 Judgments have to be made at certain points.
6 Otherwise, why don't we have one model for
7 everybody?

8 DR. MAURO: Jim, I would say, if
9 you would apply that philosophy liberally,
10 what I mean by that, there's going to be this
11 blurry line. We heard a whole list of names,
12 of categories of workers, industrial
13 hygienists.

14 I think the instructions that go
15 to your dose reconstructor are going to be,
16 really, the burden of proof is going to be on
17 them, that they're confident that this person
18 did not work anywhere near a radiological area
19 most of the time.

20 And, you know, if that argument
21 can be made based on the person's records,
22 whatever you have, interviews --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ANIGSTEIN: But there are
2 none, John. There are no records.

3 DR. MAURO: Oh, well, I'm sorry.

4 DR. ANIGSTEIN: Interviews only.

5 DR. MAURO: Based on whatever
6 information you have regarding a particular
7 worker.

8 I think the burden of proof would
9 be on NIOSH to say, listen, we could say with
10 confidence that there was little likelihood
11 that this guy spent a substantial amount of
12 time in what we would call this radiological
13 envelope, whatever that might be.

14 MR. ALLEN: Well, again, that
15 would depend on how we estimate that non-
16 radiographer dose. If it's only going to
17 apply to administrative workers, it shouldn't
18 be the 25 percent of the time next to the
19 radiography building. It should be something
20 considerably lower.

21 DR. ANIGSTEIN: How could you come
22 up with it? Do a dose estimate for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 office?

2 MR. ALLEN: If that's the only
3 people it would apply to, I would think yes.

4 DR. ANIGSTEIN: Well, here's a
5 category of "office manager," another one of
6 "clerk."

7 My concern is that the outcome
8 would be sort of a toss. The outcome will
9 depend on which particular dose reconstructor
10 does it. They may have different approaches.
11 It's a very, very nebulous, vague standard.

12 CHAIRMAN ZIEMER: Well, wait.
13 You're going to have that at every site. If
14 the instructions are so vague that that makes
15 a difference, then the instructions are not
16 right, because, you know, to some extent one
17 of the reasons we review dose reconstructions
18 is for consistency in applying those things.

19 So, yes, you'd have to have a
20 fairly clear approach, and if the dose
21 reconstructor can't firmly establish that the
22 person was outside the radiological area, then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 he's got to give them the benefit of the doubt
2 in the other area. Philosophically, I mean, I
3 don't think we can use the argument --

4 DR. ANIGSTEIN: I would assert
5 that this is a very significant -- one dose
6 reconstructor might be easily convinced and
7 another one might be more skeptical. Then it
8 becomes very subjective.

9 CHAIRMAN ZIEMER: Yes, but you
10 have that at every site. I don't think that -
11 -

12 DR. ANIGSTEIN: I guess I'm not
13 that familiar with the other sites.

14 CHAIRMAN ZIEMER: Well, I mean,
15 you know, no site has one dose reconstructor
16 doing them all. They have many dose
17 reconstructors.

18 DR. MAURO: This is John. I'm
19 philosophically in agreement with what was
20 just described, you know, and because in
21 effect, as Jim pointed out, they do have
22 coworker models and they do parse.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 However, I would like to say,
2 though, in those circumstances where very
3 often that's at a DOE facility that has an
4 established health physics oversight program,
5 there's a lot more richness to the records and
6 the information regarding the workers and what
7 they did, where they were, that sort of thing.

8 And so you could say with some
9 degree of confidence whether we're going to
10 say this person, you know, whether he's going
11 to be at the 50th percentile, the 95th
12 percentile or at what they call the
13 environmental level.

14 You have enough information
15 regarding these people, when you look at
16 primarily DOE. That's where you find the
17 application of these coworker models.

18 This is not like the other sites.

19 This is a very unusual circumstance. It's
20 different than any of the AWE facilities I
21 worked on where it was always uranium that was
22 being milled or machined.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is a very different
2 situation. We had this external exposure
3 situation and depending on where you placed
4 the person, when and for how long, you know,
5 will affect what the doses are without film
6 badge data and this troubled me from the
7 beginning.

8 So, I mean, I would argue that
9 philosophically I agree. If you can parse and
10 say with confidence, I like to use the term
11 "the radiological envelope," where people were
12 really outside that envelope, that that
13 envelope could be defined, you know, great.

14 Then you have these two
15 categories. One category gets the full
16 distribution -- and this is only during what I
17 would call the radium era now. I understand
18 we're only talking about that right now.

19 So during the radium era, I think
20 that there should be a big tent, that is, most
21 of the people you're going to find, you're
22 going to have a hard time saying they're not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the envelope. But if you can say that,
2 sure. Use the other approach. But right now
3 it's not apparent to me, you know --

4 DR. ANIGSTEIN: But what is the
5 other approach?

6 DR. MAURO: Right, we don't know
7 that, no. But right now what I'm saying is
8 that I am not averse to the philosophy that
9 was just described.

10 I'm only concerned that it be
11 applied in a consistent way and one that does
12 give the benefit of the doubt to the claimant.

13 We haven't heard what that
14 approach is, but what I was reacting to
15 earlier was in effect parsing into three
16 categories, you know, in the classic sense,
17 you know, the high-exposed group and then
18 there's this other group and then of course
19 the people who really received very little or
20 no exposure.

21 I think what we really have here
22 is two groups and I think that's where this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 site is different than other facilities, where
2 we try to create these three categories where
3 the coworker model would apply.

4 And so I think, Jim, I'm
5 philosophically in agreement with you.
6 However, I don't think right now we have a
7 good picture of how you're going to make that
8 distinction and what you're going to assign to
9 this other group that you're going to consider
10 to be virtually unexposed.

11 CHAIRMAN ZIEMER: John, I think in
12 reality, in my mind, we actually do have three
13 groups. We may not be able to distinguish
14 between the two --

15 DR. MAURO: Yes, I agree with
16 that. I agree with that.

17 CHAIRMAN ZIEMER: -- but,
18 remember, this dose distribution that we're
19 talking about is really based on the fishpole
20 technique and that's a limited number of
21 people actually doing that.

22 The bystanders, which is what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're sort of talking about here, yes,
2 certainly the dose for the handlers, there's
3 certainly an upper limit over others.

4 Excuse me, my phone's here ringing
5 and I've got to turn it off.

6 In any event, that other group, we
7 may not be able to distinguish who they are,
8 so they get thrown in with the radiographers
9 but --

10 DR. MAURO: Remember, though, also
11 that the 15, which is where we came in at and
12 the 12 number, the philosophy there was we
13 could say with confidence that no individual
14 got more than that in a given year.

15 The way we came out of this was:
16 that's what we could say with confidence and,
17 in fact, I believe that's the reason why the
18 SEC ended up being denied. That does
19 represent this roof.

20 CHAIRMAN ZIEMER: In the radium
21 era, all of that's still based on those people
22 using a fishpole technique. All I'm saying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is, philosophically, in my mind we have that
2 group.

3 We have the others who are around
4 there and we were trying to originally, I
5 think, capture them with this standing outside
6 the door, standing near the source and apply
7 that.

8 And then you have the office
9 workers who I don't think we talked about
10 originally but are another group.

11 It's certainly true that the
12 bounding value for the radiographers bounds
13 everybody. The question is: is it appropriate
14 to bound the office workers with that?

15 DR. NETON: Well, see, that's my
16 problem, I think, is that the bounding dose
17 that's been established was really for
18 radiographers.

19 I mean, that's what we talked
20 about, that's what we decided was the highest
21 exposed worker and now we're saying that,
22 well, everybody's a radiographer. We don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know who wasn't a radiographer.

2 The fact is, we do know for the
3 most part who was a radiographer -- or not who
4 was a radiographer, but who potentially was
5 doing radiography work.

6 DR. MAURO: Jim, the reason I came
7 around to where I came in supporting the
8 recommended denial of the SEC was: I've always
9 been troubled by the fact that we're dealing
10 with sources.

11 And we all know if we go back to
12 this using radioactive sources and
13 nondestructive testing is notoriously
14 problematic where there's a lot of mishandling
15 going on.

16 However, through a great deal of
17 hard work by both Dave and Bob in modeling
18 what I consider to be a relatively small
19 source -- I think it's a 500-millicurie source
20 of radium.

21 DR. ANIGSTEIN: Right, two of
22 them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: There were two of
2 them. I felt that I wasn't thinking in terms
3 of radiographers.

4 I was thinking in terms of the
5 possible mishandling of sources, the lack of
6 controls -- on site, now, not someone taking
7 it home, putting in their pocket -- but just
8 the fact that we've got this situation.

9 We've got these sources and
10 there's some question regarding the degree to
11 which we could understand and model what might
12 have happened to any given person who may have
13 entered into these areas whatever his purpose,
14 especially maintenance and that sort of thing,
15 pipefitters, all these different types of
16 people.

17 So my degree of comfort came from
18 the fact that, yes, I feel confident that the
19 calculations that were done and the arguments
20 that were made, which included the statement
21 about "did not exceed the regulatory limits,"
22 went a long way toward my, you know, coming

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 out of this where I did and where SC&A came
2 out on this.

3 DR. ANIGSTEIN: And we had this --
4 if I could throw something in.

5 DR. MAURO: Sure, sure.

6 DR. ANIGSTEIN: There was this
7 incident which now we've nailed down or
8 limited that was not during the radium era,
9 probably was with the cobalt sources, where
10 the cobalt sources that should have been safer
11 because they were inside a lead shield and
12 they would be remotely extended outside the
13 shield through a mechanical cable that pushed
14 them out when the radiographer was safely
15 behind the steel shield operating that, there
16 was at least one instance when it got left
17 out, either negligence or malfunction. The
18 radiographer thought it had been pulled back
19 into the shield and it wasn't.

20 And the interesting thing is: the
21 supervisor came in and said there's something
22 wrong with my meter. My meter is pegged.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 There's something wrong with the reading.

2 And they called in the
3 administrator from St. Louis Testing, not to
4 check and see what's wrong with the source,
5 but what's wrong with the meter?

6 And of course what he found was
7 there was nothing wrong with the meter. The
8 source had been left out.

9 DR. MAURO: Bob, that's one good
10 example. Jim, I think I've found the essence
11 of where I'm, you know, I'm trying to
12 crystalize my thinking.

13 I think the 15 and the 12 as the
14 upper bound peg on this triangular captures my
15 concern regarding mishandling and that applies
16 to anyone who might have somehow got caught up
17 in this situation where they were -- the type
18 you just heard from Bob is one example.

19 So I would think of it like this.

20 The 15 places the bound to make sure that we
21 don't miss anybody who may have come into
22 contact or come close to a situation of some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind of mishandling which is commonplace in
2 these kind of facilities.

3 I think the mode is the number
4 that is like what you would consider to be a
5 reasonable upper bound for the radiographers
6 and, you know, I think that's where we're
7 coming in.

8 And then you got this other low-
9 end number that was selected for the reasons
10 described earlier.

11 So I would argue that the 15 is
12 the one that establishes this assurance that
13 we're not going to be missing some exposures
14 that may have occurred due to -- I'm not going
15 to call it accidents. It would be
16 inappropriate to call it that.

17 But the large number of
18 mishandling things that often happened,
19 especially in the early years when people were
20 working with these sources.

21 MR. ALLEN: Hey, John, this is
22 Dave. What are you basing the idea that that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 number captures things you don't know about?

2 DR. MAURO: Because of the
3 statement made that no one ever got more than
4 15 rem in a year, you know, the limit that was
5 in the application. That was --

6 DR. ANIGSTEIN: Well, I have to
7 say -- now I'm arguing against myself -- that,
8 of course, only applies to badged workers. I
9 mean, they cannot say that for an unbadged
10 worker.

11 So you cannot say that somebody
12 who was inadvertently exposed by a source
13 being left out or something would have been
14 covered by that.

15 CHAIRMAN ZIEMER: Well, we're kind
16 of --

17 DR. ANIGSTEIN: But then I would
18 go on to say --

19 CHAIRMAN ZIEMER: Bob, I'm going
20 to stop you. Bob, we're rehashing -- we've
21 agreed to the triangular distribution, okay?

22 DR. ANIGSTEIN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Let's not re-
2 debate it.

3 DR. ANIGSTEIN: Okay, fine.

4 CHAIRMAN ZIEMER: The question was
5 --

6 DR. ANIGSTEIN: You misunderstood
7 me. I was not reopening that.

8 CHAIRMAN ZIEMER: Well, the
9 question really is: is there another group?
10 I'll limit it to one. I'm thinking in my mind
11 there's two other groups.

12 I don't know if we can distinguish
13 between that middle group that, during the
14 radium period, that's not handling the sources
15 but they are around, number one. I mean, it's
16 clear that the bounding from the other group
17 bounds them. It bounds all the workers.

18 The question in my mind is: is
19 that plausible? Is that a plausible bound for
20 those who are, say, office workers or is there
21 another value?

22 If there's another value, we don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know what it would be because we haven't had a
2 separate calculation of that. We do have a
3 proposed one for the middle group but we may
4 not be able to distinguish them.

5 It seems to me that we're not
6 going to be able to close that part of the
7 loop right now.

8 I mean, we're talking about some
9 ideas but I think I'm going to have to put the
10 burden onto NIOSH to come back to us and say,
11 do you propose a way to bound the -- I'll call
12 them office workers right now -- separately
13 and, if so, how will you do that?

14 And maybe you know the answer to
15 that right now because it is kind of like the
16 background exposure and maybe some skyshine
17 and some other stuff contributing so that's
18 one.

19 And then the other is: if or
20 should we even consider this other group? Is
21 there a way to truly distinguish them when you
22 do a dose reconstruction? And, if so, some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 modification of this thing that was originally
2 given as an example might work.

3 But it seems to me that we're not
4 at a point where we can address that. I mean,
5 we could say, yes, the one bounding covers
6 everybody and be done with it.

7 MR. ALLEN: Honestly that's where
8 I'm at.

9 DR. NETON: My concern is if you
10 have a --

11 CHAIRMAN ZIEMER: Well, NIOSH may
12 want to say that's what we want to do.

13 MR. ALLEN: The more you parse
14 people, the more you're going to be wrong, you
15 know, so parsing it into three groups is not,
16 you know, too difficult, especially if one is
17 an admin group or whatever. You can come up
18 with some sort of estimate.

19 But you always have someone that
20 is an office worker that says and, you know,
21 honestly says I was in there every day
22 collecting time cards, delivering mail,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 routinely going --

2 CHAIRMAN ZIEMER: Yes, but if you
3 get that information, then you put them in the
4 other category. I mean, I don't know. I'm
5 just --

6 DR. NETON: Or you establish a
7 higher bound for office workers than you would
8 think, based on the fact that they could have
9 frequented the plant since access controls
10 were not met.

11 MR. ALLEN: Yes, and this was the
12 '50s, this radium era, primarily, and that
13 kind of information is not something survivors
14 often know as far as they worked in an office
15 but they were routinely walking through the
16 plant.

17 MEMBER BEACH: But then if it's an
18 unknown, it goes up into a higher category
19 anyway.

20 CHAIRMAN ZIEMER: Right.

21 MR. ALLEN: If it's a complete
22 unknown, it goes even higher. Yes, that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right.

2 CHAIRMAN ZIEMER: I guess the
3 question is: does NIOSH wish to parse it more
4 than the one bound?

5 DR. ANIGSTEIN: There are some
6 categories, again, I'm going over this list,
7 that can be very confusing.

8 CHAIRMAN ZIEMER: Well, we know
9 that, Bob. You don't have to go through that.

10 DR. ANIGSTEIN: No, no, no. I
11 know, but I'm just saying --

12 CHAIRMAN ZIEMER: I think NIOSH
13 has to decide --

14 DR. ANIGSTEIN: Okay.

15 CHAIRMAN ZIEMER: -- how they want
16 to approach it and if --

17 MR. ALLEN: My preference, and I
18 wanted to get the feel of the Work Group here,
19 but my preference would be this, what's turned
20 out now to be a triangular for the
21 radiographers, based primarily on placing the
22 source, which is not something done by most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 people in the plant.

2 Then one other bounding dose
3 estimate based on somebody routinely, you
4 know, not 100 percent of the time but
5 routinely, working right next to that
6 radiography room. That 25 percent seems like
7 a reasonable professional judgment to me.

8 DR. ANIGSTEIN: What about the
9 betatron, the old betatron that was operating
10 at the same time?

11 MR. ALLEN: We've gone through a
12 lot of estimates, Bob, and I think we ended up
13 where this would still be a limiting factor.

14 DR. ANIGSTEIN: I don't know at
15 any time we actually compared the two, but
16 those are treated separately. It may be, but
17 I don't know what that exposure would be.

18 MR. ALLEN: Well, let's put it
19 this way. We got the radiographer film badges
20 in the later years from the higher --

21 DR. ANIGSTEIN: No, no. We have
22 to -- yes, we can assume, right. But I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 saying we just don't know about the unbadged
2 non-radiographers who would have been in the
3 vicinity, again, maintaining the fans on the
4 roof. We could probably do an exposure
5 analysis of that.

6 MR. ALLEN: We did do that, Bob.

7 CHAIRMAN ZIEMER: NIOSH has a
8 value for the betatron operators and --

9 DR. ANIGSTEIN: Yes, okay, that
10 one.

11 MR. ALLEN: The estimates went
12 through somebody repairing the fans on top of
13 the betatron building, somebody working on top
14 of the Number 6 Building above the radiography
15 room, the crane operator above the radiography
16 room --

17 DR. ANIGSTEIN: Yes, I remember
18 that.

19 MR. ALLEN: -- walking through the
20 area outside, if there was radiography going
21 on outside the radiography room. We've gone
22 through about every scenario that anybody's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 come up with, and it's come down to these are
2 the bounding scenarios here.

3 DR. ANIGSTEIN: Okay, I got it.

4 CHAIRMAN ZIEMER: Jim, you got any
5 comments or --

6 DR. NETON: I feel at least one
7 additional category needs to be there, and I'm
8 open to the second one Dave talked about, but
9 I think we need to go back and maybe look at
10 it and get a more firm proposal of how we
11 parse that.

12 CHAIRMAN ZIEMER: The second
13 category being the office workers?

14 DR. NETON: Well, office workers
15 and non-radiographers.

16 CHAIRMAN ZIEMER: Outside John
17 Mauro's envelope.

18 DR. NETON: Yes, yes. I know for
19 sure that I feel like we would need to have an
20 administrator category of some type, because
21 what bothers me with job titles is we often
22 have CATIs on these workers which go into a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lot more detail.

2 And if you have a full CATI on a
3 person that says, I was a clerk and I only
4 went in the plant once or twice a year and I
5 walked through, I just don't feel right
6 providing that person with 9 rem exposure. I
7 mean, because clearly the record indicates it
8 wasn't there.

9 And by just this one-size-fits-all
10 model, it leaves us no recourse and flies in
11 the face of logic, I mean, if I'm your dose
12 reconstructor.

13 MR. ALLEN: I agree it shouldn't
14 be one. I was just saying two rather than
15 three, but --

16 DR. NETON: Okay, okay. Then I
17 was vague, but one additional category beyond
18 the radiography, is that what you're saying?

19 MR. ALLEN: Yes.

20 DR. MAURO: I'm very comfortable
21 with the idea of two categories so, I mean, I
22 don't want to say that we're holding on to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this one category.

2 DR. ANIGSTEIN: But we just talked
3 about three.

4 DR. MAURO: I'm hearing that
5 there's a --

6 CHAIRMAN ZIEMER: We're changing
7 it to two.

8 DR. MAURO: -- re-thinking, maybe
9 only two categories or, Jim, are you still
10 thinking maybe you can do three?

11 DR. NETON: No, no. I said I was
12 comfortable with one more. I thought Dave had
13 an idea that he might want to explore a second
14 one or a third one and he's saying no, so I'm
15 okay with having two categories.

16 DR. MAURO: I think we have come
17 to agreement.

18 CHAIRMAN ZIEMER: Is that
19 agreeable with the Work Group? We would ask
20 NIOSH to then tell us how they would bound
21 that --

22 MR. ALLEN: Second category.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ZIEMER: -- second
2 category.

3 DR. NETON: And provide some
4 examples of how that would play out, yes.
5 This is something that happens a lot and, like
6 I say, you want to have some options when you
7 start doing dose reconstructions to use the
8 facts that you have available to you, and when
9 you do this one-size-fits-all, then I don't
10 know why we bother doing CATIs and all that
11 sort of stuff.

12 CHAIRMAN ZIEMER: Right. Yes, you
13 don't need that information.

14 DR. NETON: You don't need any of
15 that information. I think we should be able
16 to use the information we have at hand,
17 acknowledging, though, that we'll always err
18 on the side of higher dose when it's uncertain
19 when we look at it. That's the way we
20 operate.

21 CHAIRMAN ZIEMER: Yes. Now, I
22 think, Dave, you had one additional issue now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We've covered the timeline issue. We've
2 covered now the triangular distribution.
3 We've covered the idea of having another
4 category during the radium era for office
5 workers. And now there was one other area
6 that we needed to define. That was what?

7 MR. ALLEN: The layout man dose
8 estimate. SC&A's estimate is --

9 CHAIRMAN ZIEMER: This is during
10 the cobalt era?

11 MR. ALLEN: Yes, this is the later
12 years.

13 MEMBER BEACH: SC&A's is 9.2?

14 MR. ALLEN: Yes, and ours is 4 --

15 MEMBER BEACH: 4.483.

16 MR. ALLEN: Yes. And that's the
17 last thing is the assumptions that went into
18 those models and how we would, you know,
19 basically get a feel from the Work Group which
20 way we should go or if there's something in
21 between.

22 To summarize what it was, I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I roughly, quickly did earlier, we estimated a
2 number of shots, various angles, various
3 locations in the betatron building and then
4 used Excel Solver to come up with the dose or
5 with the scenario that would give us 10
6 millirem per week in the control room and
7 maximize the dose in the Number 10 Building.

8 SC&A decided some of those
9 exposure -- or shot scenarios were not
10 realistic and they went back to just a few of
11 those and the one that gave a highest dose in
12 the Number 10 Building and in this latest
13 reply from SC&A they said it was still less
14 than 10 millirem in the control room.

15 DR. ANIGSTEIN: Excuse me, less
16 than 10 millirem to the worker, to the
17 betatron operator in the control room during
18 the shots.

19 Dave's analysis, as I understand
20 it, assumed that -- his limit is 10 millirem
21 for 168 hours a week and we categorically
22 disagree with that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: Okay, and the reason
2 stated in your paper that you disagree with
3 that, if I can get it, the first was that
4 there would be control badges where dose was
5 subtracted from the -- doggone it -- from the
6 film badges, therefore there may have been
7 more reading on there.

8 And my reply to that is, that's
9 true. Landauer and most companies will have a
10 control badge that goes with the whole batch
11 of badges. That is developed along with the
12 other badges and any dose on that is
13 subtracted from the other badges.

14 But Landauer always included the
15 dose in the dose report from the control
16 badge.

17 DR. ANIGSTEIN: There is this
18 betatron control.

19 MR. ALLEN: I'm not talking about
20 the betatron control room. That's Badge
21 Number 1. Badge Number 0 is the control badge
22 and it's --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ANIGSTEIN: That apparently
2 must have been subtracted from itself because,
3 according to Joseph Zlotnicki, former vice
4 president of Landauer, he said every dose
5 report included with the densitometer readings
6 were subtracted. The density was always a
7 difference between the 0 badge, the unnumbered
8 badge and the worker badge.

9 MR. ALLEN: Yes, but it normally
10 wasn't the densitometer reading that was
11 subtracted. It was the dose.

12 DR. ANIGSTEIN: That is not the
13 information I have straight from the horse's
14 mouth. It was the densitometer reading that
15 was subtracted.

16 MR. ALLEN: Actually --

17 DR. ANIGSTEIN: Oh, excuse me, the
18 dose that was reported was the difference
19 between the unnumbered control badge and the
20 actual badge issued to the worker.

21 CHAIRMAN ZIEMER: On a dose basis.

22 DR. ANIGSTEIN: That is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 report.

2 MR. ALLEN: On a dose basis.

3 DR. ANIGSTEIN: Pardon me?

4 MR. ALLEN: On a dose basis, the
5 difference. I mean, what you wrote in one of
6 your --

7 DR. ANIGSTEIN: Well, I mean --

8 MR. ALLEN: What you wrote in one
9 of your reports was a number derived from the
10 density, which there's only one number derived
11 from the density and that's the dose.

12 DR. ANIGSTEIN: Well, let's see
13 now. I believe I quoted -- this was a direct
14 quote. I would have to --

15 MR. ALLEN: Yes, and the direct
16 quote said "derived."

17 DR. ANIGSTEIN: Derived, okay.
18 Then that's what it is.

19 MR. ALLEN: But in any case --

20 DR. ANIGSTEIN: But at any rate, I
21 mean, I think we're quibbling about a
22 technicality. The idea that you can say the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 control room, not the betatron operator in the
2 control room, to say that the control room
3 never got more than 10 mR per week, I don't
4 believe that's defensible.

5 MR. ALLEN: Well, I think it is,
6 because the control badges are recorded on all
7 of the badge reports. Every one of them in
8 the covered period is zero. The only two that
9 were not --

10 DR. ANIGSTEIN: Okay, now, there
11 were two betatrons operating and there was
12 only one control badge. How do we even know
13 which building it was in?

14 MR. ALLEN: These would be with
15 the badges, wherever they are.

16 DR. ANIGSTEIN: The one that said
17 the Number 1 Badge, which said betatron
18 control, we don't know where it was. To make
19 a statement that we can base everything on
20 that one badge, absent the specific
21 information, specific documentation, I don't
22 think we can do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: Actually, that's
2 irrelevant for your argument because your
3 argument was: there was something subtracted
4 from these badges, and it wasn't, because we
5 have the control badge and that's the dose
6 that would be subtracted.

7 DR. ANIGSTEIN: No, not the
8 betatron control, the other one.

9 MR. ALLEN: Exactly. We have them
10 both, Bob.

11 DR. ANIGSTEIN: No. The other --

12 MR. ALLEN: Bob, Badge Number 0 is
13 control. Badge Number 1 is betatron control
14 room.

15 DR. ANIGSTEIN: Okay.

16 MR. ALLEN: Badge Number 0 --

17 DR. ANIGSTEIN: Now, that was
18 subtracted. Okay, I have to say you have a
19 point. I cannot answer that at this moment.
20 I don't want to go past where I'm comfortable.
21 I can't answer that. That's a question that
22 I don't have the answer to. I would have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 find out, and I don't think I can do it right
2 this instant.

3 MR. ALLEN: Okay, well, my take of
4 the situation is the control badge was
5 recorded in every report. It was zero every
6 time except for two occasions, both in 1971,
7 where there was a recorded dose on them. So
8 there was nothing subtracted from the film
9 badges --

10 DR. ANIGSTEIN: Zero just means
11 below the MDL. It does not mean there was no
12 density. Everything has a density reading.
13 The densities were subtracted.

14 I would request that we defer this
15 until I can get more information. I'd like to
16 be able to address this.

17 CHAIRMAN ZIEMER: Let me ask,
18 though, I'm trying to account for the
19 difference between the two numbers, between
20 the NIOSH number and the SC&A number.

21 DR. ANIGSTEIN: The NIOSH number -
22 -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ZIEMER: The NIOSH number
2 is 4.483?

3 MR. ALLEN: Yes.

4 CHAIRMAN ZIEMER: And the SC&A
5 number is 9.2?

6 MR. ALLEN: Yes.

7 CHAIRMAN ZIEMER: So it's roughly
8 double, and does this account for that?

9 DR. ANIGSTEIN: What accounts for
10 the difference is using the Excel Solver to
11 include shots done in the opposite direction,
12 to include shots -- there were like 15 very
13 arbitrarily chosen shooting geometries, and
14 using Excel Solver to see which of the
15 shooting geometries is consistent with this 10
16 mR per 168 hours in the control room, not to
17 exceed that, and still maximize the dose to
18 the layout worker.

19 And our position -- my position is
20 that this was not valid and, you know, I have
21 to say I have to answer Jim's comment, but I
22 can't do it this moment. I'll confer with my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 source and will write a memo shortly after
2 this meeting, but I can't answer that now.

3 MEMBER BEACH: Your dose, 4.483,
4 except for half the value of 1966, how much
5 does that account for, that 1966, half that
6 value?

7 MR. ALLEN: I'm not sure. The
8 1966, the contract period or whatever with the
9 AEC ended in June, is twice -- half that value
10 for the annual dose.

11 MEMBER BEACH: Yes, so it's a
12 small percentage of -- it would be lower
13 because of the small percentage? I guess I
14 was trying to understand what you meant by
15 that comment earlier today.

16 MR. ALLEN: We just meant that
17 dose is an annual dose that's -- from what we
18 decided today, would be 1/1/1963 through June
19 30 --

20 CHAIRMAN ZIEMER: So half a year.

21 MEMBER BEACH: So it's a small --

22 MR. ALLEN: It's a half a year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Yes, it's a half
2 a year, yes. It's half of your value, but --

3 MR. ALLEN: The difference
4 essentially in --

5 CHAIRMAN ZIEMER: The difference
6 is really including some shots that were -- is
7 it a weighted average or just an average, that
8 number?

9 MR. ALLEN: It ends up being two
10 shot scenarios in ours to get the utilization
11 time, et cetera. The difference is primarily
12 we normalize to 10 millirem per 168 hours in
13 the control room, and Bob didn't on his.

14 CHAIRMAN ZIEMER: Okay, but that
15 normalization factor is enough to account for
16 this difference? That's what I'm asking.

17 MR. ALLEN: Yes, because if you
18 take the shot that Bob used and you put a
19 badge in the control room for 168 hours, you
20 will get 20-some millirem on that badge.

21 CHAIRMAN ZIEMER: Okay, and that
22 basically doubles --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: Right.

2 CHAIRMAN ZIEMER: -- what you
3 calculate then. Yes, I see what you're
4 saying. So what we need to do is have SC&A go
5 back and either confirm their number or at
6 least explain their number more definitively,
7 and then, what do we need to do? I guess --

8 DR. ANIGSTEIN: I would just like
9 to get more information and submit a memo
10 explaining this normalization.

11 CHAIRMAN ZIEMER: Yes, right. I
12 guess you'll either end up saying, yes, we
13 stand by our number or, no, we think NIOSH is
14 okay, right? I guess that's the way it'll
15 come out.

16 DR. ANIGSTEIN: Something like
17 that.

18 CHAIRMAN ZIEMER: But either way,
19 if you're apart, we may have to have further
20 discussions on that issue. It looks like it
21 revolves around, in part, whether or not that
22 001 badge -- is that the control room badge?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Zero badge.

2 MEMBER BEACH: Zero.

3 CHAIRMAN ZIEMER: The zero badge.

4 Well, now, the one in the control room's 01,
5 isn't it?

6 MR. ALLEN: That's the control
7 room badge that was there for some of the
8 time.

9 CHAIRMAN ZIEMER: Right, right.

10 MR. ALLEN: But there was --

11 CHAIRMAN ZIEMER: The others, the
12 --

13 MR. ALLEN: Control badge itself.

14 CHAIRMAN ZIEMER: Control badge
15 itself, okay. So we'll have to close that one
16 then. Well, Bob, you'll let us know what --

17 DR. ANIGSTEIN: Yes, I will.

18 CHAIRMAN ZIEMER: You can
19 distribute your findings on that so that we
20 can reach final agreement. Does that cover
21 all the open issues?

22 MR. ALLEN: That covers everything

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that I think we need to reach some sort of
2 agreement on in order to come up with the
3 whole --

4 CHAIRMAN ZIEMER: Right.

5 MEMBER BEACH: One question I
6 have, I know you've discussed it, but the
7 triangle. The high number is going to start
8 with --

9 CHAIRMAN ZIEMER: The high number
10 is still the limits, 12 and 15.

11 MEMBER BEACH: Well, okay, so --

12 MR. ALLEN: It'll be slightly
13 different for different years.

14 MEMBER BEACH: It's not going to
15 be 9. Okay, perfect.

16 CHAIRMAN ZIEMER: Right. The 9, I
17 think, is the median.

18 MEMBER BEACH: And then the 5 --

19 CHAIRMAN ZIEMER: The low was the
20 5 or something like that.

21 DR. NETON: It's not really the
22 median. It's the central value.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ZIEMER: The central
2 value, right, right. Well, once we have
3 clarification on that and have an agreement on
4 what the approaches will be on bounding,
5 because you have the other question on the
6 second group as well, then we need to go back
7 specifically to the matrix and go through all
8 the matrix issues and see if there's -- I
9 mean, in principle, the matrix issues deal
10 with what we've been talking about.

11 MEMBER BEACH: So do we need an
12 update to the matrix? The last one we have
13 was in --

14 CHAIRMAN ZIEMER: The last
15 distribution is the matrix.

16 MEMBER BEACH: It's the most
17 correct. November 26th.

18 CHAIRMAN ZIEMER: Right. We
19 haven't done any matrix issues since then,
20 because we focused on the SEC which was acted
21 on at the last meeting and now we're pretty
22 close to closing the issues on the approaches

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 here.

2 Well, we agreed on the timeline.
3 We've agreed on the use of the triangular
4 distribution. We need a little more from
5 NIOSH on how they will do the second sort of -
6 -

7 MR. KATZ: Category.

8 CHAIRMAN ZIEMER: The second
9 category, the outside-the-envelope category.
10 And then we need an update from SC&A on this
11 issue of the layout man value, is what it
12 turned out to be for the cobalt era. And I
13 think it was pointed out here in this, but was
14 not discussed, was the handling of the uranium
15 in the residual period, although you pretty
16 well outlined that before, but we need --

17 DR. NETON: Well, I think there
18 are still some differences of opinion between
19 us and SC&A, though, on how that goes. I
20 believe we agreed it wasn't an SEC issue but I
21 think there were still some differences on --

22 CHAIRMAN ZIEMER: Right. I'd like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to have you go back on that and make sure --

2 MR. ALLEN: Open it up or
3 whatever.

4 CHAIRMAN ZIEMER: Go back and
5 check yours against the SC&A comments. We
6 agreed that it could be done. Remember, we
7 eliminated the use of that vacuum cleaner
8 value --

9 DR. ANIGSTEIN: What issue are we
10 on?

11 CHAIRMAN ZIEMER: The inhalation
12 of the uranium during the residual period.

13 DR. ANIGSTEIN: Yes.

14 CHAIRMAN ZIEMER: It's a small
15 contribution as well to this period but it
16 also --

17 DR. ANIGSTEIN: Yes. We simply
18 agreed on the source term but we never agreed
19 on the model.

20 CHAIRMAN ZIEMER: Right, right. I
21 just want to get closure on that as well.
22 Now, thank you, everybody. We have a half

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hour left. We're not going to get through
2 this full agenda. I do want to move into the
3 Baker Brothers. Let's see --

4 DR. MAURO: Paul?

5 CHAIRMAN ZIEMER: Yes, hang on.

6 DR. MAURO: If it helps any, I
7 know we only have a half hour, we put together
8 those talking points. There's a whole long
9 list of them there.

10 CHAIRMAN ZIEMER: Yes, we have
11 your talking points.

12 DR. MAURO: Right, but what I was
13 going to say is there's only one that really
14 matters and the rest of them are just what we
15 would call standard Site Profile issues that
16 we will resolve those.

17 CHAIRMAN ZIEMER: Right. I want -
18 -

19 DR. MAURO: The only one that
20 really is -- the one that is Number 7. So
21 just to alert everyone there, the degree to
22 which you want to get into Baker Brothers, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 would recommend that the one that's by far the
2 dominant, most important issue is Number 7
3 because it has SEC implications in regard to
4 where the boundaries were set for the SEC.

5 The others are just Site Profile
6 type issues which, of course, I think are
7 certainly solvable and, you know, don't impact
8 the SEC boundary.

9 CHAIRMAN ZIEMER: Right. Well,
10 you remember that at the last meeting of the
11 Board, the Board took action on Baker Brothers
12 for the --

13 DR. MAURO: Yes. The only reason
14 I bring this up is that --

15 CHAIRMAN ZIEMER: But not on the
16 residual period.

17 DR. MAURO: Right. The issue has
18 to do with the residual period and, you know,
19 the reality is the approach taken for the
20 residual period, there's a fundamental
21 assumption that was made. That is the rock
22 they stand on, and there are certain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 weaknesses in it.

2 And the reason I say it can be an
3 SEC issue is that if you really can't assign a
4 bounding number for the beginning of the
5 residual period, you've got a serious problem.

6 And I'd raise the question -- and
7 it may have an easy answer because, remember,
8 we only looked at this for a day before
9 yesterday and came up with this list.

10 And I realized we were going to
11 run out of time and I gave some thought, if
12 there's anything I wanted to point the Work
13 Group to, it's Issue Number 7 because it's the
14 rock upon which the whole residual period is
15 standing on.

16 CHAIRMAN ZIEMER: Okay. We all
17 got your comments. I don't know that NIOSH
18 has had a chance to even look at these because
19 --

20 DR. NETON: We've looked at it.

21 CHAIRMAN ZIEMER: -- they've only
22 been out a day or two, right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: A day, yes.

2 CHAIRMAN ZIEMER: Yes.

3 DR. NETON: We've looked at them.

4 I mean, and we understand --

5 CHAIRMAN ZIEMER: The concern on
6 this one.

7 So are you comfortable that that's
8 the main thing as well or did you --

9 DR. NETON: Well, I'm having
10 trouble bringing up the report. What is Issue
11 Number 6 then?

12 DR. MAURO: Number 7 is --

13 CHAIRMAN ZIEMER: I gave him my
14 copy here. Jim's looking at it.

15 DR. MAURO: It's a conceptually
16 easy one to -- you've picked an airborne dust
17 loading and we like that airborne dust loading
18 as being a plausible upper bound for the dust
19 loading that might have been experienced
20 during the operations period.

21 And then you use that airborne
22 dust loading, which is 5480 dpm per cubic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meter, to calculate the amount that settled
2 out onto a surface and that became the
3 starting point, that dpm per square meter.

4 Turn outs to be about a million
5 dpm per meter squared of gross alpha on the
6 surface at the beginning of the residual
7 period which is January 1st, 1945.

8 Everything about that is fine,
9 except there's one fly in the ointment. It
10 has to do with the fact that apparently there
11 were a large number of uranium fires that
12 occurred in the building in 1943/44 perhaps.
13 Not sure exactly. You know, there was a
14 number of them.

15 And what that puts you in a
16 situation is that if there wasn't cleanup
17 after these fires, the residual activity that
18 might be on surfaces might not be well
19 represented by the model and assumptions you
20 made.

21 I would fully agree that the way
22 you approach the problem is perfectly fine if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we didn't have all these fires.

2 But with these fires, it left me
3 in a place where I was not comfortable that
4 the 5480 -- I'm sorry, that the activity on
5 the surface that you derived, which is a large
6 number, don't get me wrong, which is 1 million
7 dpm per meter squared, that's based on the
8 mechanics of assuming there was a certain dust
9 loading during operation.

10 I would like to see some evidence
11 that there was some cleanup of the uranium
12 during operations. Otherwise, that leaves you
13 with a place where it's hard to defend the
14 number that you use as your starting point.

15 DR. NETON: Right.

16 DR. MAURO: That's it. I mean,
17 it's a simple concept and now I have to say we
18 did not go back to the SRDB and review. We
19 just didn't have the time.

20 There may be some information in
21 there that says when the fires occurred and
22 whether or not there was cleanup after the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fires.

2 If that information is out there,
3 this major issue, that I consider to be a
4 major issue, goes away, because everything
5 else about this, the rock you're standing on,
6 as far as I'm concerned is solid.

7 DR. NETON: Yes. Well, Tom Tomes
8 is our lead on this and I think he's looked
9 into this fire issue a little bit. Maybe,
10 Tom, you can comment a bit?

11 MR. TOMES: I haven't had time to
12 go back and look at the specific issue since I
13 got your talking points. But I have looked
14 back at in general what their requirements
15 were for closing out the contract and
16 returning all the materials to the government.

17 They had a routine where they
18 collected all their turnings and all their
19 solid metal scrap that they generated as well
20 as their fines and their sweeping. They
21 specifically had a label, material sweeping.

22 So they did have a program of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 removing all bulk materials, sweeping the area
2 and segregating it and drumming it and
3 returning it to the AEC. As a matter of fact
4 --

5 DR. MAURO: If those materials,
6 though, on occasion that caught fire that they
7 were collecting -- and the main thing I'd like
8 to see is some discussion about what was done
9 after those fires because I'm picturing this
10 smoke and fires and uranium becoming airborne
11 and then settling out, which is a scenario
12 that is not embraced anywhere in the Petition
13 Evaluation Report.

14 But there may be material in the
15 SRDB which says, yes, that's what they did. I
16 didn't look for that.

17 If we can find that, if it turns
18 out a case can be made that either the amount
19 of dust settling from the fires was minimal,
20 compared to the settling from operations, or
21 that when there was a fire there was something
22 done to clean it up, I think Number 7 goes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 away.

2 MR. TOMES: There may be
3 information to do that and posit one or more
4 angles. We do have information that they had
5 one large fire that consumed 100 pounds of
6 uranium. Then there were several much smaller
7 fires. So we do have an idea of what the
8 maximum loss would have been at any one time
9 and --

10 MEMBER BEACH: Well, this says
11 from several pounds to several hundred pounds,
12 in addition to the 100-pound one you were
13 talking about.

14 MR. TOMES: I don't recall a
15 several hundred. I recall the 100 but I have
16 to go back and check that.

17 CHAIRMAN ZIEMER: Well, I think it
18 would be appropriate to ask NIOSH to go back
19 and clarify that issue.

20 DR. NETON: Yes, we need to look
21 at it. I would still say 5400 dpm per cubic
22 meter is still a pretty high --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: Yes, I would say that,
2 without the fires, the number that you use for
3 the deposited activity, the 1 million dpm per
4 square meter that's starting January 1st,
5 1945, that's probably conservative by at least
6 a factor of 5 to 10.

7 MEMBER MUNN: Yes, it's pretty
8 high.

9 DR. MAURO: I agree with that
10 completely.

11 MEMBER MUNN: Absolutely.

12 MEMBER BEACH: One of the
13 questions I had too in reading through this
14 is: what went on from '45 to '89 or when they
15 first started doing this survey? I think the
16 earliest one you have started in '81. But
17 there's nothing in the ER that says what
18 happened in those facilities --

19 CHAIRMAN ZIEMER: In between.

20 MEMBER BEACH: -- in between.
21 There's nothing. Was the area, you know,
22 locked up and nobody was in it? Were there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people working another job? So that's
2 something that I had a question on.

3 MR. TOMES: Well, Baker Brothers
4 was dissolved and sold to another company. We
5 don't really have details on what happened in
6 this post-period.

7 MEMBER BEACH: So everybody from
8 Baker was gone, because that's more
9 information than I had earlier. That was this
10 question.

11 CHAIRMAN ZIEMER: So all you have
12 is who would be exposed during this cleanup
13 period then?

14 DR. NETON: Right. But on those
15 fires, I don't recall. There weren't like
16 dozens of fires, were there? I thought there
17 were some fires, several fires, but not -- and
18 when you start talking about several spot
19 fires in the context of higher period, acute
20 little injections when you deposit 5480 dpm
21 per cubic meter 24 hours a day, 7 days a week
22 for 30 days --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: That's a lot.

2 DR. MAURO: Jim, you show that and
3 this problem goes away.

4 DR. NETON: We'll go back and look
5 at it.

6 DR. MAURO: Yes, and you could see
7 why once you have this number, this rock
8 you're standing on, which is the dpm per meter
9 squared on January 1st, 1945, if we agree with
10 that number, you know, everything else that we
11 talk about, well, just about everything else,
12 goes toward, you know, how you model the
13 resuspension factor and the rate at which it
14 deposited out.

15 I mean, there's a whole bunch of
16 other things that are brought up here. I
17 don't know how many comments we have. You
18 know, these weren't in order. We have a total
19 of, I don't know, 16.

20 But I'm trying to keep it brief.
21 You know, I know we have a little time. But I
22 think that all those others are tractable,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 okay? This one, though, is the one that
2 everything hangs on.

3 DR. NETON: Well, I could tell
4 you, if it was 10 million dpm per square
5 meter, I think you guys had a slight error in
6 your calculation.

7 DR. MAURO: No, it's 1 million.
8 It's your number. It's actually on Page 36 of
9 the PER.

10 DR. NETON: It's 10 million, John.
11 Your calculations said a million but it's 10
12 million.

13 CHAIRMAN ZIEMER: Maybe you have
14 the wrong number in your report, John.

15 DR. NETON: Yes, I think you might
16 have made a --

17 DR. MAURO: I may have made a
18 mistake. I'm the first to admit.
19 Notwithstanding what the number is --

20 DR. NETON: I know, but if you
21 look at that, you end up with about 6.7 grams
22 per square meter of uranium, which is --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: Now you're saying that
2 your number in the --

3 DR. NETON: It's 10 million.

4 DR. MAURO: My calculation is wrong
5 here.

6 DR. NETON: Yes.

7 DR. MAURO: Okay, I believe you.

8 DR. NETON: Yes, anyway, so it's
9 10 million dpm per square meter which ends up
10 being, I think, 6.7 grams of uranium per
11 square meter. That's a pretty high number.

12 CHAIRMAN ZIEMER: Pretty high
13 loading. Let me make a suggestion here. Keep
14 in mind that when the Board referred this
15 residual period back to the Work Group to look
16 at, they basically asked us to determine
17 whether we were ready to make a recommendation
18 on it or whether we wanted SC&A to further
19 review it.

20 So SC&A didn't really do an in-
21 depth review. They weren't actually tasked to
22 do anything, but we did suggest they read

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 through it and be prepared to discuss it, so
2 this is based on a cursory read-through.

3 DR. NETON: I do agree that John's
4 identified --

5 CHAIRMAN ZIEMER: And I think it's
6 appropriate to ask NIOSH to go back and
7 address this point. John, if you guys would
8 go back and double-check your calculation --

9 DR. MAURO: Sure.

10 CHAIRMAN ZIEMER: -- to make sure
11 you're okay there. You may say, you know,
12 this loading is so high, we withdraw it all
13 too.

14 Either way, yes, notwithstanding.
15 And then I think at this point we would report
16 to the Board that there's just one issue we're
17 still looking at and we would not have a
18 recommendation.

19 And I think we're all right on
20 that for now with the Board. I don't think
21 there's a demand that we come back this time
22 with the specific recommendation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And the other part of it is: I
2 think, and let me ask Josie and Wanda and John
3 if you're on the phone, do you wish, outside
4 of having John and the SC&A folks and Tom
5 taking another look at that issue together
6 with NIOSH, is there any need to ask SC&A to
7 do any further in-depth work on this?

8 MEMBER BEACH: Well, I still have
9 a question because our time period is through
10 1996, so I guess I want it clear if anybody
11 was still working in those facilities or if,
12 at the end of the first period that we voted
13 in the SEC that everybody was out of there
14 until later on.

15 MR. TOMES: The facility was still
16 occupied.

17 MEMBER BEACH: Okay, so who
18 occupied it? Baker Brothers?

19 MR. TOMES: Baker Brothers
20 continued to operate. They eventually -- I
21 can't recall a year, I don't know if I even
22 have information on the exact year, but it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 eventually bought out by a property. It was
2 actually bought by a different company. There
3 were two companies who split the property.

4 CHAIRMAN ZIEMER: Okay, any
5 presence of activity, though, during that
6 period? Does it count or not?

7 DR. NETON: Yes, it's covered.

8 CHAIRMAN ZIEMER: Covered.

9 DR. NETON: It's a residual
10 period, so.

11 MEMBER BEACH: It's a covered time
12 period.

13 CHAIRMAN ZIEMER: Right.

14 MR. TOMES: I mean, again, there
15 was exposures during that period.

16 CHAIRMAN ZIEMER: If they're still
17 Baker Brothers?

18 MR. TOMES: No. They would have to
19 have covered employment.

20 CHAIRMAN ZIEMER: The contractor.

21 MR. ALLEN: The contractor or its
22 successors, I think, is how it's written in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the law or something. It's anybody that buys
2 that property --

3 DR. NETON: It's the facility.

4 CHAIRMAN ZIEMER: It's everybody
5 who was there after --

6 DR. NETON: It's the facility
7 itself, not the owner of the facility.

8 CHAIRMAN ZIEMER: So I think the
9 question is: can we get clarification on that?
10 Who can clarify that?

11 DR. NETON: I'm not sure what you
12 --

13 MEMBER BEACH: Well, I guess I'm
14 looking for what was happening in that
15 facility during that. Were people working in
16 there? Were they --

17 DR. NETON: So once DOE leaves, we
18 don't really have much information.

19 MEMBER BEACH: But we're asked to
20 look at those years, though.

21 MR. TOMES: We have a little bit
22 of information in here on that. We have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 name of the company that operated it. Some of
2 the areas were used for storing electrical
3 equipment and motors, and I'd have to --

4 DR. NETON: We could flesh that
5 out a little bit, I guess. I don't think they
6 were doing any radiological work, if that's
7 what you're asking, and it doesn't sound like
8 they were doing any real --

9 CHAIRMAN ZIEMER: Basically, the
10 source terms are gone, is what --

11 DR. NETON: The source terms are
12 there. They're being depleted over time.

13 CHAIRMAN ZIEMER: No, no, no. I
14 mean --

15 DR. NETON: Oh, yes, the
16 production.

17 CHAIRMAN ZIEMER: The production
18 source terms, not the residual activity.

19 MEMBER BEACH: Right.

20 DR. NETON: So it's our standard
21 TIB-70 model that we've used a number of
22 different places.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER BEACH: Okay.

2 CHAIRMAN ZIEMER: Any further
3 questions on that? Okay, let me just comment
4 before we close on Simonds Saw and Steel. We
5 received NIOSH's draft responses a couple
6 weeks ago on the matrix.

7 And then SC&A distributed, within
8 the last couple days, what they called
9 preliminary responses. I think I just got
10 those yesterday, and I've not had a chance to
11 look at them and I don't know that you folks
12 have, but --

13 DR. NETON: I have not.

14 CHAIRMAN ZIEMER: But I think
15 we'll defer Simonds Steel and Saw until our
16 next meeting and give us a chance to digest
17 the materials, both the NIOSH responses and
18 the preliminary responses. Let me ask who's
19 SC&A's -- Bob Barton, are you?

20 MR. BARTON: Yes.

21 CHAIRMAN ZIEMER: These
22 preliminary responses, are they pretty close

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to the finals or are you guys still developing
2 --

3 MR. BARTON: I think basically why
4 we put those in there was to kind of
5 facilitate discussion today if we could get
6 into it.

7 CHAIRMAN ZIEMER: Right, if we got
8 into it. But you will have a more formalized,
9 polished --

10 MR. BARTON: Most of them pretty
11 much state what our original finding was, so I
12 think we're in a position --

13 CHAIRMAN ZIEMER: Well, if there
14 are any changes --

15 MR. BARTON: Yes, I'll update it
16 today.

17 CHAIRMAN ZIEMER: Just distribute
18 them, once you have those changes, so that we
19 have the latest thing.

20 MR. BARTON: Sure.

21 CHAIRMAN ZIEMER: Certainly before
22 our next meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER BEACH: Okay. I thought
2 when I read through them they were waiting for
3 NIOSH's response or --

4 CHAIRMAN ZIEMER: No, they have
5 NIOSH's response and then they have -- do you
6 have the latest one?

7 MEMBER BEACH: Yes, and the
8 preliminary -- most of those were waiting for
9 more responses from NIOSH, or that's what I
10 thought.

11 MR. BARTON: Well, many NIOSH
12 responses were that they were going to
13 continue to look at the bioassays to look at
14 the issue if it was bounding.

15 CHAIRMAN ZIEMER: Right.

16 MR. BARTON: So essentially a lot
17 of the preliminary responses are: we agree
18 that -- you know.

19 MEMBER BEACH: They need more
20 work.

21 MEMBER MUNN: Just keep looking.

22 DR. NETON: We'll keep working on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our response.

2 CHAIRMAN ZIEMER: Okay.

3 DR. MAURO: Paul, this is John.

4 CHAIRMAN ZIEMER: Yes, John.

5 DR. MAURO: I made a mistake.
6 That is not 1 million. It is 10 million in
7 Number 7. The question still remains, but of
8 course, this places the number a lot higher.

9 CHAIRMAN ZIEMER: Right.

10 DR. MAURO: But I just wanted to
11 confirm for the record, yes, we made a mistake
12 in that and the correct number is 10 million,
13 not 1 million, dpm per square meter.

14 MEMBER MUNN: The big question
15 still is what happens with and after the
16 fires?

17 DR. MAURO: Yes but, you know, I
18 think that the key point would be to
19 demonstrate that the fires really were not
20 that important in terms of, given the size of
21 this number.

22 Perhaps that could be demonstrated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in terms of contributing to deposited activity
2 or they may have cleaned up after each fire or
3 the big fires. So, I mean, if that case could
4 be made, then this what I would call a
5 fundamental issue goes away.

6 MR. KATZ: Thanks, John.

7 CHAIRMAN ZIEMER: Thank you very
8 much. Let me ask Ted, in terms of the
9 upcoming Board meeting, do you just want to
10 report on where we stand on these three --

11 MR. KATZ: Yes, updates. We have
12 a session set aside for this, thinking that we
13 might have had --

14 CHAIRMAN ZIEMER: For what?

15 MR. KATZ: For Baker Brothers.

16 CHAIRMAN ZIEMER: Baker Brothers.

17 MR. KATZ: That we might have had
18 a report out, but at this point it's just an
19 update.

20 CHAIRMAN ZIEMER: Right. Well, I
21 think at this point, we can update all three
22 as part of the Work Group reports, I suppose.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: Yes, right.

2 CHAIRMAN ZIEMER: And don't set
3 aside a session for Baker Brothers yet.

4 MR. KATZ: Yes, there's no reason
5 to set aside a special session for Baker
6 Brothers --

7 CHAIRMAN ZIEMER: And with this
8 report, there's one issue we're still looking
9 at.

10 MR. KATZ: -- because there's not
11 enough to say.

12 CHAIRMAN ZIEMER: Right, right.

13 MR. KATZ: You don't have 30
14 minutes to talk.

15 CHAIRMAN ZIEMER: I can talk slow,
16 right? Okay.

17 MR. KATZ: So the agenda will be
18 revised accordingly.

19 CHAIRMAN ZIEMER: Okay. Do you
20 want to look at dates for next Work Group?

21 MR. KATZ: Yes, I think that's a
22 good idea. We need a sense of what is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 adequate time to circle the wagons.

2 CHAIRMAN ZIEMER: Well, again,
3 probably -- I'm going to guess the Baker
4 Brothers thing's not going to be a big time
5 and effort thing.

6 MR. TOMES: I wouldn't expect it
7 to be.

8 CHAIRMAN ZIEMER: And probably
9 Simonds Saw and Steel's not going to be --
10 well, you got some ongoing work there and I
11 guess, Bob, you'll still work on that.

12 And so I think for GSI, we need to
13 focus on getting closure on those models so
14 that'll be our priority items for our next
15 meeting. It'll still be GSI.

16 MR. BARTON: Dr. Ziemer, just a
17 point of clarification. I think where we are
18 with Simonds is that, you know, SC&A is kind
19 of laying out their position. NIOSH has
20 responded to that and I don't think that more
21 work from our end necessarily would benefit at
22 this point. I think we're in a position where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we just need to discuss the issues and come to
2 some conclusions.

3 CHAIRMAN ZIEMER: Right, okay.
4 Okay, okay, that's good.

5 MR. KATZ: That's what I meant.
6 You're off the hook.

7 CHAIRMAN ZIEMER: Yes, the only
8 thing was: since this was sort of preliminary
9 I thought maybe they had some final wording or
10 something but the issues are scattered out.

11 That's fine, okay. So let's look
12 at dates and let's see.

13 MR. KATZ: Let's get a sense of
14 how much time we need, because the sooner the
15 better, but that just depends on what's
16 practical.

17 DR. NETON: Dave's schedule is
18 sort of a limiting factor for us.

19 MR. ALLEN: Yes, I'm sitting here
20 trying to think. This is something we would
21 want to get out at least a few weeks before
22 the meeting, wouldn't we?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: Oh, yes.

2 MR. ALLEN: We're going to need at
3 least a couple weeks.

4 CHAIRMAN ZIEMER: Give us a window
5 at least.

6 MR. ALLEN: Well, yes, at least a
7 couple weeks. Let's say a month to do this
8 and at least a couple weeks for somebody to
9 see it after that or look at it, if not a
10 month or a couple months.

11 CHAIRMAN ZIEMER: So you're
12 talking about April? Let's see, we have a
13 Board teleconference.

14 MEMBER MUNN: Not in April.

15 CHAIRMAN ZIEMER: Well, I don't
16 think that's so critical. We'll meet when we
17 meet.

18 MR. KATZ: Yes, yes. I think we
19 just do it when it's --

20 MEMBER BEACH: So we have a
21 Procedures meeting on the 25th. Since all
22 three of us are on that group, I don't know if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that helps.

2 CHAIRMAN ZIEMER: 25th of?

3 MR. KATZ: That's actually a
4 terrible week because I have a NIOSH lead team
5 meeting two days that week too in another
6 location, so it would kill me to have another
7 meeting.

8 MEMBER BEACH: I'm not available
9 the 15th, that whole week of the 15th.

10 MEMBER MUNN: What about the first
11 week in April?

12 MR. KATZ: Well, the week of the
13 8th, you mean?

14 MEMBER MUNN: No, right after
15 Easter.

16 MR. TOMES: We're not leaving much
17 time.

18 MEMBER MUNN: Well, that's a month
19 and a week, five weeks, six weeks.

20 MR. TOMES: Yes, well, those first
21 two weeks of April are both fine on my
22 schedule. Again, it's what --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Yes, that would be
2 six weeks out. Thursday the 4th would be six
3 weeks.

4 MR. KATZ: How is everyone on --

5 MEMBER BEACH: I can do the 4th.

6 MR. KATZ: The 4th of April?

7 MEMBER BEACH: Yes.

8 MR. KATZ: Does that work for you,
9 Dave?

10 MR. ALLEN: The fourth of April?

11 MEMBER BEACH: Too soon?

12 MR. KATZ: Is that too soon?

13 MEMBER BEACH: That's six weeks
14 from now.

15 CHAIRMAN ZIEMER: That's six weeks
16 from now.

17 MR. ALLEN: I don't know if I can
18 guarantee you you'd get it two weeks before
19 then. We can try.

20 MEMBER BEACH: How about the first
21 couple days in the next week, 8, 9, 10?

22 MEMBER MUNN: No, no. That's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rough one.

2 CHAIRMAN ZIEMER: How about week
3 of the 15th?

4 MEMBER MUNN: Oh, yes.

5 MEMBER BEACH: I'm gone on that
6 one week.

7 CHAIRMAN ZIEMER: You're gone.

8 MEMBER MUNN: The whole week?

9 MEMBER BEACH: Yes.

10 CHAIRMAN ZIEMER: And the week of
11 the 22nd is bad for you, Ted?

12 MR. KATZ: It's terrible for me,
13 but, well, I'm going to just destroy my life.
14 The 26th I could do it. It's just a bad week.

15 CHAIRMAN ZIEMER: Who's out the
16 week of the 29th?

17 MR. KATZ: The 29th is fine.

18 CHAIRMAN ZIEMER: 29th, 30th?

19 MR. KATZ: The week of the 29th is
20 fine for me.

21 CHAIRMAN ZIEMER: 30th?

22 MEMBER BEACH: I'm tied up the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 29th and 30th, but we have a work call on the
2 2nd.

3 CHAIRMAN ZIEMER: What about the
4 1st?

5 MEMBER MUNN: If we go on the 1st,
6 then those of us who travel have a problem
7 with the teleconference on this day.

8 CHAIRMAN ZIEMER: Yes, with the
9 phone call, yes.

10 MR. KATZ: Well, what about the
11 3rd?

12 MEMBER MUNN: The week of the 6th.

13 MEMBER BEACH: The 3rd's good.

14 MR. KATZ: How about the 3rd?
15 It's a Friday.

16 CHAIRMAN ZIEMER: Third of what?

17 MR. KATZ: Of May.

18 MEMBER BEACH: Well, except for
19 travel after the call.

20 MR. KATZ: Oh, does that not work?

21 CHAIRMAN ZIEMER: I'm out that
22 whole week.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: How about the week
2 of the 6th?

3 CHAIRMAN ZIEMER: Of May?

4 MEMBER BEACH: Yes.

5 MEMBER MUNN: That's way out
6 there, though.

7 MR. KATZ: That's fine. I can deal
8 with my misery on April 26, if that works for
9 you guys.

10 MEMBER BEACH: That works for me.

11 CHAIRMAN ZIEMER: We got the
12 Procedures Review the day before.

13 MR. KATZ: So, I mean, at least
14 it's efficient in terms of your travel, Paul
15 and Wanda and --

16 MEMBER MUNN: Sure is.

17 MEMBER BEACH: Yes, absolutely.

18 MEMBER POSTON: Ted, you're
19 talking about April 26th?

20 MR. KATZ: And you, Josie, too.

21 CHAIRMAN ZIEMER: Yes.

22 MR. KATZ: April 26.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER POSTON: Yes, I can do
2 that.

3 MR. KATZ: Okay, it's a done deal,
4 April 26.

5 MEMBER MUNN: Great.

6 CHAIRMAN ZIEMER: Okay, thank you.

7 DR. MAURO: Paul, this is John.
8 Does SC&A have any action items in regard to
9 Baker Brothers?

10 CHAIRMAN ZIEMER: No.

11 MR. KATZ: No.

12 DR. MAURO: Okay.

13 CHAIRMAN ZIEMER: Well, only in
14 the idea that if that number --

15 DR. MAURO: Yes, it's wrong and
16 Jim is correct.

17 CHAIRMAN ZIEMER: No. If you go
18 back and look at what that means dust loading-
19 wise, and you decide that it's so great anyway
20 it's --

21 DR. MAURO: Okay, no, no. That's
22 a good idea. We'll just focus in on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The other findings are what they are.
2 Certainly NIOSH could look at them.

3 What we'll do is our own homework.
4 We'll do the same thing NIOSH is doing,
5 taking a look to see if there could be a
6 situation where this number may have been
7 underestimated.

8 CHAIRMAN ZIEMER: Okay, thank you.

9 DR. MAURO: Very good.

10 CHAIRMAN ZIEMER: Any other
11 comments?

12 MR. KATZ: Right, and just to be
13 clear, John, I guess NIOSH will be looking at
14 the question of what was done after fires and
15 so on, so you don't need to go digging on that
16 necessarily, right?

17 DR. MAURO: Oh, I misunderstood.
18 I thought that's what we were going to do so
19 that we may come to the same place.

20 MR. KATZ: No, no.

21 CHAIRMAN ZIEMER: No. Well, I was
22 just saying if you look at, you know, you had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 miscalculated that one number.

2 DR. MAURO: I did miscalculate it.

3 CHAIRMAN ZIEMER: So your air
4 loading is ten times what you thought it was.
5 You might --

6 DR. MAURO: Not the air loading.
7 Just my arithmetic was wrong.

8 CHAIRMAN ZIEMER: Oh, okay.

9 DR. MAURO: No, the air loading is
10 fine. It's whether the fires somehow
11 undermine the ability to use this approach.

12 CHAIRMAN ZIEMER: Yes, got you.

13 DR. MAURO: Now, if you'd like us
14 to look at it or not, let us know.

15 CHAIRMAN ZIEMER: I think the
16 ball's in NIOSH's court on that.

17 DR. MAURO: That's fine.

18 CHAIRMAN ZIEMER: Yes, okay, thank
19 you. Okay, everyone. Thank you very much.
20 Thanks, folks on the phone. We're adjourned
21 for the day.

22 (Whereupon, the meeting in the above-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 entitled matter was concluded at 2:57 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com