

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON TBD-6000

+ + + + +

THURSDAY
JUNE 20, 2013

+ + + + +

The Work Group met telephonically
at 10:30 a.m. Eastern Daylight Time, Paul
Ziemer, Chairman, presiding.

PRESENT:

PAUL L. ZIEMER, Chairman
JOSIE M. BEACH, Member
WANDA I. MUNN, Member
JOHN W. POSTON, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

ALSO PRESENT:

TED KATZ, Designated Federal Official
DAVE ALLEN, NIOSH ORAU
BOB ANIGSTEIN, SC&A
BOB BARTON, SC&A
SAM GLOVER, NIOSH ORAU
MONICA HARRISON-MAPLES, NIOSH ORAU
JENNY LIN, HHS
JOHN MAURO, SC&A
DAN McKEEL
JIM NETON, NIOSH ORAU
JOHN RAMSPOTT
BILL THURBER, SC&A
TOM TOMES, NIOSH ORAU

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

TABLE OF CONTENTS

	<u>PAGE</u>
1. Welcome and Roll Call	4
2. SC&A Supplementary Comments on TBD-6000, Rev 01	6
3. GSI	32
4. Baker Brothers	170
5. Joslyn Manufacturing & Supply Co.	177
6. Simonds Saw and Steel	183
7. Adjourn	192

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 (10:31 a.m.)

3 MR. KATZ: This is the Advisory
4 Board on Radiation and Worker Health, the TBD-
5 6000 Work Group.

6 We have an agenda that is posted
7 on the Board's webpage under today's meeting
8 date, for people who want to see that, and
9 there are some other materials also posted at
10 that location that would help people follow
11 along with the discussion today.

12 Let's do roll call. We're
13 speaking about several specific sites -- GSI,
14 Baker Brothers, Joslyn, and Simonds Saw,
15 although there is not going to be so much
16 discussion about the latter three sites. But
17 please speak to conflict of interest for
18 agency related people, including the Board,
19 when we do roll call.

20 (Roll call.)

21 MR. KATZ: Well, we can proceed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to the agenda. Just let me remind everyone on
2 the call, when you are not addressing the
3 group, please mute your phone so we have less
4 trouble with the audio. And if you don't have
5 a mute button, press *6 to mute your phone,
6 and, again, *6 to take your phone off of mute.

7 Thank you very much.

8 CHAIRMAN ZIEMER: Okay. Thank
9 you, Ted. I will officially call the meeting
10 to order.

11 The agenda for the meeting is
12 posted on the website, and it has also been
13 widely distributed. So we will proceed
14 through the agenda as it was distributed.

15 I am going to not specify exactly
16 when we will take breaks at this point, but,
17 again, we will take breaks as needed, and just
18 proceed through the agenda as long as we are
19 able to keep at it. There are a lot of things
20 to cover under Item 3, GSI. Items 4, 5, and 6
21 should not take very long.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We will begin, though, with Item 2
2 on the agenda, the supplementary comments on
3 TBD-6000, Rev 1, which comments were
4 distributed in May by SC&A. And I think
5 everybody got those. I think, Bill Thurber,
6 are you going to lead us through the comments,
7 and then NIOSH will have a chance to make some
8 response, if needed.

9 MR. THURBER: I can do that.

10 CHAIRMAN ZIEMER: Well, go ahead,
11 Bill.

12 MR. THURBER: Okay. I trust that
13 everybody has the documents. There is a lot
14 of material in there, a lot of detail. I
15 don't propose to go into any of the detail
16 unless there is need to.

17 The document addressed four
18 issues. One was we took another look at the
19 question of the appropriateness of the
20 terminal settling velocity of 7.5 times 10 to
21 the minus four meters per second, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 associated with that the time that would be
2 required to reach an equilibrium surface
3 concentration based on deposition from
4 airborne contamination.

5 We looked at the attenuation rate
6 of surface contamination; that is, the balance
7 between deposition and removal processes. We
8 looked at a number of sites -- I think four
9 sites -- and we compared the site-specific air
10 concentrations with the generic air
11 concentrations used in TBD-6000.

12 You will recall -- or you may not
13 recall, but the basis for the data in TBD-6000
14 was a fairly comprehensive report by Harrison-
15 Kingsley, which was published in 1959. And
16 they had data on a lot of generic operations,
17 such as forging, extrusion, et cetera.

18 The paper never did identify which
19 particular sites they collected data from, so
20 we don't know where the specific information
21 came from. So what we did was we compared the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 generic information from TBD-6000 derived from
2 Harrison-Kingsley with data from specific
3 sites such as Simonds Saw and Steel, Joslyn,
4 and so forth, to see whether -- how the
5 generic data compared with some site-specific
6 data to see whether the generic data was
7 sufficiently conservative to ensure that the
8 workers were properly protected based on using
9 that data in dose reconstruction.

10 And the final thing that we looked
11 at in this document was some operations that
12 weren't specifically covered in TBD-6000.
13 Some people had suggested, well, floor-
14 sweeping could be a very dusty operation, and
15 you might get higher air concentrations than
16 you would -- than were represented by the data
17 in TBD-6000.

18 There was also a question of
19 whether uranium fires might cause
20 extraordinary air concentrations. As everyone
21 knows, uranium is very pyrophoric. It's easy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to burn, and there are frequent extensive
2 examples in the literature of uranium fire.
3 So we tried to address that point.

4 So those were the four general
5 areas that we looked at. And if you go to
6 skip all of this intermediate material, and if
7 you go to the conclusions in Section 6, we can
8 kind of summarize. And then if people have
9 questions, we can get back into some of the
10 detail.

11 As I say, we took another look at
12 this question of the terminal settling
13 velocity for five micron 8 MeV particles.
14 NIOSH had done this before and concluded that
15 that number was a claimant-favorable value.

16 We took another look at it from a
17 different perspective using somewhat different
18 data, and we agreed with NIOSH's conclusion
19 that that is a good number, that 7.5 times 10
20 to the minus four meters per second is a good
21 number for those kind of particles.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The second thing we looked at was
2 the question of how long it would take for the
3 surface concentration that resulted from
4 fallout from contaminated air to reach an
5 equilibrium value. This question had come up
6 before. NIOSH had addressed it. NIOSH
7 provided revisions to TBD-6000 to better
8 document a value for the deposition, the time
9 to reach equilibrium.

10 And NIOSH concluded that a number
11 of about 30 days was a reasonable value to use
12 in calculating the surface buildup from
13 fallout of contamination from the air.

14 We looked at it on -- again, using
15 a little different calculational approach,
16 using different number sets, and we felt that
17 while on average the NIOSH number of 30 days
18 was reasonable, we came up with an average
19 value of somewhere between 33 and 37 days.

20 We felt that based on the
21 information we were dealing with, which was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 primarily a report by Adley dealing with some
2 studies that were done at the melt plant
3 building at Hanford, that there were clearly
4 cases where 30 days would not be adequate to
5 cover all of the situations.

6 As I say, on average it looked
7 like a pretty good number, but we provided
8 some calculations that suggest that the number
9 could be as high as around 84 days. So that
10 is an issue I think that probably requires
11 some further discussion.

12 As I mentioned, we compared data
13 from the generic operations in TBD-6000, such
14 as rolling and extrusion, and so forth, with
15 values from four or five sites, and in general
16 we found that while there were in some cases
17 measured numbers for particular operations at
18 a specific site that were higher than the
19 geometric mean values used in TBD-6000, and
20 also the arithmetic mean values, that these
21 values were clearly subsumed within a full

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 log-normal distribution with a geometric
2 standard deviation of five.

3 And we showed that whether you use
4 these higher values as a constant, or whether
5 you use the full distribution in calculating
6 the Probability of Causation for a
7 hypothetical worker, that the numbers were not
8 significantly different.

9 We based our analysis in most
10 cases on comparing the site-specific values
11 with the arithmetic mean values rather than
12 the geometric mean values derived from TBD-
13 6000, because we felt that the arithmetic mean
14 values were -- using the arithmetic mean
15 values was a better basis of comparison with
16 the daily weighted averages, which were
17 typically the value that is presented in TBD-
18 6000.

19 So there was more emphasis in our
20 analysis on arithmetic mean values for that
21 reason, but it doesn't affect the conclusion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We looked at a couple of instances
2 where there was some information on chip
3 fires, and we found that even though people
4 talk about clouds of vapor, and so forth, that
5 the values that we uncovered were covered by
6 the variables, the range of variables for
7 equivalent operations in TBD-6000, so that if
8 you used the TBD-6000 values you would cover
9 the kinds of airborne concentrations from
10 uranium fires.

11 And it was interesting, we didn't
12 have the information at the time, but recently
13 NIOSH had arranged a telephone interview with
14 a worker from Joslyn Steel who was involved in
15 actually taking the waste from a centerless
16 grinding machine and burning it.

17 And he gave us a very clear
18 description, and it was quite fascinating,
19 really. They took this residue from the
20 bottom of the machine, and it was wet because
21 the grindings were immersed in the cutting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fluids. And they just shoveled it out, and
2 they dumped it into a trough that was outside
3 the building right next to where the
4 centerless grinding machine was.

5 And they filled up the trough, the
6 steel trough, and they put a cover on it, and
7 they stuck a piece of brown paper in there and
8 lit it with a match, and it went whoosh and it
9 was done. So there was not a sustained fire
10 or anything like that in this particular
11 instance at Joslyn.

12 And the reaction was apparently
13 almost instantaneous, and the other
14 interesting -- another interesting facet of
15 the whole deal was that they would call the
16 Weather Service every night, because they did
17 this burning, or one guy did basically, they
18 did this burning at night and they'd call the
19 Weather Service and ask what the wind velocity
20 was.

21 And if the wind velocity was at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 least I think seven miles per hour, then they
2 would go ahead and ignite this residue. And
3 if it wasn't, then they'd hold off until the
4 wind velocity picked up.

5 So anyways, that kind of -- that
6 story, that interview, at least anecdotally,
7 supports I think the conclusion that the
8 uranium fires are fundamentally embraced
9 within the TBD-6000 data set.

10 We also estimated the equilibrium
11 removal rate from particles and found that
12 that was about .035 per day, and this is much
13 higher than the removal rate that is in OTIB-
14 70. And we felt that was not surprising since
15 OTIB-70 uses -- if you measure a beginning
16 point for the residual period, and then 10 or
17 20 or 30 years later you measure an endpoint,
18 and that's how the number -- the removal rate
19 in OTIB-70 was calculated.

20 But if you -- it is quite
21 reasonable to suspect that equilibrium is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 attained in a much shorter time and that would
2 be supported by the removal -- the equilibrium
3 removal rate that we estimated.

4 We found some data on floor
5 sweeping and concluded that -- again, that the
6 -- any dust generated by floor sweeping was
7 adequately covered by the TBD-6000 data set.
8 And, well, we have kind of already talked
9 about the outdoor burning, which we found was
10 -- did not seem to be -- did not seem to
11 result in air concentrations that were beyond
12 the -- again, beyond what is embraced in TBD-
13 6000.

14 And a final minor comment, it
15 appeared that there are some calculational
16 errors in some of the tables in TBD-6000 that
17 ought to be checked.

18 So that's it in a nutshell. As I
19 say, I think that the main thing that we felt
20 ought to be further considered is this time to
21 reach equilibrium in terms of surface

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 deposition where the 30-day number that is
2 currently used in TBD-6000 may not be
3 sufficiently claimant-favorable.

4 CHAIRMAN ZIEMER: Okay. Thank
5 you, Bill. So in all of your bullet points
6 there, the only points where I see that there
7 is any issue is that one you just mentioned,
8 which is basically your second bullet point on
9 your conclusions, and then that calculational
10 issue that you raise at the end on the GMs in
11 Section 7.

12 MR. THURBER: Right. Yes.

13 CHAIRMAN ZIEMER: But, let's see,
14 any immediate response from NIOSH? Who is
15 going to sort of look at this? Dave, are you
16 or Jim --

17 DR. NETON: This is Jim Neton.
18 Yes, Dave Allen and I both looked it, but I
19 think Dave is prepared to at least talk about
20 the one issue that was identified by SC&A.

21 CHAIRMAN ZIEMER: Go ahead, Dave.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ALLEN: I just wanted to start
2 by saying, yes, we agree with pretty much
3 everything SC&A put in that report, including
4 the calculational error, and that will be
5 fixed with the next revision. The one issue
6 we had is the one that Bill mentioned that
7 needs further discussion, and that is the
8 settling times for determining contamination
9 levels.

10 I've got a little bit of an issue
11 with how SC&A did this evaluation. Primarily,
12 the only time that it is really used is to
13 determine a surface contamination level from
14 an airborne concentration, what the
15 equilibrium level would be. And I think
16 everybody probably knows that, you know, what
17 we do is simply the airborne times the
18 settling rate times the time it takes to reset
19 equilibrium gives us that concentration, that
20 surface concentration.

21 So airborne aside, the important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 parameter here is not so much the settling
2 rate or the settling time; it is the product
3 of the two. That's the default parameters
4 that would be used.

5 SC&A's review, they looked at the
6 7.5 times 10 to the minus four settling rate
7 that we are using, and I think the report said
8 that there appeared to be a favorable settling
9 rate, but that's not the settling rate they
10 used to determine the time for equilibrium,
11 which is kind of trying to compare apples to
12 oranges here. That's not what we would be
13 doing and not how the 30 days would be used.

14 Just substituting the .00075
15 settling rate instead of the .00052 that SC&A
16 used reduces those numbers by about 30 percent
17 or so, the settling time numbers that SC&A
18 came up with.

19 Thus, SC&A was suggesting we
20 should use the .00052. I wasn't clear on
21 that, but in the settling rate area -- section

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the report it seemed to be agreeing the 7.5
2 would be a sufficient conservative number.

3 Also, on that, the airborne value
4 they used for Adley was 1,400 micrograms per
5 cubic meter. I don't think that's
6 significantly far off, but there is no
7 distribution associated with it. And where it
8 came from wasn't actually an estimate of
9 airborne, it wasn't a measurement of airborne,
10 it was just an "if" statement in the document.

11 And if we were to take data from
12 Adley to try to estimate the dose in that
13 metals building, we would not use that number.

14 We would never be allowed to use that number.

15 We would have taken the air sample data and
16 determined a distribution, and used either the
17 distribution or the 95th percentile of that
18 distribution.

19 I think that would be the more
20 appropriate comparison is using those numbers
21 times the .00075 times the 30 days and see how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that compares to the contamination numbers
2 that were actually used in Adley, or measured
3 in Adley.

4 The last thing I want to say is in
5 I think it was Issue 5 when we went through
6 TBD-6000, the purpose at that point was to
7 compare what we would determine using TBD-
8 6000, which is potentially some default values
9 for a variety of tasks, and what kind of
10 surface contamination we would get from that.

11 And that's what we did in that
12 White Paper for Issue 5 for TBD-6000, and that
13 was based on it being a TBD-6000 review. And
14 I think -- I could be wrong, but I think SC&A
15 pointed out in their review that the TBD-6000
16 values typically are higher than the airborne
17 values they would get in Adley.

18 And so if we were actually using
19 TBD-6000, we would be starting with
20 essentially a conservative -- a higher value,
21 a conservative value, for the air sample, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the airborne concentration, would be
2 multiplying it by a higher settling rate than
3 SC&A used in this evaluation. And I'm not so
4 sure we would be getting a lower contamination
5 number than what Adley measured.

6 So I just don't think the
7 comparison used here to develop those days to
8 equilibrium values is an appropriate analysis.

9 CHAIRMAN ZIEMER: Okay. Thanks,
10 Dave. I'm wondering if it would be helpful if
11 NIOSH were to actually commit what you just
12 said to writing in a more formal way, and then
13 that would give SC&A a chance to look at that
14 in more detail, and so we could see if we can
15 come to closure on that issue, unless SC&A
16 already, you know -- well, let me ask it this
17 way.

18 Bill, would you want to have a
19 closer look at that and have a chance to
20 respond next time around?

21 MR. THURBER: Yes. I would for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this reason, Paul. Obviously, it's kind of
2 complicated, and, you know, I hear what David
3 said. One of the things that I didn't talk
4 about when I ran through this is that all of
5 the settling velocities that were calculated
6 from the Adley data, from the Hanford melt
7 plant, were lower than the 7.5 times 10 to the
8 minus four.

9 And, as David said, and we agreed
10 with, that means that this theoretical
11 terminal settling velocity is conservative in
12 terms of calculating -- in doing some of the
13 calculations. What we talked about in the
14 report in a little more detail is why it might
15 be that the actual numbers were lower than
16 this theoretical value, and we provided some
17 information about the need to consider slip of
18 the particles between the air molecules, and
19 things like that, which resulted in a lower
20 terminal velocity.

21 So there was reason for that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now, whether it is appropriate or
2 inappropriate to then use that to calculate
3 the settling time is something that, you know,
4 I'd like to hear NIOSH's thoughts on it in
5 writing, because it's very difficult to put
6 all of these pieces together without looking
7 at them on -- at least for me it is, to look
8 at them on paper.

9 CHAIRMAN ZIEMER: Okay.

10 MR. THURBER: And one other point
11 is that I think as I recall, because David
12 very kindly shared his spreadsheet with me for
13 the original NIOSH calculations and how they
14 arrived at the 30-day number, there is an
15 apples and oranges question there, too, in
16 that in calculating the number of days the
17 amount settled on the surface was taken from
18 Adley.

19 The settling rate was actually
20 taken I believe from working with the numbers
21 in TBD-6000. And David can correct me if I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wrong on that.

2 So the way that we approached it,
3 we -- in making this time to reach equilibrium
4 calculation, we only used the Adley data. So,
5 again, we are trying not to get into an apples
6 and oranges situation. We may not have done
7 that, I don't know, but anyway it would be
8 good to see something in writing.

9 CHAIRMAN ZIEMER: Okay. That
10 certainly seems like a good direction to go.
11 Dave, if you could spell out basically what
12 you told us, just commit that to a very brief
13 sort of White Paper, and then SC&A can have a
14 chance to bite that data a bit and understand
15 fully what the approach is there, and maybe we
16 can resolve this. And that would basically
17 take care of the issues that have arisen
18 through this supplementary comments document.

19 And then, I don't know if there is
20 -- well, you probably can't give us a time
21 table now, but basically what you just told

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 us, it would just be a matter of committing it
2 to writing and letting SC&A have a chance to
3 study it a bit.

4 MR. ALLEN: Yes. I can definitely
5 commit to that. As Bill said, it's somewhat
6 of a complicated and convoluted topic, so I
7 don't know how brief the White Paper will be,
8 but we will --

9 CHAIRMAN ZIEMER: Well, I only say
10 brief in the sense that you were able to go
11 through it in several minutes. So, and there
12 may be some additional issues that you would
13 insert once you put it -- commit it to
14 writing, but in any event to formalize it so
15 not only SC&A fully understands the points,
16 but the Work Group and others as well.

17 MR. ALLEN: Right.

18 CHAIRMAN ZIEMER: And I think we
19 can come to resolution on this issue.

20 DR. MAURO: This is John. I might
21 be able to help a little bit, because I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 understand that David's position is, well,
2 when you look at the big picture, if you are
3 starting off with relatively high
4 concentrations of airborne dust loadings, as
5 obviously they are doing in TBD-6000, and then
6 you multiply that by a relatively high
7 deposition velocity, the .00075 per meter, and
8 then you multiple that it's going off of 30
9 days, you are going to basically -- what is
10 being said is that because the other two
11 parameters, the airborne concentration and the
12 deposition velocity, are probably somewhat
13 overestimated.

14 It makes up for the fact that
15 maybe our period over which it takes to reach
16 equilibrium may be somewhat underestimated is
17 offset. And I would agree with that, in other
18 words, if you take it in the aggregate. But I
19 think it's important that that be understood.

20 That is, that --

21 CHAIRMAN ZIEMER: It needs to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 spelled out, and that --

2 DR. MAURO: Yes. And --

3 CHAIRMAN ZIEMER: That would be
4 helpful.

5 DR. MAURO: Yes. But I think that
6 -- and what I would be especially interested
7 in, Dave, is that if you think that
8 notwithstanding the offsetting effects of the
9 three parameters, the number itself, the 30
10 days, how well does that stand up? You know,
11 as a number on its own merit, as opposed to,
12 oh, it's okay as long as it's done within the
13 context of the other two conservative
14 assumptions.

15 So, I mean, I just -- I want to
16 point that out because it's important to make
17 that distinction.

18 CHAIRMAN ZIEMER: Yes. And you
19 can look at that issue as well as you review
20 the thing.

21 DR. MAURO: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Yes. And I think John
2 hit the name on the head there. When this was
3 originally put out and issued by White Paper,
4 it was for the purposes of the TBD-6000
5 review. So that analysis centered on TBD-6000
6 airborne, because that's what would be used in
7 that.

8 So then the question comes up, is
9 that 30 days appropriate for a site where we
10 actually have airborne data and we'd be using
11 that and not --

12 DR. MAURO: Bingo. That's the
13 whole ballgame right there.

14 CHAIRMAN ZIEMER: Okay.

15 MR. ALLEN: I don't disagree with
16 you, John. I think that hasn't been addressed
17 in any paper or anything, and I think the
18 results -- if it ends up being something
19 different, the results I think, as far as TBD-
20 6000 would be, is to just put some sort of
21 caveat in there saying this is only applicable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for TBD-6000, you know, or something to that
2 effect.

3 DR. MAURO: Yes.

4 MR. ALLEN: But I will try to do
5 all of the analysis I can anticipate, put it
6 all in as brief a White Paper as I can, and
7 hopefully we can discuss this during the next
8 meeting.

9 CHAIRMAN ZIEMER: Okay. That
10 sounds good. Any questions on that? Josie,
11 are you okay with that?

12 MEMBER BEACH: Yes. Well, I just
13 have -- the last bullet on SC&A's White Paper
14 talked about the GMS in Table 7. Will this
15 take care of that?

16 CHAIRMAN ZIEMER: I think that's
17 separate, but they are correct now, right,
18 Dave?

19 MR. ALLEN: Yes. We admit that is
20 a mathematical error in that table, and we
21 will correct that with the next revision of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 TBD-6000. I would like to get this 30-day
2 settling thing sorted out before we undertake
3 any kind of revision.

4 MEMBER BEACH: And then, the only
5 other question I have -- and I know this is
6 probably already covered somewhere, but I just
7 wanted to make sure, we talked about floor
8 sweepings and we talked about the uranium chip
9 fires. Are those assumed to be the highest
10 level of dust at GSI? And you feel that's
11 covered for activities that occurred there?

12 DR. MAURO: Maybe I could help on
13 that. This is John. Keep in mind that at GSI
14 we have elected to use the surrogate data from
15 real facilities that handled uranium in a way
16 that we believe that -- NIOSH did, in a way
17 that is believed to be similar to the way
18 uranium is handled or was handled at GSI. So
19 it's purely an empirical number.

20 The degree to which those data
21 from the -- and it's a good question. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 degree to which the data from real facilities
2 that handle the uranium in a similar manner,
3 as best we can tell, to GSI, that's what we've
4 got.

5 Now, you're asking the question,
6 well, does that real data from these other
7 facilities capture the full range of types of
8 activities such as sweepings, et cetera, that
9 might be important? And the answer is I guess
10 we -- you know, we are taking the data on face
11 value, and they are using the 90 -- well,
12 we're going to get into this in a minute.

13 But they are using a 95th
14 percentile value, which I guess my first
15 reaction, because I haven't thought about the
16 question that you just asked, and it's a good
17 question, but I think that the fact that NIOSH
18 is operating with -- given the surrogate data
19 that they are using, by picking the 95th
20 percentile value, it is likely that that
21 captures these transients and that could drive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the number high for some short period of time.

2 So, I mean, that would be my
3 sense, that by picking the 95th percentile you
4 accommodate these uncertainties in the data
5 set that is being used for the -- as the
6 surrogate data.

7 MEMBER BEACH: Okay. Thanks,
8 John.

9 DR. MAURO: Okay.

10 CHAIRMAN ZIEMER: Okay. Any other
11 questions on that at this point? This will
12 be, then, revisited once we see the White
13 Paper and the response to that. So are we
14 good to go on to the next agenda item, which
15 is GSI?

16 (No response.)

17 Okay. We will do that.

18 DR. McKEEL: Paul?

19 CHAIRMAN ZIEMER: Yes.

20 DR. McKEEL: Dr. Ziemer?

21 CHAIRMAN ZIEMER: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. McKEEL: This is Dan McKeel.

2 CHAIRMAN ZIEMER: Yes, Dan.

3 DR. McKEEL: I know this is not
4 the time allotted for us, but I have something
5 that is very germane to the points that Josie
6 just brought up and John Mauro just mentioned.

7 CHAIRMAN ZIEMER: Yes, go ahead.

8 DR. McKEEL: Can I make that
9 comment, please?

10 CHAIRMAN ZIEMER: Oh, sure. Sure.

11 DR. McKEEL: One of the papers
12 that I submitted was I also reviewed Adley
13 '52, and one of the major findings that I felt
14 had been overlooked in that paper was -- and
15 this is in answer to Josie Beach's question.

16 I also thought that the sweeping
17 data showing elevated MAC concentrations above
18 the acceptable limits had not been paid enough
19 attention to at GSI. But the other thing that
20 was probably even more important and more
21 significant and more striking about Adley '52

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was the data they had on unloading uranium,
2 cold uranium, from freight cars, and of course
3 that is exactly what happened at GSI when they
4 had to unload the Mallinckrodt uranium from
5 both freight cars and trucks.

6 But Adley goes into great detail
7 showing that it took seven men to unload
8 uranium from freight cars at the Hanford melt
9 plant, that they had to restrict their time
10 doing that job, because the doses were high,
11 and that it required workers from two
12 different departments at the plant.

13 So unloading freight cars has been
14 totally ignored at GSI, and I think it's a
15 major undetermined exposure route for GSI
16 yardmen, and the people who actually had to go
17 in there and unstack or unload the uranium,
18 put it on some kind of a transport vehicle,
19 take it to the loading dock, weigh it, and so
20 forth.

21 So I would say the freight

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unloading tables, which are highlighted in my
2 paper, should be paid more attention to.

3 Thank you.

4 CHAIRMAN ZIEMER: Thanks, Dan.

5 And, actually, when we get into the next
6 series of presentations and under Item --
7 actually, it would be Item D, when you have a
8 chance for additional comments, I have some
9 questions on the Adley information as well
10 that relates to what you just talked about.

11 We all have a chance to return to
12 that. I appreciate you bringing it up,
13 though.

14 Okay. Item A under 3 is just the
15 report of the technical conference. And I
16 just -- that was the May 28th conference.
17 There is a one-page summary that was
18 distributed.

19 I just want to ask -- I don't
20 know, Ted Katz, I think you put the summary
21 together for us. Do you have any comments on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the summary? And then either DCAS or SC&A,
2 any other comments on the summary in terms of
3 if you felt it captures what the discussion
4 was about.

5 MR. KATZ: Hi, Paul. This is Ted.
6 No, I mean, I don't have any comments on the
7 summary. I was just serving as secretary in
8 effect for that conference to record, and then
9 I did distribute it to all the parties and
10 heard back from almost everyone. Everyone I
11 heard back from said it was a reasonable
12 summary of what was covered.

13 But I put this on the agenda, so
14 that the participants in the leads, whatever,
15 from SC&A and/or from NIOSH could just speak
16 in any more detail that they want to about
17 that discussion before we get into the actual
18 -- the White Papers and the public comment
19 submissions, and so on, SC&A review of the
20 public comments for this meeting.

21 CHAIRMAN ZIEMER: Okay. Thanks,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Ted.

2 Well, let me ask either Dave
3 Allen, Jim Neton, any comments from NIOSH on
4 the summary?

5 MR. ALLEN: This is Dave Allen. I
6 don't have any comments.

7 CHAIRMAN ZIEMER: Okay. And how
8 about SC&A?

9 DR. MAURO: This is John Mauro. I
10 thought it was fine and accurately
11 characterized and what we discussed.

12 DR. ANIGSTEIN: And Bob Anigstein.
13 I also agree.

14 CHAIRMAN ZIEMER: Okay. Thank
15 you.

16 DR. McKEEL: Dr. Ziemer, this is
17 Dan McKeel again.

18 CHAIRMAN ZIEMER: Yes, Dan.

19 DR. McKEEL: Again, I seem to be
20 the lone dissenter that that summary was all
21 fine. So may I make my two short comments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about that now?

2 CHAIRMAN ZIEMER: Sure.

3 DR. McKEEL: Okay. So my comment
4 is that the gist of that meeting, the main
5 point of that meeting was to bring up a brand-
6 new method for determining intakes, the square
7 function approximation. It had never been
8 talked about before. No paper has been
9 published about it.

10 Now, Dave Allen did publish a
11 paper about that several days later. So my
12 question was, I thought that the issues to be
13 discussed at the technical meeting had been
14 defined at the 4/26 Work Group meeting, and
15 then I find from the summary that a brand-new
16 method was brought up and discussed.

17 I also want to comment that that
18 summary had two other things that concerned me
19 greatly. One was I had asked specifically
20 whether TIB-70 would be discussed at that
21 meeting, at the technical meeting, and Ted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Katz had assured me that, no, it would not be,
2 and yet the summary indicates that it was at
3 least touched upon.

4 The second question -- second
5 issue is that at the last bullet point in that
6 summary is that Ted Katz actually asked a
7 question about production workers and numbers
8 of hours of labor, and so forth. And that
9 certainly is not the ordinary function of a
10 secretary taking minutes of a meeting.

11 And I just needed that to get on
12 the record, that it seems to me that if you
13 were listening to that call, Dr. Ziemer I'm
14 talking about, as a silent observer, not
15 participating, that it seems very odd and
16 inappropriate to me that the DFO, who is not
17 really a technical member of the Work Group
18 for technical issues, that he was actually
19 participating actively in the technical call.

20 So that would be my comment.

21 CHAIRMAN ZIEMER: Okay. Thanks,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Dan. Let me make a couple of comments on what
2 you just said. Number one, the so-called
3 square function actually is the function that
4 had been proposed by Dave Allen previously.
5 It was not -- that description of it wasn't
6 used, but that is exactly what the function
7 was that we had been discussing in the last
8 Work Group meeting.

9 It was a square function. I think
10 they adopted that terminology when they
11 recognized what it was Dave had been
12 describing. The point of the call was for
13 folks to understand each other's models, and
14 it became clear that the model we had been
15 talking about, in fact, it's -- we thought in
16 the previous Work Group meeting was in fact a
17 square function, and they started calling it
18 that.

19 So it wasn't a new proposal. It
20 was exactly the same set of --

21 DR. McKEEL: Are you talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the triangular distribution?

2 CHAIRMAN ZIEMER: No, I'm not.

3 I'm not talking about the triangular
4 distribution. This was only --

5 (Audio cuts out.)

6 DR. McKEEL: Dr. Ziemer, I can't
7 hear you.

8 (Pause.)

9 COURT REPORTER: This is the court
10 reporter. Can anybody hear me?

11 DR. McKEEL: I can hear you, but
12 the other Members are cut off.

13 MEMBER POSTON: I can hear you.
14 I'm on --

15 MEMBER BEACH: I can hear you as
16 well. I think it's just Paul's phone.

17 DR. NETON: Yes. Paul dropped off
18 for some reason.

19 MR. KATZ: Right. He'll realize
20 it, I'm sure, and come back to us in a second.

21 (Pause.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 COURT REPORTER: Just confirming
2 one more time -- this is the court reporter --
3 am I being heard?

4 DR. McKEEL: You are being heard.

5 COURT REPORTER: Okay.

6 DR. McKEEL: Yes.

7 (Pause.)

8 CHAIRMAN ZIEMER: Okay. I got
9 dropped somewhere along the line.

10 MR. KATZ: Paul, this is Ted. So
11 you had just finished explaining that the
12 square function was not actually a new method
13 whatsoever, but -- and that's -- you dropped
14 off right after that, so --

15 CHAIRMAN ZIEMER: Oh. Well, then,
16 the other thing I was pointing out was that
17 OTIB-70 per se wasn't discussed. It was
18 simply mentioned as the fact that it would
19 need to be discussed at the next Work Group
20 meeting. They were going to get into the
21 issue of the deposition modeling and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 number of days to determine that during the
2 residual period some -- someone just pointed
3 out that that would have to be discussed in
4 the Work Group.

5 So, in my mind, OTIB-70 wasn't
6 discussed, but simply pointing out that that
7 part of the issue would have to be a Work
8 Group discussion.

9 And then, I said as far as the
10 DFO's comments, you could --

11 (Audio cuts out.)

12 MR. ALLEN: Okay. Now this is
13 Dave Allen. Can anybody hear me?

14 DR. McKEEL: Yes, I can.

15 PARTICIPANT: I can hear you,
16 Dave.

17 MR. ALLEN: Okay.

18 MEMBER BEACH: So can I.

19 MR. ALLEN: Ted, are you on the
20 line?

21 (No response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER BEACH: Boy, this is odd
2 today.

3 DR. NETON: This is Jim. I'm
4 here, but we've apparently lost Ted and Paul.

5 MR. KATZ: So you didn't lose me.
6 I was doing what everyone else does and
7 speaking into a muted phone.

8 So, Paul, are you on the line?

9 (No response.)

10 I'm thinking we lost Paul again.

11 DR. GLOVER: Ted, one thing we
12 were hoping to find out is perhaps Joslyn and
13 Simonds could call in after lunch, or do you
14 think we need to stay on through the GSI
15 discussion?

16 MR. KATZ: Oh, yes. No. So, Sam,
17 I think that would be fine. There's no way we
18 are going to get through GSI before our lunch
19 break. So, Sam, I think it should be
20 comfortable. For that matter, it seems like
21 someone could just pop you an email, Jim or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 someone, when you are coming up, your item.

2 DR. GLOVER: Okay. So --

3 DR. NETON: I can do that. It
4 would be Tom Tomes for Simonds and Sam for
5 Joslyn. I can let them know.

6 DR. GLOVER: I'll plan on signing
7 in sometime after 1:00.

8 DR. NETON: Yes. Just make sure
9 you guys -- both Tom and Sam you stay near
10 your office, so that when the time comes you
11 are ready to go.

12 MR. TOMES: That works for me.
13 This is Tom.

14 DR. NETON: Okay. Good.

15 CHAIRMAN ZIEMER: I got blocked
16 out again, but I'm back. Sorry. I've been
17 having phone trouble here.

18 Where are we at, Ted?

19 MR. KATZ: Okay. Paul, so I think
20 I spoke into mute, and you dropped off sort of
21 concurrently, but I think, Paul, you asked me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to address the third item as to why I would
2 ask a question --

3 CHAIRMAN ZIEMER: Right.

4 MR. KATZ: -- during the Work
5 Group meeting -- I mean, during a technical
6 call. Or we could say for Work Group
7 meetings, too, because I do that in Work Group
8 meetings as well. And I ask questions all the
9 time when something is unclear to me.

10 I have multiple roles here. I am
11 both the DFO for the Advisory Board, and I am
12 also the contract officer's technical
13 representative for the contract with SC&A, and
14 serve as staff director in respect to that,
15 the staff for the Board.

16 So I ask questions all the time.
17 It is perfectly appropriate, and that's really
18 all I need to say about that I think.

19 CHAIRMAN ZIEMER: Okay. Thank
20 you. I think we are going to go ahead now to
21 the White Paper and --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: Paul?

2 CHAIRMAN ZIEMER: Yes.

3 MR. KATZ: Oh, okay. I'm sorry.
4 I thought we lost you again.

5 CHAIRMAN ZIEMER: I heard some
6 beeping. I wasn't sure what was going on
7 there.

8 We have two White Papers from
9 DCAS. Dave Allen was the author, and, Dave,
10 do you want to give us a quick summary? Those
11 are fairly brief papers. The first one was
12 the dose estimate for employees not routinely
13 working in the production areas.

14 And this was the issue we were
15 talking about, of what would the dose
16 assignment be if in fact you could identify
17 people who were not normally working in the
18 production area but who might have
19 occasionally visited the production area, so
20 -- and the other one had to do with the --
21 what is now being called the square function

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for estimating the inhalation intakes.

2 MR. ALLEN: Okay, Paul. You
3 wanted to take these in series, right? Just
4 start with the --

5 CHAIRMAN ZIEMER: Sure. Sure. Do
6 them both, and then we'll have the SC&A
7 responses, and then we'll have a chance for
8 the Petitioner to also make comments.

9 MR. ALLEN: Okay. As you said,
10 the first one is the -- what I was asked to do
11 at the last Work Group meeting was to -- we
12 discussed an exposure estimate for people not
13 routinely working in the production area. I
14 think we were loosely calling them admin
15 workers, but it's really for anybody not
16 routinely working in the production areas.

17 And we had a short discussion of
18 using a previous estimate, but it was clear
19 that some adjustments were going to have to be
20 made. So as a result I wrote this White Paper
21 and sent it around.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One of the issues with the
2 previous estimate -- previously, we put
3 somebody at a boundary all the time at --
4 previously, we assumed there was a boundary
5 that was placed one and a half times the
6 required distance from radiography occurring
7 out in the plants, away from the radiography
8 room.

9 And the previous estimate had
10 somebody standing at that boundary all the
11 time the radiography was going on, with the
12 exception of 10 percent of the time they were
13 actually walking through the boundary -- the
14 roped-off area.

15 There was issue taken with the one
16 and a half times that -- there is no solid
17 evidence that that occurred, and so we backed
18 the boundary back up to the two millirem per
19 hour area. And that caused the -- I'm sorry,
20 we shrunk it to the two millirem per hour
21 zone, which causes the dose rate at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 boundary to increase, but also shrinks the
2 size of the area that is roped off, and that
3 changes the amount of time somebody is walking
4 through the area.

5 So all the numbers had to be
6 changed, even though the concept was, you
7 know, essentially the same. Other changes
8 were that previously it was -- assumptions
9 were made assuming somebody was routinely
10 working in the production area. And so the
11 bounding time was going to be always right
12 there by the radiography.

13 Now, with this estimate, since
14 this is essentially admin workers or people
15 that are not routinely in the production area,
16 the 100 percent occupancy seemed to be a
17 little too conservative. So we made the -- we
18 changed the assumption to 25 percent of their
19 time they are in the production area, and we
20 made the assumption that they walked through
21 the boundary, under the rope, and on through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the boundary, made one round trip per shift,
2 each and every shift, during radiography.

3 When we put those together using
4 the same techniques we used before, the result
5 ended up being a grand total of 571-1/2
6 millirem per year for these essentially
7 administrative workers.

8 Did you want me to put any more
9 detail in there, or is that sufficient, Paul?

10 CHAIRMAN ZIEMER: I think that's
11 sufficient for me. I don't know, Josie, did
12 you have a question on that?

13 MEMBER BEACH: I guess for me I
14 can understand why you may have hit that 25
15 percent mark, and it said they would walk
16 through twice per shift. And that's probably
17 going to cover a portion of the admin
18 personnel, but I think there's an upper end to
19 that personnel that we might not be capturing
20 here. That's just my only comment.

21 CHAIRMAN ZIEMER: Okay. Just to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 follow up on that a little bit, are you
2 thinking, Josie, in terms of more time in the
3 plant, or an admin person --

4 MEMBER BEACH: I think -- yes.
5 Sorry, Paul. I think we have heard that some
6 of the admin folks actually had offices in the
7 production areas and spent more time -- this
8 seems like a lower end or a lower --

9 CHAIRMAN ZIEMER: Yes. Let me
10 comment on that, and maybe Dave will as well,
11 or even Jim Neton. But my understanding is,
12 if we're calling them an administrative
13 person, they can't -- if they have an office
14 in the plant, they are going to be
15 characterized the same as the regular
16 radiographers and other layout people.

17 These are people who you are able
18 to confirm did not have a location in the
19 plant. And if you can't confirm that they
20 didn't, then you have to assume that they did.

21 So, in my mind, it's got to be -- it's going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be a very limited number of persons,
2 because you have to be able to identify,
3 probably through a CATI, that they were not
4 located in the -- what we are calling the
5 radiation envelope.

6 If they had an office in there,
7 then they are not an admin. And that was my
8 understanding. Dave, is that what your
9 approach was on this?

10 MR. ALLEN: Yes. That's certainly
11 the intent. We have glanced through the
12 records we've got. We haven't done a detailed
13 analysis using these criteria. But, you're
14 right, it's going to be a very small number of
15 people.

16 DR. NETON: Yes. Actually -- this
17 is Jim -- I've gone through the case loads,
18 and there are almost very few people that
19 would qualify for this. Everyone else would
20 be considered radiographer. I mean, very few.

21 MEMBER BEACH: Okay. Then I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 satisfied with that.

2 DR. NETON: It really boils down
3 to something like, you know, a secretary-type
4 position that was on payroll. You know, they
5 would walk through the plant, but very rarely.

6 But the other job categories are
7 somewhat ambiguous, I have to say, in looking
8 through them. And you couldn't really say
9 with any confidence that they didn't have some
10 function to walk through the plant, you know,
11 or have an office there.

12 CHAIRMAN ZIEMER: In which case
13 they would be counted with the radiographers
14 and the other -- in the envelope.

15 DR. NETON: That's correct.

16 CHAIRMAN ZIEMER: Okay. Dave, why
17 don't you proceed with the other -- the square
18 function approximation.

19 MR. ALLEN: Okay. The square
20 function approximation, as we have started
21 dubbing this thing, and I put it in paper,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that grew out of -- well, frankly --

2 DR. ANIGSTEIN: This is Bob
3 Anigstein. I just want to interject a
4 comment, that it just so happens that it's
5 coming out a little over 500 millirem a year.

6 And that was the limit for non-occupational
7 exposure that was in place during most of the
8 GSI operating period, starting somewhere in
9 the late '50 when they -- when the revised 10
10 CFR 20 came out. So it just, by coincidence,
11 happens to be hitting that number.

12 CHAIRMAN ZIEMER: Okay. Thanks,
13 Bob.

14 Go ahead, Dave.

15 MR. ALLEN: Okay. The small
16 background is previously I put together a
17 White Paper with the estimate how we would do
18 the airborne estimate, using the 95th
19 percentile, the surrogate data that we had
20 already agreed on.

21 SC&A, in their response, raised a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 question about the airborne not
2 instantaneously ending. It would slowly
3 deplete over a little bit of time, and they
4 weren't convinced that that piece was
5 accounted for. That part wasn't -- exactly
6 what their concern was wasn't clear to me, and
7 that was the purpose of the technical call we
8 had.

9 And the technical call, I tried to
10 summarize that towards the end, that that was,
11 you know, the concern or at least, you know,
12 one of the concerns. And I think John Mauro,
13 at least, agreed with that. So I agreed
14 during that that I would put together a White
15 Paper, because it was going to be too
16 complicated to just discuss on the telephone
17 during a Work Group call.

18 So I put a White Paper together,
19 essentially, with the mathematics that
20 integrate the exposure as the airborne
21 integrated over time. If you were to assume

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the airborne was instantaneously at its high
2 level and instantaneously dropped off after
3 the operation compared to the more realistic
4 buildup versus decline afterwards.

5 And Attachment 3 -- or, I'm sorry,
6 Attachment A of the White Paper was
7 essentially the mathematics behind pointing
8 out that that was mathematically equivalent.
9 And the rest of the White Paper essentially
10 just points out the -- what that means is what
11 it amounts to, and various removal rates, et
12 cetera, and what that would mean and showing
13 that it's either accurate or conservative.
14 It's accurate over infinity. It is
15 conservative the longer the removal rate is.

16 Did you want any more on that, or
17 is that sufficient?

18 CHAIRMAN ZIEMER: For me, that is
19 sufficient because I've read the paper and
20 gone through it. Let me ask Josie if she has
21 some questions on that at this point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER BEACH: No. I just went
2 back to the fundamentals of what sites did you
3 use, because I know this is all surrogate
4 data, what starting points. That paper didn't
5 really address any of that. It was just the
6 mathematical end of it.

7 CHAIRMAN ZIEMER: Right. Exactly.
8 This is really the issue of: does this
9 approach give a useable or realistic estimate
10 of the situation where he has gone up as an
11 instantaneous value of air concentration and
12 then dropped back off instantaneously, versus
13 what SC&A was talking about which was the
14 buildup and then the depletion.

15 And I think the attempt here is to
16 show that this square function actually ends
17 up, if you go out, integrate to infinity, the
18 area under the two curves are the same.

19 But SC&A actually analyzed that,
20 so let's get their responses.

21 Let's see, SC&A, do you want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 first talk about the dose estimate to the
2 admin, and then talk about the square
3 function?

4 DR. MAURO: Yes. This is John
5 Mauro, and I'll start it off.

6 CHAIRMAN ZIEMER: John, before you
7 start, on your doses to the, quote, "employees
8 not routinely working in the production area,"
9 is there an error on your equation in terms of
10 the decimal point?

11 DR. MAURO: Yes. We discussed
12 that, and that is correct. We did make an
13 error originally when we looked at this thing.
14 I guess if we take the first paper first, you
15 know, we reviewed it and we agree there was an
16 error.

17 And, Jim, do you remember the last
18 time we had our conversation? You had pointed
19 out that we made that tenfold error, and you
20 were correct. And I think, right during the
21 meeting, you know, we quickly checked it, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you were actually right. There was an error
2 there.

3 CHAIRMAN ZIEMER: Yes. It showed
4 up in my copy of your June 4th memo.

5 DR. MAURO: Well, there is a --
6 well, right now I'm looking at, let's see --
7 I'm looking at the June 3rd memo. Hold on.
8 Let me go to the June 4th memo.

9 All I really have now officially
10 on the record is SC&A's June 3rd, which deals
11 with this business of the duration that the
12 administrative people might be there, so
13 that's SC&A's position. And we also have
14 what's called a June 11th memo. Those are
15 SC&A's current positions regarding the two
16 issues that we just heard from, the two White
17 Papers.

18 CHAIRMAN ZIEMER: Well, on that
19 first one, the one that I got from you has a
20 date of June 4th on it actually, but --

21 DR. MAURO: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ZIEMER: -- the hours
2 times the amount of time per shift -- in other
3 words, it's the 32.50 times the 0.25 times
4 0.30 times the two mR per hour.

5 DR. MAURO: Right.

6 CHAIRMAN ZIEMER: What are you
7 showing for the final value of that?

8 DR. MAURO: 487.5 mR per year.

9 CHAIRMAN ZIEMER: Okay. You must
10 have updated it.

11 DR. MAURO: Yes. Correct.

12 CHAIRMAN ZIEMER: The memo I got
13 had it as 4,875.5.

14 DR. NETON: Yes. Paul, I have --

15 DR. MAURO: Oh, son of a gun, I'm
16 looking at it; I'm looking at it right now,
17 and it's not --

18 CHAIRMAN ZIEMER: It should be
19 487.5, shouldn't it?

20 DR. MAURO: Yep. Yep. I did it
21 again. My apologies, guys.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Okay. Just
2 wanted to make sure that -- so if we're all
3 looking at that paper --

4 DR. MAURO: Let me do it right
5 now.

6 CHAIRMAN ZIEMER: I mean, if you
7 take your numbers and multiply them, you get
8 487.5.

9 DR. MAURO: Yes.

10 CHAIRMAN ZIEMER: So your decimal
11 point is in the wrong place.

12 DR. MAURO: Jeez. Yes.

13 CHAIRMAN ZIEMER: And in the next
14 paragraph you have it correctly stated.

15 DR. MAURO: Yep.

16 DR. ANIGSTEIN: This is Bob. If
17 you look, however, on the -- immediately after
18 that equation, if you look three lines down,
19 it does cite the number correctly.

20 CHAIRMAN ZIEMER: Right. That's
21 what I just said. In the next paragraph, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 --

2 DR. ANIGSTEIN: Certainly.

3 CHAIRMAN ZIEMER: Okay. Just
4 wanted to clear that up. Go ahead, John, with
5 your --

6 DR. MAURO: Okay. In light of the
7 -- we completely agree with this two-pronged
8 approach for sorting the people between
9 administrative and the non-administrative
10 people, and the fundamental assumptions that
11 are being used, the 25 percent and the 30
12 percent.

13 So we're fine with it. We're fine
14 with the number. And the conversation that
15 you just had where David and Jim clarified a
16 question that I had is, you know, when you do
17 the sorting, you know, how is that -- you
18 know, who goes into which box, it sounds like
19 you are -- as I mentioned before, you are
20 creating a relatively big tent for the people
21 who are going to be at the -- what we will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 call the radiographer category.

2 So we have no comment. We support
3 it. We concur that this is the right solution
4 to reconstructing the doses to workers, both
5 administrative workers and what we'll call,
6 quote, "radiographers."

7 So, I mean, we are okay with that.

8 And, quite frankly, I probably want to
9 correct this error that's in the memo and get
10 it out, because I don't like to have erroneous
11 numbers in one of our reports. But as far as
12 I'm concerned, SC&A's position is this issue
13 has been resolved.

14 CHAIRMAN ZIEMER: Okay. Then, go
15 ahead with the next item, which is the square
16 function.

17 DR. MAURO: Yes. Now, the square
18 function, we had our mathematicians, had both
19 Bob Anigstein -- and I'm sort of like speaking
20 for the crew -- and Steve Marschke
21 independently check the mathematics.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And we concur -- in fact, if you
2 folks have the report that we prepared and
3 submitted, and it's dated -- my version is
4 dated June 11th. That's the official version
5 that I believe is also available to the
6 public. And in effect the solution, which we
7 actually call elegant, for treating what is
8 the airborne dust loading expressed in terms
9 of becquerel-seconds, the time-integrated
10 exposure.

11 I think the mathematics and the
12 approach to solving this problem is correct.
13 We agree with it. The only -- now, there are
14 two things that we probably want to bring to
15 the attention of the Board, of the Work Group.

16 The mathematics is perfect for this solution
17 to this cycling issue that we have before us.

18 So the square wave function is mathematically
19 correct.

20 The difficulty that NIOSH will
21 have, and perhaps should be talked about a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 little bit, is in the equation we believe that
2 the peak -- you notice in that Figure 1 there
3 is -- it is climbing to a peak and then it
4 drops.

5 This is the -- basically, the
6 concentration as a function of time from first
7 principles, going up, and then after the
8 handling stops, it goes exponentially down,
9 and then you integrate under the area of the
10 curve, and then you get the becquerel-seconds
11 that the workers are exposed to from each
12 shot. I called it "campaign" in here,
13 probably should have used the word "each
14 shot."

15 And then you multiply by the
16 number of shots, and you've got yourself what
17 the time-integrated exposures are to the
18 workers from the handling operations, while
19 you are handling the ingots and slices.

20 The challenge that I think we
21 still -- or the issue that -- regarding this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 model is now, what is the value -- and they
2 referred to it as T1, and I believe they have
3 selected -- NIOSH has selected 15 minutes as
4 the correct value, which I believe the way you
5 could look at it is a certain fraction of the
6 time -- I think it's about -- the max hours is
7 about 400 hours per year, is when these kinds
8 of activities took place. And that is based
9 on records that we have starting in around
10 1958, I believe. And that, based on those
11 records, 400 hours per year, I think, is the
12 contract.

13 DR. ANIGSTEIN: John?

14 DR. MAURO: Yes, please, Bob.
15 Help me out, sure.

16 DR. ANIGSTEIN: It varies year by
17 year.

18 DR. MAURO: No, I understand.

19 DR. ANIGSTEIN: Four hundred
20 thirty-seven was the highest peak year, and
21 then later on it goes down to a much smaller

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 number.

2 DR. MAURO: Okay. No, thanks for
3 clarifying that. So we do have -- but that's
4 the -- now, some fraction of that time in a
5 given year is when the material is actually
6 being handled. And as I understand -- and,
7 Bob, please help me out if I misrepresent some
8 of this information -- that 15 minutes --
9 effectively 15 minutes out of every hour is --

10 DR. ANIGSTEIN: Out of every 75
11 minutes.

12 DR. MAURO: Thank you. Out of
13 every 75 minutes, the ingots and slices are
14 being handled. So this term in the equation
15 called T_1 is 15 minutes.

16 Now, that is -- in principle,
17 what's being said is that when you have your
18 -- let's say we are dealing with an ingot, and
19 it's brought into the radiography room. There
20 is a certain amount of handling that is
21 necessary to set it up for a shot, and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the shot is taken, and then you finish up and
2 you move it out.

3 And the idea being that I guess 15
4 minutes -- the whole -- out of the 75 minutes,
5 15 minutes is the time of the handling. One
6 of the things that we are concerned about is
7 the very same thing that was brought up by Dr.
8 McKeel. Does that 15 minutes out of the 75
9 minutes, that fraction of the time, the T1 in
10 the equation, capture any other handling?

11 See, the way I look at it is the
12 time period while the ingot is being handled,
13 for the purpose of radiography, is part of the
14 time it's being handled. But it's also being
15 handled when it arrives by truck or train or
16 however it arrives and is offloaded, and then
17 it is transported within the facility. I'm
18 not sure if it's transported indoor or
19 outdoors.

20 And then it might actually be
21 placed in some staging area prior to it being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 radiographed. Then, of course, it goes
2 through the radiography, and then after the
3 radiography, which might be an iterative
4 process, it then, you know, leaves the
5 radiography room, perhaps going to some
6 holding area before it is returned to
7 Mallinckrodt.

8 So in my mind, there is this
9 uncertainty in the amount of time that the
10 ingot or the slice is being handled, and
11 whether the 15-minute number, as selected
12 here, does capture the full -- appropriately,
13 in a claimant-favorable way, the time period
14 over which the dust is being generated from
15 handling.

16 So that's a question we have, and
17 we have one other issue, and then -- I just
18 want to talk about that one first, because we
19 have one more issue related to this approach,
20 and it has to do with the time period when
21 it's not being handled and there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 resuspension going on.

2 So what we are really looking at
3 with this curve, this is the dust loading, the
4 time-integrated dust loading in the air as a
5 result of the handling. But superimposed on
6 this, in addition to this, is that there is a
7 buildup and some level on surfaces as a result
8 of this handling that settles on surfaces,
9 which becomes a chronic source of airborne
10 activity that is there virtually the rest of
11 the time, you know, during this time period.

12 I'm going to talk a little bit
13 about that. And we feel that the problem with
14 that part, which is not discussed in our
15 review here, but what you are really doing is
16 you are superimposing this square function, as
17 I understand it, on top of some baseline dust
18 loading that one would call the baseline dust
19 loading due to resuspension from the
20 accumulation of the dust.

21 And it seems to me that that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 approach -- what we have here is an attempt to
2 be mechanistic, which is good. In other
3 words, this square function is a way to be
4 mechanistically faithful to the handling. And
5 we believe if you could pick the right T1, we
6 have nailed it, and we've got the right way to
7 predict the airborne exposures from the
8 handling operations.

9 But now in addition to that, you
10 have to add to that the additional exposures
11 between the handling operations when any
12 accumulated uranium on surfaces might become
13 airborne.

14 Now, the approach that is used
15 there is a simplistic approach that is
16 bounding, in that you are assuming you achieve
17 some equilibrium level based on the upper 95th
18 percentile surrogate data, and that that level
19 just stays constant as I understand it, and
20 you use a resuspension factor of 10 to the
21 minus five.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So you've got these two sources of
2 exposure, the resuspension contribution and
3 the handling contribution. I would argue that
4 if we were confident that the T1 number
5 representing the time that it is being handled
6 is in fact claimant-favorable and does capture
7 the full range of handling operations while
8 the ingot or slice is onsite, you've got
9 yourself the optimum model.

10 But given -- right now we are not
11 quite sure whether you can nail down what that
12 value is, that T1 value is, because of the
13 kinds of questions that Dr. McKeel raised, so
14 we are -- in our view, we are almost home in
15 terms of solving this problem.

16 And all I can offer is that if for
17 some reason the T1 number becomes a difficult
18 number to track, and it might be much more
19 than 15 minutes, we would argue -- and go back
20 to Bob Anigstein's original strategy is that,
21 well, once that T1 value becomes longer and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 longer, in effect you end up with Bob's model,
2 which is -- just goes straight to the
3 surrogate data. You pick the average
4 concentrations out of the surrogate data and
5 assume it's always at that level for everyone
6 all the time.

7 And what that does is -- and we
8 are arguing that if you do have trouble
9 picking the T1, and if you do have concerns
10 regarding what the exposures might have been
11 pre-1958 when we don't have data on the number
12 of hours that was in the contract, if you have
13 trouble trying to come to grips with that,
14 Bob's approach solves those problems. But it
15 does end up with probably something that is
16 fairly conservative, and the doses would be
17 about 10 times higher than this approach.

18 So what we're saying is that I
19 think that your model is great, if you could
20 pick the right T1 that we could defend.

21 DR. ANIGSTEIN: And if we -- John,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and we have to fill in the dark years.

2 DR. MAURO: And fill in the dark
3 years, with the dark years being '52 to '58.

4 DR. ANIGSTEIN: Right.

5 CHAIRMAN ZIEMER: Okay. Thanks,
6 John. Actually, I had a similar question on
7 the T1 value, particularly stimulated by the
8 issue of unloading of the rail cars, which
9 were mentioned in the Adley report. I don't
10 know that it would require the same number of
11 people, because they may have had a much
12 heavier load of material in the Hanford case.

13 But in any event, are we
14 accounting for the other handling that -- and,
15 John, you have raised that question, and it
16 raised a question in my mind after revisiting
17 the Adley material.

18 So I have -- Dave or Jim, could
19 you at least initially speak to this issue?

20 DR. NETON: Yes. This is Jim.
21 I've thought about this quite a bit as well,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and, you know, in listening to the various
2 arguments against the 15 minutes. And I agree
3 that we don't know that number with sufficient
4 accuracy, I think, to nail it down at the 15-
5 minute level.

6 And it seems to me -- I was
7 willing to actually propose -- and I'm not
8 sure this would fly, but that we would use
9 just the total number of work hours in a year
10 as the total exposure, to cover the scenario
11 such as the unloading and the movement
12 throughout the plant.

13 But I'm hearing now, though, that
14 that -- you know, that the so-called "dark
15 years" now is not really agreed upon, when in
16 fact we have been going on that assumption
17 from the very beginning, that we could
18 estimate the number of work hours in a year.

19 So I would be happy to compromise
20 between, you know, Bob Anigstein's 3,250 hours
21 per year, whatever it was, and say it would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the 95th percentile for the number of
2 contractual hours in that year.

3 DR. ANIGSTEIN: Yes. Let's see,
4 just doing it in my head, the approach that we
5 proposed -- I hate to sound like I'm the lone
6 wolf with the SC&A approach, and that was the
7 -- something like 22, 24 was the average, and
8 69 was -- 68 was the 95th percentile. So
9 we're talking, roughly speaking, a factor of
10 three.

11 So my approach goes down a factor
12 of three on the concentration, and then it
13 goes up on the hours, and so that's -- yes,
14 you know, we could probably live with --
15 speaking for myself, and I think John will
16 agree, we could probably live with that. What
17 do you think, John?

18 DR. MAURO: Yes. I like the idea
19 of conversion, because T1 is going to be a
20 struggle. And if there is a way around --

21 DR. NETON: Yes. There is no good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 way to nail it. I mean --

2 DR. MAURO: Right.

3 DR. ANIGSTEIN: And then, if we go
4 with the maximum year, which I think was '61,
5 from June '60 to June '61, if we go with the
6 maximum year, and assign that to the years --
7 the plant years, you can say that, look, we've
8 got data from '58 through '66, so we've got
9 something like eight years' worth of data, and
10 then we use the worst of those -- but this is
11 sort of like a co-worker approach, a cohort
12 approach, where you say if you don't have data
13 for a given worker, but if you assign him the
14 worst of the badged workers, chances are he's
15 not going to be any higher.

16 And, particularly, if the years
17 are a smaller -- in other words, less than
18 half, so if you assigned the highest year to
19 those dark years, chances are it's pretty
20 good. Maybe it's -- there is no reason to
21 believe that they would have had a higher

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 level, because, actually, if you look at the
2 purchase orders, the very first one in '58 is
3 slightly lower, and then it comes to a peak.

4 If we had a constant decrease, I
5 could say they were higher before. But since
6 you have a hump there, then it goes down, I
7 would be comfortable with assigning that, you
8 know, I think a reasonable -- is that -- would
9 you agree, John?

10 DR. MAURO: Yes.

11 DR. NETON: Well, I think that
12 actually ends up solving the residual, the
13 resuspension --

14 DR. ANIGSTEIN: No. The
15 resuspension now -- oh, yes. And then we will
16 leave the resuspension.

17 DR. MAURO: Yes.

18 DR. ANIGSTEIN: Yes. We'll leave
19 that.

20 DR. NETON: You can just assume
21 the work occurred in the first 400 hours of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the year. This is -- you know, you drop it
2 down --

3 DR. ANIGSTEIN: Sure.

4 DR. NETON: -- and then you
5 resuspend it the rest of the year.

6 DR. MAURO: Okay. Okay. We're
7 okay with that.

8 CHAIRMAN ZIEMER: What I'm going
9 to suggest here is that we get something
10 formalized by NIOSH as -- sort of along the
11 lines of what we've heard, and then SC&A can
12 respond to that formally.

13 But before we commit to that
14 fully, we do want to hear the input from Dr.
15 McKeel and others representing the site as
16 well in terms of the concerns they have about
17 both the models and the input of values and
18 that sort of thing.

19 So now I'm wondering if we need a
20 break first. We're in different time zones,
21 so for some it's maybe lunch hour, for others

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not. But do you want a brief break at this
2 point, or should we just continue? How is
3 everybody doing? Any need for breaks, or are
4 you in locations where you can take breaks as
5 you need them?

6 DR. NETON: This is Jim. I'm
7 fine.

8 CHAIRMAN ZIEMER: Okay. Maybe
9 we'll just keep going, then.

10 I'm going to ask Dr. McKeel if you
11 want to go ahead now and speak. You can, Dan,
12 speak to both the White Papers and the
13 responses and the related issues as we have
14 discussed here.

15 DR. McKEEL: Okay. That would be
16 good. Thank you very much. Maybe I'll talk
17 about the administrative dose paper first. Is
18 that all right?

19 CHAIRMAN ZIEMER: Yes.

20 DR. McKEEL: Okay. So I really
21 have two items to mention about that. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 first is that I had written to the Work Group
2 and Dr. Ziemer earlier in June and asked some
3 questions, including some statistics that
4 might be provided by NIOSH on trying to
5 establish whether Dave Allen's comments to the
6 Board before the final vote on December 11,
7 2012, in Augusta were actually accurate, that
8 almost everybody at GSI under Appendix BB, Rev
9 0, had been assigned the higher dose scenario,
10 which would have been for that document the
11 radiographer dose.

12 Stuart Hinnefeld did write me back
13 yesterday, which I appreciated, and he gave me
14 the following statistics, which I have put
15 into the record. I find them quite odd, to be
16 honest with you, but at least it's a start.

17 So my question -- my original
18 questions were, what percentage -- what was
19 the number and percentage of the total dose
20 reconstruction assignments that had been given
21 to radiographers that got the highest dose,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 and what was the number and percentage of non-
2 radiographers that got the same highest dose?

3 Actually, so a pretty
4 straightforward question. So the answer I got
5 back was that he analyzed 252 total claims
6 from GSI, and these were from the operational
7 period only, not the residual period, because
8 radiographer, Stuart said, didn't apply to
9 that period.

10 So there were 166 radiographers
11 was the way he listed it, 82 other, and four
12 with no external estimate, and, in
13 parentheses, partial dose reconstructions.

14 So I assume those four people,
15 since GSI doesn't have an SEC, must have been
16 people who were employed both at GSI and a
17 site that does have an SEC and they were
18 pulled for that reason and underwent partial
19 dose reconstruction.

20 So if you add the 186 -- I mean,
21 the 166 radiographers, the 82 others, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comes to 252 total claims, of which 65.9
2 percent, or about two-thirds exactly, were
3 assigned the highest radiographer dose.

4 Now, the thing that is interesting
5 about those numbers is that in the Landauer
6 GSI 2084 program there were badges in the
7 NIOSH and the SC&A data sets, the weekly ones.

8 There were only 89 known badged radiographers
9 that even were at GSI at all during the
10 operational period.

11 And the seniority list that I have
12 from Terry Dutko, who is a deceased betatron
13 operator, that he and another supervisor
14 there, [identifying information redacted], had
15 filled out, they came up with approximately 61
16 people that were badged in 1964 and '65.

17 And Terry separately had generated
18 for me, and shared with the Work Group, that
19 he was aware from the polling he had done
20 among the living workers that they could only
21 come up with 11 certified betatron isotope

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 radiographers that had filed claims with
2 Department of Labor.

3 So that's -- so, you know,
4 depending on which of the -- so if we said,
5 let's say, 11 -- and I think Dave Allen in his
6 earlier paper where he estimated the number of
7 people who might be called radiographers, he
8 turned up 23, and of those he was pretty sure
9 that 12 of them were radiographers.

10 So let's use that conservative 12
11 number. So that means 154 people who are not
12 radiographers were assigned the highest
13 radiographer dose, and 82 others were assigned
14 the lower dose level specified in Appendix BB,
15 Rev 0.

16 My question of course is: how was
17 it decided in all of those claims, among the
18 non-radiographers, who was to get that highest
19 dose and who was to get the lowest dose?

20 And so if I were a non-
21 radiographer that got the highest dose, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 might say, "Well, I got a favorable reading
2 and that's good." And the 82 others might
3 say, "Gee, I work side by side."

4 Now, what we don't know is: among
5 those non-radiographers who got the highest
6 dose, what jobs did they hold, and what jobs
7 did the 82 others hold. And Stuart Hinnefeld
8 mentioned in his reply to me yesterday that
9 NIOSH had gotten the list of 163 union jobs at
10 GSI that John Ramspott had sent to them many
11 years ago.

12 So I guess I would say that it
13 sounds like -- number one, I would comment we
14 need more breakdown on those particular
15 people. The 166 radiographers included
16 radiographers and a whole bunch of other job
17 categories, and it would be important to find
18 out what exactly they were. And that would
19 also go for the 82 others that got the lower
20 dose assignments.

21 The other comment I wanted to make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was about Dave Allen's paper on the
2 administrative dose itself. And just to be
3 clear for the record, that was a three-page
4 paper in May 2013 called "Dose Estimates from
5 Radium Radiographers to Employees Not
6 Routinely Working in Production Areas."

7 I was happy to hear this morning
8 that NIOSH agrees that it will be very, very
9 difficult -- to me probably impossible -- to
10 determine the people who stayed in the
11 administration building, in the office,
12 weren't located in the plant, and basically
13 rarely, if ever, made trips through the
14 production areas of the GSI plant.

15 I just don't think there are data
16 to be able to do that. I noticed Dave Allen
17 said that they would operate from the CATI
18 interviews, and then he goes on to describe
19 how he actually -- to ferret out the
20 radiographers, and so forth, he actually used
21 the claims database. And that triggered to me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 something that came up in 2006 at a NIOSH-
2 sponsored workshop on dose reconstruction that
3 I was invited to.

4 Now, I asked the question back
5 then, which was, is there a systematic
6 database that extracts key data from CATI
7 interview fields and puts them into the
8 database, so that that total database can be
9 queried for CATI-related information?

10 And I think that is very important
11 because on the CATI documents that are now
12 posted on the DCAS website you can see that
13 one of the CATI interviews, the longer one for
14 the workers, has a question in there about
15 whether in fact the person was a radiographer
16 or worked in radiography. So that would be a
17 much more targeted question.

18 And, you know, presumably, if
19 people had not worked at that, they would
20 answer no to that question. And if they had
21 worked as a radiographer, they would answer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 yes.

2 So I didn't understand why Dave
3 didn't just go on and use the CATI interview,
4 unless it is very difficult to pull out that
5 data for some reason that I'm not aware of.

6 So, then, if I may, I would like
7 to turn to the next paper. I would make a
8 comment about the final paper, about the
9 assumptions in the Allen administrative dose
10 paper. And that is, it's clear that the goal
11 is to assign a lower dose to the
12 administrative people than to the production
13 area people. And everybody seems to think
14 that that 25 percent occupancy rate is fine or
15 good or something.

16 My opinion is it's totally
17 arbitrary. It doesn't -- it is not supported
18 by any facts. It is not something that can be
19 determined for individuals. It is a
20 guesstimate, and so I don't think guesstimates
21 are really fine anywhere. So I don't think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that's a good -- a particularly good number.

2 And I think Dr. Neton's comment
3 was quite true, that of the people we know
4 that had an office, a small office, sometimes
5 in the administrative building some of them
6 also had offices out in the plant.

7 And those people, for instance the
8 clerks who would go to follow a job, they
9 would -- some of the clerks would make many
10 forays into the plants to follow up on which
11 castings were being worked on and they would
12 go back to check on how the work was
13 progressing, two or three or more times per
14 day. So making realistic assumptions about
15 those sort of things is fraught with problems.

16 Anyway, so I'd like to turn then
17 to the McKeel critique of the Allen square
18 function approximation paper. And the first
19 comment is in John Mauro's comments he
20 mentioned an SC&A paper dated 6/11/13. And
21 maybe one of the reasons for my confusion is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that there is no SC&A paper with such a date
2 that is marked on the DCAS website under the
3 discussion papers and I just took off the list
4 today. So that paper is simply not on there.

5 There is a paper by myself on June
6 13th, and there is an earlier paper by Dave
7 Allen that is just marked June 2013 on the
8 square function approximation to estimating
9 inhalation intakes. But somehow, the SC&A
10 paper on the same subject wasn't posted on the
11 DCAS website under this meeting, nor was it
12 sent to me by anybody, nor was it mentioned to
13 me by anybody as having been written or turned
14 out.

15 And I find that very distressing.

16 You know, I'm sure the content of my paper
17 would be quite different, had I had that
18 paper, which I think I should have had. So
19 that's one comment.

20 The other comment is when we say
21 -- when Dr. Ziemer says that this was not a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 new function at all, that that had been
2 discussed before, I wonder if somebody, maybe
3 offline after the meeting, could send me the
4 pages in the April 26th transcript of this
5 Work Group that highlighted what exactly you
6 are talking about.

7 I don't think it was referred to
8 as a square function approximation, and my
9 question is, what was it called back at those
10 other meetings?

11 And then, the other issue is, as I
12 wrote in my paper about this paper of Dave
13 Allen's, is as far as I can see this is purely
14 theoretical qualitative modeling. There are
15 no actual numbers attached to the results.
16 And so let's say that we have this theoretical
17 model, which shows that if you integrate the
18 values out to infinity, the two curves
19 intersect.

20 You know, if you don't do that,
21 the curves do not intersect, and they really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 don't approximate each other very well at all.

2 So that's another thing you can see out of
3 this modeling. So I guess I don't quite
4 understand what the point of this paper is.

5 It seems to me at this very late
6 stage of the Work Group that what we need is
7 an exposure matrix for the different classes
8 of people who are going to be assigned a dose
9 -- the radiographers, non-radiographers,
10 administrative personnel, for all the
11 different kinds of radiation, and integrated
12 for all of the different kinds of sources for
13 internal and external doses.

14 And I am having a very difficult
15 time integrating all of that information. And
16 I just wanted to mention that it seems to me a
17 qualitative paper doesn't really advance the
18 cause very much. What we need are those
19 exposure matrices with the numbers that
20 everybody agrees to filled in. And if there
21 are numbers that we don't agree with, then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they need to be flagged as well.

2 And I will just point out that in
3 this Work Group, when John Ramspott and I came
4 and addressed the Work Group in person March
5 15th of 2012, one of my last slides that I
6 showed that day was an attempt to show the
7 different doses that NIOSH and SC&A had come
8 up with for radiographers and other workers in
9 2008 when they were first modeled, and in 2012
10 when they were remodeled after the film badges
11 had been obtained.

12 And I found that very difficult to
13 do. There was no such summary slide available
14 March a year ago. And it seems to me right
15 now that's exactly what we need in some paper
16 right now, and it seems like that just
17 absolutely needs to get done very quickly, so
18 we all know and have in one place a nice table
19 which says here are numbers that we all agree
20 to, here are numbers that haven't been
21 finalized, and then we can work on getting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those numbers.

2 So I guess that is my comment, and
3 I assume that Dr. Ziemer wants me to save
4 comments about Adley and so forth, and TIB-70
5 for later on, which I am happy to do.

6 CHAIRMAN ZIEMER: Yes. We'll come
7 to that in a little bit. Thanks, Dan, for
8 those comments.

9 Let me just follow up here a
10 moment on the discussion of the -- what we are
11 now calling the square function. In the
12 transcript, that discussion -- this is the
13 transcript of 4/26, the discussion is between
14 pages 138 and 158. And that -- the word
15 "square function" is not used there. The
16 terminology I think arose during the technical
17 call, but the function, as we're looking at it
18 now, was described in that section of the
19 transcript.

20 DR. McKEEL: All right. Thank
21 you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Yes. And then
2 the other thing I did, I pulled up the
3 website, and you're quite correct. I don't
4 see that SC&A three-pager listed there on the
5 documents discussion papers for this meeting.

6 I wasn't aware that it -- I guess
7 I didn't notice that it wasn't there, and had
8 assumed everything was there, so I apologize
9 for missing that myself.

10 DR. MAURO: Hello? This is John.
11 When I pulled up that file, I noticed that
12 it's labeled "Not PA-Cleared." So I suspect
13 it's possible that this particular report,
14 which is dated June 11th, in my file right
15 now, which was delivered but it was --

16 CHAIRMAN ZIEMER: Oh, I have the
17 report. I just didn't notice that --

18 DR. MAURO: Yes. It's possible
19 that it hasn't been PA-cleared yet to make it
20 to the public, you know, website.

21 CHAIRMAN ZIEMER: Yes. Which is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 little surprising because there is no use of
2 names in that document. It is all
3 mathematical stuff. It's --

4 DR. ANIGSTEIN: This is Bob. I
5 think what happens here is just a little
6 administrative glitch where, you know, the
7 report that is sent out by our secretary,
8 production manager I think it, or document
9 manager, whatever her title is, Nancy Johnson.

10 And it is not automatic that everything is
11 PA-cleared. I think it's on request, because
12 we don't want to burden OGC with everything
13 that doesn't need to be PA-cleared.

14 And I think this was probably a --
15 it just slipped through the cracks that she
16 probably was not informed, because she is very
17 diligent about doing that. She usually -- and
18 Jenny is very diligent about responding, so
19 usually we get that within a day. If it's
20 late in the day, we get it the next day. If
21 it's early in the day, we get it the same day.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So --

2 CHAIRMAN ZIEMER: Well, we need to
3 get that distributed.

4 DR. ANIGSTEIN: I mean, I'm sure
5 it's going to be something that can be done,
6 you know, today or tomorrow.

7 CHAIRMAN ZIEMER: Right.

8 DR. McKEEL: That would be very
9 good. Thank you.

10 MR. KATZ: Yes. This is Ted. I'm
11 not sure what they're -- I mean, Nancy doesn't
12 -- our standing policy is to PA-clear
13 everything except for documents that can't be
14 PA-cleared because they -- by PA-clearing them
15 you sort of remove all of the substantive
16 meaning of the document. So I'm not sure this
17 is up to Nancy.

18 The other thing I know that is
19 going on is that, you know, I was just
20 informed of this, you know, yesterday or the
21 day before is that they have been having

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 issues. This is just bureaucratic, but it has
2 to do with which parties are responsible for
3 actually posting things on the NIOSH website,
4 and that process has become a little bit messy
5 currently.

6 So some things haven't gotten
7 posted. Either they have been sent to be
8 posted in a timely fashion, so I don't know
9 whether that's an issue, too. But all of
10 these things, once they are PA-cleared they
11 are also -- they are forwarded to the SEC
12 Petitioner -- Dr. Kinman. I don't remember
13 his title, I'm sorry, but he is the one who
14 provides stuff to interested parties and that
15 would be done automatically. I can't find
16 it in my records right now quickly.

17 DR. ANIGSTEIN: This is Bob. In
18 addition to having it PA-cleared, it also has
19 to be made -- before it can be posted, it has
20 to be made 508-compliant. So, again, these
21 are --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right.

2 DR. ANIGSTEIN: -- two processes,
3 and we always assume the -- I believe the
4 policy at this end -- and I think our
5 instructions are no matter how obvious it is,
6 everything is suspect until it has been proven
7 innocent.

8 So everything goes through --
9 everything that is -- if we call it PA-
10 cleared, everything goes through OGC. And
11 then, once it is okayed by OGC, which, you
12 know, usually in a case like that it just
13 comes back saying, you know, no problem, then
14 it gets -- becomes 508-compliant. And even
15 something as simple as a memo, it has the SC&A
16 logo on it, that has to have alternate text
17 because the Acrobat reader can't read it. So
18 there is an extra step involved in making
19 something that is postable.

20 DR. McKEEL: Right. So, anyway --

21 CHAIRMAN ZIEMER: Well, we can get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it taken care of.

2 DR. ANIGSTEIN: I mean, it's easy
3 to be done, but I'm just explaining, you know,
4 what the glitch was.

5 DR. McKEEL: I can read almost any
6 kind of file if it has been PA-cleared. So
7 anything would be fine, if you could just send
8 it to me directly, please.

9 CHAIRMAN ZIEMER: Right.

10 MR. RAMSPOTT: Dr. Ziemer, this is
11 John Ramspott. May I make a comment?

12 CHAIRMAN ZIEMER: Yes. You
13 certainly may, John.

14 MR. RAMSPOTT: It's regarding Dr.
15 McKeel's comments and I think John Mauro's,
16 and Dr. Anigstein's, and I believe Josie's.
17 We've got a real problem with the time, the
18 T1. And I'm looking at the exact purchase
19 order that Dr. Anigstein mentioned from 1965,
20 and it clearly states on there, Item C -- and
21 everybody has been using these purchase orders

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to figure out ours -- that money that was paid
2 to GSI was for betatron labor charges,
3 including operation and maintenance and all
4 overhead, and it is to be billed at such-and-
5 such an hour.

6 And having worked in plants when I
7 was going to school and what have you and
8 being in business, we start to talk about
9 overhead, and I, just to doublecheck myself
10 looked up the definition of it. Overhead is
11 heating, lighting, cooling, nothing to do with
12 labor, and it's clear here because it says
13 "betatron labor charges," quote/unquote.

14 So all of the handling of the
15 uranium before it got into the betatron has
16 not been accounted for, or it would state on
17 there: plant handling, plant labor charges,
18 transportation, whatever, but it's not. So we
19 are missing a very big piece of the handling
20 of that uranium.

21 And knowing how the uranium got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into the plant, having talked with workers
2 that actually did the handling coming in, they
3 came over in a Mallinckrodt railroad car or a
4 -- it wouldn't be a Mallinckrodt car, it would
5 be Terminal Railroad Association. Those cars
6 never came in the plant.

7 The uranium would have been
8 unloaded, handled, onto a GSI car, truck,
9 forklift, something with a wheel, as
10 [identifying information redacted], a
11 supervisor of the Yard Department, explained
12 to me. So we are missing a lot of handling by
13 trying to use these purchase orders to
14 determine anything. So I think we've got a
15 real problem there.

16 Now, there is another time issue
17 that is maybe even bigger than this. The fact
18 -- the three people I think, and I've double
19 checked the transcripts, but if I'm missing
20 something or somebody interviewed somebody
21 else, the three people that are being used, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 guess, to kind of factor the handling of a
2 uranium ingot or a slice at GSI, those three
3 people all started after the radium era.

4 I looked up their start dates. I
5 have some records that show those. One man
6 who -- well, [identifying information
7 redacted] talked about the ingots and dingots
8 and rotating and shooting corner.
9 [Identifying information redacted] started in
10 1965.

11 [Identifying information
12 redacted], who talked about slices, started in
13 '63, September, so almost '64. Mr. Dutko
14 started November '63, almost '64. So none of
15 these people that are being referenced for
16 what went over -- what went on with the ingots
17 or slices or dingots, at GSI had anything to
18 do with the radium era, the early era. We've
19 got a bigger -- we've got a missing timeframe
20 there.

21 DR. ANIGSTEIN: If I can ask a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question, this is Bob.

2 MR. RAMSPOTT: Yes.

3 DR. ANIGSTEIN: John, I agree with
4 you completely about the timeframe of these
5 people. I have noted that myself. But when
6 we are talking about the uranium handling --

7 MR. RAMSPOTT: Yes.

8 DR. ANIGSTEIN: -- it makes no
9 difference which era it's in. The only
10 difference there is for the radiography of --
11 you know, for the incidental exposure, for the
12 exposure of the radiographers to isotope
13 sources. That makes a difference. Whether
14 it's radium or cobalt, these are done very
15 differently.

16 But the betatron was the betatron,
17 and the only difference was, are we talking
18 about the old betatron building, which didn't
19 -- which was from '52 or on to the end, or the
20 new betatron building, which was completed
21 sometime around the end of '63?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But whether it's the radium era or
2 not, it doesn't matter for the uranium
3 handling.

4 MR. RAMSPOTT: Well, Bob, you
5 know, I'm not questioning that part of it.
6 The part I was getting ready to question is no
7 one knows what uranium was actually being
8 examined in any quantities. And it does take
9 different times and handling to do different
10 types of uranium.

11 The slices, they take longer if
12 you are shooting through, you know, the four
13 inches. The ingots and dingots, we all
14 referenced [identifying information redacted]
15 shooting the corners and getting oblique shots
16 in order to get on the film. That's the only
17 way you can do it. Everybody agreed they
18 weren't trying to go through the ingot because
19 they couldn't.

20 DR. ANIGSTEIN: Right. But that
21 only -- John, that only affects the fraction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of time that the radiography -- you know, they
2 will be setting up as opposed to the time they
3 are in the control room. And now that Jim
4 Neton has agreed that we are not going to
5 divide the time, like 15 minutes in the
6 shooting room and 60 minutes in the control
7 room, so we are not doing that anymore, then
8 the type of uranium shape really doesn't
9 matter.

10 We are assuming that people are
11 going to be -- you know, the dust is going to
12 be generated during the entire -- all of the
13 hours. That's the compromise we just
14 achieved. So that really falls out of the
15 picture.

16 MR. RAMSPOTT: But doesn't it
17 affect how much time the radiographer actually
18 spends in the shooting area?

19 DR. ANIGSTEIN: But we have
20 already -- we have just agreed that we will
21 assume, to be on the conservative side, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 if they are given -- let's say, if the
2 Mallinckrodt purchase order says 400 hours,
3 that means that 400 hours was spent handling
4 the uranium and generating dust.

5 But this is only a question of the
6 dust inhalation. The radiographer dose is
7 determined, you know, by the film badges, we
8 are just assuming, and that going back the
9 same procedures were followed in radiography.

10 So this -- it doesn't matter. We are not
11 calculating the radiographer dose based on
12 which uranium shape was being radiographed.

13 MR. RAMSPOTT: You're assuming --
14 I guess what I'm trying to do is --

15 DR. ANIGSTEIN: Excuse me. I had
16 -- because the vast majority of the
17 radiographer's time is not spent on uranium;
18 it's spent on steel.

19 MR. RAMSPOTT: Yes. But the
20 conversation that everybody is having today is
21 about uranium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. ANIGSTEIN: Yes. Uranium
2 dust.

3 MR. RAMSPOTT: And the uranium --

4 DR. ANIGSTEIN: Not about uranium
5 -- not about the external exposures to the
6 betatron beam during uranium radiography.
7 That's not what we're talking about today.

8 MR. RAMSPOTT: If you're in with
9 the dust four times as much --

10 DR. ANIGSTEIN: But when you are
11 already giving the credit of maximum --

12 MR. RAMSPOTT: Are you giving --

13 DR. ANIGSTEIN: -- it can't be
14 more than 100 percent.

15 MR. RAMSPOTT: -- because I
16 thought I heard 15 minutes.

17 DR. ANIGSTEIN: No, no. We just
18 got rid of -- perhaps you weren't following,
19 and, you know, it's not a criticism because
20 it's --

21 MR. RAMSPOTT: I appreciate it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 being pointed out, because it --

2 CHAIRMAN ZIEMER: Let me interrupt
3 here. We are going to see from NIOSH in
4 writing what the revised proposal will be in
5 terms of handling that, what we are calling T1
6 factor and the time beyond just the setup. So
7 there is going to be a revision to that model
8 that will hopefully take care of that.

9 And I think probably what will be
10 helpful -- and I believe Dr. McKeel mentioned
11 this, it will help people sort things out, is
12 to maybe chart it out so people can see
13 exactly what the different pieces are for this
14 -- well, for what we're calling the radium
15 era, the cobalt era, and the residual era, and
16 then those different pieces of the doses for
17 radiographers and the different pieces of
18 that.

19 We have, you know, the direct
20 radiation, the inhalation, the skin doses, and
21 so on. I think an overall sort of summary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 chart showing all of those will be helpful to
2 everybody. So that if we're talking about one
3 piece or another, everybody is on the same
4 page, so we are not arguing external exposure
5 versus internal and that kind of thing. So --

6 MR. RAMSPOTT: Well, that's very
7 helpful. I didn't hear that agreed to, so is
8 it agreed to now I guess, that that is going
9 to happen?

10 CHAIRMAN ZIEMER: NIOSH has agreed
11 that they are going to modify that model.

12 MR. RAMSPOTT: The real numbers.

13 CHAIRMAN ZIEMER: Well, it will be
14 the time numbers, and Jim Neton explained what
15 his thoughts were on that. SC&A thought it
16 made sense, but we want to see it in writing
17 and make sure we all understand exactly what
18 the implications of that are. And that will
19 also determine what the assigned doses would
20 be for the inhalation model. So we need all
21 of that information to put this thing to bed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. RAMSPOTT: Well, actually
2 seeing this chart, then, that's very helpful.

3 I didn't understand that to be the conclusion
4 yet, that --

5 CHAIRMAN ZIEMER: Well, the chart
6 -- this wasn't the conclusion, but I think I
7 heard Dr. McKeel request that, and it seemed
8 to me that it would make sense for all of us
9 to sort of have that in a concise place, so we
10 could all see what all of the pieces were,
11 kind of in conglomerate.

12 And, Jim and Dave, I think as we
13 come to closure on this, that would be
14 helpful, to sort of chart that all out, if you
15 can envision what I'm talking about here.

16 MR. RAMSPOTT: Oh, that would be
17 very helpful because right now, the way I was
18 looking at it, it was all very mathematical
19 formula with no conclusion on it. So that's
20 very helpful. I appreciate that.

21 May I make one more comment? And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'll be brief.

2 CHAIRMAN ZIEMER: Sure.

3 MR. RAMSPOTT: The other item with
4 the settling, that really concerns me. And
5 I've had some workers reconfirm this. And
6 looking back at my photographs of the plant,
7 when I actually visited and actually received
8 some great photographs from Department of
9 Energy on the cleanup, inside that betatron
10 building there were two external fan sources
11 that blew inside the building. They did not
12 exhaust anything out.

13 There was no HEPA filters or
14 hanging gas furnaces that during the winter
15 and summer -- during the summer they ran them
16 for ventilation only, no discharge outside or
17 anywhere else, but simply to move air in the
18 building. And I guess I'm concerned about how
19 that would change all of the settling.

20 And I actually saw one of these
21 hanging furnaces. It's at a car dealership

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that I do business with. They blow air like a
2 jet engine. These things -- nothing has
3 changed. In the summer, these guys have those
4 fans going, and that's stirring up all of that
5 dust. I guess I'm trying to figure out how
6 dust settles when it's being blown all the
7 time.

8 And I confirmed with the workers
9 that is exactly what they did at GSI,
10 essentially seven days a week, 24 hours, and
11 by people that we all know - [identifying
12 information redacted], all guys that the Work
13 Group knows.

14 Are those fans a consideration?

15 CHAIRMAN ZIEMER: I don't know the
16 answer to that. I don't know if NIOSH has any
17 comment on that. Can you answer that?

18 MR. ALLEN: Yes. This is Dave
19 Allen. I know the kind of fans he is talking
20 about. I've seen them at Fernald. I have
21 seen it in my dad's service station, actually,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and in a number of other places.

2 It's a fairly standard industrial
3 type of event to have some sort of
4 ventilation, whether it's circulated outside
5 or just recirculated inside.

6 MR. RAMSPOTT: This is circulating
7 inside only.

8 MR. ALLEN: Right. And that's not
9 unusual. I know, from what I've seen at
10 Fernald, a lot of them at Fernald had hot
11 water line -- or steam actually, from the
12 boiler plant, and you'd turn that on in the
13 winter and it heats the building. And you
14 turn the steam off in the summery and you get
15 the fan to at least blow air around.

16 MR. RAMSPOTT: How is that being
17 taken care of with this settling?

18 MR. ALLEN: Well, for most of the
19 surrogate data, places we had, I mean, they
20 are -- and not to mention all over, there is
21 -- a lot of that stuff is in the northern

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 climates and you're going to have some kind of
2 heat in the wintertime in these production
3 buildings.

4 And normally you are going to have
5 some sort of ventilation. It's pretty
6 standard to have some kind of ventilation in
7 the building.

8 MR. RAMSPOTT: How do you ever get
9 settling when wind is blowing? That's my
10 question.

11 MR. ALLEN: Well, if it actually
12 is churning it up --

13 MR. RAMSPOTT: Yes.

14 MR. ALLEN: -- it tends to -- you
15 do get ventilation with the outside air, too,
16 usually through fugitive emissions if nothing
17 else. And the more it's churned up in the
18 air, the more you tend to get --

19 MR. RAMSPOTT: Not with a 10-foot
20 wall you wouldn't, a 10-foot thick wall. That
21 place is like a tomb. I've been in it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Yes. I realize it
2 looks like a tomb, or whatever. But you'd
3 still get enough ventilation for people to
4 have oxygen. I mean, you do actually get a
5 decent amount of ventilation.

6 MR. RAMSPOTT: It's not going out,
7 Dave, it's -- if anything, it's coming in.

8 MR. ALLEN: It's got vents in the
9 roof, and it's got --

10 MR. RAMSPOTT: They didn't run the
11 vents in the room during the winter. That was
12 confirmed. They actually covered them.

13 MR. ALLEN: You still have some
14 circulation or people would be suffocating,
15 John.

16 MR. RAMSPOTT: I'm just --

17 CHAIRMAN ZIEMER: Well, I think
18 John's question was, is there any settling if
19 you have that --

20 MR. RAMSPOTT: Yes. That's the
21 question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: And actually you
2 still have some settling. It's still based on
3 particle weight, but the air concentration
4 might be higher. I believe, Dave, what you're
5 saying is in most of those industrial ones
6 from which we get the data, including the
7 settling data, they all have some kind of
8 ventilation or fans or circulation that is
9 along the same line.

10 Everybody has got air moving in
11 these plants. You still get some settling and
12 you still get some resuspension, just from the
13 air movements. But that's -- I'm just saying
14 that in a general sense. I think you'd have
15 to look at specific data to confirm that.

16 MR. RAMSPOTT: Paul, that's what I
17 was going to say, too, because this really is
18 like a tomb. Doors were closed. It's not
19 like a big general plant, you know.

20 CHAIRMAN ZIEMER: Got you. Got
21 you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. RAMSPOTT: So it's just -- I
2 wanted to raise that. I thought it was a
3 valid point, and the workers brought it up and
4 are concerned about it.

5 CHAIRMAN ZIEMER: Got you.

6 MR. RAMSPOTT: I guess, more
7 importantly, will it be looked at or
8 addressed? Are there any thoughts from SC&A
9 on it?

10 DR. MAURO: This is John. The
11 only thing I would like to point out is that,
12 since we are dealing with surrogate data, and,
13 you know, there is some material already
14 regarding how that data was selected, however,
15 there is the four or five criteria that
16 surrogate data is always put to, one of which
17 of course is, is there anything about the
18 design of the ventilation systems and the --
19 you know, that's one of the criteria.

20 So I guess I would offer that in
21 supporting the surrogate data that this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certainly part of a process, and it needs to
2 be part of the record, that, yes, we put the
3 surrogate data to the test of the four or five
4 criteria -- I forget how many there are -- but
5 I do remember one of them is -- you know, is
6 now -- is it comparable with respect to things
7 like building design and ventilation.

8 So I would be helpful, you know,
9 it sounds like that -- and correct me if I'm
10 wrong -- you are close to the process of
11 almost putting a preview out of what the
12 Appendix BB revision will look like. I don't
13 know if that's your next step or is it -- you
14 know, or some type of material that would
15 allow us to take a look at, okay, everything
16 is now converged, it sounds like we've
17 resolved all of our differences, and now this
18 is what the matrix is going to look like.

19 And it would almost be a preview
20 to Appendix BB, and it would be helpful if
21 part and parcel of this includes, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 surrogate data. Part of it -- it probably
2 would be helpful to go through the surrogate
3 data criteria and demonstrate that it meets
4 those criteria.

5 DR. ANIGSTEIN: This is Bob.
6 There was one issue that has not been talked
7 about and that has not been resolved, and that
8 is: there is a major difference in the
9 modeling of the dose to the layout man during
10 the new betatron period, meaning from late '63
11 on to the end. We are off by a factor of two.

12 We have different assumptions, and we do not
13 agree with the NIOSH approach.

14 And then there is also skin dose,
15 which we -- is based on the technical issue
16 based on which MCNP model you use -- which
17 MCNP version was used, and that, again, we
18 have some significant differences. So those
19 are two things that have not been talked about
20 recently, but they were talked about in the
21 past.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So these are not new issues, but
2 they have -- you know, they have just -- we
3 went on to other issues since then. So just
4 -- I am not saying we should -- probably not
5 profitable to discuss it now, but that needs
6 to -- you know, before a new Appendix BB comes
7 out and we are asked to review it, it would be
8 helpful to resolve this, so we don't have to
9 keep going back to the drawing board, or
10 sending NIOSH back to the drawing board.

11 CHAIRMAN ZIEMER: Thanks. Any
12 other comments or discussion on those?

13 DR. McKEEL: Dr. Ziemer?

14 CHAIRMAN ZIEMER: Yes.

15 DR. McKEEL: This is Dan.

16 CHAIRMAN ZIEMER: Yes, Dan.

17 DR. McKEEL: Just one very quick
18 comment is, you know, I think the idea --
19 actually, John Mauro asked Dave Allen, "Are
20 you close to putting out a preview Appendix
21 BB?" And Dave Allen didn't get a chance to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 answer, the way I heard the discussion.

2 But a key point that I want to
3 make about today's agenda is that the one
4 thing that is glaringly missing from the
5 agenda, and I put it in the one-page summary
6 that I referred to as goals that I would like
7 to see this Work Group accomplish soon, was to
8 finish resolving all of the issues, all of the
9 SC&A findings, in the Appendix BB issues
10 matrix.

11 And I think the last one was
12 November 26th of 2012. And I wrote you and
13 Dr. Ziemer wrote me and said that definitely
14 was still a matter to be discussed. And here
15 we are talking about perhaps getting ready to
16 have a preview of Appendix BB.

17 Well, you know, Stuart Hinnefeld
18 has written me several times, and the latest
19 being yesterday, that Appendix BB was not
20 going to be revised until all of those
21 outstanding issues in the issues matrix are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 resolved. And I'm going to really expect that
2 that be the case.

3 So I really am not clear is --
4 when are we going to get around to addressing
5 Appendix BB, Rev 1, and the findings from --
6 of SC&A and have them all resolved? There
7 certainly are open issues, as anybody can read
8 in that 11/26/12 version of the issues matrix.

9 CHAIRMAN ZIEMER: Well, the simple
10 answer is that the resolution of the issues
11 matrix is very dependent upon what we are
12 doing now, and that is, how do we model the
13 various components of the exposures? But
14 that's really what the issues matrix is all
15 about. So --

16 DR. McKEEL: I'm just saying that
17 it's a little premature to talk about a
18 preview of Appendix BB until we've gotten
19 through all of these models and --

20 CHAIRMAN ZIEMER: Right.

21 DR. McKEEL: -- the Appendix BB

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues have been resolved.

2 CHAIRMAN ZIEMER: I think my phone
3 had probably cut out when somebody mentioned
4 the preview of BB, because I just had to sign
5 in again, but I hadn't heard that myself. I
6 think what -- I guess what is being asked for
7 -- who asked for the preview?

8 DR. McKEEL: John Mauro just
9 simply suggested that --

10 CHAIRMAN ZIEMER: Oh.

11 DR. McKEEL: He was --

12 DR. MAURO: This is John. I was
13 just asking, because I think that there has
14 been some conversation that it's time to put
15 some matrices together of what, you know, this
16 is starting to look like. And which sounded
17 to me like a preview of Appendix BB, and
18 that's of course -- and we took off from
19 there, whether or not that is a good way to
20 think about what the next product is, or is it
21 premature.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ZIEMER: Well, in a
2 sense, the preview would be the summary of all
3 of the components that are going to be revised
4 as I think, for example, with the length of
5 the work week, which was long ago settled and
6 that would be in the appendix.

7 Also, you could have all of these
8 issues we are talking about, how you handle
9 the internal uranium uptakes in various eras
10 and for the various workers, what the beta
11 doses are going to be and all of those
12 different components.

13 So, in essence, I think coming to
14 closure on each of these individual pieces
15 will lead us to coming to closure on the
16 various parts, the various issues that were
17 raised in the original findings.

18 Some of them, in a sense, have
19 already been taken care of, but we haven't
20 formally closed them. I mean, we have come to
21 closure on how things are going to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 approached, but we haven't actually closed the
2 matrix. But we need to get all of these
3 pieces in place, is how I view it.

4 And I did think that the
5 suggestion that Dr. McKeel had of having a
6 chart so we could see the individual pieces,
7 would be very helpful.

8 Now, I am looking here at my own
9 clock. I think we do need to have a lunch
10 break for people who are here, and it doesn't
11 necessarily have to be a long one. I wanted
12 to come back, and I have some questions myself
13 on the issues raised by Dr. McKeel on the
14 Adley report, and I would just like to get a
15 little feedback perhaps both from NIOSH and
16 from SC&A, if they have any feedback, on some
17 of the questions there. And then I'd like to
18 move on to Baker Brothers and Joslyn and
19 Simonds, which I think we can handle fairly
20 readily.

21 So I assume most folks are home or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- well, the NIOSH people, you may be at the
2 office. But would a 30-minute break be
3 sufficient for people to grab lunch?

4 DR. NETON: That's fine by me.

5 DR. McKEEL: Dr. Ziemer, may I
6 make -- this is Dan McKeel. Can I make one
7 more comment?

8 CHAIRMAN ZIEMER: Oh, yes.

9 DR. McKEEL: There was a -- there
10 is an agenda item, which I would say D, public
11 comments and submissions, under three --

12 CHAIRMAN ZIEMER: Yes.

13 DR. McKEEL: -- and I do have a
14 few more comments on the other papers and --

15 (Telephone ringing.)

16 DR. McKEEL: My comment was, I have
17 about a half-page more of comments.

18 CHAIRMAN ZIEMER: Oh, that will be
19 fine. You can --

20 DR. McKEEL: Can I make that after
21 lunch, please?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Yes, sure.

2 DR. McKEEL: Because I -- yes.

3 CHAIRMAN ZIEMER: Yes, we can do
4 that, Dan.

5 DR. McKEEL: Okay.

6 CHAIRMAN ZIEMER: Not a problem.
7 Not a problem.

8 DR. McKEEL: Thank you very much.
9 Yes.

10 CHAIRMAN ZIEMER: So we'll take a
11 30-minute break and then resume.

12 (Whereupon, at 12:55 p.m., the above-entitled
13 matter went off the record and
14 resumed at 1:28 p.m.)

15

16

17

18

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 operations that seemed to be at variance with
2 what we saw from the rod handling and other
3 handling data that was being used as
4 surrogates.

5 And I wanted to get some
6 clarification, if I could, if somebody has the
7 answer to it, on comparing this facility,
8 which is Hanford Works facility, with others.

9 It wasn't clear to me whether the dust
10 loadings resulting from handling the rods were
11 associated with the fact that the overall
12 facility may have been at a higher sort of
13 ambient contamination level to start with so
14 that the handling of anything stirred up
15 existing uranium.

16 Does anybody have an idea on how
17 to understand this information in the Adley
18 report? Either NIOSH, SC&A?

19 MR. ALLEN: Yes, Dr. Ziemer, this
20 is Dave Allen. I think I can shed some light
21 on this maybe, if I understand the question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 right.

2 CHAIRMAN ZIEMER: Well, it
3 appeared to me that -- it appeared that some
4 of this information is at variance with what
5 we had seen in the other surrogate data that
6 we're using.

7 MR. ALLEN: Okay. To start with,
8 I mean, we went through the surrogate data
9 quite a bit, and I think I gave a couple of
10 different presentations to the full Board.
11 And all through that I kept discussing
12 criteria on, you know, what would be
13 considered good surrogate data for what we
14 were looking for there. And one of the issues
15 was the possibility of interference from
16 nearby higher airborne-causing operations,
17 which I think you alluded to a second ago.

18 In the Adley report, on Table 10,
19 which is page 43 of the report, it's the third
20 page of a three-page table, one of the jobs
21 being mentioned there is rod receiving, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's got car unloaders and the airborne
2 concentration is 142 times 10 to the minus
3 fifth micrograms per cc, or about 1,420
4 micrograms per cubic meter.

5 I think that might be one of them
6 you're talking about. That particular job has
7 an asterisk next to it with a note at the
8 bottom of that table that says, "This
9 procedure has recently been changed. Rods are
10 unloaded with a hydrocrane by bundles and are
11 weighed within the melt plant building." And
12 the melt plant building is essentially the
13 bulk of the building where, you know, lots of
14 different evolutions occurred.

15 There is a map or a drawing of the
16 building early on in the report, which I can
17 point to here in a minute. On page 42 of the
18 report, it mentions rod handlers. And, again,
19 I think this might be -- if I'm not mistaken,
20 this is what you're talking about with higher
21 airborne levels, and the rod handlers, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 jobs that they looked at, were straightening
2 and loading table and storage bay stacking,
3 sweeping, and then other duties.

4 Loading the table was the highest
5 with 140, and that's the units in the report
6 times 10 to the minus fifth micrograms per cc.

7 The other jobs, the straightening was 35, the
8 storage bay stacking was 81, and the sweeping
9 was 39.

10 If you go near the beginning of
11 the report, there is a map of the building.
12 And I'm trying to get to the page here. Page
13 6 of the report, and you can see in the middle
14 of the main bay of the building the rod
15 straightener.

16 It's near the south side of the
17 building, relatively centered in the building,
18 a little more towards the south side, next to
19 the oxidizing furnace. It's got a feed table
20 and an off-take. The rods were loaded into a
21 straightener, straightened, and then offloaded

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in the straightener.

2 I think it's -- between what those
3 say, what the footnote says, and what this map
4 points to, I think you can say there's --
5 there's no way you can say there was not
6 interference from other operations. Very
7 likely there was, certainly from the
8 straightener, not to mention the oxidizing
9 furnace.

10 So with all of that in mind, I
11 don't think that is something we could have
12 used for surrogate data to say that it's
13 representative of handling cold uranium metal.

14 There is just too much possibility of other
15 operations in the area that are causing
16 interference.

17 CHAIRMAN ZIEMER: Okay. I sort of
18 wondered if that wasn't the case, or at least
19 that's your understanding of it. SC&A, do you
20 have any comments on this issue?

21 DR. ANIGSTEIN: Yes, this is Bob.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We pointed out that that data was actually in
2 a report that we prepared on August 31st of
3 last year, and we excerpted the section with
4 scenarios and we listed three operations:
5 unloading rods from truck with forklift,
6 receiving rods, unloading truck and stacking
7 rods, sample readings of man operating
8 forklift, and then loading straightened rods
9 directly from table onto truck.

10 And the dpm -- it's in -- the data
11 is listed in terms of micrograms, but to be
12 consistent with our units in this report I
13 converted it to dpm per cubic meter. And we
14 had an extremely -- unloading rods from truck
15 with forklift, extremely high, 3900 dpm per
16 cubic meter.

17 We have to remember that the MAC,
18 maximum allowable air concentration -- correct
19 me if I'm wrong, John -- was 70 dpm per cubic
20 meter. So we are going based on old health
21 protection standards -- well, current at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 time. So here we are going some, you know, a
2 multiple of that, about -- what would it be --
3 10, 40, something like 50 times, a 50-time
4 multiple of that. So that would really be
5 clear.

6 So I think in the end we agreed
7 when we came up -- this was the first SC&A's
8 first objection to the surrogate data
9 previously used by NIOSH. However, at the end
10 when there was a survey of all of the sites,
11 SC&A and NIOSH and the Work Group concurred on
12 this value that gave us something like 68-
13 point-something, 95th percentile.

14 And for the reasons that Dave just
15 stated, we agreed that this was unlikely. It
16 was like an outlier and very different from
17 other operations and unlikely that this was
18 simply from the handling, rather than from the
19 furnaces that were right nearby I think in the
20 same building.

21 CHAIRMAN ZIEMER: Okay. I just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wanted to get some input on that. But let's
2 go ahead and let -- Dr. McKeel had some points
3 to make, and perhaps other ones as well, on
4 the Adley report.

5 So, Dr. McKeel, why don't you go
6 ahead and raise your issues on that, and then
7 your other comments as well.

8 DR. McKEEL: Okay. Thank you very
9 much, Dr. Ziemer. Well, I'm glad we talked
10 about the forklifts and the handling. I guess
11 the preface I've got to make is that my
12 reading of what was important related to GSI
13 in the Adley '52 report was really quite
14 different than what either NIOSH or SC&A have
15 been saying, except that, for example, the
16 forklift number that was decided a year ago --
17 well, this last year by SC&A -- I don't see
18 how you can just dismiss that.

19 The Adley report says quite
20 clearly that they thought that there was
21 cross-contamination from other areas of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plant, including areas where production was
2 quiescent. And so, you know, the cross-
3 contamination was important.

4 On the other hand, you know, we
5 have just heard a rationale for why the gas
6 furnaces blowing continuously shouldn't make a
7 difference in the re-suspended uranium in the
8 betatron building, and the rationale given was
9 because every site had some of those furnaces.

10 Well, no, every site wasn't like
11 GSI, and that certainly was not compared in
12 the surrogate data criteria analysis by NIOSH.

13 It just -- it wasn't in there. So I think
14 that's a legitimate point that differentiates
15 GSI. Now, you know -- so that's one point.

16 The second point is the rod
17 handling, you know, was -- there were many
18 different kinds of rod handling, but certainly
19 the uranium metal forms received from
20 Mallinckrodt at GSI underwent different kinds
21 of handling. They were taken from the freight

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cars and the truck beds. They were hooked up
2 to chains. They were moved with forklifts.
3 People have talked about that.

4 The other thing that Adley points
5 out, very interesting little bit of data, was
6 that there is extra dust kicked up by the
7 forklift exhaust, and, you know, that is a
8 powerful exhaust that further stirs things up.

9 So that's another reason.

10 They use a lot of forklifts at
11 GSI. And, remember, we do have testimony that
12 the chainmen had to lift the uranium ingots,
13 for example, to get them onto the railroad
14 transfer cars. But there were other
15 operations in getting them from the terminal
16 railroad cars into the loading dock and so
17 forth, where they were handled with forklifts.

18 So they weren't handled full-time only by
19 chains and cranes. So that's one point about
20 that.

21 But, again, the Adley activities

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that I think recapitulate what happened at
2 GSI, that do qualify Cook for cold uranium
3 handling, are some of those handling
4 operations, the sweeping operations, and, as I
5 mentioned this morning, cleaning uranium from
6 the transport truck beds.

7 At Hanford they said they used
8 filing, scraping, and grinding. [Identifying
9 information redacted], who observed that
10 activity taking place on the railcars and the
11 rail transfer cars at GSI, said that those
12 cars that transported the uranium,
13 Mallinckrodt uranium, into both betatron
14 facilities, that they were cleaned very
15 infrequently, maybe twice a year.

16 And they were taken outside to do
17 that, they were scraped with the bucket of a
18 backhoe turned upside down, so it could be
19 used as a scraper. And that was done outside
20 and they took the debris, including the
21 uranium-containing dust, and dumped that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 outside.

2 Mr. Dutko, when he was alive, had
3 told us that other cleaning of those railcars
4 was done with an air hose, which, again, you
5 know, would stir up the dust and certainly
6 exposed those operators to high levels of
7 airborne uranium.

8 So I completely agree that the
9 Hanford melt plant in many ways was not
10 exactly similar to GSI. But on the other
11 hand, the surrogate data that everybody has
12 chosen to use from Mallinckrodt and Fernald,
13 they weren't like GSI either. You know, they
14 were uranium feed materials plants, Department
15 of Energy facilities, great, big, handling
16 thousands of tons of uranium.

17 So they weren't strictly similar
18 in many ways to GSI, and yet they passed muster
19 the second time around as excellent surrogate
20 data sources.

21 We are calling to everybody that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 SC&A, the first time around, found that four
2 of the five surrogate data criteria designed
3 by the Board were not met by the surrogate
4 data that Dave Allen picked, and then there
5 was some culling and rearranging and so forth,
6 while yet leaving in the big DOE sites. And
7 this time around, the second time, now, I
8 thought, rather amazingly, all five Board
9 criteria for surrogate data were met.

10 So, and then I also mentioned, you
11 know, that in the report by Dr. Thurber, which
12 he went over in detail today on TBD-6000 Rev
13 1, that both he and Dr. Anigstein and Dr.
14 Mauro have enthused about Adley 1952 data as
15 being really excellent data.

16 And, in one sense, it really is
17 excellent data, in the sense that they
18 numbered the samples, they actually performed
19 the measurements, they often confirmed their
20 measurements two different ways. Nothing like
21 that was done at most of those surrogate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sites. You know, we don't have any data like
2 that from Weldon Spring. We don't have any
3 data like that from Fernald. We certainly
4 don't have it from the AWE sites, and we
5 surely don't have it from General Steel
6 Industries.

7 So, you know, I'm just claiming
8 that my view is that the rod handling, the
9 sweeping, the freight car unloading, the
10 cleaning of the transport vehicles, all that
11 does have parallels to what happened at GSI.

12 And I strongly believe that the
13 uranium airborne dust levels measured in Adley
14 '52 at the Hanford facility are way higher in
15 some instances. I reproduced the relevant
16 graphs to GSI, I thought, in my paper. And I
17 strongly urge everybody to read it. We don't
18 have facilities this morning to go through it,
19 or the time, but I will ask you to do that.
20 So I think that's the main thing that I have
21 to say about that one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And then the final comment I want
2 to make for the day is, I apologize in
3 advance, but I wanted to put on the record my
4 views of why ORAU OTIB-0070 is not an
5 appropriate model to use at GSI. And I've
6 said this before, but I really haven't spelled
7 it out. In that paper that I sent this
8 morning, I did try to spell it out, and there
9 are really two main points that I'd like to
10 make about that.

11 That is that what OTIB-70-01
12 actually uses are two computer codes, RESRAD-
13 BUILD and DNB. RESRAD-BUILD, you know, is the
14 more -- does the more complex modeling of the
15 two, but you can see from the graphs that
16 basically it's, you know, a resuspension and
17 resolution curve, or a settling curve, that's
18 modeled in that. And I don't think that those
19 models can simulate the complex conditions
20 that existed for airborne uranium at GSI
21 during the residual period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The residual period was 26 years
2 long. We have put on the record ample
3 evidence that the two betatron facilities were
4 power washed repeatedly. The new betatron
5 facility was renovated for offices. And in
6 Buildings 8, 9, 10, and 5 and 6, multiple
7 companies moved in, leased the space, used it
8 for other steel-making operations, and all of
9 those operations involved disturbing the
10 uranium along the transport path through those
11 other buildings, as well as in the betatron
12 facilities which were repurposed and reused
13 for other purposes.

14 So, anyway, the basic models that
15 are in OTIB-70 I don't think are appropriate
16 to GSI.

17 Now, yesterday, in his reply to
18 me, DCAS Director Hinnefeld wrote and said he
19 thought, in general, he realized that those
20 models couldn't exactly reproduce this
21 cyclical uranium dispersion in the air, but he

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 said. on the average, over a long period of
2 time. NIOSH felt that this was a good
3 approximation of what happened at GSI.

4 So I would just say that that's
5 two markedly different opinions. I would say
6 this; I would say the model that I am talking
7 about of uneven, intermittent, varying amounts
8 of resuspension settling rates, and so forth,
9 more faithfully recapitulates what happened
10 throughout the Hanford melt plant, where the
11 magnitude of the bars representing uranium air
12 intake are often high, often above the
13 acceptable limits for the time, but they vary
14 greatly between different kinds of operations,
15 whether the metal was heated and so forth, but
16 they're high for a lot of operations where the
17 metal was basically cold. So that's my point
18 about that.

19 The second point about OTIB-70
20 that nobody has mentioned is that the
21 Procedures Review Subcommittee, chaired by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Wanda Munn, examined OTIB-70 as part of their
2 procedures review at least three times in
3 Subcommittee meetings in 2010 and in 2012.

4 And at the March 12, 2013, Augusta
5 Board Meeting, Wanda Munn presented the PRS
6 findings on OTIB-70. And the paper that I did
7 on that includes some of the slides that she
8 presented that caused me a lot of concern.
9 But the main one in just picking that out was
10 that those slides were arranged so that there
11 was a finding from Rev 00, and that was
12 compared to what was done in Rev 1 of OTIB-70.

13 And so you can sort of compare
14 what was the initial situation and then what
15 was done as the remedy. And what struck me
16 was the slide that showed the resuspension
17 factor.

18 And as we've heard this morning,
19 everybody seems to be quite comfortable -- by
20 "everybody," I'm talking about the Board,
21 SC&A, and NIOSH -- with a resuspension factor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of 10 to the minus fifth to use at GSI.

2 Well, the slides that I'm talking
3 about that Wanda showed were that the
4 resuspension factor at many other sites could
5 be as high as 10 to the minus three, or 10 to
6 the minus four. And I found other references
7 to resuspension factors that were as high as
8 10 to the minus two.

9 So, you know, I think that
10 everybody seems to be sanguine that 10 to the
11 minus five is a nice number, a comfortable
12 number, but I don't think it's a realistic
13 number. I think that the resuspension factors
14 were higher at GSI for the reasons that I have
15 just mentioned and more like the ones you
16 would see at the Hanford melt plant.

17 So what I think is unfortunate is
18 that in the SC&A papers, and in the NIOSH
19 papers, there is not the recognition that I
20 think there should be that the literature
21 supports higher resuspension fractions and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 functions than do the final reports and the
2 number that everybody has finally settled
3 upon.

4 I think, for practicing good
5 science, I think the range of resuspension
6 factor values that's in the literature should
7 be presented first, and then a selection for
8 10 to the minus five should be stringently
9 supported. And I think except everybody
10 saying basically, gee, we like that, or we
11 think that's good, or something like that, I
12 don't think that's sufficient support for that
13 idea.

14 So, anyway, that's the main thing
15 I wanted to bring up about that, and I think
16 the other thing that I would just like to
17 remind everybody about one more time is that
18 it has been seven months since the Board voted
19 on SEC-105, and we still don't have good,
20 solid numbers that are going to be assigned
21 for internal and external doses for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 various classes of workers.

2 And I'd also remind everybody that
3 it is June 20th, and that's six full years
4 since Appendix BB Rev 0 was released. And I
5 was extremely happy to hear about the summary
6 chart of values, but I must say it is going to
7 be at least two more months before we get
8 around to considering the Appendix BB matrix.

9 And I just urge everybody -- I
10 know that there are budget constraints, but,
11 if necessary, we ought to have two meetings a
12 month until we resolve those Appendix BB
13 issues, like happened in March of 2012 when
14 there were two Work Group meetings two weeks
15 apart to resolve issues.

16 And, anyway, I thank you very
17 much, Dr. Ziemer, for letting me comment.
18 That's really all I have to say for today.

19 Thank you.

20 CHAIRMAN ZIEMER: Okay. Thanks,
21 Dan, for your input on these issues. And let

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 me ask if any of the Work Group Members or any
2 of the staff have any questions to ask Dan at
3 this point on his comments. Josie or John?

4 MEMBER MUNN: Paul, this is Wanda.
5 I wanted to let you know I --

6 CHAIRMAN ZIEMER: Oh, Wanda.
7 You're on. Welcome.

8 MEMBER MUNN: -- that I had joined
9 the call and heard most of Dr. McKeel's
10 comments. But, no, I don't have any questions
11 for him.

12 CHAIRMAN ZIEMER: Well, thank you
13 for joining us, Wanda.

14 MEMBER MUNN: Yes, I'm sorry about
15 that.

16 CHAIRMAN ZIEMER: Yes. John or
17 Josie?

18 MEMBER BEACH: Paul, this is
19 Josie. I think he covered it well in his
20 papers, and I don't have any questions of him.

21 CHAIRMAN ZIEMER: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER POSTON: No questions,
2 Paul.

3 CHAIRMAN ZIEMER: Okay. What I
4 want to do here to sort of wind up the GSI
5 discussion today is quickly review the
6 immediate deliverables and then what the Work
7 Group report to the Board will be. I have
8 NIOSH is going to provide some input on TBD-
9 6001 Rev 0 on that bullet point dealing with
10 the equilibrium time rationale. Is that
11 correct, NIOSH?

12 MR. ALLEN: Yes, that's correct,
13 Dr. Ziemer.

14 CHAIRMAN ZIEMER: Right. And,
15 secondly, on the square function, NIOSH is
16 going to detail how they will revise the
17 handling time of the T1 issue, and then SC&A
18 would review that. Is that correct?

19 MR. ALLEN: Yes. I have that down
20 as basically just updating the intake
21 estimate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ZIEMER: Right. And
2 then, we didn't go into detail, but I think I
3 want to ask NIOSH to develop a chart -- I'm
4 calling it a chart -- on the components of the
5 dose reconstructions for all of the eras, so
6 we can see what the specific sort of numbers
7 are going to look like, for example, for the
8 radiographers in the radium era, for external
9 dose, and for all of the other components.
10 And, likewise, the same kind of a cross-chart
11 of all of the pieces. Can you develop that
12 for us for our next meeting?

13 MR. ALLEN: Yes. And my thought
14 was the simpler the better. It was going to
15 be --

16 CHAIRMAN ZIEMER: Yes.

17 MR. ALLEN: -- a table with a lot
18 of footnotes or something.

19 CHAIRMAN ZIEMER: Yes. A table,
20 yes. That's what I'm thinking about.

21 MR. ALLEN: Yes. Nothing like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what John Mauro mentioned about a preview of a

2 --

3 CHAIRMAN ZIEMER: No, no. No, I
4 think we're talking about a table, so we can
5 -- and, again, Dr. Anigstein reminded us that
6 there is a couple of pieces where we don't
7 have agreement yet, and we need to make sure
8 we identify those, where we have to resolve
9 things yet.

10 MR. ALLEN: Yes.

11 CHAIRMAN ZIEMER: Okay. And I
12 think at the upcoming Board meeting I will
13 just report where we are on these issues, and
14 what we are planning to do to come to closure
15 on getting the revision of BB in place.

16 Ted, do you have some additional
17 thoughts on that?

18 MR. KATZ: No, Paul. I'm glad you
19 raised this, though, because I wanted to ask
20 before we wrapped up about this issue. I've
21 probably put aside more time than you need,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 because I was uncertain -- I was thinking it
2 was possible we would be actually reporting
3 out, and we won't be. So I think you probably
4 only need about 15 minutes for this. Is that
5 not --

6 CHAIRMAN ZIEMER: That should be
7 fine.

8 MR. KATZ: Yes. Okay. Very good.

9 MEMBER BEACH: Paul, this is
10 Josie. And I just wanted to touch base a
11 little bit on the surrogate data. And I know
12 John had mentioned looking at the surrogate
13 data based on the criteria the Board has set
14 forth. I don't know if this is the
15 appropriate time to look at that or to have
16 SC&A look at that, because that hasn't been
17 done yet for --

18 DR. ANIGSTEIN: This is Bob
19 Anigstein. I was under the impression that we
20 had a meeting -- we had a meeting I believe
21 November 28th, and I was under the impression

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that there was unanimous acceptance of the
2 surrogate data for dust load, uranium dust
3 loading during uranium handling.

4 CHAIRMAN ZIEMER: Yes, there was.
5 I think maybe, Josie, are you raising the
6 question about the air flows and the --

7 MEMBER BEACH: Yes, the Adley
8 report.

9 CHAIRMAN ZIEMER: Oh, the Adley
10 report.

11 MEMBER BEACH: And, yes, the ones
12 we're using.

13 DR. NETON: This is Jim. We've
14 already been through that, though. I mean --

15 MEMBER BEACH: Okay.

16 DR. NETON: -- I don't know
17 whether we want to revisit that all again. I
18 mean, you know, we close these issues, and
19 then we bring them up again for the same
20 reasons.

21 DR. MAURO: Yes. Let me -- this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is John. First of all, the reason I mentioned
2 that is -- and certainly correct me if my
3 recollection is off -- but the search we were
4 on when we went through this process to find
5 surrogate data was to try to find facilities
6 that appeared to be doing things, or aspects
7 of activities at different facilities like
8 Adley, that, yes, that looks like it has
9 analogy in terms of the kind of things they
10 were doing by way of handling uranium that are
11 a lot like what our understanding is of how
12 things were being handled at GSI.

13 But I do not recall that -- on
14 many occasions when surrogate data has been
15 used in the past -- oh, I could think of a
16 number of places, where we actually put
17 together -- or SC&A did -- as part of our
18 review, whenever surrogate data was used, we
19 usually had an appendix which identified each
20 of the five criteria, what they are -- I think
21 there are five -- actually restating them, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 then a little paragraph describing the degree
2 to which and why we believe that the surrogate
3 data that has been chosen does in fact meet
4 that criteria.

5 DR. ANIGSTEIN: John, we did that.

6 We did that last fall. We did it last fall.

7 We reviewed the -- well, first, we had the
8 critiques going back to August. Then,
9 sometime before November, or before the
10 November 28th meeting, NIOSH followed our
11 recommendation which was to look at a large
12 number of AWE sites, or just sites where
13 uranium was being handled.

14 They did that. They came up with
15 a number of sites. We reviewed that. We
16 critiqued some of the data, and we ended up
17 with a consensus set of data, which was -- the
18 two sides were not far apart to begin with,
19 and then we ended up with a consensus that was
20 an agreement. And so SC&A signed off on that
21 and the Board signed off on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: Okay. So we do have
2 someplace on the record --

3 DR. ANIGSTEIN: Yes, we do.

4 DR. MAURO: -- Criteria 1,
5 Criteria 2, Criteria 3.

6 CHAIRMAN ZIEMER: Yes. Actually,
7 we did that initially on the --

8 DR. ANIGSTEIN: We did it several
9 times.

10 DR. MAURO: Okay.

11 CHAIRMAN ZIEMER: Yes. We did it
12 first on the original data that was being
13 used, and that's what led us to move to
14 looking at other data, because the first set
15 you said did not meet the criteria.

16 DR. MAURO: Yes. I remember that.
17 And, my apologies, I did not remember that we
18 also did it when the final set of data was
19 selected.

20 MEMBER BEACH: Okay. And for my
21 part -- this is Josie again -- I just wanted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to make sure that all of the surrogate data
2 we're using has been identified and has been
3 looked at against the criteria. So if that
4 has been done, then that's great.

5 DR. ANIGSTEIN: Yes. SC&A,
6 myself, we not only examined the NIOSH reports
7 -- that's done as a matter of course -- but we
8 went back and looked at all of the source
9 documents that NIOSH has used.

10 Not every -- I mean, they looked
11 at something like, I don't know how many
12 sites, but all of the source documents that
13 they cited are -- all the sites that they
14 cited, we looked at the source documents, even
15 other source documents that they did not cite
16 for the same sites, and we came up with our
17 conclusions, which were not all that different
18 from NIOSH's.

19 MEMBER BEACH: Okay. And then,
20 Paul, one more thing.

21 CHAIRMAN ZIEMER: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Dan McKeel brought
2 up a lot of different information in his paper
3 that came out on the 19th about -- he asked
4 for someone to take a look at and come to some
5 kind of conclusion or give him some feedback
6 on that, and that wasn't mentioned either as
7 something that would be tasked.

8 CHAIRMAN ZIEMER: Which one are
9 you referring to?

10 MEMBER BEACH: The one that came
11 out on the 19th. Well, wait a minute. Maybe
12 it was the June 6th. There has been so many.
13 I've got piles of them here.

14 CHAIRMAN ZIEMER: June 6th, I
15 think, is the Adley review.

16 MEMBER BEACH: Right.

17 CHAIRMAN ZIEMER: All right. And
18 June 19th was some comments on the Board
19 minutes or the transcript. Are you talking
20 about the transcript item?

21 MEMBER BEACH: No. I believe it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the June 6th one that he brings up a lot of
2 different points.

3 CHAIRMAN ZIEMER: Okay. That's
4 the Adley review, right?

5 MEMBER BEACH: Correct. Yes.

6 DR. McKEEL: Dr. Ziemer, that was
7 my point. The SC&A review that Bob Anigstein
8 is referring to was strictly confined to those
9 surrogate data sites that Dave Allen had first
10 identified and then SC&A had come up with an
11 alternate data set. But Adley was not part of
12 that review.

13 So I don't -- here's the way I put
14 it. I do not think that -- now, you can say
15 that Adley is not involved with GSI as
16 surrogate data, but it is, because Dave Allen
17 -- and that was the point of that transcript
18 excerpt recitation that I made in the 6/19
19 paper. Dave Allen clearly says that NIOSH is
20 going to use OTIB-0070 Rev 1, Sharfi 2012, to
21 calculate the uranium intake values for GSI.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, you know, and that is going to
2 be highly dependent on the data in Adley. So
3 I think that Adley should be justified --
4 actually, I think the -- the overall --

5 THE COURT REPORTER: This is the
6 Court Reporter. There is a terrible echo
7 effect. Just started.

8 CHAIRMAN ZIEMER: I was getting a
9 lot of noise also. Go ahead, Dan. I think
10 the noise is gone again.

11 DR. McKEEL: I'm sorry. Yes. I
12 was just saying that I think, I wish, that the
13 use of Adley in conjunction with OTIB-0070 as
14 surrogate data for GSI, that residual period
15 in particular, should be used. And then I
16 think, as was said now in many ways, the Adley
17 data is also applicable to GSI operations for
18 handling cold uranium actually all through the
19 operational period as well.

20 So I think that's two areas that
21 just have not been looked at adequately by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 SC&A or by anybody. So that's what I would
2 say needs to be looked at. I don't think all
3 of the surrogate data at GSI has been
4 subjected to the Board criteria.

5 CHAIRMAN ZIEMER: So I guess that
6 question is -- is Adley -- does TBD's OTIB-
7 0070 use Adley as a way in which you -- that
8 somebody, the Board, needs to justify Adley as
9 a surrogate? That's one way of looking at
10 this. Or the other way is, is -- if one were
11 to include the Adley values for, let's say,
12 rod handling, you would have to go through a
13 justification that Adley is somehow like GSI
14 and use it as a surrogate.

15 And you're saying in one case we
16 are using it as surrogate, in OTIB-0070, and
17 in another case we're not using it as a
18 surrogate.

19 DR. McKEEL: That's right. So I
20 think that ought to be clarified. Is it okay
21 to use it or not? And I was trying to draw

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the distinction that I understand that there
2 was total agreement, except with me, that
3 using surrogate data from Weldon Spring and
4 surrogate data from Fernald was okay because
5 those sites are similar to GSI. I mean, I
6 think on the face of it that was an incorrect
7 conclusion to draw. I don't think they are at
8 all comparable.

9 But what I'm saying is, as far as
10 Adley, I think both of the things that Dr.
11 Ziemer just said are true. It is -- Adley '52
12 is an integral part of OTIB-0070 Rev 1, and it
13 is being used as surrogate data at GSI for the
14 residual period in particular.

15 But also, the SC&A analysis of
16 surrogate data selected by NIOSH didn't
17 include Adley '52, and I think NIOSH should
18 have included Adley, and I think that should
19 have been looked at, because it gives you a
20 completely different picture of the airborne
21 uranium levels, depending on which of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 surrogate data sites you used. Anyway --

2 CHAIRMAN ZIEMER: Well, yes. I
3 mean, I'll just comment -- and we won't
4 prolong this -- but I think in the latter case
5 NIOSH eventually tried to eliminate sites
6 where it appeared that there would be
7 interference on the handling by other nearby
8 processes. So there was a rationale for
9 saying we are not going to include it. In
10 other words, not every site that is handled
11 would necessarily be included.

12 But the other part of it is, what
13 about the OTIB-0070 thing, which is not our
14 document as far as GSI or TBD-6000 is
15 concerned, but we are using it in terms of the
16 Board's --

17 DR. McKEEL: You are using it to
18 bound the doses at GSI for --

19 CHAIRMAN ZIEMER: Yes. Yes. Yes.
20 I understand what you're saying, and a
21 related question is whether or not it has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 appropriately vented in terms of its own
2 review.

3 DR. MAURO: This is John. I think
4 I see what the dilemma is with OTIB-0070. The
5 aspect of OTIB-0070 that has applicability
6 here is that deposition velocity. In other
7 words, Adley is where we -- the source work
8 was done by David Allen to show that that
9 .00075 meters per second is a good way to
10 predictively model the rate at which material
11 falls, dust falls and builds up. It is not in
12 itself -- as best I recall, that's the only
13 aspect of OTIB-0070 that uses Adley
14 information.

15 And, of course, in that regard,
16 that deposition velocity was of course used as
17 part of predicting what the exposures might be
18 during the residual period at GSI, but I do
19 not believe there is anything by way of
20 airborne measurement data that has -- at Adley
21 that has any relevance to OTIB-0070, just the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 velocity, how they derive that velocity.

2 So I think that that might be a
3 little bit a source of confusion. I hope this
4 helps.

5 DR. McKEEL: I still think it has
6 to be looked at, even if that is the --

7 DR. MAURO: It has been looked at.

8 In other words, OTIB-0070 and the deposition
9 -- in fact, the deposition velocity that was
10 talked about at length by -- which is also
11 part of TBD-6000, that deposition process has
12 been thoroughly reviewed as to whether or not
13 that's a good number to predict the rate at
14 which uranium settles out.

15 DR. McKEEL: I understand that,
16 but I'm still saying -- Adley 1952 and the
17 Hanford melt plant, we know where the site
18 was. Has that been vetted with the five Board
19 surrogate data criteria at GSI? In other
20 words, have those sites stringently been
21 justified to be shown to be comparable?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: This is Dave Allen. I
2 think if you were to vet the Adley -- either
3 the Hanford melt plant against GSI and the
4 surrogate data criteria you would find that
5 the air sample data at the melt plant would
6 not be a comparable process. It would fail
7 the surrogate data. That's why it was not --

8 DR. McKEEL: You must not have
9 listened to me, because I -- I mean, I -- the
10 operations were similar, but the stringent
11 justification of the two facilities being the
12 same and having the same kind of operations,
13 it clearly would fail on that basis, but I'm
14 also saying that when you all -- I'm talking
15 about SC&A and NIOSH -- looked at surrogate
16 data, and the Work Group looked at surrogate
17 data at GSI for the Dave Allen surrogate data
18 sites, you know, it was finally blessed that
19 big sites like Weldon Spring and Fernald were
20 in fact similar to GSI.

21 And the paper I wrote about that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 shows a very nice comparison. Even the AWE
2 sites were not like GSI. Most of them are
3 small, had very few claims. They didn't have
4 betatrons. They didn't have the same source
5 mix.

6 And so, you know, I just think
7 it's an example of selectively using something
8 that is convenient to use deposition velocity,
9 and that, you know, you all have said many
10 times surrogate data ought to be investigated,
11 ought to be verified as passing the five
12 surrogate data criteria of the Board. Thus,
13 why were they constructed in the first place?

14 And I'm just saying that a key
15 critical element in OTIB-0070 depends on Adley
16 '52 and Adley '52 and the Hanford melt plant.

17 Use of surrogate data at GSI has not been
18 subjected to those five surrogate data
19 criteria.

20 I agree with Dr. Mauro, of course,
21 that the velocity -- the deposition velocity,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 once again, everybody thinks that's a good
2 number. I'm sure that's why it was plugged
3 into OTIB-0070, but that still doesn't get you
4 around the surrogate data criteria issue.

5 DR. NETON: Dr. McKeel, but by
6 that argument we would have to just look at
7 every single set of monitoring data we have in
8 our possession, and selectively then just pick
9 Adley and test that -- we should test
10 everything.

11 DR. McKEEL: Yes. You should just
12 --

13 DR. NETON: That's not practical.
14 You'd have to --

15 DR. McKEEL: That's exactly what
16 --

17 DR. NETON: -- you think meets the
18 criteria and then test them, which we did. We
19 found multiple representations and we vetted
20 them. You can't possibly look at the universe
21 of all possibilities and selectively deny

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 them. It's just not practical.

2 DR. McKEEL: I think you are being
3 very selective in what you do look at.

4 DR. ANIGSTEIN: This is Bob. I
5 would like to break in. First of all, I would
6 like to correct Dr. McKeel. Adley is not even
7 mentioned in OTIB-0070. It's not in the list
8 of references. It's not mentioned anywhere in
9 the document. It is mentioned in TBD-6000
10 where the deposition velocity is used, as well
11 as the deposition velocity based on other
12 reports and scientific studies, which is
13 mentioned in OTIB-0070.

14 And the fact that some
15 information, such as in TBD-6000, is used in
16 Adley, all that's simply saying is here are
17 places where uranium dust was generated, and
18 there were collection plates set out to see
19 how fast it falls.

20 That does not mean that the
21 concentrations were similar. It just means

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the uranium dust is -- was similar because it
2 was generated from aerosol and it generally
3 behaved in a very similar manner. So just
4 because one parameter is applicable doesn't
5 mean that everything in Adley is applicable
6 here.

7 And we did look -- SC&A did -- we
8 first brought up the Adley data. I was doing
9 the review of surrogate data with my
10 colleague, Bill Thurber -- I don't know if he
11 is still on the line -- pointed that out,
12 those three criteria. I mean, those three
13 measurements of the loading of the rods.

14 And we looked at that. We brought
15 it up. I mentioned that earlier today. And
16 then NIOSH said, no, this is not consistent
17 with so many other places, and we agreed that
18 uranium handling, plain ordinary uranium
19 handling is unlikely to have produced that
20 high a concentration, and there had to be
21 contributions from the melting -- uranium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 melting furnaces nearby.

2 And so this has been very, very
3 thoroughly vetted. You can always find a
4 reason why it should -- why it is not -- you
5 know, there can always be something where it's
6 higher, but we believe -- and as everybody
7 very well knows, we do not always agree with
8 NIOSH. Perhaps more often than not we do not.

9 But here we did find consistency that this
10 was a well-researched, well-evaluated set of
11 data.

12 It doesn't meet -- and, again, it
13 doesn't mean that Fernald or Weldon Spring is
14 like GSI. It simply meant that the individual
15 handler, you know, whether you have a 100-acre
16 site or a one-acre site doesn't change the
17 nature of the uranium handling on a local
18 basis, which is measured at the worker's
19 breathing zone. So it's just -- these
20 objections are simply not relevant to the
21 validity of these data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. McKEEL: Well, I take offense
2 at that comment. I think they are highly
3 relevant, and all I'd say is I'll stand on
4 what I wrote in my papers. I think that
5 analysis you just gave is -- you know, I just
6 -- I don't think it's worth taking up any more
7 time. I just disagree with you.

8 CHAIRMAN ZIEMER: Okay. Well, we
9 have dealt with these issues a lot before as
10 well, so the views are on the record and we
11 know where we are on that.

12 DR. McKEEL: Right.

13 CHAIRMAN ZIEMER: I don't see any
14 deliverables on this at the moment. I think
15 we may agree to disagree on how to interpret
16 these at this point.

17 Josie, did you have further
18 comments or questions on that? I'm not
19 hearing her.

20 MEMBER BEACH: No, Paul. This is
21 Josie. I just wanted to make sure that it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 clear and that we had covered it. So I'm
2 good.

3 CHAIRMAN ZIEMER: Okay. Okay.
4 Yes. Ted?

5 MR. KATZ: Just a quick
6 clarification. I mean, given that we are
7 really just giving a Work Group update on GSI
8 at this next meeting, versus the -- do you
9 want just to do that during the normal Work
10 Group updates? Or do you want separate
11 additional time in effect?

12 CHAIRMAN ZIEMER: I can do it
13 during the normal updates, whichever works out
14 best for the schedule, Ted.

15 MR. KATZ: Okay. That's fine. I
16 think that will probably work nicely.

17 CHAIRMAN ZIEMER: Okay. Very
18 good.

19 MR. KATZ: Okay. Thank you.

20 CHAIRMAN ZIEMER: We'll move on
21 now to Baker Brothers. And I'll just remind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you that at our last Work Group meeting on
2 April 26th, the Work Group voted to recommend
3 that an SEC not be approved for the residual
4 period. So, and as we agreed -- there was
5 agreement by NIOSH and SC&A on including the
6 issue of the generation of fires, that the
7 doses could be bounded.

8 So I think that's -- I think
9 that's where we're at on that, in terms of
10 Baker. Tom, or Bill Thurber, do either of you
11 have any comments on Baker Brothers?

12 MR. TOMES: This is Tom. What you
13 just summarized is where I understand we're at
14 on that.

15 CHAIRMAN ZIEMER: So I think on
16 Baker we simply recommend to the Board that
17 they approve the NIOSH position that doses can
18 be bounded for the residual period.

19 MR. KATZ: Right. Paul, this is
20 Ted.

21 CHAIRMAN ZIEMER: And that the SEC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Class not be granted. Yes, Ted?

2 MR. KATZ: This is Ted. And the
3 reason I just put this on the agenda is I just
4 wanted to make sure we address whether you
5 want -- I don't know whether you want help
6 with either -- from SC&A drafting up, or NIOSH
7 drafting up a presentation, so that you can
8 cover what was considered and resolved in
9 getting --

10 CHAIRMAN ZIEMER: Well, I think
11 maybe what we'll do, I can do a very brief
12 presentation of what the recommendation is.
13 And I guess if the Board wants to have any
14 additional information on the issue of the
15 fires maybe Tom could summarize that very
16 briefly.

17 MR. KATZ: Okay. That's fine.

18 CHAIRMAN ZIEMER: Or at least be
19 prepared to.

20 MR. TOMES: At which meeting?

21 CHAIRMAN ZIEMER: Or should we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just go ahead and plan to do that?

2 MR. KATZ: Well, that's what I'm
3 -- I mean, I can certainly circulate the
4 paper. I think, Tom, there has been a memo
5 addressing this. I can certainly circulate
6 that, but I think the Board generally would
7 like to have -- to hear what the substance was
8 that was addressed and put to bed before to
9 support the recommendation.

10 So either from Tom or SC&A either
11 way, whichever can do it most readily, it
12 would be good I think to just have the
13 substance presented and addressed.

14 CHAIRMAN ZIEMER: Yes. Maybe Bill
15 Thurber could be available, then, also to sort
16 of confirm SC&A's position on that.

17 DR. MAURO: I'm not sure if Bill
18 is on the line. Bill, are you there?

19 CHAIRMAN ZIEMER: Wasn't Bill
20 Thurber the one involved with this one?

21 DR. MAURO: Yes, he is. I wasn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sure whether he was --

2 MR. THURBER: I'm back on the
3 line, John.

4 DR. MAURO: Yes. We did -- Bill
5 certainly could go ahead and summarize it or
6 be prepared to answer any questions. We agree
7 that the doses could be reconstructed. The
8 original issues that we raised had to do with
9 fires.

10 CHAIRMAN ZIEMER: Yes. And that's
11 why I say we could have a brief --

12 DR. MAURO: And that has been
13 resolved.

14 CHAIRMAN ZIEMER: Right.

15 DR. MAURO: The only thing left
16 now, and that is in one of our reports that we
17 sent out, is I guess there is one -- I'm
18 sorry, what I would call a Site Profile issue,
19 and that has to do with whether in fact there
20 was cleanup at the end of the operation or
21 not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 NIOSH has made its arguments that,
2 yes, there was some cleanup by drawing analogy
3 to other sites that were I guess run or owned
4 -- I'm not sure exactly the relationship --
5 under contract, that did the same kinds of
6 things where there was cleanup after the fires
7 and after the operations.

8 And the assumption is that would
9 probably happen here also, and we agree
10 there's a good chance that there was this
11 cleanup. And that affects what assumption you
12 would use during the residual period regarding
13 the resuspension factor.

14 CHAIRMAN ZIEMER: Right. But
15 that's not -- that's not a site --

16 DR. MAURO: That is not an SEC
17 issue at all. It is only, I think, a readily
18 resolvable Site Profile issue.

19 CHAIRMAN ZIEMER: Right. Right.

20 MR. KATZ: This is Ted. So,
21 again, I'm just asking either -- if Bill will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 do it, that's fine. I mean, you don't --
2 certainly no one needs to travel to make a
3 presentation, but it would be good to have --
4 whether it's two or three slides, but that
5 tells the rest of the Board substantively what
6 issues were considered and then put to bed to
7 allow the Work Group to reach its conclusions.

8 MR. THURBER: I can do that. This
9 is Bill. I can do that if you want me to, or
10 NIOSH can do it and I can look it over.
11 Whatever you folks want.

12 MR. KATZ: Yes. So, Bill, that's
13 fine. I don't hear NIOSH volunteering to do
14 it, so that would be great if you would do it.

15 CHAIRMAN ZIEMER: Yes. I think
16 Bill can do it, since it represents the
17 Board's contractor and --

18 MR. KATZ: Right.

19 CHAIRMAN ZIEMER: -- they can give
20 their evaluation. I'll just kick it off, and
21 I'll just give you a heads up that I won't be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at the meeting in person myself, so --

2 MR. THURBER: What is the date we
3 are going to need this by?

4 CHAIRMAN ZIEMER: Well, the
5 meeting, Ted, is July --

6 MR. KATZ: Yes. This is on the
7 agenda for July 17, Bill.

8 MR. THURBER: Okay. All right.

9 MR. KATZ: And just a few slides,
10 and we will need those slides the week before,
11 so that they can be distributed --

12 MR. THURBER: Yes.

13 MR. KATZ: -- and posted, and so
14 on.

15 MR. THURBER: I got you.

16 MR. KATZ: But it can be very
17 brief.

18 MR. THURBER: I got you.

19 MR. KATZ: Thank you.

20 MR. THURBER: We'll take care of
21 it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Okay. On
2 Joslyn, let's go ahead to Joslyn. I think
3 there we just need a status report from DCAS
4 on Joslyn.

5 DR. NETON: Is Sam on the line?

6 DR. GLOVER: Yes, I am.

7 DR. NETON: Okay. Good.

8 DR. GLOVER: So we have been
9 preparing responses, Paul, for all of those
10 different parts, and we have bundled them to,
11 you know, things that seem to be together. We
12 try to make those, you know, like there were
13 -- we agreed there were some handoff errors as
14 people didn't convert between some units in
15 some of the tables, and we have also realized
16 that some of the figures were impacted by
17 that. And so we are preparing to, obviously,
18 make sure that those are all correct as a
19 kickoff to our discussions.

20 We have been conducting a number
21 of interviews and inviting SC&A and the Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to attend as --

2 DR. NETON: Sam, this is Jim. We
3 might want to just start by mentioning what we
4 are trying to do here, and that is, is the
5 1948 date a good start -- end date for the
6 SEC, right?

7 DR. GLOVER: Right. That is true,
8 Jim. Obviously, through 1948 -- through '47
9 we have an SEC. In 1948, we concluded that we
10 can do dose reconstruction through 1952.
11 There is no residual period at Joslyn. And so
12 we are basically responding to the concerns.

13 There was 11 findings listed in
14 SC&A's report, and I think Bill Thurber was
15 one of the authors of that.

16 MR. THURBER: Guilty.

17 DR. GLOVER: And so we are just
18 making sure, you know, some of those were
19 factual mistakes that were made, so those are
20 fairly straightforward. Others, you know, we
21 certainly are in the process of making sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that we appropriately look through all of
2 those.

3 And so the things that are
4 straightforward we are fixing quickly, and
5 others we are researching and including the
6 Board regarding, one, energy use associated
7 with the fires. And I think I may need Bill
8 and SC&A to address whether their concerns --
9 if they answered their own question on our
10 fires with their TBD-6000 report, if they want
11 another response, because they sort of I think
12 agreed that perhaps they are covered by TBD-
13 6000, the outside burning.

14 MR. THURBER: That is indeed what
15 we concluded. Obviously, we did that work
16 after we had reviewed and critiqued the Joslyn
17 report. Obviously, if NIOSH has some
18 additional information, and certainly the
19 interview that you conducted with the guy that
20 actually did the burning I think is extremely
21 valuable information that needs to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 documented in support of the position that the
2 fires are not that troublesome.

3 DR. GLOVER: And these were -- as
4 mentioned earlier, they were an external --
5 they would collect these things in buckets and
6 take them outside, and they would be picked up
7 and -- if there was enough wind. And so we
8 can -- we certainly will document that, Bill,
9 and we will use your all's discussion, in
10 addition to what we've found, to put that all
11 in an official response so the Board can look
12 at all of that at one shot.

13 MR. THURBER: Good.

14 DR. GLOVER: That's finding number
15 eight was mostly this issue of fires. Finding
16 three we still are certainly working on what
17 Jim described as this 1948 start date. Why
18 does HASL -- why do we believe that TBD-6000,
19 based on basically the HASL approaches, HASL
20 measurements, why is all of a sudden -- why do
21 we stop the SEC and believe that Joslyn is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 covered?

2 And so we are making sure that we
3 have covered all of our bases. One of the
4 interviews brought up that -- what I consider
5 perhaps slightly unusual but we are verifying
6 that is that the rolling mills at Joslyn were
7 water-cooled bearings.

8 And so there are some experience,
9 and I believe in the summary report, I think
10 it's Kingsley, the one that TBD-6000 is based
11 on, they describe that being a major factor in
12 affecting the air concentration data. And
13 certainly they did it one time at Bethlehem
14 Steel, and they said, don't ever do it again.
15 It created a massive amount of steam and
16 oxides and things. It certainly enhances the
17 exposure rate.

18 We do have measurements of the
19 1952 -- that were conducted in '52 of that
20 rolling mill, and so those were bounded by
21 TBD-6000. So it may be that we are just -- we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want to make sure that we properly vet that,
2 if there is anything that might affect our use
3 of TBD-6000.

4 So we are conducting interviews,
5 and we will of course continue to keep the
6 Advisory Board and SC&A apprised of those, and
7 putting together our -- I believe we were
8 thinking -- Monica, are we hoping to be done
9 towards the end of July with our responses? I
10 think is what we have -- she may not be
11 willing to talk. She may not be on right now.

12 But I think we are wanting to have some
13 materials to you guys by the end of July,
14 Paul.

15 CHAIRMAN ZIEMER: Okay. That
16 sounds good.

17 DR. GLOVER: Okay.

18 CHAIRMAN ZIEMER: Any questions,
19 Board Members?

20 (No response.)

21 Okay. If not, let's move on to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Simonds Saw and Steel. We have a number of
2 items in the findings matrix that were in
3 abeyance awaiting actual action. But let's
4 get updates from DCAS on that. I think, Tom,
5 you have some words for us here?

6 MR. TOMES: Yes, I do. On the
7 12th of this month, I sent an email out to the
8 Working Group, an additional response to two
9 findings that were discussed at the last Work
10 Group meeting. One of those is Finding 1
11 concerning the external doses that were
12 modeled in TBD, and we had some discussions
13 regarding those doses compared to some limited
14 film badge results.

15 And basically my -- the message
16 that was sent out was that our model doses are
17 favorable in relation to the extrapolated film
18 badges, and that also provides us a means to
19 estimate uncertainty. And I believe as far as
20 in principle that -- SC&A has not responded to
21 this particular spot, but I believe in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 principle at that last meeting they were
2 somewhat in agreement with that approach.

3 MR. BARTON: Yes. Tom, this is
4 Bob Barton with SC&A. I did see your
5 response. And, yes, you're correct. I think
6 we are in agreement in principle. At the last
7 meeting we kind of had discussed these
8 extrapolated film badges, and it was a very
9 fruitful discussion, and eventually we all
10 came out that, well, even though we have these
11 film badge results, the method that has been
12 adopted is actually more claimant-favorable
13 and, like you said, it gives a method to
14 actually estimate the uncertainty on the
15 external dose.

16 So, yes, I think we're on the same
17 page with regard to that one.

18 CHAIRMAN ZIEMER: So you are both
19 in agreement on -- that's on Finding 1?

20 MR. TOMES: I believe so, yes.

21 MR. BARTON: Yes. That one had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been in progress I believe because we didn't
2 have any sort of formal response at the last
3 meeting about this whole issue. But I think,
4 you know, this one should be in abeyance
5 because, you know, we are in agreement, and
6 then there will be some language put into the
7 Site Profile revision that kind of discusses
8 this whole -- the whole issue and why the
9 model that has been adopted is in fact
10 claimant-favorable. So --

11 CHAIRMAN ZIEMER: Okay. Well, I
12 think the latest matrix that we have shows
13 that it is in abeyance.

14 DR. NETON: That's correct.

15 CHAIRMAN ZIEMER: And so I guess
16 we can just leave it there, then, which means
17 we have agreed to the change, and it just has
18 to occur.

19 DR. NETON: Yes. In fact, I think
20 the first three findings, actually four --

21 CHAIRMAN ZIEMER: Yes. Actually,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Finding 2 is in abeyance, so is three.

2 DR. NETON: Four and five.

3 CHAIRMAN ZIEMER: Four and five
4 are all in abeyance, and then number six we
5 have a response to today.

6 DR. NETON: Yes. And six -- Tom
7 will probably talk about this, but we are
8 still working on these. Tom, do you want to
9 provide some update on that?

10 MR. TOMES: Yes. The topic we got
11 into detail somewhat last time was -- that
12 needed more work was the TBD for that contract
13 period at Simonds Saw and Steel assumes a
14 2,500-hour work-year. And then, the residual
15 period drops at 2,000, and the TBD would
16 provide a rationale or a reason for that
17 change broadly.

18 And so SC&A commented on that, and
19 we agreed to look at it, and we agreed that we
20 should hold ours steady at 2,500 per year
21 throughout the residual period, which would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 affect the doses in the TBD revision.

2 CHAIRMAN ZIEMER: Okay. But you
3 are still reevaluating this, then, is that
4 right?

5 MR. TOMES: Other parts of this
6 finding -- it's under evaluation concerning
7 the residual period. What is holding up
8 getting some of these resolved is we are
9 looking at -- we got into some discussion last
10 time on the 1954 general area data site
11 including the TBD.

12 So that's what we are -- one of
13 the things we are looking at right now is to
14 come up with the appropriate value to use for
15 an air concentration at the start of the
16 residual period.

17 DR. NETON: Yes. There's a couple
18 of different -- there's sort of a unique
19 situation at Simonds where you -- you know, we
20 agree that we should use the monitoring data
21 that is close enough -- as close to the end of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the operational period as possible, which
2 would be '54, and that's fine.

3 But then you have a residual
4 period that has a couple components. One, it
5 goes through, what, '82, Tom, or something
6 like that, and then the plant basically shut
7 down and nothing was going on. And the
8 question is, how do you really model that
9 properly? And we are still in the process of
10 trying to strategize on that.

11 CHAIRMAN ZIEMER: Okay. There is
12 no action that we need to take today that --

13 DR. NETON: No.

14 CHAIRMAN ZIEMER: -- so this will
15 remain in progress, and hopefully by our next
16 meeting you will have --

17 DR. NETON: Yes. It shouldn't
18 take too long. It's just a matter of coming
19 to grips with the issue.

20 CHAIRMAN ZIEMER: Okay. Any
21 questions on any of these on -- that's the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 last one on Simonds, isn't it? Let's see.

2 DR. NETON: Yes.

3 CHAIRMAN ZIEMER: Finding 7 is
4 still in progress, too, right?

5 DR. NETON: Yes, that is tied into
6 the same issue in the residual period.

7 CHAIRMAN ZIEMER: Right. Right.
8 Okay. Any questions, anyone, on Simonds?

9 MEMBER MUNN: None here.

10 CHAIRMAN ZIEMER: No action is
11 required.

12 MEMBER MUNN: No.

13 CHAIRMAN ZIEMER: Okay. I think
14 that completes our agenda today. Ted, are
15 there any other housekeeping issues that need
16 to come before us?

17 MR. KATZ: No, I think we are all
18 set, unless you want to just get a sense of
19 when the Work Group could meet again, meaning
20 when the action items that are on the table
21 could be discussed. And I don't want to press

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 anyone. I know it's -- we can't do that on
2 the fly. But if anyone has a general sense
3 now, it would be good to have that sort of --

4 CHAIRMAN ZIEMER: Well, I think
5 probably the only things that are pending are
6 the GSI things, really, that we need to push
7 ahead on.

8 MR. KATZ: Right.

9 CHAIRMAN ZIEMER: And I'm
10 wondering if -- how people's schedules are. I
11 think we are certainly going to be into the --
12 toward the end of August as far as I'm
13 concerned. What does --

14 MR. KATZ: Right. Well, Jim or
15 Dave, just -- are we thinking about that it
16 would be sometime middle-to-late August, or do
17 we need to wait?

18 DR. NETON: I don't like to speak
19 for Dave much, but it seems like that's a
20 reasonable time frame to get what we've got to
21 get done. Dave?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Yes. Ted, are you
2 talking about to get the three papers I owe to
3 the Work Group? Because SC&A needs some time
4 to look, I would think.

5 MR. KATZ: Yes. No, no. And I
6 would -- certainly, I think the sense of how
7 long it would take you to get them and then we
8 would need to add time for the -- so that SC&A
9 isn't under a crunch to be able to respond.

10 MR. ALLEN: I mean, I can
11 guarantee them by the end of August, and I can
12 shoot for much earlier than that. But there's
13 no guarantee, because something else pops up
14 every day, you know?

15 MR. KATZ: Okay. Well, then, I
16 mean, let's -- maybe let's not schedule right
17 now. And, Dave, I just would like to point
18 out, once you have some feeling of surety
19 about the time frame when you would be
20 delivering these, please just let me know.
21 And then, at that point, I will schedule with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the Work Group for another meeting.

2 MR. ALLEN: Okay.

3 MR. KATZ: Okay?

4 CHAIRMAN ZIEMER: Yes, that will
5 be fine.

6 MR. KATZ: Right.

7 CHAIRMAN ZIEMER: We kind of have
8 to play it by ear, then, until we see where --
9 because I know you have other things going,
10 plus some limitations on things at the moment,
11 too.

12 Okay. Any other items that need
13 to come before us today?

14 MR. KATZ: No. I think that takes
15 care of the meeting.

16 CHAIRMAN ZIEMER: Okay. Thank
17 you, everyone. Appreciate your time. We'll
18 be talking to you at the Board meeting.

19 (Whereupon, the above-entitled
20 matter went off the record at 2:42 p.m.)

21

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1

2

3

4

5

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com