

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

CENTERS FOR DISEASE CONTROL

+ + + + +

NATIONAL INSTITUTE FOR  
OCCUPATIONAL SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON  
RADIATION AND WORKER HEALTH

+ + + + +

TBD-6000 WORK GROUP

+ + + + +

WEDNESDAY,  
APRIL 23, 2014

+ + + + +

The Work Group convened telephonically at 10:30 a.m., Eastern Daylight Time, Paul L. Ziemer, Chairman, presiding.

MEMBERS PRESENT:

PAUL L. ZIEMER, Chairman  
JOSIE BEACH  
WANDA I. MUNN  
JOHN W. POSTON, SR.

ALSO PRESENT:

TED KATZ, Designated Federal Official  
BOB BARTON, SC&A  
SAM GLOVER, DCAS  
MONICA HARRISON-MAPLES, ORAU Team  
DeKEELY HARTSFIELD, HHS  
JOSH KINMAN, DCAS  
JOHN MAURO, SC&A  
JAMES NETON, DCAS  
LaVON RUTHERFORD, DCAS  
MUTTY SHARFI, ORAU Team  
JOHN STIVER, SC&A

TABLE OF CONTENTS

Welcome and Roll Call .....	4
Joslyn Manufacturing & Supply Co.	
SEC Petition.....	5
Site Profile.....	45

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 P-R-O-C-E-D-I-N-G-S

2 (10:31 a.m.)

3 MR. KATZ: Let's get started. It's  
4 time.

5 This is the Advisory Board on  
6 Radiation and Worker Health, TBD-6000 Work  
7 Group, we're here today to talk about the Joslyn  
8 SEC and some Site Profile issues as well.

9 And the agenda for the meeting is  
10 posted on the NIOSH website under the Board  
11 section, today's date for a meeting, as well as  
12 the papers that are going to be discussed today.  
13 They should be all posted there, too. And all  
14 of the Board Members and staff should have all  
15 of those papers as well.

16 So let's begin with roll call. I  
17 already know I have all of my Board Members, but  
18 we're speaking about a specific site -- Joslyn  
19 -- so please speak to conflict of interest and  
20 let's just run down the Board roll call please.

21 (Roll call.)

1                   MR. KATZ: Okay. Then that's it.  
2                   Just remember, everyone, when you're not  
3                   speaking to mute your phones. It will improve  
4                   the audio.

5                   Paul, it's your meeting.

6                   CHAIRMAN ZIEMER: Okay. Thank you  
7                   very much. Welcome, everyone.

8                   As was already indicated, our focus  
9                   today is on Joslyn Manufacturing & Supply. We  
10                  are going to begin -- well, we will go down  
11                  through the agenda. The first item, of course,  
12                  is going to deal with the surrogate data issue  
13                  report issue. And I think on this one we need  
14                  to be prepared to make a recommendation to the  
15                  Board at the upcoming meeting.

16                  Then, we have the -- also the White  
17                  Papers, two White Papers, from NIOSH, and I  
18                  think everyone is aware that we don't have  
19                  official comments back from SC&A on those White  
20                  Papers, although we just received some  
21                  preliminary comments from John Mauro, and John

1 can discuss those. And I think it's fairly  
2 clear that SC&A would like a little more time  
3 to evaluate those White Papers, just to confirm  
4 some things. But we'll go ahead and have an  
5 opportunity to discuss them to the extent we're  
6 able today.

7 So let's begin with the surrogate  
8 data report, and that report was prepared by Jim  
9 Neton and Dave Allen. And which of you is going  
10 to give us the overview on that?

11 DR. NETON: Actually, Paul, I think  
12 it was Sam -- Sam Glover is the lead author on  
13 that. I think --

14 CHAIRMAN ZIEMER: Okay. Yes.  
15 Sorry, Sam. I'm hearing a lot of beeps here for  
16 some reason. That's right. Sam, looking at  
17 it again, I see the three of you were on there.  
18 Sam, your name is first, so --

19 DR. GLOVER: You never know, first  
20 or last, right? Which one of them you --

21 CHAIRMAN ZIEMER: I'm used to being

1 last. Okay.

2 DR. GLOVER: Exactly.

3 CHAIRMAN ZIEMER: Yes. Thanks,  
4 Sam. Please proceed.

5 DR. GLOVER: It depends on how much  
6 -- you know, I didn't prepare a specific  
7 presentation. We certainly can walk through  
8 the main points. As you know, an SEC was -- two  
9 SECs were essentially done for this site, and  
10 it goes through 1943 up through mid-1948. And  
11 those were because of the types of machining and  
12 the location of the rolling mills and the  
13 thorium use at Joslyn.

14 Beginning in 1948, August 1st of  
15 1948, we believe that at that point in time the  
16 facility is -- we had data in '52. We believe  
17 that the data can be used to show that TBD-6000  
18 approaches are bounding. So that's what -- we  
19 have prepared a report on surrogate data. We  
20 did use the Advisory Board format for this.

21 Let's see. I probably should have

1       -- I figured that Bill would be online and would  
2       be kind of giving us the details. But let's go  
3       through this and hit some of the high points and  
4       see what we can do. So, in '43, up until  
5       July 31st, 1948, that whole beginning of '48,  
6       they were Hanford's main production. Simonds  
7       Saw and Steel did not come into play until after  
8       July 31st, 1948, as a serious production  
9       facility.

10                  And so Joslyn actually rolled most  
11       of their uranium in that first half of '48.  
12       They did a substantial amount early in '43/'44  
13       for the Hanford reactors, and then they did  
14       machining and some rolling operations in the  
15       '44/'45/'46 timeframe. In '48, they had a  
16       large, very high capacity, and they did a lot  
17       of stuff on the finish mill. And so they will  
18       finish rolling as well as using other mills, and  
19       they were doing these simultaneously; in some  
20       cases, three mills at the same time. They had  
21       three mills co-located.

1                   A nine-inch finishing mill was shown  
2 to be the very highest exposure point that was  
3 measured at Joslyn, even in the '43/'44  
4 studies. We didn't believe that the data was  
5 of good enough quality to really use for dose  
6 reconstruction. It was an electrostatic  
7 precipitation method. But even then, that  
8 nine-inch mill showed itself to be the bad  
9 actor.

10                  And so we looked very carefully at  
11 the conditions that were detailed in all of the  
12 post-1948 data, August '48 data -- what kind of  
13 mills they were doing, what kind of work they  
14 were doing, what kind of measurements they had.

15                  They continued throughout its  
16 entire history to do machining and rolling  
17 operations, and we detail that in the White  
18 Paper. We get into the surrogate data. We  
19 have no bioassay monitoring at the facility; we  
20 have only a handful of their samples on hand.  
21 But we have a significant study in '52. But up

1 until 1952, we really don't have a lot of  
2 analytical data, and that is a HASL study that  
3 was done in the beginning of 1952, the  
4 time-weighted average studies.

5 So would you like me to walk through  
6 each of the different criteria, Paul? Would  
7 that be the best way to sort of walk through  
8 this? And then you guys can ask questions as we  
9 go or ask questions when we get to the end?

10 CHAIRMAN ZIEMER: We all have  
11 copies of the paper, and I think -- I don't know  
12 that you have to read in detail the criteria,  
13 but you can just go by title, such as hierarchy  
14 of data and give your bottom line. And then I  
15 think we want to also hear back from SC&A when  
16 you finish and after we have taken questions  
17 from the Work Group to see if SC&A agrees with  
18 your conclusions.

19 I don't know specific --

20 MR. KATZ: Paul, we just lost you.  
21 It's your magical cell phone I think.

1                   CHAIRMAN ZIEMER: Okay. I am back.

2                   MR. KATZ: Go ahead.

3                   CHAIRMAN ZIEMER: I was just saying  
4                   that I think all we need to do is identify the  
5                   issue, like hierarchy of data, and then briefly  
6                   discuss your bottom line there. I also want  
7                   SC&A to have a chance to comment on these. I  
8                   don't know that they've formally reviewed it,  
9                   but we need to make sure that there is no issues  
10                  that -- where there is disagreement.

11                  So go ahead, Sam.

12                  DR. GLOVER: Very good. We did  
13                  certainly review the document that SC&A  
14                  produced. They did have a -- sort of an  
15                  evaluation of surrogate data, and so we did  
16                  carefully look at that and the concerns that  
17                  they still had, and made sure that did address  
18                  everything.

19                  So for hierarchy of data, as we  
20                  discussed, there is no individual monitoring  
21                  data for uranium, either external or internal,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 at Joslyn. We do have two -- after this 1948  
2 date, we have two data sets that were collected  
3 by HASL, a 1951 that is focused on machining  
4 operations, which is a fairly small study, and  
5 then in January of 1952 they had a substantial  
6 time-weighted average study where they really  
7 looked at a lot of machining and rolling  
8 operations.

20 So I think that summarizes where we  
21 left hierarchy of data.

1 CHAIRMAN ZIEMER: Okay. Any  
2 questions from the Board on that, or the Work  
3 Group?

4 || (No response.)

SC&A?

6 DR. MAURO: Yes. Hi. It's John.  
7  
8 Yes. We agree, in fact, that this -- the date  
9 of I guess it was August/September '48 forward;  
10 it is your classic TBD-6000 surrogate data  
11 approach. And we in our report that we put out  
12 -- I believe it was December of last year --  
13 found favorably regarding that aspect of the  
14 surrogate data work. So, yes, we are  
15 supportive of that. We certainly will be  
16 getting to the question of the January 1st to  
17 I guess August '48 as being probably the heart  
18 of the matter that -- you know, to listen more  
about.

19 And before I -- there is a bit of a  
20 housekeeping question that maybe Ted or you  
21 folks could help me with. We put out a matrix

1 a while ago with just our listing of our  
2 findings and our original review, but that's  
3 where it stopped. SC&A -- I don't believe  
4 NIOSH responded. So there was some question  
5 about having a matrix at this meeting, and all  
6 we really had was the original -- what I call  
7 the original matrix where only SC&A's original  
8 findings are listed.

9                           Am I correct that -- Sam, that the  
10                          matrix itself was not filled out by NIOSH to  
11                          sort of add in your comments on our comments?  
12                          Or did I miss something?

13 DR. GLOVER: It became a bit, I  
14 believe, confusing in that we had a discussion  
15 in January where we -- we had some discussion  
16 back and forth and where pieces were and what  
17 was left. So I guess from our side it wasn't  
18 clear what things were still open when we had  
19 the Board meeting. Go ahead.

20 CHAIRMAN ZIEMER: We closed Issues  
21 6 through 10 previously. Eight and 11 I

1 believe were also closed.

2 MR. KATZ: Right. I mean -- this is  
3 Ted -- we don't need to really discuss this  
4 right now, the matrix bit. But we did have --  
5 made a lot of progress at that last meeting, and  
6 that was what was asked to be updated for the  
7 matrix.

8 DR. MAURO: Okay. Yes, my  
9 apologies. I did not do that. We will  
10 certainly catch up now and get all that  
11 straightened out. But I just want to --  
12 because I was a little uncertain about where we  
13 stood there, and I know there was an expectation  
14 that we would have a matrix for today. Perhaps  
15 all for the best because so much has occurred  
16 with the amendment to the TBD and the two White  
17 Papers. That probably has a bearing on the  
18 matrix also. So in any event --

19 MR. KATZ: Yes, of course. We can  
20 just carry on. It's not a --

21 DR. MAURO: Very good. Now, I just

1 -- I'm really doing it for myself, so I can get  
2 my bearings. But as far as where we are right  
3 now, yes, we agree with NIOSH's position  
4 regarding the August 1948 and forward as being  
5 able to be reconstructed appropriately using  
6 surrogate data from TBD-6000.

7 MEMBER BEACH: Paul, this is Josie.  
8 I have a quick question.

9 CHAIRMAN ZIEMER: Go ahead, Josie.

10 MEMBER BEACH: For Sam, I guess.

11 The surrogate data, how much did you guys  
12 utilize the Christafo -- I'm not saying that  
13 right -- and Harris, 1960 study?

14 DR. GLOVER: That is exactly what --

15 so that is the basis of the TBD-6000. I was a

16 little less nonspecific than I should have

17 been. We used the roller and machining

18 operator categories, the operator --

19 specifically, the operator from each of those,

20 to look at -- there are days where they only

21 rolled. There are some days where they only

1 machined. There's days where they did both.

2 MEMBER BEACH: Right.

3 DR. GLOVER: Used the most  
4 favorable. But those -- as we will discuss  
5 here shortly, this was in the exclusivity  
6 constraints. Those are from the Christifano  
7 and Harris study in 1960, which looked at more  
8 than 60 complete surveys at over seven  
9 different AEC facilities, more than 20,000 dust  
10 samples, including operator breathing zone  
11 samples, and those go back all the way to the  
12 Simonds Saw, 1948. The very highest  
13 measurements for the rolling mill were from  
14 that.

15 As we discussed with Bill and  
16 everyone last time, we did validate that those  
17 measurements -- the average -- the daily  
18 weighted averages are from the Simonds Saw and  
19 Steel, uncoated uranium directly from a --  
20 basically, a heat -- a furnace, which is, you  
21 know, unlike some of the others that started

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 using lead.

2 MEMBER BEACH: Right.

3 DR. MAURO: Sam, this is John.

4 Again, you may be able to help me out a little  
5 bit here. Christifano and Harris, the 1960  
6 document has always been a document that we went  
7 to when you were dealing with uranium refining.  
8 And we always went to Harris and Kingsley, 1959,  
9 for when you are doing machining -- and I have  
10 to say that I thought -- in fact, one of our  
11 comments in our original report was we thought  
12 you may have misstated the reference you used.

13 So it may turn out there is more than  
14 one. I mean, so I just want to -- help me out  
15 a little bit. When you say you use Christifano  
16 and Harris, when I hear that I hear refining,  
17 not machining.

18 DR. GLOVER: It's the machining  
19 document. If I made a mistake, then that was  
20 my fault, grabbing the reference that was -- it  
21 was -- carry-on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MAURO: Okay.

2 DR. GLOVER: So it may -- it very  
3 well could be a mistake on my part. We  
4 certainly used the Kingsley document.

5 DR. MAURO: Yes. I think you may  
6 want to just confirm that I think it is Harris  
7 and Kingsley. Unless there is -- you know,  
8 there is a lot more to the Christifano and  
9 Harris, but I always thought of Christifano and  
10 Harris as the refining, you know, study. It  
11 might be worth checking that out.

12 CHAIRMAN ZIEMER: Yes.

13 Christifano and Harris, the reference itself  
14 says uranium refining.

15 DR. MAURO: Right. That's right,  
16 that's right. And that does not apply to this  
17 circumstance. Joslyn is machining, which is  
18 Harris and Kingsley.

19 DR. GLOVER: That is correct, and I  
20 apologize for that mistake. That is certainly  
21 my -- my error as I pulled a reference from a

1 separate document. So that is certainly my  
2 error, because TBD-6000 is based on a specific  
3 reference. That was a summary from the HASL  
4 studies, not the refining base. That is  
5 correct.

6 CHAIRMAN ZIEMER: So, Sam, in the  
7 exclusivity constraints, which you kind of  
8 moved into anyway where you're citing  
9 Christifano and Harris, what you're saying is  
10 that that reference on page 4 should actually  
11 be Kingsley. Is that correct?

12 DR. GLOVER: Harris and Kingsley,  
13 yes.

14 MEMBER BEACH: Yes. It's actually  
15 on page 3 in the fourth paragraph, where I had  
16 a question.

17 DR. GLOVER: There was a change on  
18 -- the document that was posted to the website  
19 has a front page. The document that was  
20 provided to the Advisory Board does not. And  
21 so if you pull it from what we provided you,

1           there could be a slight shift in page numbers.  
2           So I do apologize for any confusion that might  
3        be caused by that.

4           CHAIRMAN ZIEMER: Yes. Josie, I  
5        think the paragraph -- we may be looking at the  
6        same paragraph.

7           MEMBER BEACH: Probably.

8           CHAIRMAN ZIEMER: In any event,  
9        what that -- the paragraph starts, As discussed  
10      above --

11          MEMBER BEACH: Yes.

12          CHAIRMAN ZIEMER: -- A HASL -- yes,  
13        that's the same -- yes, right. So that's the  
14        reference that should be changed.

15          DR. GLOVER: It was unfortunate.  
16        What I -- there was a format, and I have -- this  
17        was -- you know, you're trying to follow some  
18        pieces that Dave Allen had used, and I grabbed  
19        from his piece. He actually used the refining  
20        discussion, which is from Electro Met, which he  
21        used the appropriate reference. This is my --

1           unfortunately, I was very sick last week, and  
2           I missed this error and --

3                         CHAIRMAN ZIEMER: Okay.

4                         DR. GLOVER: So this is certainly my  
5           -- that was certainly my mistake in what the  
6           appropriate reference is. That is -- and  
7           TBD-6000 is certainly based on a particular  
8           document set, and that is really -- this  
9           certainly uses more than seven different  
10          facilities. They have Joslyn and many others,  
11          which now I recognize as I read that carefully.  
12          That was an extraction error on my part, though,  
13          when I was doing a formatting piece.

14                         CHAIRMAN ZIEMER: Okay.

15                         Regardless, it's --

16                         DR. GLOVER: TBD-6000 basis for all  
17          of the studies that we have discussed over the  
18          last four or five years in TBD-6000, and the --  
19          you know, the many, many thousands and  
20          thousands of dust samples and breathing zone  
21          samples that was part of that. We did confirm

1 that that data set, the appropriate data set,  
2 the Kingsley study, doesn't go back -- does go  
3 back to the Simonds Saw measurements of 1948.

4 CHAIRMAN ZIEMER: Okay. Thanks,  
5 Sam.

6                    Well, let me ask you if there is any  
7 other questions on -- or, Sam, do you have any  
8 other comments on this second criteria,  
9 exclusivity constraints?

DR. GLOVER: Just a couple of points. You know, because it is a -- a range of data where there is actually a geometric mean and a distribution, so it's not a singular number, but actually if you look at the -- the breadth of the -- you know, the 5th through the 95th percentile, it ranges all the way up to 35,000 picocuries per meter cubed, which vary. If you look at some of the other graphs that we had in the Evaluation Report, and even in the White Paper, you'll see that the range of data covers all of the measurements that were

1      conducted at Joslyn.

The time-weighted average for the  
nine-inch mill is higher than the geometric  
mean. And so -- but only for the nine-inch  
mill, and we discussed later that the finishing  
mill, this nine-inch mill, really saw a lot less  
use based on the type of work that they did in  
this post-August 1st, 1948, timeframe.

We believe that the range is well covered. However, between that -- the range covering, as well as the lesser usage, helps to provide that feeling that it is an appropriate process. And all of the other data matches up very well and is bounded by these operator categories.

16 CHAIRMAN ZIEMER: Okay. John  
17 Mauro, do you have any other comment on this  
18 issue?

19 DR. MAURO: Well, yes. Now we are  
20 in the one area where you may have noticed in  
21 my little -- that was actually -- the email that

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701      [www.nealrgross.com](http://www.nealrgross.com)  
(202) 234-4433

I distributed to everyone was actually an  
internal think piece by me to John Stiver and  
to Bill Thurber letting them know that I read  
the two papers, and I did have some places where  
I thought we needed to do a little homework.

6                   This time period, January 1st  
7 through I guess the end of August '48, clearly  
8 was -- it sounds like it was an unusual time  
9 period, and right now I can't say with  
10 confidence that there was -- as was just  
11 described, I would like to look at the data from  
12 TBD-6000, which I would be the first to admit,  
13 our experience with TBD-6000 is that it has  
14 always been high end. In other words, we have  
15 always found that, you know, whenever you have  
16 some real data from a real facility doing this  
17 kind of work, and you compare it to TBD-6000,  
18 TBD-6000 is always way above, at the high end,  
19 always claimant-favorable, deliberately.

20                   But this sounds like a little bit of  
21                   an unusual circumstance, and with regard to the

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701      [www.nealrgross.com](http://www.nealrgross.com)  
(202) 234-4433

1 level of intensity of the rolling and perhaps  
2 machining operations that took place in that  
3 six-month, seven-month period, that I'd like to  
4 take a closer look at, because we never really  
5 got our magnifying glass out and looked at that  
6 pretty closely. That was one of our comments  
7 in fact in our original report. When we  
8 originally wrote it, we said, you know, we're  
9 not too sure about, you know, whether TBD-6000  
10 really will do the trick for that time period.

11 So right now, where we are right now  
12 is that we would like to get a little closer look  
13 at that.

14 CHAIRMAN ZIEMER: Okay. So from  
15 your point of view -- I'm trying to separate out  
16 the other White Papers from a surrogate data  
17 paper per se. And you're saying that you still  
18 are -- you have some concerns about Criteria 2  
19 and whether it meets surrogate data criteria  
20 for that time period.

21 DR. MAURO: Yes. There's an

1 overlap of course between the White Papers and  
2 the surrogate data. So, I mean, we're really  
3 killing two birds with one stone. In my mind,  
4 out of all of the things that we're talking  
5 about whether it's surrogate data or the White  
6 Paper, there is that window of time from January  
7 to I guess August 1948 where originally, as you  
8 recall, there was a sense that that should be  
9 covered in the SEC. But now, with the new  
10 material that we have, the White Paper, it says,  
11 well, when you take a real close look at it, it  
12 looks like that, no, the TBD-6000 data, coupled  
13 up with the 19 -- I guess some of the data that  
14 was collected from Joslyn, and you take a real  
15 close look at it, and your -- some is a  
16 time-weighted average, some is breathing zone.  
17 In other words, there is a richness here. I  
18 would hate to just walk away and say that that  
19 window is adequately covered, that time period,  
20 by TBD-6000.

21 So right now, based on my review, as

1                   you saw in my little memo, that's one area that  
2                   I think SC&A needs to take a little closer look  
3                   at. And that goes for the surrogate data  
4                   issue, and of course when we talk about the  
5                   White Papers.

6                   DR. GLOVER: Can I make a brief  
7                   clarification in that?

8                   CHAIRMAN ZIEMER: Sure.

9                   DR. GLOVER: We added -- the  
10                  Advisory Board added -- it will become official  
11                  -- up through July 31st, 1948, for the exact  
12                  reasons that you just mentioned, the very high  
13                  rolling that happened, the very large  
14                  production, and the multiple use of rolling  
15                  mills. And also, still not properly changing  
16                  over to AEC oversight. We still had Hanford  
17                  oversight.

18                  That entire high production period,  
19                  up through July 31st, 1948, has been added to  
20                  the SEC, which will become official in a few  
21                  days. So August 1st, 1948, begins an entire

1 new period where we do not have any of this very  
2 high rolling. The largest annual production  
3 was 30 tons, and those were associated with the  
4 Canadian rolling processes that only used the  
5 18-inch mill specifically. I certainly  
6 appreciate your need to review those documents  
7 and look at those.

8 DR. NETON: John, this is Jim. I  
9 think you're somewhat confused.

10 DR. MAURO: I am. I am confused,  
11 because I thought that you were reversing your  
12 position on that.

13 DR. NETON: No, no, no. We can't.  
14 We already added the SEC -- we are specifically  
15 talking about after August 1948 now.

16 DR. MAURO: Oh. You know, I saw --  
17 help me out a little bit here. In reading  
18 through the sequence of events, you know, the  
19 original SEC PER, and then there was this  
20 addendum, now it was my understanding that your  
21 latest White Paper, the one that just came out

1 I guess on the 14th, was saying that you thought  
2 you could reconstruct the doses from January  
3 through August of 1948. Did I misunderstand  
4 that?

5 DR. NETON: Yes, definitely.

6 DR. MAURO: Okay.

7 DR. NETON: What we really need to  
8 accomplish here today is, after 1948, the Board  
9 specifically asked us to justify the use of  
10 surrogate data for the period after '48, after  
11 August of '48. That's all we really need to  
12 focus on to put this issue to rest.

13 DR. MAURO: And I could tell you  
14 that we looked at that, even the original, and  
15 we felt that you had a strong position. It was  
16 the time period before August that we were  
17 questioning. And I have to say, you know, I  
18 read -- I read the addendum, and I read those  
19 White Papers. I've got to say, take another  
20 look at that, because it sure sounded to me that  
21 you were recommending that the -- that you not

1 grant the SEC from the 1st of January through  
2 the -- through August of --

3 CHAIRMAN ZIEMER: John, the Board  
4 already did that, though. The Board already  
5 granted that at the last meeting.

6 DR. MAURO: Okay.

7 DR. GLOVER: I would clarify what --  
8 in the White Paper --

9 DR. MAURO: Okay. I --

10 DR. GLOVER: -- you could see the  
11 entire dose reconstruction process, including  
12 the period we added as an SEC. So that way you  
13 could see how we were using the thorium dose.  
14 Bill and you guys had expressed at the last  
15 discussion in January to see how we did business  
16 the whole time.

17 So what we provided you in the White  
18 Paper is dose reconstruction beginning in 1943  
19 with -- as best as we can. We are doing  
20 external dose. We're doing the thorium  
21 external dose. But we are not doing any

1 internal dose for uranium until August 1st,  
2 1948.

3 DR. MAURO: Oh, okay. Yes. I did  
4 not -- when I read this over the weekend, I did  
5 not get it right.

6 DR. NETON: I was concerned that  
7 might happen. What you have here is a  
8 justification for surrogate data after '48.  
9 The other papers are Site Profile issue papers.  
10 They have nothing to do with the SEC at all.

11 DR. MAURO: Oh. I see where you're  
12 going. I see. So are you saying that where  
13 you feel that, though the SEC has been granted  
14 up through August of '48, you still feel that,  
15 you know, when you do have to do a dose  
16 reconstruction, because the person isn't  
17 covered -- skin cancer, et cetera -- you're  
18 saying that the method -- that you do plan to  
19 assign the doses using TBD-6000.

20 DR. NETON: Well, external doses.

21 DR. MAURO: But not internal, for

1                   uranium.

2                   DR. NETON: No. It can't be  
3                   uranium. You can't do it.

4                   DR. MAURO: Okay. Because I got  
5                   that from thorium, but I did not get that  
6                   message for uranium.

7                   DR. NETON: I don't think we ever  
8                   talked about reconstructing uranium in the SEC  
9                   period, unless we have bioassay data, which we  
10                  don't.

11                  DR. GLOVER: We specifically said  
12                  it was only if we had specific records would we  
13                  reconstruct internal dose.

14                  DR. MAURO: Okay.

15                  DR. GLOVER: If we happen to find a  
16                  worker with bioassay records, which we don't  
17                  believe ever occurred at Joslyn. Otherwise,  
18                  we would not be allowed to.

19                  DR. MAURO: So those White Papers  
20                  which I read, I guess I'd better take another  
21                  look at it, are -- in fact, what I thought I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 read, I got it wrong you're saying. I thought  
2 I read that you felt that you could reconstruct  
3 internal doses from uranium now -- not thorium,  
4 from uranium, you know, for January through  
5 August. You're saying that I misread it.

6 DR. NETON: Absolutely.

7 DR. MAURO: Okay. Thank you.

8 DR. GLOVER: And I will tell you  
9 where you can just real quickly see the summary,  
10 John. If you look at the dose summary from the  
11 example DR, it talks about the internal dose and  
12 none is assigned up until you get to 1948.

13 DR. MAURO: Okay.

14 MR. RUTHERFORD: So, you know, that  
15 was really to provide a couple of things. I  
16 basically -- Jim told me I took 20 pages to say  
17 we are using the machining operator category  
18 for everybody, and to make sure that we were  
19 very clear that -- on how we were going to dose  
20 reconstruction the whole time.

21 DR. MAURO: And that begins in

1 August of '48.

2 MR. RUTHERFORD: For internal dose,  
3 yes.

4 DR. MAURO: For internal dose. Oh,  
5 okay. Good. I'm sorry for any confusion I  
6 caused.

7 CHAIRMAN ZIEMER: No, I understand  
8 in the memo that you sent out that was  
9 distributed this morning, in your third  
10 paragraph now I understand that you -- you  
11 refreshed my memory what that was about. Okay.  
12 Got you.

13 DR. MAURO: Okay.

14 CHAIRMAN ZIEMER: Okay. Now, so  
15 let me rephrase then. So returning to the  
16 hierarchy of data criterion, did that change  
17 what you're saying then? Because you were  
18 focusing on this seven-month period I think.

19 DR. MAURO: Yes. No, no,  
20 everything changes now. I mean, we are --  
21 right now we are very supportive of the

1 surrogate data, TBD-6000, beginning in August  
2 and going forward. The only time I brought up  
3 the issue was earlier than that, but obviously  
4 that's a non-issue.

5 We are not going to be -- so that is  
6 not an issue that is on the table. So, you  
7 know, my concerns in my email there just please  
8 disregard that.

9 CHAIRMAN ZIEMER: Okay. Well, let  
10 me see if I can streamline things. Let me ask  
11 about the other three criteria, then. Is there  
12 already agreement then on the other two  
13 criteria, or do we need to go through them in  
14 detail, and do Board B Work Group Members have  
15 questions on them?

16 MEMBER BEACH: Paul, this is Josie.  
17 I'm just --

18 CHAIRMAN ZIEMER: Yes.

19 MEMBER BEACH: -- because I reread  
20 that paper twice trying to find out the dates  
21 John was talking about, so I feel much better

1 now.

2 CHAIRMAN ZIEMER: Yes. Right.

3 Okay. The other three criteria are the site  
4 and process similarities that are under  
5 consideration, and plausibility. SC&A, did  
6 you have any issues with any of those?

7 DR. MAURO: No. No. Quite  
8 frankly, we are only left with one matter that  
9 probably needs to be looked at, and that has to  
10 do with external dose from thorium and the MCNP  
11 runs.

12 CHAIRMAN ZIEMER: Okay.

13 DR. MAURO: And things reduce down  
14 to something very simple now.

15 CHAIRMAN ZIEMER: Okay.

16 DR. NETON: John, we're not doing  
17 external -- that's not part of the SEC  
18 determination.

19 DR. GLOVER: We only did thorium in  
20 1946 and '47. So it's already covered in the  
21 SEC --

1 DR. NETON: That's a Site Profile  
2 issue, John.

3 DR. MAURO: Oh, okay. So, I  
4 thought we were covering the full -- you know,  
5 the full --

6 DR. NETON: Well, I would like to  
7 focus first on getting this SEC determination  
8 closed, if we could. And then we can move on  
9 to the Site Profile, as to whether or not to --

10 DR. MAURO: No problem. I covered  
11 the full territory. I'm fine with that. I see  
12 where you are right now regarding these  
13 matters, and it's only SEC. And as far as I  
14 understand it, you have agreed that the SEC goes  
15 up through I believe August 1948 and the reasons  
16 have to do with inability to reconstruct  
17 internal doses, both from thorium and uranium,  
18 up to that time period. Is that a correct  
19 statement?

20 DR. GLOVER: Yes, certainly up to --  
21 you know, there is only two operations that used

1 thorium, and we have stated at those times that  
2 we cannot reconstruct those doses for internal  
3 dose.

4 DR. MAURO: One issue -- we are okay  
5 there. One issue -- bear in mind that I got  
6 this on Friday, and I did the best I could.  
7 Obviously, it wasn't good enough, but it -- I  
8 do have a question on thorium residual.

9 Now, thorium operations that took  
10 place in '47 was an AWE operation, covered by  
11 the SEC of course, because it covered that  
12 period. It was thorium and AWE operation, and,  
13 therefore, would not you have to also  
14 reconstruct the thorium residual period? I  
15 believe your White Papers are silent on that.

16 DR. GLOVER: I believe we mention --  
17 and I have to -- first, there was only two  
18 operations; they used like five bars each,  
19 about 200 pounds, versus the 600 tons of uranium  
20 that went through this facility.

21 There is no measured thorium at the

1 site in the residual contamination stuff.

2 They have done isotopics. You don't see  
3 anything compared to uranium.

4 DR. MAURO: Okay.

5 DR. GLOVER: But there is very  
6 little dose or very little uranium either. But  
7 it was also they were done in a centerless  
8 grinder, which is a wet process. And so it just  
9 really doesn't produce that kind of  
10 contamination, John.

11 DR. MAURO: Okay. So your position  
12 is that from a residual period -- I understand  
13 that there are these in-between time periods  
14 when there is no rolling that you have -- that  
15 you have exposures during operations, you have  
16 exposures when -- when there are no rolling  
17 going on. And as I understand it, you used a  
18 classic OTIB-70 approach.

19 The only question I guess I had is,  
20 because I think you were silent on this, is that  
21 there were -- the thorium operation ceased in

1           '47. Therefore, there -- you know, and it's  
2           your position that there really is no residual  
3           thorium subsequent to that based on the  
4           argument you just made.

5                   As best I can tell from reading the  
6 White Papers, I did not notice -- I didn't see  
7 where that argument was made. I may have  
8 missed it. But your position is --

9 CHAIRMAN ZIEMER: It's in there,  
10 John. The argument -- those were wet  
11 operations. I think it's in the report.

12 DR. MAURO: Okay. And they were  
13 small operations, and there was nothing  
14 residual. In other words, there was no need to  
15 reconstruct internal thorium post-1948.

16 DR. GLOVER: That is our position,  
17 yes.

18 DR. MAURO: Okay.

19 CHAIRMAN ZIEMER: Let me get back  
20 now to this surrogate data report. Are we all  
21 in agreement that we have met the criteria on

1                   the surrogate data?

2                   MEMBER BEACH: Paul, this is Josie.

3                   I just want to say that the -- in my opinion,  
4                   the report was very detailed and it did meet all  
5                   of the criteria, and so I have no questions on  
6                   it.

7                   CHAIRMAN ZIEMER: Well, I want to  
8                   make sure SC&A -- I think I heard you say, John,  
9                   you're fine with that.

10                  DR. MAURO: I'm fine with that.

11                  Right. It was my misunderstanding regarding  
12                  that early time period in '48 that caused the  
13                  confusion.

14                  CHAIRMAN ZIEMER: Okay. Wanda or  
15                  John Poston, do either of you have any concerns  
16                  or questions on the surrogate data issues?  
17                  Remember, if you're both on mute, I'm not  
18                  hearing anything.

19                  MEMBER MUNN: Can you hear me now?

20                  CHAIRMAN ZIEMER: There you are.

21                  MEMBER MUNN: Hello?

1                   CHAIRMAN ZIEMER: Yes.

2                   MEMBER MUNN: I'm sorry.

3                   Yes. I have no questions at all.

4                   In my view, these papers, as well as the  
5 previous discussions three days ago, cover the  
6 issue very thoroughly, and there is no question  
7 in my mind that TBD-6000 certainly is  
8 claimant-friendly, almost to a fault. And by  
9 taking the position that every individual that  
10 is going to be reconstructed is going to be  
11 considered to be an operator is extremely  
12 claimant-favorable. I think it has been well  
13 done, and, yes, I have no -- no problems with  
14 the positions that have been taken.

15                  MEMBER POSTON: I'm fine, Paul. I  
16 have nothing to contribute. What's been done  
17 is great.

18                  CHAIRMAN ZIEMER: Okay. Then, I  
19 believe we have consensus. We can go on record  
20 to the full Board indicating that the Work  
21 Group, in conjunction with NIOSH and SC&A,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 believe that the surrogate data criteria have  
2 been met in this case.

3 Let me ask, Ted, do we need to get  
4 formal Board action, or simply report that?

5 MR. KATZ: So, Paul, I'm just  
6 thinking -- I mean, Sam is going to make --  
7 right, Sam is going to make a presentation to  
8 the Board about the surrogate data analysis.  
9 And it seems to me you don't -- Paul, you don't  
10 need a formal presentation in this case,  
11 because it's --

12 CHAIRMAN ZIEMER: No. I'm just  
13 asking if we have to make a recommendation.

14 MR. KATZ: Oh, you absolutely do.  
15 Yes, please.

16 CHAIRMAN ZIEMER: So after Sam's  
17 presentation, it will simply suffice if I say  
18 that it has been reviewed also by SC&A and the  
19 Work Group, and we agree that the criteria have  
20 been met and we recommend that the Board approve  
21 that.

1 MR. KATZ: Yes. Absolutely. And  
2 then the Board will have a motion before it.  
3 Right.

4 CHAIRMAN ZIEMER: Okay. That's  
5 what we will do.

6                         Okay. We're ready to go on to the  
7 Site Profile and the White Papers. I believe  
8 we are, unless there is any further questions  
9 on that. We have had two White Papers. We'll  
10 start with the first one on external dose from  
11 thorium metal machining. Sam, are you doing  
12 that one as well? Or is Dave going to do that  
13 for you?

14 DR. GLOVER: Dave and I sort of --  
15 if Dave Allen is on, he certainly can talk about  
16 what was done. He actually started this  
17 process, so --

18 CHAIRMAN ZIEMER: Well, you guys --

19 DR. NETON: Sam, I think you ought  
20 to take charge on that one. I don't know if  
21 Dave is available right now.

1 DR. GLOVER: Very good. So what  
2 Dave did, you know, we said that as part of our  
3 TBD-6000 approach to doing dose construction  
4 during the SEC, that we could do the external  
5 dose for thorium. I believe we could do the  
6 internal as part of the SEC.

7 So what Dave -- he looked at the  
8 record, what kind of thorium rods that were  
9 used. There's only two instances. And looked  
10 at those actual measurements, which turned out  
11 to be -- shows a -- you know, there's a couple  
12 of different variations. A 50-inch rod with a  
13 radius of 1-7/8 inch, thorium metal has a known  
14 density of about 11.7 grams. And then you have  
15 to make a decision on equilibrium and so Dave  
16 chose to use 100 percent equilibrium with all  
17 of the progeny. So that's all the way through  
18 thorium-228, radium-228, all those things in  
19 complete equilibrium, which obviously takes,  
20 realistically, 50 years to happen. But  
21 because you don't know the chemistry, what

1 might have went through the system, it's not  
2 going to get any worse than 100 percent  
3 equilibrium.

So this is full equilibrium with all  
of the progeny, and we used essentially a  
TBD-6000 external dose approach where you have  
the rod and you model that at the different dose  
-- you know, the doses from electrons and  
bremsstrahlung and photon dose from those --  
from all of those different progeny and  
thorium-232, and determine what the various  
dose categories are.

13                           And so we used the latest and  
14                           greatest, MCNP-6, to do that, so that was  
15                           actually just redone recently. And we have  
16                           provided all of the input files and output  
17                           files.

18 And one of our assumptions was part  
19 of the issue they -- they -- when they did this  
20 was it was difficult to exactly tell how many  
21 days they were onsite. They were sort of

1 learning how to deal with this. It wasn't as  
2 straight as they were hoping. They were making  
3 these for I believe Hanford, and so they -- they  
4 straightened and centerless-ground these  
5 things repeatedly to get the -- basically the  
6 dimensions and the straightness they were  
7 looking for.

17                   It turned out to be about -- if you  
18                   look at the whole body dose, on Table 3, and so  
19                   about 52 millirem in a 24-hour period. It  
20                   turns out that two and a half days at the number  
21                   of work hours per day from those time periods

equates to 24 hours of actual operations. So  
that's why you will see that 24-hour dose rate.

3 So in that time for each -- for both  
4 1946 and 1947, there are 52 millirem dose whole  
5 body, beta whole body was 16 millirem, and beta  
6 hands and forearms was 120.

7 CHAIRMAN ZIEMER: Okay. Let me see  
8 if -- let's focus on just this particular paper.  
9 John Mauro, to what extent do you feel like you  
10 are okay on this one, or have -- do you need to  
11 confirm any of the runs, or where do you guys  
12 stand on this?

13 DR. MAURO: You know, the situation  
14 we're in is we haven't run thorium. So I guess  
15 my reaction is that we'd like to check the  
16 numbers. On two levels, one, that we get the  
17 same flux and, you know, exposure rate for beta  
18 and gamma as a function of distance from these  
19 different geometries, and also the assumptions  
20 you've made regarding exposure duration.

21 You know, we really are not in a

1 position where we could -- certainly what I'm  
2 hearing is you ran MCNP and that is exactly what  
3 we would do. But it's up to certainly the Work  
4 Group whether you would like us to check those  
5 numbers to see if we get the same values.

6 CHAIRMAN ZIEMER: Well, there is  
7 two parts to this. One is very mechanical, you  
8 know, so did they plug them in right. That can  
9 always be checked. I would be more concerned  
10 if you had issues on any of the assumptions that  
11 are made in terms of the distances, or  
12 certainly, worst case, on the equilibrium have  
13 been taken, but, you know, any of the underlying  
14 assumptions raise concerns.

15 DR. MAURO: I can't say they do, but  
16 I have to also admit that, you know, as I said,  
17 I just read through them and I see what was done.  
18 I cannot speak to whether or not the distances  
19 and durations of exposures -- and also MCNP is  
20 not that straightforward. I know that our  
21 folks are very specialized that do those runs,

1 and, you know, so I'm afraid I really cannot say  
2 that, you know, oh, we could agree that, yes,  
3 that's a reasonable analysis without actually  
4 checking it.

5 CHAIRMAN ZIEMER: Okay.

6 MEMBER BEACH: Hey, Paul, this is  
7 Josie. A question for John Mauro. Does the  
8 dust loading play into this also, or is that  
9 strictly on the other -- the other White Paper?

10 DR. MAURO: The dust loading  
11 related to thorium would be part of the SEC  
12 period. What -- so, in other words, right now  
13 there is an SEC granted up through the time  
14 period where the thorium was being machined and  
15 handled.

16 MEMBER BEACH: Right.

17 DR. MAURO: So it's covered by the  
18 SEC. In fact, I believe it's one of the reasons  
19 an SEC was granted. As far as now we're talking  
20 external exposure, that is a completely  
21 different problem, a much simpler problem,

which is very amenable to classic physics calculations of the type MCNP does.

3 So to answer your question, I don't  
4 think dust loading is an issue, because it's  
5 covered by the SEC. And the plan from a Site  
6 Profile point of view is there is a protocol  
7 being put forth for doing external exposure  
8 from the thorium rods. And we'd have to check  
9 that. I mean, I just cannot say here that we  
10 agree with those numbers.

I would say that it is -- the actual mechanics of running MCNP, we'd have our specialists run it, but the bigger question of course would be, do we agree with the duration of exposures and the distances from the various I guess rods or whatever was being handled? And that's something we normally would check out in a typical review of this type.

19 CHAIRMAN ZIEMER: That is really  
20 what I was asking. I think the bottom line here  
21 right at the moment is you guys haven't had

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701      [www.nealrgross.com](http://www.nealrgross.com)  
(202) 234-4433

1 really a chance to look at this in any detail  
2 yet, since you just got it a couple --

3 DR. MAURO: That's correct. We  
4 just received this.

5 CHAIRMAN ZIEMER: Yes. But at  
6 least you are seeing the approach here, and  
7 we're going to have the same thing on the other  
8 one but we'll go through it. I'm just kind of  
9 looking ahead here. I think, Ted, in terms of  
10 reporting to the Board, we are -- it is clear  
11 we are going to have to report that we have --  
12 SC&A hasn't had a chance to fully review these  
13 White Papers. So we can't take any specific  
14 action at this point, or it's going to have to  
15 be delayed.

16 MR. KATZ: Right. And I don't  
17 think the Board is even expecting the Site  
18 Profile work to be finished.

19 CHAIRMAN ZIEMER: Right. Yes.

20 MR. KATZ: Yes.

21 CHAIRMAN ZIEMER: So right now it's

1 a matter of making us aware of what the issues  
2 are and much more detailed on how everybody is  
3 going to look at the other paper, which is the  
4 dose reconstruction methods.

5 Is Mutty on the line? I didn't  
6 catch whether he was. Or who is going to  
7 present this one?

8 DR. GLOVER: I'll walk through it,  
9 Paul.

10 CHAIRMAN ZIEMER: Okay.

11 DR. GLOVER: So it's -- you know,  
12 it's a pretty classic TBD-6000 approach. We --  
13 you know, basically using the rolling -- or it's  
14 probably easiest to look at -- I'm going to  
15 revert back to for you guys -- let's see, it will  
16 just take a second while I go back to the right  
17 tables.

If you look at Table B.2, which would be on page 16, I think that summarizes things fairly well. I'll give you guys a second to get to that. And just let me know, Paul, when you

1           might --

2           CHAIRMAN ZIEMER: I have it. Let's  
3       see if the others have it.

4           MEMBER MUNN: Yes.

5           MEMBER BEACH: Yes.

6           CHAIRMAN ZIEMER: You're not  
7       putting it up on the --

8           DR. GLOVER: No. Unfortunately,  
9       we are not in a Live Meeting. At least --

10          CHAIRMAN ZIEMER: Oh.

11          DR. GLOVER: So I'm just going to  
12       walk through this, but I think it's pretty --  
13       if you look at the inhalation and ingestion  
14       rates from '43 through July 31st, 1948, you can  
15       see that there is no intakes. There is no --  
16       this is certainly in the SEC period.

17          The nice thing about this table,  
18       there is a lot of information in this White  
19       Paper that describes what days we chose and, you  
20       know, how many days, different this and that.  
21       This sort of summarizes when we have -- or how

1 many rolling days are there that are rolling  
2 days, how many machining days, and how many days  
3 are paired? So like in 1945, there were 54  
4 days. They were rolling and machining in all  
5 of those.

11 CHAIRMAN ZIEMER: Right.

12 DR. GLOVER: So this is -- we are  
13 using the surrogate data from TBD-6000 to  
14 assign intake. So if you have a rolling day,  
15 and only rolling happened, we would assign a  
16 rolling day, because you would have had to have  
17 been in a rolling mill to get exposed. That  
18 would have had to have been part of that.

19                    If there is only machining going on  
20                -- this is after August 1st, 1948 -- if there  
21                is only machining on those days, well, then you

1 had it in a machine shop to get exposed. And  
2 if both are going on, then we would have used  
3 the higher of the two internal -- internal  
4 estimates, which would be machining.

5 Machining operator gets a higher dose than a  
6 rolling operator in TBD-6000.

7                   We would also -- from a consistency  
8 standpoint, they have the same external dose.  
9 You then have to calculate how many  
10 non-operational days occurred during that  
11 timeframe. And based on the values from, you  
12 know, the generation of dust from the -- because  
13 you have to be consistent. It becomes awkward  
14 to go back and forth between the machining and  
15 rolling operations. You have to generate  
16 dust, which contaminates the facility.

17                   The residual is not the correct  
18 term, but between rolling days, we certainly  
19 account for those, and we can talk about what  
20 those numbers are. But that is -- in general,  
21 we assign dose for both the -- between rolling

1 and machining days, as well as, you know,  
2 ingestion, inhalation, and external dose from  
3 the contamination at the facility in addition  
4 to the operating days.

5 So I think Table B.2 gives you a nice  
6 summary of the flavor of things. And  
7 subsequent to that, you can see -- let's go to  
8 -- let's just walk through some of the different  
9 pieces. Let's go back to the beginning, after  
10 we sort of talked about things backwards.  
11 Let's kind of go through internal dose at  
12 Joslyn. I'm going to start with page -- I think  
13 everybody has the same copy of this. Page 2.  
14 So we have, you know, used, as we discussed, the  
15 operating -- the operator as the dose  
16 reconstruction category for both rolling and  
17 machining.

18 We haven't chosen to subcategorize  
19 because we had back-extrapolated that we are  
20 able to do dose reconstruction, and then we sort  
21 of discussed that in the surrogate data. We

1 make sure that we emphasize that there, so that  
2 there is no question on how we are going to do  
3 dose reconstruction. Obviously, we do not do  
4 internal dose until August 1st, 1948.

On page 3, we summarize the method of dose reconstruction. You can see in Table B.6 what the rolling and machining operations -- they are based on a single shift per day. And based on all of our reading, there are some long days where they did 16 hours' straight operations, but they were in multiple shifts.

As we discussed, the employment period, we look at the number of days that was rolled and machined, and we assign then the

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701      [www.nealrgross.com](http://www.nealrgross.com)  
(202) 234-4433

1 intake rates from TBD-6000, Table 7.8 and 7.9.  
2 And though they have to be converted -- you guys  
3 are very familiar with this -- from 365 to 251  
4 work days per year, so -- and then they have to  
5 be assigned as intakes. We are assuming  
6 operator -- go ahead.

7 CHAIRMAN ZIEMER: I have a question  
8 on that particular one. So where you have the  
9 intake rates based on a 365-day year, and maybe  
10 I'm understanding this incorrectly, but it  
11 looks like the conversion factor should be the  
12 other way around. Am I missing --

13 DR. GLOVER: You have to convert it  
14 twice to make it complicated. But it isn't  
15 365-and -- it's per day for 365 days right now.  
16 But because we have to account for operating  
17 days versus -- machining and operating days  
18 versus non-operating days, you have to be in the  
19 250-day period, and then you have to convert it  
20 back to a per 365-day intake. But we do need  
21 to come back to a --

1                   CHAIRMAN ZIEMER: Well, wait a  
2 minute.

3                   DR. GLOVER: -- how much --

4                   CHAIRMAN ZIEMER: So --

5                   DR. GLOVER: -- on an operating day,  
6 which is per 250 work days.

7                   CHAIRMAN ZIEMER: So you're taking  
8 -- well, you're taking the intake rate and  
9 you're increasing it by a factor of one point  
10 something. Is that correct? In the 365 over  
11 250 --

12                  DR. GLOVER: That is correct. You  
13 know, in the actual days when an intake occurred  
14 on that operating day, they actually -- that's  
15 when they got that intake.

16                  CHAIRMAN ZIEMER: Right.

17                  DR. GLOVER: The actual work day.  
18 And then you say, A-Okay. Let's say he only  
19 worked 50 days in that timeframe. At almost 50  
20 days, his exposure rate was whatever TBD-6000  
21 says times 365 divided by 250. So that would

1 give us this 50-day intake.

2 CHAIRMAN ZIEMER: Oh, I --

3 DR. GLOVER: And then we would then  
4 have to divide that over a 365-day period, so  
5 we could apply that to IMBA.

6 CHAIRMAN ZIEMER: Okay.

7 DR. GLOVER: So you are basically  
8 converting it twice just to get it --

9 CHAIRMAN ZIEMER: I gotcha.

10 DR. GLOVER: -- in the right units.

11 CHAIRMAN ZIEMER: Gotcha. Okay.

12 DR. GLOVER: Yes. I'm sorry.

13 That's -- it's an area that actually -- you've  
14 got to be very careful with it. It's an easy  
15 thing to flip when you're trying to go through  
16 an Excel sheet.

17 CHAIRMAN ZIEMER: Right.

18 DR. GLOVER: Which, of course, we  
19 did provide. So SC&A has access to all of that  
20 in the --

21 CHAIRMAN ZIEMER: We'll double

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 check that. It wasn't obvious when we looked  
2 at that originally.

3 MEMBER MUNN: It is really  
4 confusing.

5 DR. GLOVER: It absolutely is.  
6 Absolutely.

7 I did want to make sure we point out  
8 that we -- we did use the resuspension factor  
9 of one times 10 to the minus fifth. I know that  
10 was a -- sort of a change to the historic  
11 TBD-6000 approach, and so we have used a more  
12 conservative value for resuspension.

13 It results, for Joslyn, in an intake  
14 rate for inhalation of 558 picocuries per  
15 non-operational work day based on the  
16 contamination of the facility, calculated --  
17 the calculated contamination of a facility.

18 Now, for each non-operational day,  
19 the ingestion rates are equal to the ingestion  
20 rates for machining during the operational  
21 period. I guess this is also a TBD-6000 change

1       that has occurred. So this results in an  
2       intake of 588 picocuries per non-operational  
3       work day prior to '51 and 539 from '51 forward.

4                 Obviously, there is a change in  
5       TBD-6000 in the assumptions on the number of  
6       hours that are worked, as you break into 1951.

7                 MR. SHARFI: Sam, this is Mutty. I  
8       just wanted to correct -- that's a change to  
9       OCAS TIB-9.

10                DR. GLOVER: Okay.

11                MR. SHARFI: Not 6000. This is  
12       Mutty Sharfi.

13                DR. GLOVER: Thanks, Mutty.

14                MEMBER MUNN: It certainly ought to  
15       be bounding.

16                DR. MAURO: This is John. I do have  
17       an observation here that -- I noticed that you  
18       are developing this application of TBD-6000 at  
19       a level of granularity that I haven't seen  
20       before. Let me explain.

21                TBD-6000, as you all know, is this

1 matrix that allows you to identify job  
2 category, whether he's an operator or a  
3 supervisor or a clerk, and the kind of  
4 operation, whether we're talking rolling  
5 operations or machining operations. So you  
6 have this matrix. And there is also I think a  
7 time element in there also, when was it done.

8 Now, our experience in reviewing  
9 many, many AWE applications where TBD-6000 is  
10 used, I noticed that whenever there was any  
11 question you always went to centerless grinding  
12 operator. In other words, the worst possible  
13 case. You get the highest dust loading and the  
14 highest potential for inhalation exposure when  
15 you assume it's a centerless grinder, which is  
16 the machining -- it's called machining, but  
17 what Harris and Kingsley does is it -- in the  
18 end, they say, AWell, there's a lot of different  
19 kinds of machining. We're going to use the  
20 centerless grinding machining, which is 5,000  
21 dpm, per cubic meter,@ on that order. It's a

1           very high number. That represents what you  
2           could say is a plausible bounding associated  
3           with machining operation, because it's  
4           actually dealing with centerless grinding  
5           machining, which is limiting.

6                 Now, in the past, SC&A has always  
7           found very favorably with the way in which you  
8           have been applying TBD-6000 to the myriad of AWE  
9           operations, because you have always -- you  
10           know, unless it was an unusual circumstance,  
11           you have always defaulted to that bounding  
12           circumstance, and we felt that was always  
13           claimant-favorable, quite claimant-favorable.

14                But here we have a circumstance  
15           where you are developing a level of  
16           granularity, as I understand what you just  
17           described, where you start to parse people and  
18           time periods between, let's say, rolling  
19           operation versus cutting operation versus  
20           machining or centerless grinding operations  
21           and saying, you know, who is going to get what

1      |      when.

2 It seems to be -- now, you may very  
3 well have good reason to be able to feel that  
4 you could parse it at that level of granularity,  
5 and that's fine, if you can. But I'm just  
6 surprised to hear that you are taking it to that  
7 level. You usually keep it fairly simple,  
8 without trying to, you know, gild the lily so  
9 to speak.

10 DR. GLOVER: I think, John, part of  
11 it is, you know, if you go after '48, if you go  
12 back to that Table B.2, you'll note that we do  
13 have very -- it's very specific on what days  
14 we're machining. You know, they only machined  
15 on those days. After 8/1/48, you have not a lot  
16 of machining going on. They were doing very  
17 specific rolling operations mostly for the  
18 joint stability program with the AEC and AEC  
19 Canada.

20 And so it was very specific, and it  
21 seemed appropriate to -- you know, that they are

1 rolling -- that they are doing both. We  
2 assumed, worst case, go ahead, yes, absolutely  
3 use -- this is the machining operation and --  
4 but if we know it's only rolling contractually,  
5 and they describe it very clearly that's all  
6 they did, it seems appropriate to use the  
7 rolling mill.

8 DR. MAURO: But there was always  
9 cutting going on. And I noticed cutting  
10 operation was worse than rolling, but not as bad  
11 as centerless grinding. And I presume cutting  
12 was always going on, you know, cutting the ends.

13 So did you factor that in, or do you  
14 just go strictly rolling versus machining?

15 DR. GLOVER: You know, none of the  
16 operations, from cutting or any other, were  
17 even close at Joslyn to what the rolling mill  
18 produced. The centerless grinders were wet.  
19 We sort of back-extrapolated and said, A-Okay.  
20 We're going to take that claimant-favorable  
21 approach and use the machining operation as a

1 bounding.@

2 DR. MAURO: Yes.

3 DR. GLOVER: And so when we  
4 considered it, it didn't really -- I don't think  
5 any of those operations met with the centerless  
6 grinding -- met our straightener kind of  
7 numbers.

8 DR. MAURO: And I agree with you.  
9 In looking at your material, for those on the  
10 phone, you know, when you look at Harris and  
11 Kingsley, and they talk about machining and  
12 centerless grinding and rolling, they have  
13 always picked the worst-case circumstances  
14 where you had very little controls over  
15 ventilation, over cooling. In other words,  
16 they drove it to a position where it certainly  
17 couldn't be much worse.

18 And, clearly, the kinds of  
19 descriptive material of both your rolling and  
20 your machining operations at Joslyn did have a  
21 degree of coolant, ventilation, so you -- so I'm

1 trying to, you know, be fair-handed here. I  
2 agree with what you're saying. That is, even  
3 when you did assume, let's say, centerless  
4 grinding, machining, and you did use the  
5 default values in TBD-6000, that probably is  
6 quite claimant-favorable, given that there was  
7 quite a bit of controls implemented, which  
8 would have reduced the dust loading as compared  
9 to what TBD-6000 defaults to.

10 All I would say is -- from SC&A's  
11 perspective is, again, after reading it, and  
12 the way in which you parse things, it would be,  
13 I feel more comfortable saying let's take a look  
14 at that, you know, the story that's being told,  
15 how the parsing is done with regard to  
16 operations and the different kinds of  
17 operations.

18 And I also -- when you dealt with the  
19 accumulation on the time periods when there  
20 were no operations, this in-between time, where  
21 you have the accumulation of dust. I realize

1 that that contribution is generally small  
2 compared to the actual operation, did you  
3 assume the dust loading in the air that's  
4 responsible for the deposition and  
5 accumulation on surfaces, did you assume that  
6 that dust loading -- did you parse that, too?

That is, okay, this time period for,  
let's say, inactivity, the dust loading we have  
there would be due to a rolling operation, while  
there might be another one that might be due to  
machining operations. So it would be -- was  
the residual resuspension scenarios also  
parsed at that level of granularity?

14 DR. GLOVER: I'm going to let Mutty  
15 -- because I -- we wore him out. I think he did  
16 these calculations three times in the last week  
17 after we went through some minor changes. So,  
18 Mutty, do you want to go ahead and respond to  
19 our residual --

20 MR. SHARFI: Sure. The  
21 non-operational period is all based on

machining, since the machining is the most  
claimant-favorable. And because you're going  
back and forth, it would be hard for us to say  
which area of non-operational period that that  
worker would have been in. So all of the  
non-operational period is based on machining.

7                   And on top of that we didn't even  
8 take in account for any kind of OTIB-70  
9 depletion of the material, so it's all assumed  
10 to be -- once it's there, it's the constant over  
11 time.

12 DR. MAURO: And you hold that, and  
13 you let it accumulate for 30 days.

14 MR. SHARFI: Yes. We'll building  
15 it up over 30 days, and then holding it constant  
16 for the rest of the time period. We're --

17 DR. MAURO: I like it a lot. Thank  
18 you for answering the question. And it is the  
19 way to do this to keep it simple and  
20 claimant-favorable. So that part of parsing  
21 you didn't -- you went with the limiting

1 scenario, which I -- I can say right now that,  
2 you know, we -- I think we should probably check  
3 the numbers, you know, make sure the arithmetic  
4 is right and that it does follow OTIB-70  
5 philosophy. But that sounds like the right --  
6 you know, I would agree that that's the right  
7 way to go.

8 MR. SHARFI: We didn't use OTIB-70.  
9 No depletion was applied in here.

10 DR. MAURO: Oh, no. I'm just  
11 talking about the .00075 meters per second  
12 accumulating for 30 days.

13 MR. SHARFI: Okay. Yes, that's  
14 more 6000 than OTIB-70.

15 DR. MAURO: Okay. I may have  
16 crossed the wires there. Okay?

17 DR. GLOVER: They are both  
18 interrelated so much, John, that there is a lot  
19 of crossover there.

20 DR. MAURO: So on that respect, I  
21 guess just to react from SC&A's perspective, I

1 really like what I'm hearing regarding  
2 residual. I also like what I hear regarding  
3 the operations post-, you know, 1948, August.

I would -- but I do think we have an obligation, due diligence, to take a look at how you did break it up, because you did go to a level of granularity that we haven't seen before, and certainly if the evidence is there, the records are there, that -- as you pointed out, you have good information on when it was rolling, when it was machining, et cetera. On that basis, you know, we could confirm that and walk away.

14 CHAIRMAN ZIEMER: John, I think one  
15 of the reasons they can go to this level of  
16 granularity on this particular facility is the  
17 specific information that you are going -- you  
18 have on the facility where in this case we have  
19 specific days on each of these particular -- I  
20 don't know if you'd call them jobs, but we don't  
21 often have that in the other facilities. We

1 just know they have been working over a period  
2 of time with different materials. This is a  
3 very specific case, and I think it allows more  
4 granularity than we are used to seeing.

5 DR. MAURO: I completely agree.  
6 And, really, it's up to you folks there. If  
7 you'd like us to go check that, we could check  
8 that.

9 CHAIRMAN ZIEMER: Well, I think we  
10 still need a chance to review this. I think --  
11 I assume the Work Group Members would be  
12 comfortable having SC&A have a chance to review  
13 the document in more detail. I'll leave that  
14 up to other comments. I certainly think it's  
15 appropriate to have it reviewed.

16 Are there other comments or  
17 questions on the document at this point, either  
18 from SC&A -- anything that you need clarified  
19 before we leave the document? Or Work Group  
20 Members?

21 MEMBER MUNN: No. We're good.

1 MEMBER BEACH: Paul, this is Josie.

2 I agree that it should be reviewed by SC&A, but  
3 I have no questions.

4 CHAIRMAN ZIEMER: Okay.

5 MEMBER POSTON: No questions.

6 CHAIRMAN ZIEMER: I'm going to take  
7 it by consent that we all agree that both the  
8 White Papers should be reviewed by SC&A and then  
9 report back to the Work Group and we can reach  
10 a final decision on them.

11 MR. KATZ: Right. Paul, and I  
12 actually -- I formally tasked them with  
13 reviewing them anyway before we proceed, so --

14 CHAIRMAN ZIEMER: Yes, I knew we  
15 had. I just wanted to make sure the Work Group  
16 was on the record with --

17 MR. KATZ: That's good. Thank you.

18 CHAIRMAN ZIEMER: Yes. Well,  
19 let's --

20 DR. GLOVER: Would that still be  
21 specific to -- would that still be an SEC or a

1           TBD consideration? I just want to make sure.

2           MR. KATZ: TBD.

3           CHAIRMAN ZIEMER: Yes, TBD, because  
4 the SEC actions have been taken, right?

5           DR. GLOVER: I just want to make  
6 sure we -- that it came out clear to everybody  
7 this was a TBD, that we were making sure the TBD  
8 approach is correct and appropriate --

9           CHAIRMAN ZIEMER: Right. Exactly.

10          DR. GLOVER: -- to SEC.

11          MEMBER BEACH: Yes.

12          CHAIRMAN ZIEMER: All right. The  
13 other thing on -- I don't know if we  
14 specifically have it on the agenda, but is the  
15 -- the matrix. I know that SC&A distributed  
16 their copy of their latest matrix maybe this  
17 morning or last night. I'm not sure, but --

18          DR. MAURO: Yes. I just sent out  
19 what I had. I wasn't sure if that was the  
20 latest. I just -- it --

21          MR. KATZ: This is Ted. It's the

1 old version. It's not updated. But they'll  
2 update it for the Work Group for the next time  
3 it meets, right?

4 DR. MAURO: Is that something that  
5 -- how do we do that? Is that something that  
6 NIOSH will fill in each one and their response,  
7 and then we will fill in? How do we go about  
8 this?

9 CHAIRMAN ZIEMER: Well, let me just  
10 -- again, I'll give you a quick update of at  
11 least from my records -- eight of the 11 matrix  
12 issues have been closed. And the only ones  
13 remaining are -- according to my records, are  
14 Issues 6, 10, and 11. And those are --

15 MEMBER BEACH: Paul, this is Josie.  
16 I think what we talked about in January was that  
17 the matrix would be updated to show what was  
18 done --

19 CHAIRMAN ZIEMER: Right.

20 MEMBER BEACH: -- for a record.

21 CHAIRMAN ZIEMER: Right.

1 MR. KATZ: Right. Right. But so  
2 at this point, you know, John, just -- well,  
3 bring it completely up to date with what has  
4 transpired in this meeting as well.

5 DR. MAURO: Okay. So you'd like me  
6 to take care of that.

7 MR. KATZ: Yes. And if you need  
8 information or inputs from NIOSH, absolutely.  
9 Just ask Sam for them, and I'm sure he'll  
10 provide.

11 DR. MAURO: That would be great.  
12 And having access to the transcripts, I guess  
13 -- of course, the previous one I have. I  
14 haven't done it, as you could tell. I will  
15 certainly do my best to flesh out the matrix,  
16 get it up to date, including everything we have  
17 talked about today.

18                   And my guess is it would be a good  
19                   idea for me to, you know, pass it by Sam, make  
20                   sure I got it right, and -- before we reissue  
21                   it.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701      [www.nealrgross.com](http://www.nealrgross.com)  
(202) 234-4433

1 MR. KATZ: Yes, that sounds  
2 perfect. Thank you.

3 DR. MAURO: Okay.

4 CHAIRMAN ZIEMER: Yes. And, John,  
5 just as a reference point, I'm just looking  
6 here, I sent an email to Sam on February 26th,  
7 with a copy to you, which summarized, at least  
8 from my notes, where we were on the matrix in  
9 terms of what has been closed, those three open  
10 issues, and some related comments. So you  
11 might take a look at that as well.

12 || DR. MAURO: Will do.

13 CHAIRMAN ZIEMER: Okay. Let's  
14 see. Are there any other issues relating to  
15 Joslyn that we need to discuss today?

16 MR. KATZ: I don't think so, Paul.

17 CHAIRMAN ZIEMER: Apparently not.  
18 In which case we will adjourn and look forward  
19 to seeing a number of you next week.

20 Wanda, I assume that you won't be  
21 able to travel, and we wish you well as you try

1 to recover from that knee problem.

2 MEMBER MUNN: Thank you. No, they  
3 don't want me on airplanes. And that's too  
4 bad, because airplanes and I get along very well  
5 ordinarily. But no, enjoy Augusta.

6 CHAIRMAN ZIEMER: Okay. Well,  
7 thank you. We are adjourned.

8 MR. KATZ: Thank you, everybody.  
9 (Whereupon, at 11:47 a.m., the  
10 meeting was adjourned.)

11  
12  
13  
14