

NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

84-191

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

ORIGINAL

IN RE: NOTICE OF PROPOSED )  
RULEMAKING 42 CFR PART 84 -- )  
REVISION OF TESTS AND REQUIREMENTS )  
FOR CERTIFICATION OF RESPIRATORY )  
PROTECTIVE DEVICES )

STATEMENT FOR THE RECORD

January 20, 1988

Reported by:  
NAN S. ROSE

## 1 I N D E X

2 INFORMAL HEARINGS

3 WEDNESDAY, JANUARY 20, 1988

4

5

6 TESTIMONY BY:

Page

7 John B. Moran

8

8 J. S. Birkner

22

9 John King

28

10 Mark Cowan

31

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1                   A P P E A R A N C E S:

2

3                   CENTERS FOR DISEASE CONTROL, 1600 Clifton  
4 Road, N.E., Atlanta, Georgia 30333, represented by  
5 GENE W. MATTHEWS, Legal Advisor.

6

7                   NATIONAL INSTITUTE FOR OCCUPATIONAL  
8 SAFETY AND HEALTH, 944 Chestnut Ridge Road, S-118,  
9 Morgantown, West Virginia, 26505-2888, represented  
10 by JOHN B. MORAN, Director.

11

12                   NATIONAL INSTITUTE FOR OCCUPATIONAL  
13 SAFETY AND HEALTH, 1600 Clifton Road NE, Atlanta,  
14 Georgia 30333, represented by NELSON A. LEIDEL,  
15 Sc.D., Senior Science Advisor.

16

17

18

19

20

21

22

23

24

25

1 (Begin proceedings at 9:00 a.m.)

2 MR. MATTHEWS: Good morning. Let's go  
3 ahead and get started.

4 This is the first of two informal public  
5 meetings concerning the federal regulations  
6 proposal on respirators. The formal title of this  
7 regulatory proposal is "The Revision of Tests and  
8 Requirements for Certification of Permissibility  
9 of Respiratory Protective Devices Used in Mines  
10 and Mining."

11 This notice of proposed rulemaking is  
12 being published by the National Institute for  
13 Occupational Safety and Health of the Centers for  
14 Disease Control, which is part of the U.S. Public  
15 Health Service. These organizations are all  
16 within the Federal Department of Health and Human  
17 Services.

18 My name is Gene Matthews. I will serve  
19 today as the presiding officer. I am with the  
20 Office of General Counsel for the Department of  
21 Health and Human Services, and I serve as the  
22 legal adviser to the C.D.C.

23 This informal public meeting is being  
24 held in accordance with a Federal Register Notice  
25 of October 8, 1987, which indicates that the

1 administrative record of this rulemaking will  
2 consist of the August 28th, 1987 notice of  
3 proposed rulemaking. All the other relevant  
4 federal registry notices, agency records on this  
5 subject, all the written submissions made in  
6 response to the notices, and the record of the  
7 informal public meetings.

8 The record of the informal public  
9 meetings will consist of the meeting schedules,  
10 the transcripts made by NIOSH of the oral comments  
11 at the meetings, any written comments submitted by  
12 presenters at the meetings, and statements or  
13 comments regarding the oral presentations that are  
14 made at either public meeting, which are submitted  
15 by interested persons within 30 days following the  
16 close of the Washington public meeting.

17 I will note for you that the closing  
18 date for the written comments concerning these  
19 meetings will be February 28th, 1988. No written  
20 submission or any portion thereof made in response  
21 to this notice will be received or held in  
22 confidence.

23 As you will note, the proceedings of  
24 this meeting will be transcribed. Any interested  
25 person may, consistent with the orderly conduct of

1 each meeting, record, or otherwise make a  
2 transcript of each meeting. Each participant may  
3 present relevant written information, data or  
4 views for inclusion in the record of the meeting.

5 In accordance with that October 8th  
6 notice, participants were requested to notify  
7 NIOSH by January 5th of their intent to appear in  
8 this San Francisco meeting. They were also  
9 requested to notify NIOSH by January 12th if they  
10 were interested in participating in the  
11 Washington, D.C. meeting.

12 This meeting is scheduled for one  
13 today. I am informed that three participants have  
14 requested to appear today and make statements. We  
15 have received no advance written statements from  
16 any of the participants today.

17 The way we will proceed is if a  
18 participant is not present when his or her  
19 presentation is scheduled to begin, the remaining  
20 participants will be heard in order. At the  
21 conclusion of the meeting, an attempt will be made  
22 to hear any scheduled participants who missed  
23 their assigned time. Interested persons attending  
24 this meeting who did not request an opportunity to  
25 make an oral presentation may be given an

1 opportunity to do so at the conclusion of each  
2 meeting at the discretion of the presiding  
3 officer.

4           The purpose today is to provide  
5 interested parties an opportunity to do three  
6 things: Number one, to make oral presentations on  
7 the record today; number two, to hear the oral  
8 comments that are being made by others; and number  
9 three, an opportunity to submit to NIOSH within 30  
10 days of the Washington meeting any statements  
11 regarding oral presentations made at either public  
12 meeting.

13           I would like at this time to introduce  
14 the official representatives from the agency.  
15 There are three representatives from the NIOSH  
16 office of the director, Mr. Larry Sparks, if you'd  
17 hold up your hand and stand up? Mr. Larry Sparks,  
18 Mr. John Moran. John? And Nelson Leidel. And  
19 Nelson serves as the docket officer with respect  
20 to this rulemaking. Then we have two  
21 representatives from the NIOSH Division of Safety  
22 Research in Morgantown, Miss Nancy Bollinger and  
23 Mr. Gary Mills. We also have an attorney from the  
24 Office of General Counsel in Atlanta, Georgia,  
25 Miss Gwen Strickland, and we have a representative

1 from the office of the director of C.D.C., Mr. Bob  
2 Kingon.

3 At this time NIOSH has a brief  
4 statement, which they would like to read into the  
5 record, and I believe Mr. Moran will do that now.

6 MR. JOHN MORAN: Good morning.

7 For the record my name is John Moran,  
8 and I am reading for the record the NIOSH opening  
9 statement of these informal hearings regarding the  
10 notice of proposed rulemaking 42 CFR Part 84,  
11 revision of tests and requirements for  
12 certification on respiratory protective devices.

13 We are here today to solicit public  
14 comment on the proposal by NIOSH to revise tests  
15 and requirements used in certifying respiratory  
16 protective devices. The current regulation under  
17 which NIOSH tests and certifies respirators (30  
18 CFR Part 11) was originally promulgated in 1972.  
19 During the last decade, there has been a growing  
20 consensus among respirator manufacturers and the  
21 user communities that these requirements should be  
22 revised. NIOSH has, therefore, developed the  
23 current proposal to reflect technical advances in  
24 the field and the more complex environments of  
25 today's workplaces. More importantly, the

1 proposal will provide respirators that are safer  
2 and more reliable. It would also permit  
3 innovation in respirator design, since it is a  
4 performance-based, rather than a  
5 specification-based standard.

6 In order to facilitate useful input at  
7 these hearings, NIOSH has conducted a preliminary  
8 review of the written comments received on the  
9 Notice of Proposed Rulemaking. NIOSH would like  
10 to highlight several areas of apparent  
11 misinterpretation of the proposal which have been  
12 reflected in the comments received to date. This  
13 overview is intended to be helpful for those  
14 providing comments for the record. While these  
15 issues are not an inclusive listing of all the  
16 concerns raised, it would appear that some  
17 clarification by NIOSH would be helpful with  
18 respect to the following six issues.

19 One, the focus on mines and mining: The  
20 formal NIOSH regulatory authority for certifying  
21 respirators is derived from legislative mandates  
22 in the Mine Safety and Health Act of 1977. Both  
23 the current certification regulation (a joint  
24 regulation between NIOSH and MSHA under 30 CFR 11)  
25 and the prior certification procedure (used before

1 1972 under the Bureau of Mines (BOM)) have as  
2 their basis the testing and approval, which began  
3 in 1919, of respirators used in mining. Over the  
4 years, respirators approved by the Bureau of Mines  
5 and the current NIOSH/MSHA procedure have gained  
6 wide acceptance and use outside the mining  
7 environment. They have been required by such  
8 regulatory agencies as OSHA, the EPA, and the  
9 Nuclear Regulatory Commission. Although more than  
10 95 percent of all respirators sold, those which  
11 are certified under the present system are not  
12 used in mines or mining, all of the respirator  
13 models currently certified are used in mines and  
14 mining (with the sole exception of devices sold  
15 for the protection against vinyl chloride).

16           The terms "mines" and "mining" are not  
17 limited to underground mines. Mining activities  
18 vary widely in nature and scope as do other  
19 industrial activities. Routine exposures to  
20 gases, vapors, dusts, fumes and mists, and  
21 emergency exposures to fires, explosion, and  
22 oxygen deficiency are as possible in general  
23 industry as in mining. Industrial work sites  
24 could, therefore, be equally appropriate test  
25 sites for the required workplace testing. The

1 alternative simulated workplace testing described  
2 in Section 84.32 could be based on these  
3 equivalent activities. Thus, the argument that a  
4 "mines and mining" focus in the proposed  
5 regulation would result in respirators that are  
6 not suitable for other uses is no more true now  
7 than it has been since 1919. Indeed, a respirator  
8 intended for mining use is not particularly unique  
9 unless it is a respirator such as a powered air  
10 purifier that contains electrical components. In  
11 the current regulations, these latter devices  
12 require additional approval from MSHA before use  
13 in underground mines is permitted because  
14 electrical components may ignite methane and cause  
15 explosions.

16 Two, economic impact of the regulation.  
17 In accordance with established regulatory  
18 procedures, NIOSH contracted for a study of costs  
19 associated with the proposed Part 84 regulations.  
20 A report, "Economic Overview of the Respiratory  
21 Industry" (developed in Phase I of this two-phase  
22 project) was delivered to NIOSH in March of 1982,  
23 and was circulated to all respirator manufacturers  
24 for review and comment. No comments were  
25 received. Phase II involved the development of

1 questionnaires designed to assess the cost impact,  
2 as estimated by the respirator manufacturers, of  
3 each major provision in the proposed rule.  
4 Questionnaires were sent to all manufacturers for  
5 their response. Although all did not respond,  
6 NIOSH received enough information to make an  
7 informed estimate of the costs associated with the  
8 proposed rule. The estimated cost was  
9 substantially less than \$100,000,000.00.

10 To ensure that all relevant economic  
11 impact information is considered, last month the  
12 Office of Management and Budget designated this  
13 rule as a major rule under Executive Order 12291  
14 and has directed NIOSH to submit a Regulatory  
15 Impact Analysis with the final rule.

16 As reflected in the docket, some  
17 comments estimate that it would cost seven to nine  
18 hundred million to comply with this regulation.  
19 Based on information submitted to the docket, it  
20 appears that these estimates are based on two  
21 critical, but incorrect, assumptions. First, all  
22 workplace testing must be performed in "mines;"  
23 and second, each exposure agent for which the  
24 respirator would provide protection (for example,  
25 hundreds of organic vapor compounds) must be

1 tested individually. Section 84.31 in the  
2 proposed rule, Guidelines for Workplace or  
3 Simulated Workplace Testing, makes no mention of  
4 mines or mining. The section requires that  
5 testing be done under conditions "reasonably  
6 representative of those in which the applicant  
7 anticipates the respirator will be used." And  
8 that's 84.31(b). Subsequent sections, 84.32 and  
9 84.33, are consistent with this statement.

10           The term "reasonably representative"  
11 also has bearing on the incorrect assumption that  
12 each agent of potential exposure must be tested.  
13 It is not the intent of the proposed rule to  
14 require manufacturers to conduct workplace or  
15 simulated workplace testing for every contaminant  
16 for which the device may be used. Rather, it is  
17 proposed that the respirator manufacturer, who is  
18 in the best position to know the product and its  
19 workplace application, conduct appropriate  
20 workplace or simulated workplace testing to  
21 properly reflect the intended use of the product.  
22 This is consistent with the present requirements  
23 of 30 CFR Part 11, where a few representative  
24 challenge gases, vapors, and aerosols are used as  
25 laboratory test agents; for example, if an

1 applicant wishes to obtain dust approval under the  
2 current 30 CFR 11, NIOSH uses only a silica dust  
3 as a challenge aerosol.

4 Issue three, self-certification  
5 concerns: Concern has been expressed that the  
6 proposed regulation will, in essence, permit  
7 self-certification. It is alleged that respirator  
8 manufacturers will conduct the required tests and  
9 certify their own products as complying with the  
10 regulation. An example would be the present  
11 self-certification by manufacturers of most other  
12 personal protective equipment, such as safety  
13 glasses. To the contrary, the proposed regulation  
14 clearly states, in Section 84.30 and in the  
15 preamble under a discussion of Section 84.30, that  
16 NIOSH will require manufacturers to conduct and  
17 report the results of tests (as currently required  
18 under 30 CFR Part 11.11(d)). NIOSH will have the  
19 option to repeat any or all such tests of the  
20 applicant's device in its own laboratories. Under  
21 the current regulation, NIOSH must repeat all  
22 tests, even test procedures for which no failure  
23 has occurred for many years. The proposed Part 84  
24 thus permits NIOSH to focus its resources for  
25 verification testing on the most critical

1 performance issues. This will improve respirator  
2 reliability, and reduce both the costs and time  
3 required to process applications. It is evident  
4 throughout the proposed rule that NIOSH will be  
5 the sole "certifier" of respirators that meet the  
6 requirements of 42 CFR Part 84.

7 Issue four, workplace testing protocol:  
8 Another concern reflected in comments to the  
9 Docket was that NIOSH has not issued a "Workplace  
10 Testing Protocol," thus preventing the  
11 manufacturers from effectively responding to the  
12 proposed rule. NIOSH is currently preparing a  
13 document to provide performance-based guidance for  
14 field testing. Comments will be solicited on this  
15 draft guidance and will be made a part of the  
16 record prior to final rulemaking.

17 NIOSH intends to afford the  
18 manufacturers maximum flexibility in developing  
19 and utilizing workplace or simulated workplace  
20 testing methodology. We intend to permit any  
21 scientifically valid methodology that will  
22 appropriately reflect the "work conditions that  
23 are reasonably representative of the places and  
24 conditions in which it is anticipated the  
25 respirator will be used," as quoted in 84.32(b).

1 This flexibility in a workplace testing protocol  
2 is important, and even critical, for permitting  
3 and encouraging innovation in the respirator  
4 industry. Currently, this flexibility is severely  
5 restricted by the detailed test procedures  
6 described in the "NIOSH Laboratory Tests Procedure  
7 for Respirators."

8 The proposed rule also contains  
9 provisions that will permit a manufacturer to  
10 obtain certification for a higher level of  
11 performance. Thus, for the first time, a  
12 manufacturer has an incentive to develop a truly  
13 superior product, and has the potential to obtain  
14 a marketing advantage with the superior device.  
15 This provides a marketplace incentive totally  
16 absent in today's respirator market and not  
17 possible under the current regulation. NIOSH is  
18 concerned that a NIOSH-specified protocol could  
19 limit flexibility and chill innovation in the  
20 development of improved products.

21 Field testing of respirators is not a  
22 new, or untried idea. Over the past 15 or more  
23 years, substantial field testing of respirators  
24 has occurred in the respirator community both in  
25 the United States and internationally.

1           Concerns about NIOSH acceptance or  
2 possible rejection of a manufacturer's workplace  
3 or simulated workplace study are addressed in  
4 Section 84.32(c)(2). As detailed in Section  
5 84.80, a manufacturer is permitted to appeal if  
6 NIOSH deems any test to be inadequate.

7           Issue five, organic vapor cartridges:  
8 There are comments in the Docket which indicate  
9 that the required humidity conditioning and  
10 testing requirements proposed for organic-vapor  
11 cartridges would necessitate a cartridge four  
12 times larger than the cartridges presently  
13 certified under 30 CFR 11. NIOSH is unaware of  
14 any published technical data to substantiate this  
15 claim, nor has it been received. In addition, the  
16 same humidity conditioning and testing are  
17 required in the proposed rule for other sorbent  
18 cartridges, such as for acid gases; yet no similar  
19 comments have been made for any cartridge other  
20 than organic-vapors.

21           NIOSH recognizes that respirators fitted  
22 with organic-vapor cartridges are often stored and  
23 used by workers in high-humidity environments.  
24 However, current regulations fail to adequately  
25 address the performance of these devices in

1 high-humidity environments. More and more  
2 frequently, these cartridges and canisters are  
3 being used for protection against organic vapors  
4 that have poor warning properties. These public  
5 health considerations place a burden on the  
6 certification process to assure the proper and  
7 adequate function for respirator users. By  
8 necessity, the revised high-humidity test  
9 requirement is more stringent than under the  
10 current regulation. No advancement in sorbent  
11 technology has occurred in this application in  
12 decades. We believe that the five-year  
13 "grandfather" period proposed in the new rule in  
14 Section 84.2(b)(1) allows ample time to address  
15 this requirement, particularly in light of ongoing  
16 research by manufacturers and others on these  
17 problems.

18 Issue six, filter technology: Comments  
19 have also been made that certain filter devices  
20 presently in use will not meet the revised test  
21 requirements. Over the past four or five years,  
22 research has shown that filters that pass the  
23 present certification criteria, in which  
24 penetration of specified aerosols is averaged over  
25 the full duration of the test, may mislead

1 respirator users. For example, filter penetration  
2 is dependent on particle and aerosol size, filter  
3 loading, and the condition of the filter as  
4 affected by humidity during storage. In addition,  
5 initial penetration of a new filter may be very  
6 high compared with total penetration averaged over  
7 a long test period. Although advances have  
8 occurred in filtration technology for other  
9 applications, this has not been true for  
10 respirator filters (except for reduction in the  
11 breathing resistance of some high efficiency  
12 filters).

13           The adverse effects on filter efficiency  
14 due to humidity and other contaminants, such as  
15 oil mists, in the workplace are very real and have  
16 been amply demonstrated. Thus, for public health  
17 reasons, NIOSH has incorporated a liquid, as well  
18 as a solid, aerosol test into the requirements for  
19 all filter types.

20           NIOSH considers this proceeding as an  
21 important part of its efforts to develop the final  
22 rule. It should be noted that the comment period  
23 will remain open for 30 days following the last  
24 day of hearings in Washington; therefore closing  
25 on February 28th, 1988. Your participation and

1 contribution to the public record are greatly  
2 appreciated and will provide additional important  
3 information on which the final rule will be  
4 based.

5 This concludes the Institute's opening  
6 statement. Copies of this statement are available  
7 here in the hearing room and will be made  
8 available in the record. Thank you.

9 MR. MATTHEWS: Thank you. There were  
10 some late arrivals. I believe copies of this are  
11 available at the back door. Did all of the late  
12 arrivals sign in? It is critical that we have at  
13 least a phone number to contact you if we need to,  
14 okay? There is a sign-up sheet. Miss Duhan in  
15 the back -- hold up your hand, Jenny. She will  
16 take care of that.

17 I would also like to express thanks to  
18 the San Francisco City and County Health  
19 Department for making the room available to us and  
20 offering their hospitality so that we could  
21 conduct the proceeding here today.

22 Let me now check and see. There were  
23 three participants who had indicated they wanted  
24 to make presentations today. There are more than  
25 that here. Let me just briefly call the roll

1 here. I see Mr. Cowan is present from The  
2 Jefferson Group. Is there a representative here  
3 from Moldex Metric, Inc. from Culver City?

4 MR. BIRKNER: Yes.

5 MR. MATTHEWS: And you are?

6 MR. BIRKNER: Jeff Birkner.

7 MR. MATTHEWS: And is there a  
8 representative of E. D. Bullard Company of  
9 Sausalito?

10 MR. KING: Yes, John King.

11 MR. MATTHEWS: John King? Now is there  
12 anyone else that is interested today in making a  
13 presentation or an oral statement on the record,  
14 or wants to submit a written statement for the  
15 record at this time?

16 (No response.)

17 MR. MATTHEWS: No. Okay. We will go  
18 ahead and proceed then in the order of Moldex  
19 Metric, Inc., E. D. Bullard Company, then The  
20 Jefferson Group. Mr. Birkner, if you are ready to  
21 proceed. You indicated that you wanted 15  
22 minutes, and you are J. S. Birkner?

23 MR. BIRKNER: Yes. I'll only need  
24 approximately ten minutes.

25 MR. MATTHEWS: All right. If you could

1 pull the microphone over a little closer so that  
2 the court reporter can hear you.

3 MR. BIRKNER: I'm Jeffrey Birkner, and  
4 I'm the technical service manager for Moldex  
5 Metric, Inc.

6 Moldex is a safety product manufacturer  
7 of hearing and respiratory protection. We are a  
8 manufacturer committed to serving the public by  
9 manufacturing products which provide protection  
10 for end-users according to recognized standards.

11 Moldex is also an active member of the  
12 Industrial Safety Equipment Association, and  
13 provides input on proposed standards, guidelines,  
14 and various other aspects of the best ways to  
15 protect the end-users.

16 Moldex believes that the current NIOSH  
17 testing and certification schedules (30 CFR 11)  
18 need to be updated. Upgrading of these standards  
19 is necessary to better protect the public. We  
20 believe that it is long overdue.

21 Unfortunately, the proposed 42 CFR 84  
22 presents many problems for the manufacturer and  
23 the public. We do not believe that it is a  
24 realistic alternative as submitted and must be  
25 withdrawn. One of its most serious flaws is that

1 it only applies to respirators used in mines and  
2 mining related work. Approximately 90 percent of  
3 American workers who use and depend on respiratory  
4 devices are not in mining or mining related  
5 industries. It is imperative that a respirator  
6 certification standard be applicable to all  
7 respirators, and not only regulate respirators  
8 used in mining applications. If NIOSH wishes to  
9 take such a narrow role for certifications, then  
10 they are doing a great disservice to the American  
11 workers, and they are defeating their own mandate  
12 of protecting these workers.

13 NIOSH has proposed that manufacturers  
14 conduct their own certification testing. To date,  
15 NIOSH has done all such certification testing.  
16 The system has worked well and provides  
17 certifications in an expeditious manner. It also  
18 provides the necessary consistency between  
19 comparable products and manufacturers. We do not  
20 believe that manufacturer certification testing  
21 would be a benefit to the public for such a  
22 critical health concern. Such self-certification  
23 testing would remove the checks and balances of a  
24 system which, in fact, need the opposing forces of  
25 government and manufacturers to best serve the

1 public's interests.

2           As part of this self-certification  
3 system, NIOSH has proposed that every manufacturer  
4 do field tests to determine a workplace protection  
5 factor or simulated workplace protection factor.  
6 Although this type of testing might be useful, the  
7 state-of-the-art is not at a point where such  
8 testing has been shown to be accurate or  
9 consistent. NIOSH has not yet provided their  
10 proposed protocol. Assuming such protocols do  
11 exist, we do not believe that this protocol has  
12 withstood the test of time necessary for any  
13 legitimate scientific procedure. NIOSH would  
14 require that such workplace protection factors be  
15 conducted in mines. There are not enough mines in  
16 operation to certify all the respirators in the  
17 market today without creating a tremendous backlog  
18 in the certification process. If other work  
19 environments are used, there would have to be a  
20 good correlation between the mines and the work  
21 environment used. This would increase  
22 certification lag times even further, possibly  
23 leaving the public without certified respirators  
24 for their specific needs for a long period of  
25 time. Again, if such testing is to be conducted,

1 we firmly believe that it must be done by NIOSH.

2           Based on industry estimates,  
3 implementing the proposed NIOSH standard would  
4 probably cost in excess of seven hundred million  
5 dollars to the industry. This would be  
6 prohibitively expensive. This cost would have to  
7 be passed on to the consumer and may seriously  
8 limit the resources most companies would have for  
9 new innovations. It is these innovations which in  
10 the past have helped the American workers by  
11 providing them with more efficient, comfortable,  
12 and affordable protection.

13           In particular, such a tremendous  
14 implementation cost could put small companies such  
15 as Moldex out of business. Putting companies such  
16 as ours out of business does the public a great  
17 disservice. We provide a good, safe, and  
18 affordable product, and are committed to the  
19 effort of protecting the public. We wish to  
20 continue in this role.

21           Some of the other proposed rules in this  
22 document would only serve to confuse the end-users  
23 which may result in gross misuse of our products.  
24 For example, NIOSH would allow manufacturers to  
25 advertise their product as having a protection

1 factor higher than the minimum required by the  
2 proposed regulation. This would be contingent on  
3 NIOSH's approval of the data submitted by the  
4 manufacturer. This may create a type of  
5 competitive situation which must be avoided. This  
6 may also create terrible confusion to the  
7 end-users, and could instill a false sense of  
8 confidence in the employer, who may rely solely on  
9 this information. A standardized minimum  
10 protection factor should be provided with each  
11 respirator. The employer must then perform their  
12 own fit testing and field fit checks to ensure  
13 that the respirator is providing the necessary  
14 protection for their particular use situation.  
15 Some of the test standard procedures are unclear,  
16 difficulty to comply with, and have been made  
17 excessively stringent for no apparent reason. The  
18 list goes on. These are only but a few of the  
19 problem areas in the proposed ruling.

20 What are the alternatives?

21 The Europeans have spent a tremendous  
22 amount of time in developing a standard which is  
23 being well received as a method for most European  
24 nations. This standard is the CEN Standard,  
25 C-E-N.

1                   We propose that NIOSH consider using  
2 this as a base from which to develop a workable  
3 testing and certification regulation. What are  
4 the advantages of using the European standard?  
5 Some countries have begun to implement standards  
6 very similar to CEN, and they seem to be working  
7 quite well. It may save a tremendous amount of  
8 cost and time for NIOSH. It has all the basic  
9 elements that NIOSH has proposed, such as sodium  
10 chloride filter testing, fit testing for inward  
11 leakage, and a simulated workplace test which  
12 addresses user acceptance. It requires the  
13 regulatory agencies in their respective nations to  
14 test and certify respirators. In addition, it  
15 provides the simplicity yet strength for  
16 manufacturers to fall within stricter boundaries,  
17 ultimately providing better protection for  
18 respirator users. Finally, consider the impact of  
19 having an internationally accepted standard for  
20 respiratory protection. Standardized respiratory  
21 protection would help developed nations, and even  
22 more importantly, guide nations not yet fully  
23 developed in such areas.

24                   In conclusion, we do not believe that  
25 the proposed NIOSH 42 CFR 84 is the answer. We

1 respectfully request that NIOSH withdraw the  
2 present document and assemble a committee of  
3 experts, including manufacturers, researchers,  
4 end-users, and health professionals to consider  
5 all options, including CEN, and develop a standard  
6 that will truly benefit the American worker.

7 Thank you.

8 MR. MATTHEWS: Next we have representing  
9 the E. D. Bullard Company, Mr. John King.  
10 Proceed.

11 MR. KING: I think it is clear NIOSH  
12 want to make changes, and we in the industry  
13 support that goal.

14 However, the manufacturing community  
15 would like to work with NIOSH to make transitions  
16 as smooth as possible.

17 I'm vice president in charge of  
18 engineering and quality assurance for the E. D.  
19 Bullard Company. Prior to this I was in charge of  
20 engineering and quality assurance at North Safety,  
21 formerly the Safety Products Division of Norton  
22 Company. I've been in the safety industry more  
23 than 15 years, and more specifically have directed  
24 R & D efforts with most kinds of industrial  
25 respirators, including breathing apparatus.

1           I serve on the ISEA Respiratory  
2 Standards Committee, and I helped draft ISEA's  
3 technical comments to 42 Part 84.

4           However, since E. D. Bullard is only in  
5 the business of supplying respirators, I will  
6 direct my comments exclusively to this area. For  
7 brevity, I will only address three illustrative  
8 items.

9           Point one is that since NIOSH does not  
10 use breathing machines to assess the performance  
11 of supplied air hoods and helmets, and  
12 historically quantitative fit testing has been  
13 primarily associated with facepieces, NIOSH has  
14 not been in a position to perform detailed lab  
15 studies. Rather than set higher performance  
16 standards for such devices, NIOSH has chosen to  
17 assume that relatively poor performance is  
18 intrinsic to their design; thus, assigning a  
19 minimum protection factor of only 25.

20 Certification of a specific device to a higher  
21 level of protection does not remove the stigma  
22 associated with hoods and helmets, certainly in  
23 the user community, of 25 for a protection  
24 factor.

25           The second point I wanted to make was

1 that since contaminant leakage through a filter  
2 media is not involved with air line respirators,  
3 it is inappropriate to downgrade the performance  
4 of these types of respirators to that of powered  
5 air purifying respirators, which also have a  
6 protective factor of 25.

7 All supplied air respirators as well as  
8 PAPR's should be evaluated on breathing machines,  
9 at not only standard work rates of say 40 liters  
10 per minute volume, but also at 70 liters per  
11 minute. However, since there are internal air  
12 volume differences between hoods and masks, the  
13 required internal pressures should probably be  
14 different. In any case, breathing machines should  
15 be used.

16 The third and last point I'd like to  
17 make is that referring to Section 84.233. My  
18 question, as a design engineer, is why do positive  
19 pressure atmosphere supplying respirator  
20 facepieces have to be subjected to a negative  
21 pressure face seal leakage test if the device is  
22 not intended for such use, and what constitutes a  
23 negative pressure mode? I can envision a loose  
24 fitting mask that is designed to maintain a  
25 positive pressure inside at all times, and has a

1 face seal that continually bleeds air when coupled  
2 to an airline source. Why does such a device need  
3 to be tested in a negative mode is my question.  
4 NIOSH's requirement here seems design restrictive,  
5 and is yet another example of inhibiting  
6 innovation, which I'm sure NIOSH is trying to  
7 avoid.

8           These are but a few examples  
9 illustrating technical problems that we believe  
10 are not properly addressed by 42 Part 84. There  
11 are dozens of other similar issues, many of which  
12 have already been addressed in the ISEA comments.

13           We believe that NIOSH needs to recall  
14 this document and work with the industry to bring  
15 forth a proposal that we can all live with.

16           Thank you.

17           MR. MATTHEWS: Thank you very much.

18           Now representing The Jefferson Group  
19 from Washington, D.C., Mr. Mark Cowan. Mr. Cowan  
20 has requested 30 minutes, and in view of the  
21 apparent absence of any other presenters, his  
22 request for 30 minutes is granted.

23           MR. COWAN: This nine-page addition to  
24 the proposal certainly throws into question much  
25 of what everyone is saying this morning, but I

1 think everyone has proceeded with their comments  
2 as originally drafted, because it takes more than  
3 20 or 30 minutes before a hearing on major  
4 rulemaking to decipher nine pages of changes and  
5 clarifications.

6 I think what the nine pages of changes  
7 and clarifications does, though, if nothing else,  
8 is underline the fact that there has been  
9 widespread, broad, deep confusion over just what  
10 NIOSH did intend, and I commend NIOSH for taking  
11 the time do this. It might have been more helpful  
12 had presenters received this prior to the morning  
13 of the hearing so they could have reflected these  
14 changes and suggestions in their comments, but  
15 that I understand is sometimes impossible.

16 I'd like to deviate from my prepared  
17 remarks and make a suggestion based on what is in  
18 here and what I've heard, and that is that it  
19 might make sense for NIOSH to consider pulling  
20 together both the proposal and the protocol into a  
21 uniform document at some point, republishing that,  
22 and asking for comments on an entire comprehensive  
23 document, rather than giving commentors 30 days  
24 after a hearing next week to comment on what is  
25 admittedly an incomplete document, and then at yet

1 unidentified points in the future proposing the  
2 protocol and supposedly asking for comments on  
3 that as well. It would seem to me the two are  
4 intimately linked, one to another. In that same  
5 regard, inasmuch as OMB has now declared this to  
6 be a major rule, I'd like to formally request that  
7 NIOSH consider providing 180 days of comment time,  
8 not 30, because to pull together economic data  
9 from industrial sources of variabilities and  
10 capabilities and means takes quite a bit of time,  
11 and I'm sure that everyone wants to have a valid  
12 and sound economical analysis the second time  
13 around. 30 days makes that, I would suggest,  
14 impossible. Now I can start.

15 Good morning.

16 MR. MATTHEWS: Good morning.

17 MR. COWAN: I am, as you know, Mark  
18 Cowan. I'm president of The Jefferson Group,  
19 which is a Washington based public affairs firm.  
20 I appreciate your including me on your agenda this  
21 morning to give you my comments on the impact of  
22 your proposed 42 CFR 84.

23 My firm represents the Industrial Safety  
24 Equipment Association, and they will, of course,  
25 provide detailed comments on technical aspects of

1 this proposal as a number of their members have  
2 this morning.

3 As a former safety and health government  
4 official and regulator, I'd like to address today  
5 what I view as some of the public policy  
6 implications of the proposed regulation.

7 As background, from 1981 to 1982, I was  
8 President Reagan's Deputy Assistant Secretary of  
9 Labor for Occupational Safety and Health. As  
10 such, my responsibilities included oversight of  
11 all OSHA rulemaking and regulatory as well as  
12 deregulatory undertakings. Later, I served as  
13 Chief of Staff of the Department and to the  
14 Secretary of Labor, as well as serving as a member  
15 of the Vice President's Task Force on regulatory  
16 reform. Through this variety of positions I  
17 oversaw not only OSHA regulatory affairs, but also  
18 a vast variety of regulatory matters from a  
19 panoply of origins.

20 Contrary to that which is perceived by  
21 many, this administration has not only deregulated  
22 through its endeavor to remove useless, costly and  
23 ineffective regulation, but it has also regulated  
24 where the evidence warranted action. During my  
25 tenure as the Deputy Administrator at OSHA, for

1 example, we issued a number of major and far  
2 reaching regulations; regulations of a very  
3 significant nature to both workers and  
4 management.

5 As one example, we drafted, proposed and  
6 enacted the Hazard Communication, or labeling  
7 standard, as it is more commonly known. It wasn't  
8 easy. Everyone didn't like it, but it made sense,  
9 and only become a reality through a reasonable,  
10 reasoned process, through a process wherein the  
11 views of those regulated, industry, those  
12 affected, labor, and those interested were  
13 solicited, analyzed, discussed in a give and take,  
14 open environment, and thereafter, as is  
15 appropriate in the case of OSHA or NIOSH, accepted  
16 or rejected based on the totality of the  
17 situation.

18 We promulgated the Hearing Conservation  
19 amendment to the occupational noise standard.  
20 This regulation wasn't insignificant nor easy to  
21 fashion either. But again, we undertook to  
22 regulate what we thought was a fair and equitable  
23 process through which all parties participated,  
24 creating and participating during the process, not  
25 just in the eleventh hour, an end result which

1 provided a net benefit to workers, and was by and  
2 large widely accepted.

3 Later, as Chief of Staff to the  
4 Secretary, where I had partial responsibility for  
5 directing a 24 billion dollar budget and a staff  
6 of approximately 18,000, we effectuated other  
7 regulatory initiatives.

8 All of this is to say, Mr. Chairman,  
9 that as a matter of public policy, I am not  
10 opposed to regulations which ensure a safer  
11 workplace environment. Indeed, I am very much in  
12 favor of such activity by the government on behalf  
13 of workers. However, when the government  
14 undertakes major change, with significant impact  
15 to industry, it must recognize the need for  
16 creating an atmosphere which is open, fair, and  
17 deliberative. By trying to create this kind of  
18 atmosphere, with give and take from both sides,  
19 the government is better able to bring about  
20 change, which is ultimately acceptable to all  
21 sides.

22 I've traveled here today because I think  
23 that the history, as I know it, the developmental  
24 process, and the substance of 42 CFR 84  
25 exemplifies the type of government activity, and I

1 believe in this case totally unintentional, which  
2 gives regulators the unflattering image of being  
3 out of touch, ivory tower speculators. It is just  
4 such a perception which, in fact, in my opinion  
5 contributed, not insignificantly, to the election  
6 of Ronald Reagan as the President of the United  
7 States. The proposed 42 CFR 84 as it now stands  
8 could well serve as an example of that which makes  
9 government look like the enemy, rather than the  
10 friend, of both workers and industry, not to  
11 mention the public at large, who ultimately have  
12 to pay the bill, whether it is under a hundred  
13 million dollars or over seven hundred million  
14 dollars.

15 I hasten to add and mean sincerely that  
16 none of my comments are directed at individuals,  
17 and particularly not at the outstanding staff of  
18 NIOSH, whether at the lab or elsewhere. Rather,  
19 they are directed at a proposal, an abstract  
20 proposal, which in my opinion is not well thought  
21 out and is in need of much work as it has not  
22 tremendous resemblance to that which is called for  
23 in the workplace today.

24 Before I offer specific objections, a  
25 final general observation of the problem may be

1 warranted. It is my understanding that this  
2 proposal was assembled by a variety of individuals  
3 over a period of many years at several different  
4 locations. It is my further understanding that  
5 all parties outside government and many within the  
6 government, including many within the Occupational  
7 Safety and Health Administration and the Mine  
8 Safety and Health Administration, were kept in the  
9 dark relative to many of the final provisions,  
10 including certainly the missing provisions of this  
11 proposal. This, Mr. Chairman, is what might be  
12 referred to as regulating in the blind, and it is  
13 dangerous not only for those regulated, but for  
14 those in the marketplace who must accept the  
15 impact of the regulation.

16           The needs and uses for industrial  
17 respirators are not what they were when the  
18 initial regulations were created. No one argues  
19 with that. Moreover, the technology for  
20 developing and producing respirators has changed  
21 dramatically, and perhaps most importantly, the  
22 technology for testing respirator effectiveness  
23 has changed.

24           There is no doubt, therefore, and for  
25 that matter none of the involved parties with

1     which I'm familiar disagree, that regulations  
2     affecting respirator certification need revision.  
3     NIOSH is doing the right thing by attempting to  
4     revise 30 CFR, and I want to make it absolutely  
5     clear that neither I nor those who I represent are  
6     arguing that 30 CFR as it stands is the answer.  
7     From conversations with end-users represented by  
8     labor unions, with respirator manufacturers, and  
9     finally, from discussions with former colleagues  
10    still in the governmental regulatory process,  
11    there is almost universal recognition again that  
12    with more than 15 years under the belt, it is time  
13    to modify how respirators are certified in the  
14    United States. Why then is this proposal not the  
15    answer?

16                 Mr. Chairman, I need not tell you that  
17    there is widespread concern within this proposal.  
18    It is my understanding from associates that many  
19    manufacturers and end-users have registered  
20    protest against this proposal, and have called for  
21    its withdrawal. I'm also told that many Senators  
22    and members of the United States Congress have  
23    asked for the proposal to be recalled. Finally,  
24    it is my understanding that not one of the more  
25    than 60 institutions representing the views of

1 workers, end-users, manufacturers, public interest  
2 groups, and even other government regulators who  
3 submitted comments on the proposal 42 CFR 84 to  
4 Atlanta, supports the proposal as it now stands.

5 The question then is where did the  
6 process go wrong?

7 While NIOSH may not, and I am not  
8 willing to stipulate they are not, but while NIOSH  
9 may not be required by law to consult with  
10 representatives of the potentially affected  
11 parties, to have done so on a greater degree than  
12 was done, if it was done at all prior to the  
13 proposal, would I believe have ensured that what  
14 some are calling due process, what others call  
15 fairness, and what I call smart regulatory  
16 practice would have prevailed.

17 To my knowledge NIOSH conferred with, in  
18 the true sense of that word, with none of the  
19 affected parties in the development of their  
20 proposal. Worker representatives were not called  
21 in to provide their unique insights; manufacturers  
22 were certainly not consulted on the practicality  
23 of the proposed changes during the prescribed time  
24 frame; indeed, some of the proposed changes have  
25 yet to be proposed, nor were others more

1 experienced, and not more experienced in the area  
2 of safety and health, but more experienced in the  
3 practice of issuing regulations, other federal  
4 regulators brought into the process and consulted  
5 as to how to begin the process of building  
6 consensus for a major regulatory change before it  
7 was popped on the public.

8 For any agency to even initiate a new  
9 proposal, affecting the safety of literally  
10 millions of workers, without telling the affected  
11 parties exactly what they'll be required to do,  
12 how they will be expected to do it, and when they  
13 will be required to do so, is neither fair nor in  
14 my opinion smart regulation.

15 Had NIOSH consulted and worked with  
16 other more experienced government regulators, and  
17 the reason I'm going through this parenthetically  
18 is not to say, "Boy, aren't you dumb. You should  
19 have done all these things, and if you had done  
20 them everything would have been better," but to  
21 say that the regulatory process is an evolving  
22 process, and the fact that NIOSH did not do these  
23 things is history, and history is prologue to the  
24 future. And there is still time and opportunity  
25 to consider adopting this approach as both of the

1 earlier speakers suggested might make sense.

2 Let me talk about some of the specific  
3 problems, many of which are addressed in the  
4 nine-page memo, and if in fact are accurate, will  
5 make moot some of the points I'm going to make.  
6 Let me run through them as quickly as I can.

7 42 CFR 84 limits its focus to the  
8 certification of respirators used in mines or  
9 under mining conditions.

10 One of the most confusing aspects of the  
11 proposed rulemaking is the extent to which NIOSH  
12 will continue to certify respirators other than  
13 those used in mines or under mining conditions.

14 As it stands, other agencies such as the  
15 EPA, the NRC, and OSHA require the certification  
16 of all respirators, regardless of what they are  
17 designed for or where they are used. While NIOSH  
18 is not legally bound to test all respirators,  
19 under an agreement between NIOSH and these other  
20 agencies, NIOSH currently certifies all  
21 respirators. And while certain things may or may  
22 not have happened since 1919, it would seem to me  
23 that something as important as this ought to be  
24 made crystal clear in the proposed regulation on  
25 what the intent of the agency is, if it is not

1 made clear in the document itself. Past practice  
2 is only useful if we are undertaking minor  
3 amendments to existing rule. Here is my  
4 understanding: NIOSH is moving in a new direction  
5 with an entirely new proposal, even with a new  
6 number, and it would seem to me that the better  
7 part of valor for the sake of those who are  
8 getting the bullet fired at them would be to  
9 explicitly state what the intent of the agency  
10 is. If it is to continue a practice that has been  
11 going on since 1919, then it is not very difficult  
12 to state in the subsequent proposal, if there is  
13 one, that this is the way NIOSH intends to go.

14 Because literally 42 CFR 84 addresses  
15 only respirators used in mining environments. The  
16 definition of a workplace is a mine. It is very  
17 simple. It is clear. Very few lawyers would  
18 argue with it. Therefore, it ignores 90 percent,  
19 or according to NIOSH's number, 95 percent of the  
20 respirators in use today. This creates a mystery  
21 as to what will be the process for certifying, and  
22 testing the majority of respirators used by  
23 American workers and bicollateral, the majority of  
24 respirators manufactured by American manufactured  
25 respirators.

1           Even though not mandated by law to do  
2 so, will NIOSH continue to certify all respirators  
3 or will NIOSH and OSHA continue their agreement  
4 under which NIOSH certifies all respirators. And  
5 if this is the case, will 42 CFR 84 then cover all  
6 respirators, even though it technically addresses  
7 only those respirators used in mines. If this is  
8 not the case, then what will be the process for  
9 certifying non-mining respirators. Will OSHA  
10 continue to require respiratory certification.  
11 Will OSHA take responsibility for certifying  
12 non-mining respirators, or farm the responsibility  
13 out to another agency. We don't know, and that is  
14 really the problem.

15           Without these questions answered, it is  
16 difficult to comment intelligently.

17           Given we must assume that OSHA, the EPA  
18 and NRC will continue to require NIOSH  
19 certification of all respirators, I'll proceed on  
20 that basis.

21           It must be understood, as I noted, that  
22 what I have to say is built around the assumption  
23 that OSHA will continue to require NIOSH  
24 certification of all respirators, and that NIOSH  
25 will continue to certify all respirators.

1                   42 CFR 84 provides no protocol for  
2 mandated workplace testing.

3                   While the proposal places a number of  
4 new requirements within the certification process,  
5 it fails to spell out the specific requirements.  
6 There is no protocol specifying exactly what the  
7 affected parties will have to do, nor how they are  
8 to do it.

9                   To propose a new regulation without any  
10 of the details and then to ask for reasoned  
11 commentary is like putting a donut on the table  
12 and asking the baker to describe what ingredients  
13 he or she has used to make the hole in the center.

14                   Since there is no specificity to this  
15 proposal, it has made it virtually impossible for  
16 users, labor, or industry or others to provide  
17 meaningful comment. The fact of the matter is  
18 that they don't know what NIOSH is asking them to  
19 do.

20                   42 CFR 84 is a major rulemaking and not  
21 a minor rulemaking. I'll skip that portion of my  
22 testimony for the record. If you want to go ahead  
23 and put it in, you have it there, but based on  
24 what we've heard this morning, OMB has asked that  
25 a full regulatory analysis be undertaken to

1 clarify this question, and I have asked that a  
2 longer time period be given commentors so they can  
3 provide not only their comments, but also  
4 financial information may be of use to NIOSH and  
5 to whoever would be involved in preparing the IRA.

6 (The Administrative Procedures Act and  
7 Executive Order 12291 provide guidelines for  
8 proposing new regulation. Certain criteria must  
9 be examined and met before a major rulemaking  
10 moves forward.

11 Economic impact analysis is supposed to  
12 be one of the guiding principals within the  
13 regulatory process established by our government.  
14 Any proposal having an estimated economic impact  
15 of greater than one hundred million dollars is  
16 determined a major rulemaking and must undergo  
17 significant analysis before it is proposed.

18 Given that by all industry estimates the  
19 minimum impact of 42 CFR 84 will be at least seven  
20 hundred million dollars, there exists a clear  
21 requirement for such an analysis. But there is  
22 more. This economic impact analysis requirement  
23 was either waived, circumvented or mistakenly  
24 ignored.

25 Why did this happen?

1           It is my view that this mistake was  
2 primarily due to the fact that the proposal was  
3 incomplete making it impossible for anyone to  
4 develop realistic economic impact figures. This  
5 allowed NIOSH to come up with what appeared to be  
6 reasonable estimates concluding that the economic  
7 impact would be less than one hundred million  
8 dollars. The Office of Management and Budget,  
9 apparently, bought this flawed assessment.

10           Had NIOSH developed a protocol, outlined  
11 the specifics, and consulted with the experts and  
12 affected parties, there would have been a greater  
13 likelihood that the real costs could have been  
14 determined. Then the proper economic studies  
15 could have been undertaken, thereby demonstrating  
16 the real costs of the proposal and placing it in  
17 the proper category as a major rather than a minor  
18 rulemaking as required under Executive Order  
19 12291. If this proposal is to survive public  
20 scrutiny, these tasks must ultimately be  
21 undertaken.)

22           Workplace testing is another area which  
23 causes serious concern to people in the  
24 workplace. Mr. Chairman, as you know, there is no  
25 broad precedent for workplace testing for

1 respirators. While the concept superficially  
2 makes sense, difficult to argue that testing a  
3 product in the environment, the exact environment  
4 in which it will be used, it is my understanding  
5 further that neither labor nor industry is  
6 philosophically opposed to the concept of  
7 workplace testing. But it is also my  
8 understanding, from discussions with industry  
9 experts primarily, that the technology for  
10 workplace testing is far from fully developed, and  
11 is in no way proven in the scientific sense of  
12 having undergone full scale testing with proper  
13 peer review to ensure that the testing can be  
14 replicated time after time, case after case.

15           Given that there is no proof of  
16 technology for workplace testing, it is currently  
17 not feasible, nor I would submit to you fair, to  
18 impose such a requirement at this time.

19           At the risk of belaboring the point, Mr.  
20 Chairman, had NIOSH provided a protocol and then  
21 created a regulatory environment of positive give  
22 and take, open discussion and constructive fact  
23 finding where impacted parties had a voice, we  
24 might not be faced with having to comment on an  
25 incomplete proposal today.

1           What specifically will be the  
2 requirements and standards for workplace testing?  
3 To this day, the day of the hearing on the  
4 proposal, no one knows.

5           42 CFR 84 requires testing in and for  
6 the wrong environment. Comments in the nine-page  
7 document notwithstanding, the definition of  
8 workplace in the proposal is mines.

9           Should we all agree that workplace  
10 testing is possible, and that new technologies can  
11 be developed to satisfy the requirements once they  
12 are spelled out by NIOSH, 42 CFR 84 again defines  
13 the workplace as a mining environment. This  
14 requirement, in my view, is not in the best  
15 interests of workers or manufacturers.

16           Using mines or their equivalent as the  
17 required workplace test site, is based on what  
18 must be a very limited view on the vast needs of  
19 respirator users. It appears to either  
20 misunderstand the existence of many different  
21 types of respirators currently on the market or in  
22 developmental stages and the variety of uses for  
23 which they are intended, many of which are not  
24 applicable to mines. Such a testing procedure  
25 does not guarantee, in my view, the best possible

1 protection for all workers.

2 The first problem with the proposed  
3 workplace testing, as generically called for in 42  
4 CFR 84, is there are not enough mines in the  
5 United States to test all of the respirators  
6 currently on the market.

7 And speaking as one who represents mine  
8 owners, I would be very surprised if most mines  
9 would be willing to interrupt their operations and  
10 lend their workers to respirator manufacturers  
11 coming in to certify their respirators.

12 Secondly, the notion of testing all  
13 respirators under mining conditions is not in the  
14 best interests of the majority of the workers  
15 currently using respirators. In fact, were it  
16 possible to test all respirators in mining  
17 environments, and that became the practice, it  
18 could pose a real threat, or at least a set back,  
19 to worker safety.

20 Given that approximately 90 percent of  
21 the respirators that are used today are used under  
22 non-mining conditions, the requirement of 42 CFR  
23 84 would mandate that all respirators meet mining  
24 standards rather than specific workplace needs.  
25 For example, using respirators to prevent the

1 inhalation of paint vapors out in the open at a  
2 construction site would be using respirators  
3 tested for inside mining exposures rather than  
4 respirators tested for outside use.

5           Mr. Chairman, NIOSH knows that there are  
6 hundreds of different respirators on the market  
7 today, and that most of them have been developed  
8 to meet specific needs to ensure the best possible  
9 worker protection under very specific conditions.  
10 To require that all respirators be tested under  
11 mining conditions would be a step backwards from  
12 the progress which has been made by NIOSH to  
13 ensure maximum worker safety by testing products  
14 in simulated environments most closely akin to  
15 those in which they will actually be utilized by  
16 workers.

17           The economic impact, as I stated  
18 earlier, and more needs to be talked about this  
19 later outside the context of this hearing, when  
20 you are considering the true regulatory impact,  
21 the economic impact of 42 CFR 84 is very difficult  
22 to ascertain based again on the incomplete  
23 information included in the proposal.

24           Based on a certain supposition the  
25 industry has made, the figure of seven hundred

1 million dollars has been bantered about. I don't  
2 know whether the actual figure is seven hundred  
3 million dollars or four hundred million dollars or  
4 a billion three. The point I'd like to leave you  
5 with today, and I mean this in a helpful manner,  
6 is that if indeed NIOSH wants to know what this  
7 costs, and I'm sure you do, it is important that  
8 those who are going to have to bear the costs have  
9 before them, in order to assess the costs, a  
10 complete document which lays out for them all of  
11 elements of the proposed certification process and  
12 clarifies all the questions, some of which I have  
13 raised here, some of which industry has raised, so  
14 everyone understands what is intended. That  
15 doesn't necessarily guarantee support and it  
16 doesn't guarantee opposition, but what I think it  
17 does guarantee is you can then have a non-hostile  
18 dialogue between all the parties about whether the  
19 regulation makes sense, how it can be improved or  
20 modified, and what is necessary to make it  
21 something that the majority of the world can buy  
22 into and support.

23 From a public policy point of view,  
24 which is where I started, I think 42 CFR 84 could  
25 have been factioned better. Having made numerous

1 mistakes when I was in the same position that  
2 NIOSH now finds itself, I don't fault NIOSH for  
3 that, other than to say maybe you made a mistake,  
4 maybe it could have been done differently. My  
5 purpose here today is not to try and show you how  
6 smart I am, because I'm not very smart, but to say  
7 that the experience of this regulation so far I  
8 think calls for a step back and a reconsideration  
9 of what has been said, so that NIOSH and the  
10 people that they serve, whether manufacturers or  
11 laborers, can move forward at least in a semblance  
12 of togetherness.

13           During your hearings here in Washington  
14 I'd like you to consider the following things in  
15 terms of a course of action: First, I believe 42  
16 CFR 84 as it now stands must be seriously altered  
17 or withdrawn.

18           NIOSH should bring together experts from  
19 industry, from labor unions, and concerned  
20 regulatory agencies outside NIOSH to review the  
21 process in its totality. From my own experience  
22 as a regulator, the information this process will  
23 bring out will make possible a new proposal which  
24 will serve your goal of updating 30 CFR 11, have  
25 broad acceptance, and most importantly, will

1 enhance current levels of worker protection.

2 NIOSH should expand the scope of its  
3 respirator certification program to include the  
4 vast majority of respirator users in general  
5 industry and construction. Failure to do this  
6 specifically leaves the users and manufacturers of  
7 these respirators in a form of regulatory limbo,  
8 with one agency requiring certification, and the  
9 certifying agency ostensibly refusing to consider  
10 it.

11 If NIOSH no longer wishes to perform  
12 this function, then I believe NIOSH has the rare  
13 opportunity to act as a catalyst for developing a  
14 consensus standard and taking the lead in  
15 identifying a government agency and respected  
16 non-government agency third party as a vehicle to  
17 formulating an outside body as a certifying  
18 entity. I won't be so presumptuous today to  
19 suggest what body might fill that role, but there  
20 are a number, or it could be a newly created  
21 organization.

22 But whether through a negotiated  
23 rulemaking or calling together all interested  
24 parties at a consensus conference, such as those  
25 used by NIH, NIOSH cannot and should not continue

1 to ignore the wealth of information and knowledge  
2 and the anxiety that exists amongst those who are  
3 impacted by this regulation. I call on you today  
4 therefore to talk, to discuss, and to move forward  
5 in a reasonable manner with this regulation  
6 changed to 30 CFR which everyone supports.

7 Thank you very much again for the  
8 opportunity. Thank you.

9 MR. MATTHEWS: Thank you very much. It  
10 is now 10:10. We have reserved the premises for  
11 all day. But there is no reason we have to stay  
12 if no one else has any further comments. Is there  
13 anyone here today who would like to take this  
14 opportunity to make an oral statement on the  
15 record?

16 (No response.)

17 Seeing no one else, and if there are no  
18 other comments concerning this, then I declare  
19 this first informal meeting adjourned. I want to  
20 thank everyone for their time and their  
21 consideration on this, and there will be an  
22 opportunity if anyone wants to prepare written  
23 comments concerning what was discussed here today,  
24 please provide those comments to the Docket  
25 Officer of C.D.C. NIOSH before the 28th of

1 February, 1988.

2 This meeting stands adjourned. Thank  
3 you.

4 (Whereupon, proceedings were concluded at  
5 10:15 a.m.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

C E R T I F I C A T E

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

I, Nan S. Rose, a Notary Public, do hereby certify that the foregoing transcript is a true, accurate and complete transcription of my stenographic notes to the best of my skill, knowledge and ability.

Signed this 9th day of February,

1988.

Nan S Rose

NOTARY PUBLIC