

NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND  
HEALTH (NIOSH)

PUBLIC MEETING

ASBESTOS AND OTHER MINERAL FIBERS: A ROADMAP FOR  
SCIENTIFIC RESEARCH  
NIOSH DOCKET NO. NIOSH-099

Washington, D.C.

Friday, May 4, 2007

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 PARTICIPANTS:

2 Panelists:

3 FRANK HEARL, Chair

4 ROBERT CASTELLAN, Member

5 DR. PAUL MITTENDORF, Member

6 DR. RALPH ZUMWALDE, Member

7 Presenters:

8 WILLIAM C. FORD  
9 Senior Vice President, National Stone, Sand,  
and Gravel Association

10 DR. ERNEST E. MCCONNELL  
11 President, ToxPath Inc.

12 DR. GRAHAM GIBBS  
13 Safety Health Environment International  
Consultants Corporation

14 DR. D. WAYNE BERMAN  
15 Aeolus Inc.

16 DR. GARY FORE  
17 Vice President, Health and Safety National  
Asphalt Pavement Association

18 DR. RICHARD LEE  
19 RJ Lee Group

20 DR. BRIAN STROHMIER  
21 RJ Lee Group

22 DR. R.P. NOLAN  
Earth and Environmental Sciences Graduate  
School, University Center, City University of  
New York

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 PARTICIPANTS (CONT'D):

2 DR. EMANUEL RUBIN  
Thomas Jefferson Medical College

3  
4 DR. RICHARD LEMEN  
Former Acting and Deputy Director, NIOSH

5 CHRISTIAN HARTLEY  
Richardson Patrick Westbrook and Brickman, LLC

6 JONATHAN RUCKDESCHEL  
7 Ruckdeschel Law Firm

8 ROBERT PAUL  
Paul Reich and Myers, LLC

9 ED BROWN  
10 On behalf of Dr. David Egilman Brown University

11

12 \* \* \* \* \*

13

14

15

16

17

18

19

20

21

22

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 P R O C E E D I N G S

2 MR. HEARL: Good morning. My name is  
3 Frank Hearl. I am the Chief of Staff of the  
4 National Institute for Occupational Safety and  
5 Health, NIOSH. NIOSH is in the U.S. Department of  
6 Health and Human Services and is the agency  
7 established to help assure safe and healthful  
8 working conditions for working men and women by  
9 providing research, information, education, and  
10 training in the field of occupational safety and  
11 health. On behalf of NIOSH and our Director Dr.  
12 John Howard, I want to welcome to this public  
13 meeting here in Washington, D.C.

14 We have organized this meeting to obtain  
15 your input and comments on the draft document  
16 "Asbestos and Other Mineral Fibers: A Roadmap for  
17 Scientific Research." As the federal agency  
18 responsible for conducting research and making  
19 recommendations for the prevention of worker  
20 injury and illness, NIOSH is undertaking a 21st  
21 century reappraisal of the areas of research  
22 needed to pursue on its own and in collaboration

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 with others. New scientific knowledge will be  
2 generated to serve as the basis for evidence-based  
3 public-health policies for asbestos and other  
4 mineral fibers.

5 NIOSH invites comments on occupational  
6 safety and health issues identified and fiber  
7 research strategies suggested in the Roadmap. We  
8 seek other views about key issues that need to be  
9 identified, additional research that needs to be  
10 conducted, and suggest methods to conduct that  
11 research. In particular, NIOSH is seeking input  
12 from stakeholders concerning study designs,  
13 techniques for size-selected fibers, analytical  
14 approaches, sources of particular types of fibers  
15 suitable for experimental studies, and worker  
16 populations suitable for epidemiological studies.  
17 We are interested in available and forthcoming  
18 research results that can help answer the  
19 questions set forth in the Roadmap. Information  
20 is also requested on existing workplace exposure  
21 data, health effects, and control technologies.

22 I will chair this meeting, and my

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 principal job here will be to make sure that  
2 everyone has a fair chance to be heard, to assure  
3 that NIOSH receives the input it is requesting,  
4 and to try to keep us on time. This meeting will  
5 be concluded at 4 o'clock today.

6 I would like to begin by making a few  
7 housekeeping announcements. First, in the event  
8 of an emergency, it appears that the best exit  
9 route would be out the door and to the right and  
10 directly out to the street. In the event of an  
11 evacuation, please move quickly and safely to the  
12 exists and await instructions before returning.

13 Second, the restroom facilities, I found  
14 two sets of restroom facilities. One is if you go  
15 out this door and then all the way to the end of  
16 the hallway up back into the lobby of the Holiday  
17 Inn there is a set of restrooms there. The second  
18 set is a little more difficult to find but  
19 probably easier to get to, and that is you go out  
20 again to the hallway and to the right past the  
21 glass wall and then turn right at the first  
22 corridor, when you go down to the end there is one

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 door that has a card key access and the next door  
2 has no sign on it whatsoever, but it is right next  
3 to a water foundation. If you push that door  
4 open, you will find both a men's and women's room.  
5 So those are the two sets of restroom facilities.

6 Third, I would like to ask everyone to  
7 please either turn off your cell phones or set  
8 them to a nonaudible vibrate mode so as not to  
9 disturb others at the meeting. If you could  
10 please do that I would thank you for your  
11 cooperation. Again, our meeting today is  
12 scheduled to run from 9:00 a.m. to 4:00 p.m., and  
13 if we have no further speakers or commenters we may  
14 close the meeting before 4 o'clock, but in looking  
15 at the number of people signed up, I do not think  
16 that is going to be our problem.

17 The meeting is being transcribed and we  
18 expect to have transcripts posted to the Internet  
19 as soon as they can be made available. Persons  
20 wishing to submit written comments for the record  
21 may do so by providing a copy of your comments to  
22 me today or sending them by mail, email or using

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 the website that we have posted on the Internet.  
2 You can get to it through the main NIOSH website  
3 [www.cdc.gov/niosh](http://www.cdc.gov/niosh). The docket will be open to  
4 receive comments on the Asbestos Roadmap until May  
5 31, 2007.

6 In accordance with our Federal Register  
7 announcement and website announcement, we have a  
8 number of individuals who pre-signed up in advance  
9 here to make oral presentations. Each of those  
10 individuals will have to up to 15 minutes to make  
11 an oral presentation. If the presentation ends  
12 early, we are going to move immediately to the  
13 next presentation so we can try to make available  
14 time at the end of the meeting for anyone else who  
15 has signed up outside.

16 We will take a 15-minute break today  
17 around 10:30, and we will take a 1-hour and 15-  
18 minute break for lunch at 11:45 or thereabouts.  
19 And as the meeting goes this morning, I may ask us  
20 to shorten that a little bit to make again time  
21 available. And we will also take a break around  
22 2:15.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           Our last preregistered presentation now  
2 is scheduled to end around 3:30 I believe, 3:45.  
3 If you did not preregister, you may sign up to  
4 speak at the sign-up table outside the meeting  
5 room. After the last preregistered presentation  
6 is complete, I will divide the remaining time up  
7 until 4 o'clock among those who have signed up  
8 outside and you will have the chance to speak  
9 here. Like I said, after we have no more signed-  
10 up people, we may open the mike for walk-up  
11 comments until 4 o'clock.

12           Individuals who are making oral  
13 presentations are welcome to use their time to ask  
14 clarifying questions of the NIOSH panel members  
15 who are the principal authors of the draft, and  
16 they are seated up here at the front. Note that  
17 both question and the answer, I am going to count  
18 that against that individual's time, so I would  
19 also ask the panel members to be succinct in their  
20 responses.

21           The NIOSH panel members are Dr. Paul  
22 Middendorf, Dr. Robert Castellon, and Mr. Ralph

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 Zumwalde. I would ask that you do not address  
2 questions to the other presenters when they are up  
3 here. This is not a scientific symposium, but a  
4 public meeting to present information to NIOSH.

5 As a note for presenters, too, any  
6 written statement you provide will be entered into  
7 the record so there is no need for you to read  
8 your written statement. We hope the information  
9 you provide will augment the written statement and  
10 have special emphasis on the five points that we  
11 identified in the Roadmap, and that would  
12 identifying whether the hazard identification and  
13 discussion of health effects for asbestos, mineral  
14 and mineral fibers is a reasonable reflection of  
15 the current understanding of the evidence in the  
16 scientific literature. Two, appropriate and  
17 relevancy of the discussion of our current  
18 understanding of the analytical issues in research  
19 for asbestos and mineral fibers. Three, the  
20 appropriateness and relevancy of the discussion of  
21 the current understanding of epidemiological  
22 issues and research needs for understanding health

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 effects of asbestos. Four, the appropriateness  
2 and relevancy of discussion of the discussion of  
3 the current understanding of toxicological issues  
4 and research needs in our understanding of  
5 asbestos. And fifth, the appropriateness and  
6 relevancy of the discussion of the path forward  
7 that is outlined in the document and whether the  
8 ultimate vision is a reasonable outcome for the  
9 proposed research strategy for asbestos and  
10 mineral fibers.

11 For those speakers who have signed up  
12 for the 15-minute timeframe, I am planning on  
13 giving you a few warnings. I am going to ask you  
14 to come up and make your presentation here and I  
15 will slip this little green card up here at the  
16 twelfth minute, I will give you the yellow card up  
17 at the thirteenth minute, and the red card at the  
18 fourteenth minute, and at the fifteenth minute I  
19 will break in and we will introduce the next  
20 speaker. So we will try to keep us on time that  
21 way.

22 There are copies of the document out

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 back at the table. If you would like, you can go  
2 out and get those. And if you have not signed in,  
3 I would ask you to do so. Are there any  
4 procedural questions from the speakers or anyone  
5 here before we begin? Given that, I would like at  
6 this time to introduce Dr. Paul Middendorf who is  
7 going to provide a brief summary of the draft  
8 document, and then we will move directly to the  
9 agenda speakers. Dr. Middendorf?

10 DR. MIDDENDORF: Thank you, Frank. Good  
11 morning. Over the last 40 years or so there has  
12 been considerable public-health interest in  
13 asbestos and activity in the development and  
14 recommendations and regulations to protect  
15 workers. Also during this period, the amount of  
16 published research on asbestos is among if not the  
17 most for any group of chemicals. Yet despite this  
18 interest and activity, there is still considerable  
19 disagreement on the interpretation of some of the  
20 seminal studies, and substantial uncertainty  
21 remains in key areas that prevent a fuller  
22 understanding of these important issues that could

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 lead to a development of more informed  
2 recommendations to protect workers. Because of  
3 the recent events such as those associated with  
4 the Libby, Montana vermiculite mine and in  
5 Eldorado Hills, California, these issues have once  
6 again been brought to the forefront and additional  
7 knowledge is needed to address them.

8 NIOSH has begun the process of  
9 developing this knowledge starting with the  
10 development of the document "Asbestos and Other  
11 Mineral Fibers: A Roadmap for Scientific  
12 Research." The document has been in preparation  
13 for well over a year and is the result of input  
14 from the NIOSH mineral fibers working group and  
15 substantial review from the NIOSH community.  
16 Before we get to the comment and discussion part  
17 of the meeting, I will provide just a general  
18 overview of the draft of the Roadmap.

19 The Roadmap is intended to describe the  
20 current understanding of the science and the  
21 uncertainties in that science associated with  
22 asbestos and other mineral fibers. It is also

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 intended to provide some background information on  
2 how we came to this current understanding. Going  
3 through this process, we identified what we think  
4 are the key scientific issues that have  
5 implications for the development of  
6 recommendations and identified research directions  
7 that would address these key issues. Let's start  
8 by reviewing some of the background important in  
9 developing the Roadmap, looking first at asbestos  
10 use in the United States.

11 Over the last 15 years or so there has  
12 been a consistent decline in asbestos mining and  
13 use of raw asbestos in the United States. I will  
14 point out that the numbers reported here are  
15 limited to the six minerals traditionally  
16 identified as asbestos. At this time there is no  
17 known domestic of raw asbestos, and the amount of  
18 raw asbestos imported from other countries is  
19 substantially reduced. What we do not know at  
20 this time though is how much asbestos has been  
21 imported in manufactured products. We also do not  
22 know how much asbestos is present in building

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 stock that will have to be dealt with at some  
2 point in the future. Nor can we predict the  
3 potential for exposure from construction and other  
4 activities in areas where there is naturally  
5 occurring asbestos.

6 We focused on asbestos-related disease.  
7 Asbestosis deaths reported on death certificates  
8 and available in NIOSH's National Occupational  
9 Respiratory Mortality Surveillance System have  
10 increased twentyfold from the 1960s to the 1990s.  
11 The number of deaths from asbestosis appears to  
12 have peaked in recent years and is expected to  
13 begin declining at some point in the future  
14 because of decreases in exposures.

15 Data from mesothelioma deaths are  
16 available only more recently because a separate  
17 code for mesothelioma was not previously  
18 available. The trend in mesothelioma deaths  
19 appears to still be on the rise which is not  
20 entirely unexpected because mesothelioma has a  
21 longer latency than asbestosis. Other asbestos-  
22 related diseases are not currently tracked, to

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 trend data are not available for them.

2           Through this time period of increasing  
3 deaths from asbestos exposure, there has been a  
4 large amount of activity in developing  
5 recommendations and regulations for asbestos. The  
6 Bureau of Mines which is the predecessor of MSHA  
7 began establishing exposure limits for asbestos in  
8 the 1960s. Shortly after OSHA and NIOSH were  
9 established in the early-1970s, they began  
10 developing specific recommendations and  
11 regulations for asbestos and there was a flurry of  
12 activity through the mid-1970s. Most of the  
13 activity was focused on reducing the exposure  
14 limits as more information on the health effects  
15 became available and control methods were  
16 identified. However, in the 1980s, the character  
17 of the discussion began to change. Not only were  
18 the discussed on the exposure limits, but they  
19 started to include questions about what should be  
20 covered. Recently these questions have been  
21 brought to the forefront with the events  
22 associated with the vermiculite mine in Libby,

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 Montana, and the debate about the nature of the  
2 minerals found in Eldorado Hills.

3           Early in these discussions NIOSH  
4 developed its current definition of asbestos and  
5 transmitted in testimony to OSHA in 1990. The  
6 definition includes both a policy component and an  
7 analytical component. The policy component  
8 identifies what is covered, and the analytical  
9 component specifies how it will be identified and  
10 measured. Ideally, the analytical methods would  
11 produce results that are specific for what is  
12 covered in the policy. The policy component of  
13 NIOSH's current definition states that particles  
14 should be counted when they have an aspect ratio  
15 of at least 3 to 1 and are longer than 5  
16 micrometers when viewed under phase contrast  
17 microscopy. The PCM method is documented as NIOSH  
18 Analytical Method 7400 which provides the  
19 specifications for equipment and counting  
20 procedures to be used for analysis. In some  
21 situations such as mixed dust environments it may  
22 be necessary to use transmission electron

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 microscopy as a backup to the PCM method. The TEM  
2 method is documented as Method 7402 and includes  
3 procedures for converting the TEM results to PCM  
4 counts.

5           The last part of the policy component  
6 states that NIOSH includes particles that have the  
7 crystal structure and elemental composition of  
8 asbestos minerals. To be more specific, that  
9 statement is intended to include the minerals  
10 commonly referred to as asbestos which includes  
11 the serpentine mineral chrysotile, as well as the  
12 five amphibole minerals named actinolite asbestos,  
13 amosite, anthophyllite asbestos, chrysolite, and  
14 tremolite asbestos.

15           The NIOSH definition also includes  
16 cleavage fragments of the nonasbestiform analogues  
17 of the asbestos minerals as long as they meet the  
18 specified size requirements. The minerals include  
19 the sepentines antigorite and lizardite, as well  
20 as the amphibole minerals in the cummoningtonite-  
21 grunerite series, the tremolite-ferroactinolite  
22 series, and the glockothane-redakite series.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 These are referred to in the Roadmap as fiber-like  
2 cleavage fragments to indicate that they have a  
3 length greater than 5 micrometers and an aspect  
4 ratio of at least 3 to 1.

5 NIOSH developed this definition after  
6 considering four elements. The first of these  
7 elements was the results from animal studies which  
8 indicated their carcinogenic potential depends on  
9 the particle length, diameter, and biopersistence.  
10 The specific mineral identity and origin of the  
11 mineral did not seem to be critical factors in the  
12 development of cancer and so were not considered.

13 The second element considered was the  
14 result of epidemiological studies. One of the  
15 problems with these studies is that the  
16 populations studied were exposed to a mixture of  
17 asbestosiform and fiber-like cleavage fragments.  
18 Other limitations include the small size of the  
19 cohort and limited information on confounders  
20 which make interpretation of these studies  
21 difficult, and determination of whether fiber-like  
22 cleavage fragments was not clear.

1           The third element considered was that  
2           asbestiform minerals and their nonasbestiform  
3           analogues are also co-located so that predicting  
4           the presence of asbestiform minerals within  
5           deposits is difficult and could lead to  
6           inadvertent contamination and exposure.

7           The fourth element considered was the  
8           limitations of the routine analytical methods used  
9           for asbestos. It is well known that neither PCM  
10          nor TEM can always distinguish between asbestiform  
11          fibers and fiber-like cleavage fragments. So  
12          after considering each of these four factors,  
13          NIOSH made the determination that despite the  
14          limitations of the epidemiological studies, the  
15          evidence provided by the other three elements was  
16          sufficient to support a prudent public-health  
17          position to include the fiber-like cleavage  
18          fragments in its definition.

19          Since then, the decision to include the  
20          fiber-like cleavage fragments has been criticized.  
21          The critics have argued that the human and animal  
22          toxicity studies do not definitively demonstrate

1 the carcinogenicity of fiber-like cleavage  
2 fragments and so they should not be included in an  
3 asbestos policy. They also argue that including  
4 the fiber-like cleavage fragments does not provide  
5 additional protection of worker health, and at the  
6 same time increases both the cost of operation and  
7 exposure to liability.

8           The uncertainties in the research  
9 results have also led to different federal  
10 actions. In 1992 OSHA adopted a different view  
11 than NIOSH and removed the nonasbestiform forms of  
12 the minerals actinolite, anthophyllite, and  
13 tremolite that had been included in the asbestos  
14 standard promulgated in 1986. OSHA based its  
15 determination on two factors. The first was that  
16 the uncertainties in the data combined with other  
17 data showing no carcinogenic effect do not allow  
18 them to form the needed risk assessments for  
19 occupational exposure. The second factor OSHA  
20 used to make its decision was that the rule-making  
21 record did not indicate that there were exposures  
22 to these minerals in the workplaces that OSHA

1 regulates. More recently in 2005, MSHA has  
2 proposed a new rule that is intended to harmonize  
3 their rule with OSHA's and would also exclude  
4 nonasbestiform anthophyllite, tremolite, and  
5 actinolite.

6 In contrast to MSHA and OSHA, however,  
7 when an EPA peer consultation panel was asked in  
8 2003 about how to deal with fiber-like cleavage  
9 fragments, they indicated that they knew of little  
10 data to address the question, that in the face of  
11 having no direct evidence and knowing that  
12 dimension and durability are critical factors in  
13 pulmonary pathogenesis, their consensus opinion  
14 was that it is prudent to assume equivalent  
15 potency for cancer in the absence of other  
16 information to the contrary.

17 After considering the information  
18 available, it appears that additional knowledge is  
19 needed to enable us to update the NIOSH  
20 recommendations, and there seem to be three key  
21 issues related to the development of a new policy  
22 component of the NIOSH definition. The first

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 issue is whether other minerals should be  
2 included. There is substantial information  
3 available for investigations at Libby that could  
4 be used to support the inclusion of other  
5 amphibole minerals such as winchite and richterite  
6 in a mineral fibers recommendation. Substantial  
7 information is also available for other minerals  
8 such as aereonite that indicate that it should be  
9 included in the recommendation also. What still  
10 needs to be determined is whether there are  
11 minerals that should also be included.

12           The second issue is whether fiber-like  
13 cleavage fragments should be included. Various  
14 interpretations of the same research results  
15 suggest the available information does not provide  
16 a clear answer to this and that additional  
17 research is needed to provide better insight into  
18 the answer to this question.

19           The third key issue is whether the  
20 specified dimensions are the most appropriate.  
21 The cutoff at 5 micrometers in length was based on  
22 analytical requirements, though we have

1 information that potency varies with length, and  
2 it has not been demonstrated that particles less  
3 than 5 micrometers have no effect. Potency also  
4 seems to vary with particular diameter, so some  
5 additional investigations into the effect of  
6 dimensions seem appropriate.

7 Intertwined with the question of what to  
8 cover in a recommendation are the issue of how the  
9 minerals covered will be identified and  
10 quantified. With NIOSH's current asbestos  
11 definition, the analytical issues take on  
12 additional importance because the recommended  
13 exposure limit is based on limitations of the  
14 analytical method rather than being set at a  
15 health-protected level. Improvements in the  
16 sampling and analytical methods may allow us to  
17 develop an REL on health effects.

18 One of the issues that should be  
19 addressed is that the current counting rules do  
20 not restrict the counted particles to an  
21 aerodynamic diameter that is likely to reach that  
22 lungs so that some particles that are not

1 important in disease production can be counted.  
2 Another issue is that the PCM method can resolve  
3 particles down to about a quarter of a micrometer,  
4 but we know that fibers less than this width are  
5 important in the disease process. This would not  
6 be such an important issue if the ration of the  
7 unresolved particles were consistent between  
8 processes and workplaces, but we know that the  
9 ratio varies. We also know that PCM does not  
10 differentiate between asbestiform particles and  
11 fiber-like cleavage fragments.

12           Although TEM is used as a backup method  
13 for PCM, it also has limitations. The electron  
14 defraction pattern of asbestiform and  
15 nonasbestiform amphiboles are not significantly  
16 different and similar patterns can be obtained  
17 from each.

18           The inability to routinely differentiate  
19 between asbestiform fibers and fiber-like cleavage  
20 fragments has implications for both research and  
21 potentially practice. Methods to distinguish  
22 these forms will be necessary to clearly

1 understand whether there are differences in their  
2 health effects, and if there are differences,  
3 methods must be usable in practice for risk-  
4 management purposes as well.

5           So here we are in 2007 and there are  
6 still a number of uncertainties and issues in our  
7 understanding of both the health effects and the  
8 sampling and analytical methods which need to be  
9 addressed to allow us to move forward in  
10 developing new recommendations for asbestos and  
11 other mineral fibers. NIOSH is proposing that the  
12 best way to move forward is to develop a research  
13 agenda that will begin to address these key  
14 issues, and the intent of this research agenda  
15 should be, first, to provide the scientific  
16 information needed to craft evidence-based worker  
17 protection policies for mineral fibers. Second,  
18 that research should address the broad range of  
19 mineral fibers to which workers are exposed. And  
20 third, to refine our understanding of the  
21 characteristics of mineral fibers that are  
22 associated with their toxicity.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           To achieve these broad goals, NIOSH is  
2           suggesting that three strategic goals for research  
3           should be pursued. The first is to develop  
4           improved sampling and analytical methods; the  
5           second is to develop information and knowledge on  
6           occupational exposures and health outcomes; and  
7           the third is to develop a broader understanding of  
8           the important determinants of toxicity. At this  
9           point in the process of developing the research  
10          agenda, the suggested research is largely  
11          directional in nature. We are identifying the  
12          types of research that should be undertaken,  
13          rather than taking the prescriptive approach and  
14          identifying specific research projects. The  
15          exceptions to this are where we have ongoing  
16          research projects which are described in the  
17          Roadmap.

18                 Looking at the first of these strategic  
19          goals, the desired outcomes of research to improve  
20          sampling and analytical methods, are methods that  
21          accurately identify and quantify the particles  
22          contained in the policy. It is also important

1 that the sampling and analytical methods be able  
2 to clearly differentiate between particular types  
3 to enable both epidemiological and toxicological  
4 studies. At this time, the opportunities for  
5 addressing the major limitations of PCM seem to be  
6 limited. The alternative to PCM would be to rely  
7 on TEM which has come advantages but is also  
8 substantially more costly and time consuming which  
9 may not be acceptable for some work situations.  
10 Unfortunately, alternatives to these two methods  
11 has not been identified, so we have limited  
12 suggested research to improvements in the methods  
13 currently used. One of the major implications of  
14 either changing or modifying the sampling and  
15 analytical methods is that new risk assessments  
16 would be required based on exposure assessments  
17 using these new methods. With that as background,  
18 we identified five research objectives to be  
19 pursued.

20 The first objective is to improve the  
21 current PCM method by reducing interoperator and  
22 interlaboratory variability. A method under study

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 uses grids that are embedded on the filter and  
2 allow microscopists to consistently return to the  
3 same field so that differences between operators  
4 and laboratories could be identified and the  
5 causes of the differences evaluated. These  
6 procedures are currently being evaluated in  
7 collaboration with other researchers.

8 One of the major limitations of the  
9 current PCM method is the resolution. Optical  
10 microscopes are available that can resolve  
11 particles with smaller diameters, but they still  
12 may not resolve all of the particles of interest  
13 and further investigation of this option is  
14 needed.

15 The third objective for sampling and  
16 analytical research would be to develop methods  
17 that differentiate between the asbestiform fibers  
18 and fiber-like cleavage fragments. NIOSH  
19 currently has research underway to evaluate the  
20 new ASTM method for asbestos in mining, but  
21 additional research ideas for alternative methods  
22 that would differentiate between them would also

1 be valuable.

2           Because biopersistence is an important  
3 factor in the toxicity of mineral fibers, methods  
4 that incorporate an assessment of particle  
5 durability might prove to be valuable and could  
6 also improve the assessment of heterogeneous and  
7 unknown mixtures. If these methods are developed,  
8 they would need to be integrated with toxicity  
9 assessments to ensure that there is a high  
10 correlation.

11           The fifth objective is to address the  
12 issue of including for analysis only fibers that  
13 can reach the lung. Research is ongoing to  
14 identify and validate prefilters that meet the  
15 established thoracic size conventions.

16           The second strategic goal of the  
17 research agenda is to develop information and  
18 knowledge on occupational exposures and health  
19 outcomes. Information is needed to determine the  
20 numbers of workers exposed to various minerals as  
21 well as the exposure levels. This type of  
22 information is needed to identify populations for

1 health surveillance and possibly epidemiological  
2 research. It can also be used to prioritize  
3 toxicological and epidemiological research.

4           The objectives for research to  
5 accomplish this goal are threefold. The first  
6 objective is the identification of populations  
7 exposed to various mineral fibers and the  
8 subsequent collection and analysis of available  
9 exposure information, as well as the development  
10 of new exposure-related information as  
11 appropriate. The second objective is to collect  
12 and analyze available information on health  
13 outcomes and then analysis within the context of  
14 the exposures. This may be accomplished through  
15 the identification and review of available  
16 surveillance systems and registries as well as new  
17 systems and registries as appropriate. By  
18 combining the exposure and health outcome  
19 information we may be able to identify worker  
20 populations that can be included in  
21 epidemiological studies which could then be used  
22 to develop a better understanding of the

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 association between particle exposures and health  
2 effects, as well as the association between  
3 particle attributes and health effects.

4           One of the anticipated limitations of  
5 the epidemiological studies is that it will be  
6 difficult to identify populations that are exposed  
7 to specific minerals and are also exposed to  
8 particles in narrow ranges of length and diameter,  
9 so it seems that we will need to rely on  
10 toxicological studies to systematically study the  
11 effects length, diameter, and chemical composition  
12 as well as the various morphological  
13 characteristics such as asbestiform, acicular, and  
14 prismatic.

15           To accomplish this broad  
16 characterization of particle attributes that  
17 determine their toxicity, we envision the need for  
18 both in vitro and animal studies. The in vitro  
19 studies would be used to assess the effects of  
20 mineral particles on specific biological  
21 processes. At this time, in vitro tests are not  
22 available to study all of the biological processes

1 of interest, so there would be a need to develop  
2 and validate some new in vitro tests. Short-term  
3 animal tests would be needed to evaluate fiber  
4 deposition, translocation, and clearance  
5 mechanisms, as well as serve as a reference for  
6 development in validation of in vitro methods to  
7 assess biopersistence. Long-term animal studies  
8 are needed to address the impacts of dimension,  
9 morphology, and biopersistence on the chronic  
10 disease endpoints such as cancer and nonmalignant  
11 respiratory diseases. However, there is an  
12 important technological barrier to doing longer-  
13 term animal tests. Method to generate large  
14 amounts of narrow-size-range particles of  
15 naturally occurring minerals have not been  
16 identified or developed and so this is a key  
17 limiting factor to performing these tests. NIOSH  
18 is currently working on a method with a contractor  
19 to produce enough suitable material for long-term  
20 animal tests and we have had some promising  
21 results so far, as well as some disappointments.

22 That finishes the overview of the

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 proposed research agenda and NIOSH believes that  
2 the directions outlined in the research agenda  
3 would provide better information on which to base  
4 recommendations to protect workers from the  
5 elongated neuroparticles that impact their health.

6 In addition to what we hope to  
7 accomplish with this research agenda for asbestos  
8 and other mineral fibers, it is helpful to look at  
9 the long term and think about how this research  
10 can be used more broadly. When we do that, we  
11 suggest that it would be beneficial if we can use  
12 this research in combination with research on  
13 other elongated particles such as synthetic  
14 vitreous fibers and nanofibers to build toward a  
15 unified theory of fiber toxicity. As a starting  
16 point, the toxicity may be able to be predicted by  
17 some combination of chemistry, dimension, and  
18 biopersistence, but there may be other factors  
19 that are identified in research that should be  
20 included too.

21 If we can develop this unified theory,  
22 it could be used to develop evidence-based risk-

1 management approaches which could be implemented  
2 to protect workers from exposure to newly  
3 identified or manufactured materials. It would  
4 also be advantageous if a combination of in vitro  
5 and short-term animal tests could be identified  
6 that accurately characterize the toxicity of  
7 thoracic-sized fibers so that the resources needed  
8 to characterize and confirm their toxicity would  
9 be minimized.

10           Turning our thoughts back to the current  
11 proposed research agenda, we believe that the  
12 outcomes of this research are reasonably  
13 anticipated to produce new knowledge in  
14 occupational safety and health and to benefit  
15 workers' health which are outcomes directly  
16 related to NIOSH's mission. We recognize that  
17 achieving the established goals would require a  
18 significant investment of resources and that the  
19 results will have impact beyond the workplace. We  
20 are interested in leveraging our resources by  
21 developing partnerships with other federal  
22 agencies and other groups to conduct the research

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 needed as well as to move the research results  
2 effectively into recommendations and practice.

3 That is an overview of NIOSH's  
4 understanding of the issues and the directions we  
5 think research should take to enable us to develop  
6 more-informed recommendations to protect workers.  
7 We are interested in comments and input from our  
8 stakeholders so we can improve our understanding  
9 of the issues and develop a more-refined Roadmap.  
10 To that end we have identified five discussion  
11 issues about the Roadmap that we have asked for  
12 input on.

13 The first discussion is whether the  
14 hazard identification and discussion of health  
15 effects for asbestos and other mineral fibers are  
16 a reasonable reflection of the current  
17 understanding of the evidence in the scientific  
18 literature. The second discussion issue is the  
19 appropriateness and relevancy of the discussion of  
20 the current understanding of the analytical issues  
21 and the research needs for analysis for asbestos  
22 and asbestos and mineral fibers. The third

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 discussion issue is the appropriateness and  
2 relevancy of the discussion of the current  
3 understanding of the epidemiological issues and  
4 the research needs for understanding the health  
5 effects of asbestos and mineral fibers. The  
6 fourth issue is the appropriateness and relevancy  
7 of the discussion of the current understanding of  
8 the toxicological issues and the research needs  
9 for understanding the health effects of asbestos  
10 in mineral fibers. The fifth issue is the  
11 appropriateness and relevancy of the discussion of  
12 the path forward and whether the ultimate vision  
13 is of reasonable outcome for the proposed research  
14 strategy for asbestos and mineral fibers. Those  
15 are the five issues in summary, and with that I  
16 will turn it back to Frank.

17 MR. HEARL: Thank you, Paul. We are now  
18 ready to begin with the main agenda that was  
19 passed out in the back for the people who had  
20 presigned for 15-minute time presentations. The  
21 first person on our list is Mr. William C. Ford  
22 from the National Stone, Sand, and Gravel

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 Association. I ask you if you could come on up,  
2 Mr. Ford, and make your presentation. I would  
3 also ask that as you begin if you could state your  
4 name, your affiliation, and the identity of any  
5 other party or organization on whose behalf you  
6 are presenting.

7 MR. FORD: Good morning. Mister  
8 Chairman, members of the NIOSH Peer Review Panel  
9 and the NIOSH Mineral Fibers Work Group, ladies  
10 and gentlemen. My name is Bill Ford. I am Senior  
11 Vice President of the National Stone, Sand, and  
12 Gravel Association located in Alexandria,  
13 Virginia.

14 On behalf of the National Stone, Sand,  
15 and Gravel Association, our fellow stakeholders  
16 and cosponsors of three presentations which you  
17 will see this morning, the American Road and  
18 Transportation Builder's Association, the  
19 Associated Builders and Contractors, and the U.S.  
20 Chamber of Commerce, we are pleased to bring you  
21 three presentations that are relevant to the Draft  
22 Roadmap for Asbestos Research in response to the

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 invitation for comment from the National  
2 Institutes for Occupational Safety and Health. We  
3 appreciate very much the agency's outreach to  
4 obtain their views and our views on this very  
5 important matter before us today.

6           At the outset, I want to make a very  
7 important fundamental point, and the point is that  
8 asbestos is a serious human health hazard and a  
9 known human carcinogen. Harmful exposure to it  
10 must be strictly controlled. Also at the outset I  
11 want to cover some basic mineralogy to set the  
12 stage for presentations that you will see later  
13 today and provide some context for what you are  
14 going to hear. You will hear more about  
15 mineralogy from the other presenters today, but we  
16 need to lay some basic groundwork at the  
17 beginning.

18           I am going to be talking about two  
19 different types of minerals, asbestiform and  
20 nonasbestiform minerals. My fellow presenters,  
21 this is a very tricky button and it is very  
22 sensitive, so be careful as you use it.

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1           This set of 12 pictures shows the  
2 difference between the two types. Those minerals  
3 in the first and the third column here and here  
4 are the six minerals which are known commercially  
5 as asbestos. Note that they have a unique  
6 physical structure. They are composed of bundles  
7 of long, slender fibers. The minerals in the  
8 second and the fourth columns are chemically  
9 identical minerals to those in the first and third  
10 columns, but they are ordinary rock. Why are they  
11 different?

12           As the drawing shows, the asbestiform  
13 minerals consist of fibers that grow almost  
14 exclusively in one dimension. They are easily  
15 bent and they appear as bundles of smaller fibers  
16 which are called fibrils. Asbestiform minerals  
17 are also long and thin with aspect ratios  
18 typically 20 to 1, or 100 to 1 or greater. Most  
19 asbestiform fibers are less than a micron on width  
20 and nearly all are less than a half-micron in  
21 width, and the individual fibers are visible only  
22 with the microscope.

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190



1 asbestos and disease-causing minerals in the  
2 natural mixed-dust environment risks failure to  
3 accurately disease-causing asbestiform minerals  
4 and it risks underestimating the adverse health  
5 effects from those minerals. Getting it wrong can  
6 also cause us to misinform the public and to  
7 misdirect and misuse scarce public-health  
8 resources on problems that do not exist.

9           In summary, let me make several key  
10 points. Asbestos is a serious human health hazard  
11 and a known human carcinogen. Harmful exposure to  
12 it must be controlled. The six regulated  
13 commercial asbestos minerals can exist in the rare  
14 asbestiform variety, or commonly they exist in the  
15 ordinary nonasbestiform variety found in many  
16 igneous and metamorphic rocks. Studies show that  
17 the ordinary nonasbestiform rocks do not cause  
18 asbestos-like disease, and this has been studied  
19 extensively for over 30 years. Differences  
20 between the asbestiform and nonasbestiform mineral  
21 varieties are evident in their physical form but  
22 not in their chemical composition and the

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 challenge then becomes to differentiate between  
2 the two. This can be done through carefully and  
3 clearly drawn definitions and discriminating  
4 analytical methods.

5           Current analytical methods in fiber  
6 definitions for asbestos were designed for  
7 settings where commercial asbestos was produced  
8 and were not based on mineralogical  
9 characteristics nor health effects. These test  
10 procedures are not useful in the natural mixed-  
11 duty environment where asbestos is rarely present  
12 because they cannot distinguish between  
13 asbestiform and cleavage fragments that are  
14 frequently found in the outdoor environment. New  
15 test methods to measure the lower concentrations  
16 of asbestos that can occur in the natural mixed-  
17 dust environment are needed. Pure asbestos  
18 analytical standards without cleavage fragment  
19 contamination are needed to help laboratories  
20 identify and distinguish asbestos from common rock  
21 fragments.

22           In addition, a voluntary laboratory

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 accreditation program similar to the National  
2 Voluntary Laboratory Accreditation Program, the  
3 NVLAP program operated by NIST is needed to help  
4 assure local testing laboratories produce accurate  
5 results. ASTM's new consensus standard which was  
6 published last July, and that is D70-200-06 for  
7 measuring asbestos in the natural mixed-duty  
8 environment is a positive step in the right  
9 direction. Regulation and legislation addressing  
10 asbestos must have definitions and test methods  
11 based on peer-reviewed science and be both  
12 accurate and specific enough to measure regulated  
13 asbestiform minerals while excluding ordinary  
14 prismatic rock-forming minerals.

15 I am pleased to introduce and present to  
16 you now three experts who are going to briefly  
17 review their work in this field. Dr. Ernest  
18 McConnell has spent a lifetime designing,  
19 conducting, and interpreting animal carcinogenesis  
20 studies including several involving various types  
21 of asbestos and man-made mineral fibers. Dr.  
22 Graham Gibbs has over 40 years of experience in

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 fiber and health field research. And Wayne  
2 Berman, a Ph.D. physical chemist, began his career  
3 in a group that pioneered procedures for site  
4 risk-assessment under the Superfund Program. He  
5 has conducted hundreds of risk assessments for  
6 government and private clients and since 1985 he  
7 has been conducting research to investigate the  
8 characteristics of asbestos that predict risks,  
9 and he co-authored the Asbestos Risk Assessment  
10 Protocol that EPA suggested to a peer-review  
11 consultation workshop in 2003.

12 On behalf of our co-sponsors, thank you  
13 in advance to our three presenters that you are  
14 going to hear shortly, Dr. McConnell, Dr. Gibbs,  
15 and Dr. Berman, and thank you to NIOSH for  
16 inviting us to share our comments and research  
17 with you. We will provide additional information  
18 to the docket and copies of the presenters'  
19 papers. Thank you very much.

20 MR. HEARL: I would like to welcome up  
21 our second speaker, Dr. Ernest McConnell. Dr.  
22 McConnell? If you could also begin by stating

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 your name and affiliation.

2 DR. MCCONNELL: My name is Gene  
3 McConnell. I am President of ToxPath Inc., in  
4 Raleigh, North Carolina. My expertise as you  
5 heard is in the design and conduct and  
6 interpretation of rodent animal bioassays  
7 particularly the long-term ones that involve  
8 production of chronic effects such as in this case  
9 pulmonary fibrosis and cancer.

10 First I would like to state that these  
11 comments that I am going to make represent my own  
12 personal views and not necessarily those of the  
13 sponsor of this. Second, that this presentation  
14 is in large part from a paper that John Addison  
15 and I gave at the Taconite Conference in Minnesota  
16 in 2003.

17 What I am going to do is try to  
18 reiterate, some of you know this, why a fiber can  
19 be toxic. Second, animal studies that are  
20 pertinent to the subject today particularly  
21 cleavage fragments. I am going to stress that  
22 because that seems to be the new part as I

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 understand it of what the Roadmap is about. And  
2 finally, I am going to try to put this in the  
3 context of the Bradford Hill criteria that have  
4 been used in epidemiological studies, but I have  
5 found them very useful in studying a problem like  
6 this.

7           What makes a fiber pathogenic? First of  
8 all, there is no intrinsic toxic chemical in these  
9 fibers. If you would happen to dissolve them in  
10 the lung, there is no particular mineral in there  
11 that is going to make you sick or anything else.  
12 In fact, I have calculated in the past that in  
13 these animal studies that if every fiber in the  
14 animal dissolved, it would not add more than about  
15 5 percent to the body burden of those minerals  
16 that are already in that animal. So you cannot  
17 think of this in terms of the toxicity of the  
18 material itself, you have to think of it in the  
19 physical parameters as we alluded to earlier.

20           What are those physical parameters?  
21 First of all, you have to remember dose, a lot of  
22 times we forget this in our studies of minerals,

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 and that is, if you never get exposed to  
2 something, obviously it cannot cause any disease,  
3 so dose has to be considered in any study that  
4 somebody does. My own personal view is that when  
5 you design these studies, at least one of the  
6 doses should be relevant to what humans might get  
7 exposed to to put it in the context of whether  
8 this is a true hazard or not.

9           The second is dimension. You heard more  
10 about that earlier. The only point I want to make  
11 here that is specific to the Roadmap and to the  
12 cleavage fragments is that if you believe in the  
13 dimension issue, then you have to look at the  
14 number of those structures that meet that critical  
15 size, that is fibers probably longer than 10  
16 micron and less than a half-micron in diameter.  
17 If the structure does not meet that criteria,  
18 obviously it will behave more like a nuisance dust  
19 than an asbestos fiber, so you have to think of  
20 that. If you do not create those with cleavage  
21 fragments, then it's my view that they will not be  
22 very hazardous unless you get the very long ones,

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 and then you have to think about the number of  
2 very long ones that you could get.

3 Durability is not a very big problem in  
4 the minerals we are talking about today because  
5 probably except for chrysotile are equadurable and  
6 therefore biopersistent. Some people look at  
7 these two terms as the same, biopersistance and  
8 durability, and they are not, although durability  
9 does impact on biopersistence. Durability you  
10 might want to think of as how of as how fast the  
11 fiber can dissolve in a biological environment  
12 like the lung because we know that the figures to  
13 cause disease in humans as well as animals have to  
14 reside in that lung for quite a long period of  
15 time. What argues for that is that the  
16 development of the various diseases does not occur  
17 immediately after exposure but takes a long time.  
18 In other studies where you have used temporal  
19 studies where you have only exposed for short  
20 periods of time, I am talking about animals now,  
21 it is very clear that those do not produce the  
22 same amount of disease as the chronic exposure.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 So there is a lot of good scientific information  
2 that shows that those materials have to reside in  
3 the lung for very long periods of time to be  
4 effective in causing disease. So you have to  
5 consider that.

6 Finally, one I am becoming more and more  
7 intrigued with is the surface activity. I was a  
8 little critical of the importance of surface  
9 activity initially, but I am a believer now. That  
10 is that it really does help me explain why these  
11 fibers that reside in the lung a long period of  
12 time start causing pathologic changes. I can see  
13 no other explanation for it other than they are  
14 stimulating something in that lung of a  
15 nonchemical nature because of their properties,  
16 not their chemical properties, but probably their  
17 surface properties simulate the cells to produce  
18 the cytokines which can be protective but also can  
19 hazardous or pathogenic. I think if I were  
20 putting some money into research I would push this  
21 a little bit more particularly in trying to see if  
22 cleavage fragments are different than fibers. The

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 information that we have to date, however,  
2 suggests they are different and therefore you  
3 would a different biological response, but some  
4 more work could be done in that area.

5 I am not going to go over all the animal  
6 studies because we do not have time for that. To  
7 summarize, in 2003 when we reviewed this, John  
8 Addison and myself, we tried to find every paper  
9 we could where a mineral in the asbestiform and  
10 the nonasbestiform had been given using the same  
11 sort of protocol. We found quite a few of those  
12 kinds of studies. Without exception, the  
13 asbestiform caused lung cancer and mesothelioma in  
14 rodents, while the cleavage type of the very same  
15 mineral did not with the same exposure. For me, I  
16 thought the question was settled at least for  
17 these end points that there was a true difference  
18 cleavage fragments and asbestiform minerals of the  
19 same type.

20 Similarly, we reviewed the in vitro  
21 studies and found the same sorts of things,  
22 although the database was not as robust as it was

1 with the animal studies. It may be more robust  
2 today. I have not reviewed the literature in the  
3 last 3 years in that area. That is not an area of  
4 my expertise. But when we reviewed that at that  
5 time, it appeared that there was a difference  
6 between cleavage fragments and asbestiform fibers  
7 in terms of their activity in cell cultures, and  
8 you may hear more about that today.

9 Let's look at the Bradford Hill  
10 criteria. As I mentioned, these are criteria that  
11 are used to evaluate epidemiological studies, but  
12 some of them, in fact most of them, I think are  
13 relevant to viewing any kind of a science problem,  
14 and let's go through those.

15 Strength of association. What that  
16 means is it is used in the weight of the evidence  
17 approach, is there an association between the  
18 material you are interested in and the events you  
19 see? It is very clear with the study of  
20 asbestiform fibers that these diseases are  
21 associated. So we have met that criteria.

22 Consistency. I think this is

1 particularly important in animal studies, and that  
2 is, does one study mimic another study, mimic  
3 another study, and the next study and so forth, or  
4 are there a lot of exceptions? If there is a real  
5 mix in results, that says that there may or may  
6 not be an affect. If the results are consistent  
7 from one study to another using different routes  
8 of exposure in the case of these minerals we are  
9 talking about today, then that increases your view  
10 that there is a true effect or a true no effect.  
11 In this case, I think it is very clear that with  
12 the asbestiform fibers that you do consistently  
13 get these same effects, that is, when it is  
14 inhaled or instilled in the lung, pneumoconiosis,  
15 if you will, or fibrosis, either lung cancer  
16 and/or mesothelioma. In contrast, when you use  
17 the cleavage fragments in the same studies, you  
18 consistently do not get these diseases which for  
19 me suggests that there is quite a difference.

20           Specificity. It is a little bit like  
21 the strength of association and is the effect  
22 specific to the cause, and it obviously is. The

1 temporality in the Bradford Hill is probably not  
2 applicable to experimental studies because in  
3 epidemiological one of the criteria is that you  
4 have to have the exposure prior to the disease.  
5 In animals we make sure that happens, or we  
6 should.

7           The biological gradient. Again, this is  
8 pretty clear with animal studies. What that is is  
9 essentially dose response, do you get an  
10 increasing effect with increasing dose, and with  
11 all of these mineral fibers you do. So it is very  
12 clear and I think it meets that criteria.

13           Plausibility. Plausibility is, does  
14 this make sense? That is the way I interpret it.  
15 Does it meet the I feel right about this  
16 criterion? That is, if you give this mineral, for  
17 instance, either a cleavage fragment or -- does it  
18 make sense, and these do. You get the same  
19 effects in the lung that you would expect to get  
20 in an animal and a human, and therefore for me  
21 there is strong plausibility.

22           Coherence is similar to plausibility but

1 incorporates the temporality into the equation, so  
2 does not fit animal experiences as well.

3           The experiment, was it designed right,  
4 was it conducted right, was it interpreted  
5 correctly, and I think that these studies, at  
6 least the ones I reviewed, while I could have  
7 tweaked them and been critical and made them a  
8 little better, I think the bottom line is that  
9 they are quite adequate to answer the question,  
10 the question being, whether cleavage fragments are  
11 different.

12           Analogy. We have that, too, because  
13 analogy is if you take one kind of asbestos,  
14 compare it to another kind of asbestos and to  
15 another kind, do you get the same events, and you  
16 do.

17           In summary, for me the weight of the  
18 evidence using the Bradford Hill paradigm strongly  
19 suggests that the pathogenic potential of cleavage  
20 fragments is clearly less than that of the  
21 asbestiform variety of the same mineral. Second,  
22 there is no evidence that cleavage fragments are

1 carcinogenetic in rodents, but there are  
2 asbestiform counterparts that clearly are.

3           With regard to the Roadmap, I would  
4 suggest that if you are going to develop some new  
5 tests that you look at that ISLI document that EPA  
6 sponsored that essentially did very similar kinds  
7 of things for manmade mineral fibers and I think  
8 it will help you a great deal because we went  
9 through a lot of work to prepare that.

10           Finally, I will submit some suggestions  
11 with regard to the Roadmap that you consider at  
12 your leisure. I think I am on time.

13           MR. HEARL: Yes, you are, sir.

14           DR. MCCONNELL: Thank you.

15           MR. HEARL: Thank you very much. Our  
16 agenda says that we go to break but we are  
17 actually a half-hour ahead of schedule. We are  
18 trying to make up some extra time so we have time  
19 to hear from people who signed up in the back who  
20 were not on the preregistered agenda. So we are  
21 going to move to the next presentation directly,  
22 and that will be Dr. Graham Gibbs from Safety

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 Health Environment International Consultants.  
2 Again I would ask as you begin if you could state  
3 your name, your affiliation and identify and  
4 parties that you are speaking on behalf of.

5 DR. GIBBS: I am Dr. Graham Gibbs. I  
6 have my own company which is Safety Health  
7 Environment International Consultants Corp., and I  
8 am also an adjunct professor at the University of  
9 Alberta, and this tells you something about my  
10 background. I was invited today by the National  
11 Stone, Sand, and Gravel Association to provide  
12 some comments. I would like to thank you for the  
13 opportunity here to do so.

14 My background, I've spent a fair amount  
15 of my life on asbestos and dealing with  
16 occupational cancer, occupational disease and in  
17 particular in the field of epidemiology and some  
18 occupational hygiene.

19 What I'd like to do is to share with you  
20 the results of a report that I prepared with Dr.  
21 Gamble. What you're going to hear will be my  
22 opinions, but also I'd like to provide a couple of

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 comments concerning the mesothelioma issue in  
2 Minnesota as well. Any additional comments, I  
3 understand the association is going to provide  
4 some comments to NIOSH on the roadmap, and I will  
5 provide them with some information to add into my  
6 presentation.

7           What we did was to look and compare the  
8 lung cancer mesothelioma experience of workers  
9 exposed to cleavage fragments with the  
10 mesothelioma and lung cancer experience of people  
11 exposed to asbestos.

12           To do this, we looked at where have  
13 epidemiological studies been done, and they've  
14 been done in the gold mine in South Dakota -- this  
15 is a Homestake gold mine -- in taconite mines in  
16 Minnesota and in a talc mine in St. Lawrence  
17 County in New York.

18           We identified asbestos exposed workers  
19 from abestiform amphibole exposed workers for any  
20 amocite asbestos mines and in manufacturing  
21 facilities. We also identified anthophyllite  
22 asbestos mines and mills and abestiform tremolite

1 exposed workers in the vermiculite minutes in  
2 Montana. In my presentation, I'm going to use the  
3 term, tremolite. I think in the roadmap, they've  
4 already raised the issue that other minerals might  
5 be involved in some of these mining activities.

6 So let's have a look at the results for  
7 grunerite. Here, we have the non-asbestiform  
8 grunerite, and we're looking here at standardized  
9 mortality ratios. Here are the sources of the  
10 data that are provided along the bottom.

11 So, on the left, we have the experience  
12 for lung cancer, looking at the non-asbestiform  
13 grunerite, and you can see that basically there's  
14 less than one except for a little blip here in the  
15 Homestake mine and in terms of mesothelioma,  
16 really nothing.

17 We did include a mine hematite study  
18 where there was no amphibole involved at all. We  
19 chose this because we were mining iron, and here  
20 we have iron-rich rock. Again, the picture was  
21 the same.

22 When we come to the actual production of

1 asbestos and manufacture asbestos products, you  
2 can see that we have increased risk of lung  
3 cancer, very clear, quite high SMRs, and  
4 mesotheliomas are evident.

5           When we took a look at the data from  
6 Steenland and Brown, we could see for lung cancer  
7 that really there is not much of a dose response  
8 relationship within the range of exposures that we  
9 were able to estimate for these workers. On the  
10 other hand, pneumoconiosis was extremely steep.  
11 We think this is in part, of course, due to the  
12 fact they were exposed also to silicate in the  
13 mine. Almost certainly, this is silicate-related  
14 pneumoconiosis and not, in our view, at least my  
15 view, the non-asbestiform grunerite  
16 pneumoconiosis.

17           On the other hand, for lung cancer in  
18 the insulation workers, reported by Seidman,  
19 there's a very clear exposure response  
20 relationship. So the asbestos shows extremely  
21 clear exposure response even down in this region,  
22 whereas the non-asbestiform minerals did not.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           Let's look at the results now for the  
2 tremolite and anthophyllite. If we take a look at  
3 the paper by Honda, looking at the exposure  
4 response for lung cancer, they found in fact that  
5 the risk for increasing exposure in the New York  
6 talc miners actually decreased with increasing  
7 exposure. That's not what you expect when in fact  
8 you have a relationship between an agent's  
9 exposure and risk.

10           If we take a look, on the other hand, at  
11 fibrosis which is in that same mining activity, it  
12 clearly increases with increasing exposure. So  
13 the fibrosis increases, but the carcinogenic risk  
14 does not seem to be there.

15           If we now look at the situation with  
16 tremolite which, of course, is one of the  
17 minerals, and non-asbestiform tremolite is  
18 reported to occur in the talc mine together with  
19 non-asbestiform anthophyllite as well. If we look  
20 at the Libby situation where workers are exposed  
21 to tremolite, there's a very clear exposure  
22 response with the tremolite. There is an exposure

1 response related to pneumoconiosis and some slope  
2 associated with the mesothelioma risk in that  
3 industry.

4 Now, if we look again at the question of  
5 talc but now in mines where in fact there are no  
6 amphiboles present, we took a look at France and  
7 Austrian talc workers to look and see, did they  
8 show with talc, in the absence of any amphiboles  
9 at all, any increase in risk. In fact, the lung  
10 cancer risk in these workers clearly is there are  
11 no increased risks. On the other hand, they did  
12 see an increase in pneumoconiosis which rather  
13 suggests that maybe the talc is related to the  
14 pneumoconiosis. But certainly the non-*abestiform*  
15 amphiboles are not increasing the risk of lung  
16 cancer in the other situation, and the risk is  
17 about the same as in the non-amphibole containing  
18 mining activities.

19 If we now take a look at the amphibole  
20 fragments from Vermont, the curious thing, which  
21 has not been explained and is still one of those  
22 questions that probably has to be tackled, is why

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 in Vermont they show -- sorry, in the New York  
2 talc area -- show an increased risk of lung  
3 cancer. The lung cancer does not increase with  
4 increasing fiber exposure, I mean as defined by  
5 NIOSH. It decreases. So why do they have an  
6 increased risk of lung cancer?

7 Now you might ask the same thing for the  
8 Vermont activities, where in fact they have a talc  
9 which does not contain amphiboles, but in fact  
10 they have an elevated risk. For some reason,  
11 whether the smoking explains it all, we really  
12 don't know.

13 In terms of talc without amphiboles,  
14 this is the situation there. Again, if we look at  
15 the anthophyllites from Finland, we look at the  
16 tremolite from Libby, the situation is high risk  
17 of lung cancer and mesotheliomas.

18 For those who like to work with numbers,  
19 what I've done here is to sort of total the  
20 picture, add up the various study numbers to see  
21 what the overall SMRs might be. You can see here  
22 that for the grunerite, the total population adds

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 up to over 9,000 people of whom about 20 percent  
2 are dead. It's very similar.

3 Here, we've got 12,000 for the non-  
4 asbestiform grunerite. The population, again a  
5 slightly higher percentage are dead. So we're not  
6 comparing apples and oranges. They're about the  
7 same point in time.

8 But when we look at mesothelioma here,  
9 we see 1.2 percent but here, none. Now there were  
10 some mesotheliomas mentioned in the reports, but  
11 they all gave good reasons why in fact they were  
12 not counted or they were excluded for inclusion.  
13 The final report, which will be made available to  
14 NIOSH, does include the details of these as well.

15 In terms of SMR, the SMR was almost  
16 three for the asbestiform grunerite -- that's the  
17 amosite exposed workers -- whereas for the non-  
18 asbestiform grunerite, it was less than one.  
19 These are quite reasonable numbers, so this is a  
20 pattern which seems to be holding on.

21 Something we attempted to do was to take  
22 a look at the exposure response I showed you

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 earlier on one of the pictures, and the numbers  
2 look like this. What we did was to use some data  
3 which had been, I think, based on measurements  
4 made by NIOSH at one point to convert a million  
5 particles to fibrous per cc, and we applied this.  
6 We can argue about whether these are the right  
7 numbers or not, but this is what was published and  
8 what was available.

9           When we applied that to look at this  
10 dose response, we found that the risk for the non-  
11 asbestos grunerite did not really have any dose  
12 response relationship, but even within that lower  
13 range of exposure for the abestiform fiber,  
14 clearly an increase in SMRs. Of course, this went  
15 out to quite a high risk out at that end, but we  
16 had no exposures to the non-asbestiform grunerite  
17 at those levels.

18           Now about Minnesota, recently, we've  
19 seen headlines in newspapers concerning an excess  
20 mesothelioma in the northern part of Minnesota,  
21 something like twice the average level for the  
22 rest of the state. I suggested some years ago,

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 and I think one of the things that really does  
2 need to be done is a well conducted  
3 epidemiological study of mesothelioma with  
4 appropriate controls and I think tissue analyses  
5 to find out what are these people actually exposed  
6 to and what are the controls.

7 I suspect that what the state is  
8 currently saying, that any mesotheliomas are  
9 probably related to other exposures from work  
10 involving commercial asbestos fibers, is probably  
11 correct. I think that needs to be examined.

12 Two other thoughts I'd like to throw in:  
13 The thoracic fraction, I think we need to be  
14 cautious about jumping to whole new methods. We  
15 already have problems with conversion in  
16 epidemiology. In fact, even though we've known  
17 for more than 20 years that if we count fibers,  
18 we're counting fewer crocidolite fibers than we  
19 are amosite fibers and yet none of the standards  
20 are taking that into account. So we're going to  
21 develop new methods. Whether or not they're going  
22 to be applied becomes an important issue.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           I hear a few suggestions perhaps on ways  
2           that we might look at in terms of distinguishing  
3           cleavage fragments. It seems to me that maybe  
4           magnetic alignment of fibers might be something  
5           worth looking at to see whether or not cleavage  
6           fragments behave the same way as other fibers. Of  
7           course, new nanotechnology experience will  
8           surface.

9           One overall general comment and  
10          suggestion I'd like to offer as I close is that I  
11          think a meeting like this provides such a  
12          superficial look at such a complex issue, that I  
13          think that really what would be beneficial for  
14          NIOSH would be to have a number of workshops or  
15          think tanks on very specific topics, where you  
16          bring together people who really have spent a lot  
17          of their time doing this in the past, so you don't  
18          reinvent the wheel.

19          Secondly, I think it's important to  
20          recognize at the same time what has changed is  
21          that nowadays the levels of exposures are so low  
22          that some of the things we would like to have done

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 and could have done in the past aren't there, and  
2 the technology has also changed which maybe  
3 permits us to do some of these things we would  
4 loved to have done 20 years ago which now we may  
5 be able to.

6 Thank you very much.

7 MR. HEARL: Thank you, Dr. Gibbs. Our  
8 next speaker, and I think this is going to be the  
9 last speaker before we take a short break, will be  
10 Dr. Wayne Berman, and he is from Aeolus,  
11 Incorporated.

12 Again, I would ask as you begin if you  
13 could state your name and your affiliation and who  
14 you're representing here.

15 DR. BERMAN: Again, I'm Wayne Berman,  
16 and I'm President of my own corporation, Aeolus,  
17 Inc. I'm representing myself here today,  
18 providing my own comments, although I was invited  
19 by the National Stone, Sand and Gravel Association  
20 to come here.

21 One of my areas of expertise is in risk  
22 assessment and since we're all interested in

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 protecting public health, we therefore need to  
2 evaluate risks and then apply them to areas where  
3 we're concerned so that we can predict risk and  
4 therefore develop appropriate risk management  
5 procedures. I thought I would provide some  
6 practical ideas that I got which might suggest  
7 some other ways of refocusing some of the research  
8 that's being proposed, and I got these ideas from  
9 reading through the roadmap.

10 I'm going to focus just very briefly on  
11 some comments on the literature review that is in  
12 the roadmap. Then I want to illustrate some  
13 potential misconceptions that I hope to make  
14 obvious and should be taken into account when  
15 designing and focusing the research efforts, and  
16 then actually make some recommendations regarding  
17 future research.

18 With regard to the literature review, I  
19 would like to suggest that the literature is much  
20 richer and broader than certainly the list of  
21 citations in the roadmap suggest. One of the  
22 things that I plan to do is to provide a list of

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 citations and a set of written comments with an  
2 additional set, well, a much larger set of studies  
3 that should be considered.

4 Just as one example, in the review of  
5 the epidemiological literature, the roadmap, from  
6 what I could see, basically talks about studies  
7 from three environments when there are close to 30  
8 environments that are relevant and should be  
9 considered. In fact, some of those other ones,  
10 Graham Gibbs has talked about today.

11 The next thing I want to talk about now  
12 is I want to talk about some potential  
13 misconceptions. I think it's important to  
14 recognize that arbitrarily including a greater  
15 range of structure sizes and types and counts to  
16 determine exposure concentrations is not  
17 automatically health-protective, and I hope to  
18 illustrate that shortly.

19 I also want to suggest that efficient  
20 evaluation of the effects of structure, size and  
21 type does not necessarily require creation of  
22 samples containing pure sizes or types, in other

1 words, samples that are in narrow ranges of  
2 structures, sizes and shapes.

3 I also want to suggest that animal and  
4 cell culture studies are not necessarily more  
5 informative than better characterizing the  
6 historical human exposures in the existing  
7 epidemiology studies. After all, we are  
8 interested in disease among humans. If we could  
9 better understand the exposures that the various  
10 cohorts have already been studied and even some of  
11 the newer ones that are being studied, if we can  
12 better understand those exposures, we might be  
13 able to do much better risk assessment using the  
14 human data directly.

15 Finally, one other misconception I want  
16 to touch on is that it's important to consider  
17 that to reasonably evaluate the effects of size  
18 and type, it's difficult to do this in single  
19 exposure environments. You need to have a robust  
20 range of environments that have very varying  
21 characteristics so that you can get good  
22 statistical power for distinguishing among the

1 effects.

2           So with regard to counting everything, I  
3 put together an illustration here. Very briefly,  
4 this is kind of the paradigm for how one does risk  
5 assessment. What you do is you do a series of  
6 research studies where you track the disease. In  
7 the case of humans, you track the disease in a  
8 cohort that you follow, and you characterize the  
9 exposure. Then by looking at the relationship  
10 between the disease that you see and the exposure,  
11 you develop a series of slope factors that  
12 represent the relationship between exposure and  
13 response.

14           Then what you do is in your study  
15 environments, which are the environments which  
16 you're worried about, you're concerned about risk,  
17 you don't know what the disease is because you  
18 want to predict it. But what you do then is you  
19 characterize the exposure and you apply the  
20 exposure response factors that you derive from  
21 your research studies, and you predict risk.

22           Now what I want to show is, just to

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 simply this, let's suppose you have a single  
2 epidemiology study in which case the amount of  
3 disease in that study among those cohorts is  
4 fixed. If you then define the exposure in two  
5 different ways, one with a larger number of  
6 structures included and one with a smaller number  
7 of structures, obviously when you then calculate  
8 the exposure response factors or the slope  
9 factors, what will happen is, if you use the  
10 metric where there's a larger number of  
11 structures, you're dividing the same amount of  
12 disease among a greater number of structures. So  
13 what will happen is the slope factor will be much  
14 shallower. So you'll be predicting that each  
15 individual fiber is much less potent than if you  
16 look at a metric in which you include a smaller  
17 number of structures.

18 Now if you then go out and apply those  
19 different slope factors to studies where you want  
20 to predict disease, what will happen is, depending  
21 on the ratio of the various metrics, in some  
22 cases, because you're predicting a shallower

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 slope, you can potentially underestimate the risk  
2 in at least some of those environments. That's  
3 why I think it's important to understand that  
4 arbitrarily counting larger numbers of structures  
5 will not automatically be health-protective.

6 Really, the best way to be health-  
7 protective is to best understand what the actual  
8 biologically active set of structures are, to  
9 develop the actual slope factor that's  
10 corresponding to that and then applying it to the  
11 environments that you want to predict risk.

12 Let me just illustrate in another way  
13 more generally how this works, specifically with  
14 regard to the phase contrast microscopy metric  
15 which is the metric that NIOSH currently uses.

16 This is just a graph that represents the  
17 kinds of structures that might appear in a dust.  
18 Along the x-axis are the lengths of the structure;  
19 along the y-axis are the widths of the structures.  
20 This line here represents a 3:1 aspect ratio which  
21 is the minimum length to width aspect ratio that's  
22 currently in the definition of a fiber that's used

1 by NIOSH.

2 A couple of other lines here that are  
3 important: These two blue lines, based on my  
4 understanding from the literature and from  
5 speaking with geologists, represents the range of  
6 structures, ranges of sizes that are typically  
7 found among cleavage fragments. In fact, they  
8 tend to straddle this line. You get fewer and  
9 fewer of them as you go this way. In other words,  
10 most of them have very low aspect ratios.

11 In contrast, this green line here,  
12 between this green line here and the x-axis  
13 represents where most of the structures occur that  
14 are true asbestiform structures. In fact, in this  
15 case, most of them hug this x-axis. There are  
16 fewer and fewer of them as you head up this way.

17 What you see in the crosshatch here,  
18 this is the set of fibers that are actually  
19 counted by the PCM metric. One very important  
20 thing is you see that it misses these thinnest and  
21 longest asbestiform structures which many in the  
22 literature suggest are in fact the most potent.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           Also, this red line here represents the  
2           limitive respirability, and you can see that the  
3           PCM metric in fact includes a lot of structures  
4           that are not respirable. This is probably one of  
5           the reasons that in the 1995 study of the animal  
6           inhalation data, that I collaborated with and  
7           published, showed that the PCM metric in fact  
8           showed a statistically significant lack of fit to  
9           the animal inhalation data. So it was not a good  
10          predictor of risk, at least in those data.

11           So you can choose other metrics, and  
12          here's another example of a metric. This actually  
13          is a metric that at the moment is proposed in the  
14          protocol that I co-authored, which is in the  
15          bluish area here. You can see it's long and thin  
16          structures that it focuses on, and it captures  
17          most of the asbestos structures, captures fewer  
18          cleavage fragments, but that's coincidental. We  
19          weren't looking to distinguish that.

20           What we were looking for was to try and  
21          improve the ability to predict risk. In fact, in  
22          the protocol document that we developed, we did

1 show that this metric in fact does better predict  
2 risk among the existing studies than the PCM  
3 metric. When the appropriate data become  
4 available, I believe that this metric can even be  
5 further optimized, but at the moment it does  
6 apparently do a better job at predicting risk  
7 certainly than the existing PCM metric.

8 Now the next thing I want to talk about  
9 briefly is I want to try and illustrate why it may  
10 not be necessary to spend a lot of time trying to  
11 create samples that contain pure sizes and types.  
12 It's a lot of math, but let me just point this  
13 out.

14 Let's suppose for the moment this is a  
15 very highly stylized and simplified, believe it or  
16 not, representation. Let's suppose you have a  
17 series of animal studies, and let's suppose you  
18 have five of them, for example. In these animal  
19 studies, each of the animals are dosed with a  
20 different type of material that has a range of  
21 sizes and types in it. Let's suppose you break  
22 those down into four categories of sizes and

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 types.

2           So here you would see the Xs would be  
3 the concentration of each of those categories in  
4 each of the studies. The A would be the relative  
5 potency of those concentrations for each of the  
6 studies. The B is the average potency overall.  
7 This would be for a linear model, for example.  
8 The Q would be a term that represents background  
9 incidents of tumors. Then P would be the actual  
10 observed tumors.

11           So what you see is you have five  
12 unknowns -- that would be the four As and the B --  
13 and you have five equations. Obviously, if you  
14 solve this simultaneously, you get an exact  
15 solution where you can determine each of the As  
16 and the B, value for B. So without having pure  
17 sizes and types, you can solve these equations,  
18 and you can get information about what the effects  
19 are of these different categories.

20           If you do manage to produce pure fiber  
21 sizes and types, it's the same set of simultaneous  
22 equations. All that happens is that you simplify

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 the math somewhat by removing. Because some of  
2 the Xs become zeros in each of the categories, you  
3 remove some of the other terms, but it doesn't  
4 really simplify. It doesn't really improve your  
5 ability to extract the information.

6 In fact, there are reasons why this may  
7 make things more complicated because, first of  
8 all, if it turns out you guess wrong and you're  
9 producing the wrong range of structures that are  
10 important, you have to go and conduct a new study  
11 and produce more material to go back and check  
12 that. In contrast, if you have the mixed  
13 environments, since usually each individual fiber  
14 was characterized for its length and width, all  
15 you have to do is redo the calculation to change  
16 and test different hypotheses about size and  
17 shape.

18 Moreover, by looking at these things,  
19 you can't possibly pick up things, potentially.  
20 For example, if there are potential interactions  
21 between different sizes and types, you can't pick  
22 those up in these kinds of studies which you would



1 and size effects by comparing across environments  
2 where you have a very rich and robust variation in  
3 the characteristics across environments. It's  
4 also important to recognize that you can only  
5 extrapolate to environments where the  
6 characteristics are similar to the range of those  
7 you've studied.

8           In summary, I suggest some refocusing of  
9 research efforts. I do suggest that we emphasize  
10 strongly the epidemiological studies and to  
11 improve the characterization of the historical  
12 exposures in those studies.

13           I also suggest that because of its  
14 versatility, that we use TEM for research while  
15 developing less expensive alternatives to support  
16 routine analysis under new regulations. By the  
17 way, to reduce the cost of TEM, we can consider  
18 automating TEM analysis, and I suggest we  
19 deemphasize the quest to produce pure samples.

20           We also need to recognize that the  
21 adequacy of the PCM metric and the need to  
22 distinguish asbestiform fibers and cleavage

1 fragments may be confounded.

2 Thank you.

3 MR. HEARL: Thank you, Dr. Berman. We  
4 have reached the time where we're going to take  
5 our first break. I have a couple of questions and  
6 a couple of announcements to make before we do  
7 leave. First, I want to ask, is Dr. Lee in the  
8 room?

9 Yes, Dr. Lee, we're going to get right  
10 to you after the break and before lunch. So I  
11 hope you will be ready to do that.

12 Also, I understand that Mr. Kelly Bailey  
13 is not going to be making presentation. He is on  
14 the agenda, but he said he would not be making  
15 presentation as well as Dr. Castleman indicated by  
16 email to us that he would not be making  
17 presentation.

18 Diane Miller in our office, who was  
19 taking the registrations for making presentations,  
20 advised me yesterday that she had inadvertently  
21 missed Gary Fore who is going to be making a  
22 presentation right after we come back from the

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 break. He's with the National Asphalt Pavers  
2 Association. It was just an oversight. So he  
3 will substitute in as our next speaker and then  
4 we'll follow with Dr. Lee and Dr. Strohmier.

5 At this time, I'd like for us to take a  
6 15-minute break, and we'll start promptly after 15  
7 minutes.

8 Again, restrooms, if you go out here and  
9 all the way down to the left, you'll find a set of  
10 restrooms that way. If you go to the right, all  
11 the way down and take a right at the end of the  
12 hallway, the last door on the right is unmarked,  
13 but believe me there are a set of restrooms in  
14 there.

15 Thank you.

16 (Recess)

17 MR. FORE: Good morning, Mr. Chairman.  
18 I am Vice President of Health and Safety for the  
19 National Asphalt Pavement Association.

20 Today, I am appearing on behalf of our  
21 more than 1,100 members. NAPA is an association  
22 --

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           It is estimated that there are at least  
2   300,000 workers employed in the paving operations  
3   associated with hot mix asphalt operations,  
4   excluding the mineral aggregate supply industry in  
5   the U.S.

6           Our comments today will be brief as they  
7   are strategically directed at answering your  
8   Questions 2 through 5 regarding the  
9   appropriateness and relevance of research needs  
10  identified in the roadmap. In doing so, we will  
11  also emphasize the importance of the proposed  
12  research to our workers in the hot mix asphalt  
13  industry and the affiliated mineral aggregates.

14          First, we applaud NIOSH for your efforts  
15  to create a roadmap for scientific research  
16  relating to asbestos mineral fiber and other  
17  mineral fibers including naturally occurring  
18  minerals. Any time there are questions relating  
19  to workers' health and safety, it becomes a  
20  serious matter and, make no mistake about it, we  
21  think it is such.

22          Your efforts are also important to the

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 vitality of our industry for the following  
2 reasons: Approximately 94 percent of the more 2.3  
3 million miles of paved roads in the U.S. are paved  
4 with asphalt. Naturally occurring mineral  
5 aggregates make up approximately 95 percent of  
6 this hot mix asphalt. High quality mineral  
7 aggregates needed for highway and street  
8 construction are today in short supply in various  
9 regions of the country. The transportation  
10 infrastructure in the U.S. depends on the steady  
11 supply of these naturally occurring mineral  
12 aggregates.

13 Not surprising, many of our member  
14 asphalt companies are general contractors and  
15 integrated companies that are engaged in the  
16 process of highway and street construction  
17 including mineral aggregate production, earth-  
18 moving, bridge-building as well as hot mix asphalt  
19 operations. Most important, thousands of workers  
20 are involved in the hot mix asphalt and affiliated  
21 aggregate industries. Worker health and safety  
22 are in their minds and plans.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190



1           As I look around the room, I see  
2 numerous familiar faces that are the foundation of  
3 these highly productive government-industry-labor  
4 partnership efforts. We believe this kind of  
5 forum involving key stakeholders represents a  
6 model for the pursuit of worker health and safety  
7 research needs.

8           We have thoroughly reviewed the NIOSH  
9 proposed scientific research roadmap. As you have  
10 identified, the roadmap represents a significant,  
11 significant research undertaking in terms of  
12 scope. Our specific comments are strategic in  
13 nature and are consistent with the roadmap. We  
14 are quick to add that we will leave the Question 1  
15 discussions relating to hazard identification and  
16 current understanding of the science to those more  
17 qualified.

18           The intent of NAPA's comments is to help  
19 focus the priorities and the scope of proposed  
20 research from the perspective of the hot mix  
21 asphalt industry:

22           Number one, the fibers of concern need

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 to be defined based upon sound evidence-based and  
2 health effects science in relation to the chemical  
3 and physical chemistry properties.

4 Second, there needs to be developed  
5 practical, reliable sampling and analytical  
6 methods to measure asbestos, that is, the fibers  
7 of concern in a mix, naturally occurring mineral  
8 environment.

9 And, third, any legislative or  
10 regulatory recommendations developed from such  
11 research activities should be based upon an  
12 understanding of the specific exposure situations  
13 along with a cognizance of the best, most current  
14 and evidence-based science available.

15 Thank you for this opportunity to  
16 provide our views to NIOSH on this important  
17 research undertaking. We will be pleased to  
18 assist as the research further develops. Thank  
19 you.

20 MR. HEARL: Thank you, Gary. The next  
21 presentation on our schedule is by Dr. Richard Lee  
22 from the RJ Lee Group, and Dr. Lee has indicated

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 to me that he and Dr. Brian Strohmer actually  
2 have kind of a tag team thing going on. So they  
3 have each signed up for their 15 minutes, and we  
4 will now hear from Dr. Richard Lee.

5 Dr. Lee, if you would, as with the  
6 others, state your name and affiliation and whom  
7 you are representing.

8 DR. LEE: My name is Rich Lee. I'm the  
9 R.J. in RJ Lee Group. Dr. Strohmer will talk  
10 when he gets back. If not, I'll just keep  
11 talking.

12 First of all, I want to also compliment  
13 NIOSH on hosting and defining and putting out in a  
14 manner of public debate the issues relating to  
15 mineral science.

16 My comments are going to be primarily  
17 driven at the analytical world. I think on the  
18 front end, everything you've heard and everything  
19 you will hear is a question of do you have  
20 reliable data. There's an old adage, garbage in  
21 equals garbage out, and I think the analytical  
22 method by which you determine, regardless of what

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 standards you set, is critical.

2           For those of your that aren't familiar  
3 with RJ Lee Group, we've been involved in asbestos  
4 for a long time. You'll hear us talk about SEM  
5 today, scanning electron microscopy. Just to make  
6 sure you understand we're balanced. We have about  
7 a dozen TEMs which primarily involve asbestos  
8 analysis. So when we start talking about  
9 something else, it's because we don't have a  
10 particular preference for that methodology.

11           We have been involved in a lot of method  
12 development. In the process of doing that, we've  
13 looked at materials from around the world. We're  
14 a certified laboratory which means we look at life  
15 from the perspective of what is the result, what  
16 is the analysis you're doing, and what is the  
17 certification you're making.

18           I think, from a laboratory perspective,  
19 regardless of where you go, the current ambiguity  
20 between NIOSH, OSHA and EPA, sometimes looking  
21 both ways, sometimes towards NIOSH and sometime  
22 towards OSHA, raises the largest problem at the

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 laboratory. The laboratories really should be,  
2 for legal purposes, certifying that what they're  
3 measuring and reporting is asbestos or such  
4 regulated mineral. There's nothing to prevent on  
5 a contract basis collecting information about any  
6 other species, but when we sign the bottom line  
7 for a laboratory director, you're certifying that  
8 you measured asbestos. Asbestos is defined in  
9 regulation, and the method is simply specifying  
10 the size and shape of the asbestos to be counted.

11 That is a major uncertainty, and it's  
12 raising havoc in the laboratory world as more and  
13 more labs go from analyzing blanks relating to  
14 asbestos clearing samples to analyzing samples out  
15 of mixed mineral environments.

16 In the real world, laboratories count  
17 anything and everything as asbestos, and there's  
18 no consistency. The reason for that is that  
19 current laboratory methods, by and large, are  
20 inadequate for mixed mineral evaluations. The  
21 current methods, many of which we helped to write,  
22 really are drafted to examine the presumption,

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1     like PCM. PCM was originally developed with a  
2     presumptive that fibers were predominantly  
3     asbestos. Then we brought 7400, 7402 along  
4     because we realized as we lowered the  
5     concentration, the air related to interferences  
6     becomes more significant.

7             The same kind of issue is true in the  
8     TEM world. What people forget is that the  
9     commercial asbestos that you see in a building  
10    product or in the air related from a disturbance  
11    of commercial asbestos has had most of the non-  
12    fibrous minerals removed, but it started out as a  
13    mixed mineral. It did not occur in an isolated  
14    environment.

15            Those methods, by and large, don't meet  
16    the needs of NIOSH, the various stakeholders, in  
17    general. I think this review is overdue.

18            To give you an idea of how old I am, I  
19    got involved in asbestos at the Reserve Mining  
20    case, and very little has really changed except,  
21    as pointed out by Dr. Berman and a couple of other  
22    people, technology has changed. Our ability to

1 measure and characterize minerals is dramatically  
2 different than it was 20 years ago. But when you  
3 look at these cases that have come up, raised  
4 public concern and generated debate, the same  
5 questions are being raised today.

6           What NIOSH does in making their next  
7 generation recommendation and in setting the  
8 standards, recommending the type of standard is  
9 critically important, but it's not going to just  
10 affect the environment, the occupational  
11 environment. It spills out because those methods  
12 picked up and arbitrarily used in the analysis of  
13 samples from playgrounds, and so it has  
14 significance far beyond the occupational  
15 environment.

16           We really have a need for a coherent  
17 policy, and that policy should come from the top  
18 level in all the agencies. Currently, there's a  
19 huge lack of uniformity. The typical thing that  
20 happens is a laboratory will make an analysis,  
21 report asbestos. It will get in the newspaper.  
22 The next thing you know, somebody has got to go in

1 there and analyze samples, spend a lot of money.  
2 Often, that's me, and I like that. But it  
3 generates issues for the producer of minerals, for  
4 the school district if that data is not reliable  
5 and accurate.

6           What happens when we use current  
7 analytical procedures is we not just use them but  
8 relax them when we go into the mixed mineral  
9 environment. This was mentioned this morning.  
10 About a year or two ago, I forget what, a contract  
11 lab for EPA reported elevated presence of asbestos  
12 in playgrounds. We conducted a paper review,  
13 which subsequently was followed up with actual  
14 analytical work from soils and minerals. Based on  
15 the mineralogy, we said at least 63 percent of  
16 these could not be asbestos, these particles that  
17 were reported as asbestos.

18           About a year later, USGS came along, did  
19 another extensive review and found that 40 percent  
20 of the particles were not even a regulated mineral  
21 but, worse than that, they were a home blend which  
22 I don't think anybody really seriously ever put an

1 idea that it's a potential health hazard.  
2 Moreover, the majority of the particles were  
3 prismatic, not fibrous.

4           What was the implication of that? They  
5 spent a lot of money in El Dorado, and they still  
6 haven't got, there's not a consensus emerged on  
7 how this situation will be resolved because of  
8 this lack of definition. It doesn't matter what  
9 the definition is, but it can't be non-uniform.

10           There's an even more serious one from my  
11 perspective since that's my grandson in the  
12 picture, and that was that a few years ago there  
13 was asbestos reported in talc. After we analyzed  
14 it, after Datachem analyzed it, after the OSHA  
15 laboratory analyzed it, and after RTI analyzed it,  
16 the consensus that emerged was that there was  
17 little, if any, asbestos in the talc. Meanwhile,  
18 my grandson is sitting there thinking crayons just  
19 aren't what they used to be because the  
20 manufacturer had to pull the talc out of the  
21 crayon, so we get crappy crayons.

22           The real significance, what drove that

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 was this statement right here. The Seattle Post-  
2 Intelligencer: Fiber has a length of 22 microns  
3 and a width of 3.4 microns. This length to width  
4 ratio of 6.4 to 1 means that, according to EPA  
5 protocol, it must be counted as asbestos.

6 The typical asbestos fibril is .1 micron.  
7 If that were asbestos, you should be seeing hair  
8 sticking out of the top of that fiber. When you  
9 don't see it, it's not asbestos. It's that simple  
10 with that dimension of a particle.

11 You notice Dr. Fisher is not taking  
12 responsibility when saying this is asbestos. He's  
13 saying according to EPA protocol. He's taking no  
14 ownership of the science.

15 When you go back to El Dorado, what both  
16 USGS and we found is that most of the particles in  
17 the El Dorado soil and in the El Dorado air  
18 samples have in fact well developed cleavage faces  
19 that simply cannot exist in an asbestos fiber.

20 When you do your TEM work, this is a  
21 scanning electron microscope picture. The  
22 difference is TEM will be black and white, dark

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 and light. The SEM pictures look gray. With SEM,  
2 you look at the surface of the particles. But  
3 when you combine the SEM and TEM, which Dr.  
4 Strohmer will tell you about, you can really come  
5 to understand both the crystal structure and  
6 morphology in a manner that is unique.

7 Now, let's turn to the analytical  
8 issues. Why is this important? Well, you  
9 listened this morning. I think what you hear is  
10 that depending on your view of the science,  
11 everybody pretty much agrees that the hazardous  
12 material, most hazardous material is long, thin  
13 fibers. There may be what is at issue is should  
14 other things be counted and to what extent and how  
15 do you do a risk assessment.

16 As Dr. Berman pointed out, unless you  
17 have a rich data set, and by rich, he means  
18 informative. He means that classify things  
19 differently. Even if you get it wrong, it will  
20 show up in the uncertainty that you've built into  
21 the data. But a certain number of asbestos fibers  
22 and a certain number of cleavage means you can

1 obviously distinguish on a particle by particle  
2 basis. A certain number, you may not be able to.

3           What we need to do is design the next  
4 generation analytical methods to comprehend the  
5 most toxic minerals in the most least expensive  
6 manner we can and then take that data, design  
7 these methods so we also capture information about  
8 other potentially hazardous materials in the most  
9 effective manner.

10           This paper is actually is one of my  
11 favorites because it goes back. It's a paper from  
12 Littman. It has data in there from Timbril, looks  
13 at the comparison, the actual long deposition  
14 compared to fiber, really tells us where the most  
15 toxic is.

16           This is another one. This is another  
17 one. Okay, we've all discovered this.

18           So from an analytical position, the  
19 issue is not short and/or fat fibers. Depending  
20 on what you think, they may be innocuous and may  
21 not or very long. What is the real issue, where  
22 the debate centers is on intermediate, somewhere

1 between 5 and 10 microns long and .25 microns and a  
2 micron wide. Once they get above a micron, a  
3 blind man can tell whether they're cleavage  
4 fragment or an asbestos fiber.

5 What we would propose is that there is a  
6 way to optimize the measurement process, and that  
7 measurement process can be optimized by using the  
8 extension of the ASTM method, 7200 which  
9 classifies what I call Categories 2 and 3 fibers  
10 into two groups, still preserves your fundamental  
11 PCM number and then use SEM first, supplemented by  
12 TEM for the long, thin fibers. Analytically,  
13 there's a lot of reasons to do that.

14 The idea, what Brian will tell you is  
15 the idea that the SEM is not adequate is simply no  
16 longer the case. There are technology changes  
17 that have made it very adequate.

18 Basically, this is the end for me. I  
19 need to have a little bit of thought. This, I  
20 think, highlights the real issue with fiber  
21 counting. When you relax the rule from saying  
22 substantially parallel sides to simply a 3:1

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 aspect ratio, all the methods are written with  
2 substantially parallel sides.

3 In the TEM on the left, you get this  
4 particle. It's 3:1.

5 When you look at it in a scanning  
6 microscope, you see it's a sheet silicate. It's  
7 not a fiber simply because you're using a  
8 projection image.

9 Brian will pick it up from here, and  
10 thank you.

11 MR. HEARL: Thank you very much. Now,  
12 we will have Dr. Brian Strohmier. Dr. Strohmier,  
13 I would ask also and I note that this may be  
14 repetitive but if you could state your name and  
15 organization.

16 DR. STROHMIER: Yes, hello. Good  
17 morning. It's a pleasure to be here. I'm Brian  
18 Strohmier. I'm with RJ Lee Group in Monroeville,  
19 Pennsylvania.

20 Sad to say, I have 27 years experience  
21 using x-ray beam, ion beam and electron beam  
22 techniques to study the surface and microscopic

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 analysis of various materials. I wish I was  
2 younger, but that's the way it is.

3 I'm going to follow up where Rich  
4 started off. He had the dubious task of trying to  
5 summarize the last 30 years of debates that have  
6 been going on in 15 minutes where all I have to do  
7 is talk about some pretty pictures. What I'm  
8 going to do is try and give you a feel for some of  
9 the really exciting we've been doing with scanning  
10 electron microscopy.

11 The NIOSH white paper, one of the main  
12 themes is to develop new and improved techniques,  
13 cost effective techniques to take over where PCM  
14 may be lacking. They do mention electron  
15 microscopy in the white paper, and they also say  
16 that electron microscopy may not be cost  
17 effective, that it may be too time consuming, that  
18 it may have some other shortcomings which I  
19 disagree with.

20 As I go through this today, I'm going to  
21 make four main points in this presentation. I'm  
22 probably going to make several others, but there

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 are four main points I want you to carry away  
2 today and I will make each of those plain as I go  
3 through this.

4 But he just showed you one fiber here or  
5 one particle here that was not a fiber, and what  
6 we have done in the last year and a half at RJ Lee  
7 Group is we've characterized over 10,000 particles  
8 using this SEM technique that we've developed in-  
9 house.

10 Point one that I want to make is that  
11 SEM has the visibility and resolution is adequate  
12 -- in fact, it's more than adequate -- to see  
13 fibers and cleavage fragment. On the left here,  
14 we have a TEM image which is a projection of the  
15 particles in the field of view, and you see one  
16 long obvious fiber here obviously displaying  
17 curvature, parallel sides, very long and thin,  
18 high aspect ratio. Here's a bundle with straight  
19 ends.

20 Now, here at lower magnification is the  
21 SEM image of that same area in the box plus a  
22 larger area showing one of the advantages of SEM

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 is that you can go to a lower magnification and  
2 see a larger field of view, get a very good  
3 picture of what type of particles are in your  
4 sample very quickly. You can see the long stringy  
5 fiber is actually much longer than it looks like  
6 in the TEM image which is done at higher  
7 magnification. We can see the bundle. We can  
8 also see prismatic and asbestiform particles.

9 One of the advantages of the SEM,  
10 especially what we're using, is a field emission  
11 SEM. High current density in the electron beam,  
12 small spot size, gives high contrast, high signal  
13 to noise in the image. So it makes things stand  
14 out. You get the contrast you need to look at and  
15 see things very quickly.

16 Here's just a lower magnification image.  
17 In this case, it was done at 350x, and you can see  
18 all these fibers. This is on a TEM sample grid.  
19 These are the copper bar grids that TEM would not  
20 be able to see through. So TEM would only be able  
21 to see in these squares which are about 90  
22 micrometers by 90 micrometers.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           You can see by these circles. They  
2           don't stand out quite as good in this image, but  
3           every one of these elliptical dotted patterns is  
4           encircling a cleavage fragment and/or fiber, that  
5           you can see is crossing the grid bars. So if you  
6           looked at TEM, you would only see a little bit of  
7           that fiber right in the corner. This one, you'd  
8           see part. These others, you would be missing  
9           things in the TEM but pick them up in the SEM.

10           So this is point two, that the SEM is  
11           optimum for long, thin fibers. It's the logical  
12           extension of PCM which would miss the very thin  
13           fibers and also the logical complement to TEM that  
14           would miss the very long fibers that cross grid  
15           bars.

16           Now, we developed this in-house protocol  
17           which I'm only going to touch on very briefly. We  
18           start with TEM, and here's a TEM image of a  
19           particle that is crossing a grid bar which is  
20           right here.

21           So you see this fragment. It probably  
22           would not be counted as a fiber because it doesn't

1 have parallel sides. It looks chunky. It is a  
2 more than 3:1 aspect ratio, but it probably would  
3 not be counted by someone who was really strictly  
4 following the rules. There is a little tiny hint  
5 of a fiber there, but it's less than five microns  
6 in length.

7 We use the TEM to look at the chemistry  
8 and the electron defraction pattern to get the  
9 chemistry and crystallography of the particles.

10 Now, here is the FESEM image of that  
11 same particle, and you can see it actually extends  
12 out onto the grid bar quite a ways. So what we do  
13 with the FESEM to complement the TEM is we take  
14 full fiber images. We also look at each end, and  
15 we take images along the surface at a much higher  
16 magnification, which I'm not showing here, to look  
17 at the surface topography and just make sure that  
18 is this a cleavage fragment or is it asbestos.

19 You can see in this case what would not  
20 be called asbestos here actually is asbestiform.  
21 This is a sample from Libby, Montana, and this was  
22 identified as a winchite particle. You can see

1 what is a fiber here and a fiber up at the other  
2 end that will be totally invisible to TEM, and  
3 that's actually pulling out of this chunk.

4 I'm sorry it's not quite as visible in  
5 this as when you see it on the real screen on the  
6 SEM, but this is actually a bundle of fibers, and  
7 you can start to see a hint of the separation of  
8 the fibers right there. I just wanted to make a  
9 point that here's a case where someone wouldn't  
10 count something that is asbestos or asbestiform  
11 and it is.

12 Here's a case where we have a particle  
13 completely traversing the grid bar that would be  
14 totally hidden from TEM, and it's almost 200  
15 micrometers in length. This was actually a true  
16 fiber. You even can't see it here because it's so  
17 thin, but believe me, when you zoom up on it, you  
18 can see it. That's another advantage of the SEM,  
19 that you can zoom in, zoom around, check all over  
20 your sample very quickly. So it is not as time  
21 consuming as people might think.

22 Here's another case that you can see

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 this one. Here, we have a fiber that is right on  
2 the TEM grid bar. This would be the spots that  
3 TEM could see. You see some obvious big, chunky  
4 cleavage fragments, but here is a long, thin  
5 fiber, about 35 micrometers in length, less  
6 than .25 microns in width. Here's the actual end  
7 over here and the end at this end. So that is  
8 less than 150, maybe between 100 and 150 microns  
9 in diameter, a true asbestos fiber that would be  
10 totally hidden from TEM.

11 If you think that in a typical mixed  
12 mineral environment, the asbestiform content may  
13 only be a percent or two of all the other rock  
14 fragments and cleavage fragments, and if you're  
15 missing one out of a hundred, you're going to  
16 underestimate the true risk that might be there by  
17 just using TEM.

18 Now, point three I'll get into on the  
19 next one. Well, I'll get into point three on this  
20 one.

21 Point three is that the SEM will give  
22 you improved morphological characterization of the

1 particles compared to TEM. TEM, you're just  
2 seeing a projection. As Rich said, you'll see  
3 black and gray. You're not going to see any  
4 surface features.

5           You don't see too much on this one, but  
6 what I want to point out with this one is here's  
7 the TEM image of a single chrysotile fiber, and  
8 there's the SEM image. You can see the cross  
9 section here because the fiber is actually  
10 sticking out of the screen at you, down at a  
11 slight angle, but it's sticking out. You can't  
12 see that in the TEM. The assumption is that  
13 everything is laying flat.

14           But, in the SEM, what I didn't mention  
15 is that we look at the whole fiber, ends, surface,  
16 full fiber. We also take stereo projection images  
17 which give us the orientation out of the plane of  
18 the sample. So we can tell if the fiber is a big,  
19 chunky block like the one he showed of the sheet  
20 silicate. You couldn't really see it there  
21 because that sheet was actually projecting way out  
22 from the screen. You would need to be wearing

1 stereo glasses, and we would have to provide the  
2 stereo projection of that image to see that.

3 I have about 25 booklets that will be  
4 out on the table at lunch time with glasses that  
5 you can take with you. We'll also put them on our  
6 web site. You can email us. Give me your  
7 business card, I'll give you mine, and we'll  
8 provide free glasses to you to look at the stereo  
9 images because they're very impressive. Not only  
10 do they give you the orientation, but they also  
11 allow you to look at particle association, what's  
12 bound to these particles. Now, this one doesn't  
13 have anything bound to it, but I just wanted to  
14 point that one out.

15 Now, I'm going to go through the next  
16 ones very quickly so I can stay within the time  
17 limit.

18 Here's a TEM image of what would most  
19 likely be counted as a fiber under strict counting  
20 rules, greater than 3:1 aspect ratio,  
21 substantially parallel sides. There's a little  
22 bit of an end problem there, but most people

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 following the counting rules would say fiber.

2 But when we do the SEM, you see it's a  
3 very rough surface. You can't see it on this end  
4 shot, but on the high magnification end shot, it's  
5 a perfect euhedral single crystal. So this would  
6 clearly not be asbestiform but a cleavage  
7 fragment.

8 Same with this one, here's a particle,  
9 little blockier, little chunkier. People may not  
10 call that a fiber because the sides aren't  
11 completely parallel. There's some debris  
12 obviously around it.

13 But what the FESEM shows you immediately  
14 is this is clearly not asbestiform. You see the  
15 clear cleavage planes and crystal faces on that  
16 particle.

17 Now, this is one you've seen before.  
18 Rich showed this one with the crystal faces  
19 actually drawn on it. Again, someone probably  
20 would not count that as a fiber. The sides aren't  
21 completely parallel. There's some chunky pieces  
22 missing there.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           But, again, it's much easier to see in  
2 the SEM. You see it immediately there that that's  
3 not asbestiform, but a cleavage fragment.

4           Now, here's one that's a little  
5 trickier. This one, greater than 3:1 aspect ratio  
6 in the TEM projection, pretty parallel sides, that  
7 would probably be counted as a fiber.

8           But when you look at it in the SEM,  
9 again, it does not have the asbestiform habit.  
10 It's not smooth. It has crystal faces. It has  
11 chunks broken out of it. The SEM just shows that  
12 much quicker.

13           Same thing with this one, we have a  
14 particle here, pretty parallel sides. There's  
15 some problems on the end. In the TEM projection,  
16 you really can't tell. Someone might call that a  
17 fiber, depending how strictly they're following  
18 the counting rules or maybe they want to find  
19 asbestos, and so they'll say, okay, it's asbestos  
20 because the customer wants to find asbestos.

21           But you look at it in the FESEM, and  
22 this is actually a plate-like structure. You

1 can't see it unless you zoom way up on it which I  
2 can't show you unfortunately. The stereo shows  
3 very clearly that that's not an asbestiform habit.

4           Again, this one is a little tricky. It  
5 really looks like a fiber in the TEM, greater than  
6 3:1 aspect ratio, parallel sides, has blunt ends.  
7 A lot of people would say that is a fiber and  
8 they, a lot of times, would be right.

9           But in the FESEM projection, you can  
10 start to see there's growths coming out of here,  
11 thin layers of some type of material that we call  
12 wings in-house, and it's also got a rough surface  
13 and rough edges and doesn't have a true  
14 asbestiform habit.

15           Now, point four, the NIOSH white paper  
16 as well as other publications and studies suggest  
17 that there's a population of cleavage fragments  
18 with fiber-like dimensions. What I want to show  
19 with this is on the left, we have a TEM image of a  
20 cleavage fragment, about 2.2 microns wide. I'm  
21 not sure how long, but it has a greater than 3:1  
22 aspect ratio and parallel sides. People may count

1 that as a fiber.

2 Now, here's a TEM image of a chrysotile  
3 standard from Canada. You can see it has the true  
4 asbestiform habit. It has splaying ends. It's a  
5 bundle, very thin, but the important thing is to  
6 look back in the background and all these other  
7 fibers, much thinner. So this is an asbestiform  
8 habit that's cleaving to smaller and smaller  
9 fibers.

10 This is just the SEM projection of the  
11 same two samples, the SEM projection.

12 The point we're trying to make here is  
13 if you look at the width here projected over here,  
14 how much different a true asbestiform habit is.  
15 Much thinner, it's a smoother surface. Here, we  
16 have a rough surface. Much greater aspect ratio,  
17 as was said earlier today, the 20:1 to 100:1 or  
18 higher. Much lower aspect ratio over here.

19 So our contention is that this concept  
20 that there's a significant portion of a cleavage  
21 fragment population that has the dimensions of  
22 asbestos is a complete myth.

1           I'll stop there since the red flag was  
2 given to me.

3           MR. HEARL: Thank you very much, Dr.  
4 Strohmer.

5           I think at this point we will move on to  
6 our next presentation, and we have enough time, I  
7 think, to get that in before the designated time  
8 we are going to take our lunch break.

9           Our next speaker is R.P. Nolan, and so  
10 if Dr. Nolan would come forward. He's with the  
11 Earth and Environmental Sciences Graduate School,  
12 University Center, City University of New York.

13           If you would just state your name and  
14 affiliation.

15           DR. NOLAN: You just did it, and I'm  
16 here to represent myself today.

17           Could I have the next slide, please? I  
18 went through the roadmap, and basically NIOSH  
19 focus on expanding the definition of asbestos and  
20 other fiber types and cleavage fragments has a  
21 long history. This goes back at least to 1970.  
22 So this started about the time I was a sophomore

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 in high school.

2 Can I have the next slide? My first  
3 introduction to it was with the Consumer Products  
4 Safety Commission when a claim was made in the New  
5 England Journal of Medicine then 2 to 4 percent  
6 tremolite asbestos was found in children's play  
7 sand. When you looked at that 2 to 4 percent, it  
8 was all blocky tremolite and within that, there  
9 was about a hundredth of a percent was fibrous but  
10 not asbestos.

11 So CPSC had hearings on this. They said  
12 the scientific evidence was insufficient to  
13 regulate the cleavage fragments as asbestos. This  
14 was about the same time the experimental animal  
15 studies that Dr. McConnell discussed this morning  
16 were becoming available. The chairman of the CPSC  
17 at that time, Clarence Scanlon, said to call  
18 cleavage fragments asbestos was like hollering  
19 fire in a crowded theater. So the evidence at  
20 that time was very clear about this issue.

21 Now, this went on to a rulemaking which  
22 came out in 1992, and NIOSH proposed a policy to

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 OSHA almost identical to what's found in the  
2 roadmap. When I read the roadmap and I attended  
3 the hearings that were around 1990, they were  
4 basically the same issues that were raised. OSHA  
5 decided that the non-asbestos amphibole minerals  
6 should not be regulated as asbestos on the  
7 evidence that was available at that time.

8 Can I have the next slide? They said  
9 there was no evidentiary basis to support having  
10 cleavage fragments having the same morphology as  
11 asbestos presented a similar hazard. So,  
12 basically, OSHA did not accept the fact that  
13 things that had the same shape but really were  
14 different materials were the same and should be  
15 regulated as asbestos.

16 The population of cleavage fragments can  
17 be distinguished from asbestos. You saw part of  
18 the Lee Group discuss that this morning. For most  
19 mineral deposits, you can tell the asbestiform and  
20 non-asbestiform amphiboles. This was all  
21 recognized by OSHA 15 years ago.

22 Can I have the next slide? OSHA

1 recommended that non-asbestos fibers should be  
2 defined using common mineralogical usage. OSHA  
3 does not recognize NIOSH's efforts to define  
4 asbestos. This has been a sore subject with me,  
5 this kind of policy and analytical technique.

6 The analytical technique is not a method  
7 to define asbestos. The analytical technique was  
8 developed to monitor asbestos in the occupational  
9 environment. It was never meant in and of itself  
10 to define what was asbestos. That had to be done  
11 at a different step, either in a geological survey  
12 or through some other pathway.

13 Next, NIOSH's definition of asbestos,  
14 it's a regulatory definition with both a policy  
15 and an analytical component. NIOSH and other  
16 federal agencies have no scientific basis for  
17 developing mineral definitions. Federal agencies  
18 should not be in the business of defining what  
19 minerals are anymore than they should be in the  
20 business of defining what tumor types are. It's a  
21 different discipline.

22 They should incorporate mineralogy which

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 is not in this phrase here, and they should have  
2 that as a mineralogical basis for how you define  
3 minerals. Mineralogists have a role in that.

4 OSHA found the following: Mineral  
5 fibers should be regulated based on using  
6 mineralogical criteria to define and rejecting  
7 NIOSH's position that similarity in morphology is  
8 acceptable criteria for inclusion in the asbestos  
9 standard.

10 Go to the next one. Now, I just want to  
11 hit a series of topics. The health effects in  
12 Libby, Montana are asbestos-related. The white  
13 paper by NIOSH of the Libby fires identified  
14 predominantly as winchite and rectorite as well as  
15 tremolite asbestos, quoting Meeker's paper.

16 Next, our analysis by transmission  
17 electron microscopy, individual fibers from the  
18 vermiculite mine were in a tremolite acting series  
19 and could be regulated as asbestos. About half  
20 the fibers were tremolite, and the other half were  
21 some kind of sodium potassium whether it's  
22 winchite or rectorite, but the morphology is

1 almost identical. It's just that the chemistries  
2 vary between the two populations.

3 Libby provides no information about  
4 cleavage fragments. The health effects with the  
5 miners in Libby were all asbestos-related health  
6 effects. They don't tell us anything about  
7 cleavage fragments.

8 Now, they also mention fibrous erionite.  
9 Fibrous erionite is probably the most potent fiber  
10 ever tested experimentally in animals. A.6  
11 million fiber milliliter hour's dose in rats  
12 produced 96 percent mesotheliomas -- this is  
13 unheard of -- and in the same experiment, no lung  
14 cancers.

15 Crocidolite, which many consider to be  
16 the most potent fiber type ever identified,  
17 certainly the most mesotheliomagenic of the  
18 asbestos fibers, the dose was 10 times higher and  
19 it produced no mesotheliomas and 3.6 percent lung  
20 cancers.

21 The two fibers types had a size  
22 distribution that was almost identical. So you

1 have an identical size distribution. You have a  
2 10 times higher dose of crocidolite, and the  
3 erionite produces almost 100 percent  
4 mesotheliomas. So there's something. We heard  
5 Dr. McConnell talk a little about surface  
6 properties today. There's something about these  
7 surface properties.

8 In the United States, we know of no  
9 human mesothelioma in the U.S. associated to  
10 fibrous erionite. Somebody may. I do not. I  
11 don't think there have been any fibrous erionite  
12 mesotheliomas outside of the central plateau of  
13 Turkey, and there's been some discussion lately  
14 whether there's some genetic co-factor to these  
15 cases.

16 This is another thing which I'm going to  
17 depart a little bit from what my colleague, Dr.  
18 Lee, said today. There are asbestiform fibers  
19 that have been tested in experimental animals and  
20 not shown to be dangerous. One of them is fibrous  
21 talc.

22 Merle Stanton implanted tumors. If you

1 look, these are 100 percent tumors caused by two  
2 tremolite asbestos samples. These are the number  
3 of Stanton fibers. This is the talc. It has more  
4 Stanton fibers. The talc produced no tumors. So  
5 you have two populations of fibers, almost  
6 identical number of Stanton fibers. One is 100  
7 percent. One is zero.

8           The unified fiber theory, I think,  
9 doesn't exist. I think that the experimental data  
10 that's available today indicates that this is not  
11 something that's fruitful to pursue because you  
12 can see that it's far too complicated, that there  
13 aren't just that simple rubric.

14           If you look at the data, the subparts of  
15 it don't hang together. You get some overviews,  
16 but the talc fibers are durable and they produce  
17 no tumors in these animals. Both erionite and  
18 fibrous talc are thought to be biopersistent.  
19 Yet, one is a powerful animal carcinogen and one  
20 is not.

21           Society has to become used to looking at  
22 some things that are long and thin and not

1 immediately think cancer.

2           Expanding the definition of asbestos,  
3 Hodgson and Darton produced asbestos fiber type  
4 specific assessments for human mesothelioma 2000.  
5 This is a very important paper which I don't think  
6 is referenced in the white paper. Chrysotile-  
7 amosite-crocidolite increased the carcinogenicity  
8 for mesothelioma 1:100:500. So crocidolite is 500  
9 times more dangerous than chrysotile in this  
10 model, and when you look at erionite, it has to be  
11 at least 10 times more carcinogenic.

12           Within respirable fiber ranges, you can  
13 have this enormous range of mesotheliomagenic  
14 potency. These three fiber types do not belong in  
15 the same standard. They should have never been in  
16 the standard. Now, we want to add more things to  
17 the asbestos standard when we have too many things  
18 in the asbestos standard to begin with.

19           Worldwide, amosite and crocidolite  
20 asbestos are no longer in commerce. This is not  
21 clear in the white paper. Chrysotile asbestos can  
22 be used but contrary to NIOSH's opinion about the

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 low cost, others may be impressed with the low  
2 health effects associated with the use of  
3 chrysotile.

4 Can I have the next? Dr. Rubin will  
5 talk more about this. Can I have the next slide?  
6 Go to Table 1. One of the things that I've found  
7 to be very useful over the years -- if you can  
8 rank that up a little bit -- is to look at  
9 comparative risks. Heavy cigarette smoking, about  
10 9,000 cancers per 100,000. Let's take U.S. motor  
11 vehicle accidents, about 1,200; pedestrian deaths,  
12 about 100 per 100,000. These are lifetime risks.

13 Persons living in a brick building,  
14 about 70. One continental flight per year,  
15 accidents and cosmic rays are about the same.  
16 Fifteen is the upper limit that NIOSH or EPA  
17 claims to regulate.

18 Five per 100,000 from a falling  
19 meteorite, now no one has been killed by a falling  
20 meteorite, but we know that meteorites strike the  
21 Earth every so often, and a meteoritic accident  
22 could be catastrophic. So, though it's a very low

1 probability event, if it occurs, it will kill a  
2 lot of people so this number is higher than people  
3 would anticipate.

4 This is struck by a falling airplane  
5 part. This is smoking three cigarettes.

6 This is .25 per 100,000 is taking the  
7 Hodgson-Darton model. Taking the chrysotile  
8 value, assuming that of the 2.5 million people  
9 that die in the United States each year, 5 percent  
10 of them are exposed 25 years at .1 fiber per  
11 millimeter. So they have 2.5 fiber millimeter  
12 years exposure to chrysotile. If you plug the  
13 numbers in, and they don't smoke, you get 5 deaths  
14 in 2.5 million people. It turns out to be  
15 about .25 per 100,000.

16 Now, we also did a risk assessment for  
17 an iron ore mine in Michigan several years ago  
18 which we published in PNAS in 1999, which doesn't  
19 appear in the roadmap, where we actually mine  
20 through a seam of grunerite asbestos in an iron  
21 ore mine. We did air sampling. We did risk  
22 evaluation for the period of time that the people

1 were going to be exposed, and we found that that  
2 risk was about .05 per 100,000.

3 So every little bit of asbestos in a  
4 mine is not necessarily catastrophe, and all of  
5 these things can be managed. We have to look at  
6 them in slightly different ways.

7 That's all I have to tell you today.  
8 Thank you.

9 MR. HEARL: Thank you, Dr. Nolan. We  
10 are at the time where I'd like to call us off for  
11 the lunch break, and I want to make a couple of  
12 comments before we do that. I want to thank the  
13 presenters for the morning session.

14 Any comments that anyone has that they  
15 would like to put on the official record in  
16 addition to what's been heard today or in response  
17 to that, you're welcome to do so. We have an open  
18 docket. You can send it by mail to NIOSH Mail  
19 Stop C-34 at the Robert A. Taft Laboratory, 4676  
20 Columbia Parkway, Cincinnati, Ohio, 45226.

21 You can send it by email to our docket  
22 office or you can use the online web form. All of

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 the information you can get through the NIOSH main  
2 web site at [www.cdc.gov/NIOSH](http://www.cdc.gov/NIOSH).

3 The docket is open until 5:00 p.m. EDT  
4 on May 31st, 2007. Then all the material we have  
5 will be posted on the NIOSH web site. We now have  
6 a location off the main home page where you can  
7 examine dockets. This one, you should identify  
8 the material as Docket NIOSH-099. So that's  
9 information for you. You can continue to submit  
10 information on our document.

11 We will begin at 1:00 sharp, and we will  
12 continue with the next person on the agenda which  
13 is Dr. Langer and then followed by Dr. Rubin, Dr.  
14 Lemen, Mr. Hartley, Jonathan Ruckdeschel -- I'm  
15 sorry, I can't pronounce it well -- Robert Paul  
16 and Dr. David Egilman.

17 If those of you who are speaking after  
18 lunch could see Dr. John Pechetino who is right up  
19 here in the front, running the computer, you can  
20 get your presentations loaded in so we can move  
21 swiftly through the afternoon.

22 After the last of the presentations,

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 after Dr. Egilman has gone, I'll be pulling the  
2 sheet that we have at the back table where you can  
3 sign up still now if you'd like to make a  
4 presentation, and we'll divide the remaining time  
5 among those who want to speak. So that's what  
6 we're doing.

7 Are there any questions at this point  
8 concerning the program?

9 SPEAKER: Are things secure in this room  
10 if we decide to leave them here?

11 MR. HEARL: I can't vouch for the  
12 security of the room, a good lawyerly answer,  
13 right.

14 Okay, well, thank you all very much, and  
15 we'll see you all back here at 1:00 when we will  
16 resume promptly. Thank you.

17 (Whereupon, at 11:45 a.m., a  
18 luncheon recess was taken.)

19  
20  
21  
22

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190



1 that you state your name, your affiliation and  
2 identify any party or organization on whose behalf  
3 you are presenting.

4 DR. RUBIN: I'm Emanuel Rubin. My title  
5 today is Gonzalo E. Aponte Distinguished Professor  
6 of Pathology, Thomas Jefferson University in  
7 Philadelphia.

8 In terms of where I sort of come from,  
9 why I became a pathologist when men first  
10 descended from the trees to assume an upright  
11 posture. So I've been at this game for quite a  
12 while.

13 Just briefly, though, I've been on quite  
14 a few NIH study sections. I've been editor of  
15 probably the most important journal of  
16 experimental pathology, Laboratory Investigation,  
17 as editor in chief for 14 years and have had quite  
18 a few grants and still maintain three NIH grants.  
19 So I had a lot of experience in evaluating  
20 scientific data and papers and grant requests and  
21 things like that.

22 It's in that context that I want to

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 emphasize that the mineralogy and industrial  
2 hygiene and identification of fibers is certainly  
3 a legitimate and probably important area of study,  
4 but that simply identifying fibers is not really  
5 going to do the job. Without good controlled,  
6 carefully thought out epidemiology, it simply is  
7 not going to give you any reliable information.

8 I think the best example is smoking.  
9 Cancer of the lung, I believe and I think  
10 virtually everyone believes that the risk is  
11 certainly increased many fold by smoking, and yet  
12 in experimental animals it has not been possible  
13 at all to produce lung cancer by inhalation of  
14 tobacco smoke. This shows the discrepancy between  
15 experimental data and epidemiologic data. There  
16 are many other examples. But if you try to take  
17 the composition of tobacco smoke or the  
18 experimental data, you would not be able to  
19 predict that it causes cancer in humans, but it  
20 does.

21 Now, one of the things, for instance,  
22 that is not entirely detectable by mineralogic

1 analysis -- I think it's been pointed out  
2 previously -- are the surface properties of  
3 asbestos fibers. Those properties are really  
4 important because it's been shown that if you take  
5 asbestos fibers that cause mesothelioma in rats by  
6 injection into the pleural cavity, that coating  
7 those fibers simply within globulins reverses that  
8 and you can no longer produce mesothelioma.

9           Not only that, in some experiments, they  
10 have isolated so-called asbestos bodies from the  
11 lungs of people exposed to asbestos. These are  
12 bodies. These are asbestos fibers in the body  
13 coated with protein and iron complexes. They have  
14 injected those into rats and cannot produce  
15 mesothelioma, showing that if you change the  
16 surface properties of the fiber, probably even  
17 monomolecular coating, it will change the ability  
18 to cause tumors.

19           Those things cannot be determined simply  
20 by viewing the fiber, and that's why the  
21 epidemiologic studies are so important because  
22 there are genetic differences between animals and

1 man, exposure times, routes of administration, et  
2 cetera, et cetera. So I urge that no decisions be  
3 made on the role of any type of fiber until good  
4 epidemiologic studies have been done.

5 Now, in that context, the data is  
6 presented in this roadmap, much of it is based on  
7 death certificates. A lot of people don't realize  
8 how death certificates are made out. They are  
9 often made out by the practicing physician who is  
10 often a general practitioner and not acquainted  
11 certainly with asbestos-related diseases. They  
12 may be made out by an intern who saw the patient  
13 once. They may be made out sometimes by a  
14 physician who has never seen the patient. Most of  
15 these cases are not subjected to autopsies. In  
16 academic hospitals to date, only 10 to 12 percent  
17 of deaths are reviewed by autopsy, and in  
18 community hospitals it's becoming vanishingly  
19 rare.

20 So the same thing goes for many of these  
21 cases where the death certificate puts down an  
22 asbestos-related disease of any kind. Many of

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1       them don't even have surgical pathology, and if  
2       they do, you don't even know whether it's right  
3       because you don't know if immunohistochemical  
4       analysis has been performed on the sections, so on  
5       and so forth. Death certificates, particularly in  
6       uncommon diseases, are notoriously unreliable and  
7       should not serve as the basis of epidemiologic  
8       studies.

9                 Now, I notice, for instance, can we have  
10       that first slide? Yes, here is an example from  
11       the roadmap, and it's numbers of deaths from  
12       asbestosis. You see from 1968 all the way to  
13       2002, there has been a definite increase in  
14       asbestosis. In other words, prior to regulation  
15       of the workplace, you had low asbestosis and after  
16       strict regulations were put in place, it kept  
17       increasing.

18                This would be then based on the idea  
19       that it has a very substantial latent period which  
20       it doesn't. It's all dose-related and  
21       particularly since chrysotile has been used, it  
22       would require extreme doses and would certainly

1 not have a long latent period. Once the asbestos  
2 exposure has ceased or chrysotile was substituted,  
3 it probably would not increase further. This is  
4 highly suspect and may represent differences in  
5 publicity about the asbestos and fears and things  
6 like that.

7           There's another figure, Figure No. 4,  
8 which is the number of malignant mesothelioma  
9 deaths. Now, what it shows is in 1999, it says  
10 there the figure shows 3,650 deaths a year from  
11 malignant mesothelioma in the United States. Then  
12 if you look at the text, it says 2,485, totally  
13 different. Then if you look at 2004 on the graph,  
14 it's about something over 4,000, but in the text  
15 it says 2,657. I mean I think you've got to get  
16 this straight. It just doesn't make sense.

17           In terms of asbestosis from a  
18 pathologist's point of view, it is put down on  
19 death certificates, again either as the principal  
20 cause or contributing cause. These are not even  
21 controlled for smoking which is the major cause of  
22 acquired respiratory illness. I mean there's much

1 more COPD, chronic obstructive pulmonary disease,  
2 than there is cancer from smoking.

3 Now, if you don't control for smoking  
4 and you don't even know whether there has ever  
5 been a pathologic analysis of any particular case,  
6 these are meaningless numbers.

7 Just in finishing, I would urge the  
8 panel and those who are interested in this subject  
9 to consider very carefully what is the accuracy of  
10 the data on which the roadmap relies and to  
11 acknowledge that good, sound and accurate  
12 epidemiologic data which accounts for confounding  
13 problems is the only way to go if you really want  
14 to establish any dangers associated with fiber  
15 types.

16 MR. HEARL: Thank you, Dr. Rubin. Our  
17 next presenter will be Dr. Richard Lemen. He's a  
18 consultant, retired NIOSH deputy director.

19 As with the others, I'd ask that you  
20 begin with your name, affiliation and any other  
21 parties or organizations on behalf of whom you are  
22 presenting.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 DR. LEMEN: Hi, I'm Richard Lemen, and  
2 I've been retired several times, so I'm here today  
3 as a private citizen. I'm paying my own expenses.  
4 However, I do testify in litigation on behalf of  
5 plaintiffs in asbestos-related litigation cases.

6 I'm also the co-science director of an  
7 organization called The Asbestos Disease Awareness  
8 Organization. It's a voice of victims  
9 organization. It's a non-profit organization  
10 which I donate my time to. I'm also the retired  
11 acting and deputy director of NIOSH.

12 I'll start my comments. These are not  
13 my prepared comments, but I just have to comment  
14 on what Dr. Rubin said, that actually regulation  
15 does not cause disease, that we didn't have very  
16 good statistics before regulation went into  
17 effect. But if you look at the graph, it looks  
18 like the regulation has caused disease, but that's  
19 just my own personal observation. I just don't  
20 want you to go away and say regulation is a bad  
21 thing.

22 Also, I'd like to preface my comments by

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 saying that some of you have talked about how long  
2 you've been in this field. Anyhow, many of you  
3 talked about how long ago you started working in  
4 asbestos. When I walked through the doors of the  
5 old Bureau of Occupational Safety and Health in  
6 1970, 37 years ago, I first met Ralph Zumwalde.  
7 So if anything I say today you don't like, just  
8 blame it on Ralph because he taught me all I know  
9 about analytical techniques.

10 But I'd like to start by saying a little  
11 bit of nostalgia, and that is NIOSH put out its  
12 first criteria document in 1972. That document  
13 called for a fiber concentration, two fibers per  
14 cc. This was based on the old Bureau of  
15 Occupational Health data out of England, and that  
16 was our first criteria document.

17 NIOSH then put out a revised criteria  
18 document in 1976 where we called for a lowering of  
19 that standard, using the phased contrast  
20 microscope to.1 fiber per cc based upon its  
21 analytical resolution and ability to measure in  
22 the workplace. At that time, NIOSH was the first

1 federal agency to say that we should ban the use  
2 of asbestos. This has been the policy of the  
3 institute since 1976 so far as I know. I don't  
4 think it's changed since that point in time.

5 But I would like to commend NIOSH on  
6 this roadmap. I think it's a very good start at  
7 addressing issues that need to be addressed in  
8 there of occupation-related issues and  
9 environmental-related issues to asbestos.

10 One of the things I would caution all of  
11 you, and I'm not trying to put a pitch in for  
12 NIOSH, but NIOSH doesn't have a lot of money and  
13 if you really want to see this roadmap work,  
14 they're going to have to get money. So if any of  
15 you have influence on that, I think you're going  
16 to have to get the money for NIOSH to carry this  
17 roadmap out.

18 What I'd like to say is that NIOSH has  
19 maintained this position as far as it's  
20 recommended standard and exposure limit of .1 using  
21 the NIOSH phase contrast microscope method, 7400.  
22 Unfortunately, that method cannot measure or see

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 chrysotile under the light microscope when it  
2 occurs in the fibril form and thus most of the  
3 chrysotile is not counted in an air sample using  
4 the NIOSH 7400 count scheme with a diameter  
5 resolution of about .25 microns since most  
6 individual fibrils of crocidolite and chrysotile  
7 are in the range of about .02 to .05 microns in  
8 diameter.

9 OSHA has recognized the disadvantages  
10 and advantages of the phase contrast microscope,  
11 and in my submission to NIOSH, in my appendices, I  
12 have given that information. I will not go into  
13 that right now.

14 NIOSH's new roadmap, I think, represents  
15 its continued leadership role in occupational  
16 safety and health by addressing asbestos-related  
17 issues needing clarification and further  
18 elucidating as well as addressing questions that  
19 are still unresolved. By so doing, NIOSH is  
20 fulfilling what I think is its Congressionally  
21 mandated role under the Occupational Safety and  
22 Health Act.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           NIOSH should not back away from  
2 including all respirable fibers and all respirable  
3 asbestiform fibers including cleavage fragments  
4 which appear to be in a fibrous habitat and thus  
5 fitting the asbestos definition by light  
6 microscope that are clearly respirable dust. I  
7 have given some information from papers that NIOSH  
8 has written, showing these findings about the  
9 cleavage fragments.

10           This should only be changed if there  
11 exists irrefutable data, both human as well as  
12 animal, showing the safety of any such fibrous  
13 material being excluded since the only difference  
14 in these entities is from the structure of the  
15 same mineral and true asbestiform habitat is the  
16 structural morphology with all other  
17 characteristics being the same.

18           NIOSH should develop valid methodology  
19 to sample for all size fibers including those less  
20 than 5 micron in length, now not addressed by OSHA  
21 regulatory standards. Both animal and human data  
22 support such an inclusion as can be seen by the

1 attachments in another appendices I'm giving to  
2 NIOSH.

3 NIOSH should address and refine their  
4 current surveillance of fiber-related diseases.  
5 For example, it is well known that the National  
6 Cancer Institute CRA Database underreports  
7 mesothelioma.

8 NIOSH should continue its respiratory  
9 disease surveillance system and should assure that  
10 other NIOSH surveillance systems become more  
11 comprehensive and inclusive, and analyses should  
12 not rely solely on proportionate mortality or  
13 morbidity analysis for determining mortality or  
14 instance data which many of the NIOSH reports have  
15 been doing to this point in time. This is true  
16 especially for rare diseases which become  
17 underreported, using this methodology, and one  
18 example of that is mesothelioma.

19 NIOSH should also determine how much of  
20 the background mesothelioma and other asbestos-  
21 related diseases are related to increased  
22 consumption of asbestos within any reference

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 populations used for control comparison and thus  
2 adjust expected rates accordingly in order to  
3 determine the true risk of asbestos-related  
4 diseases. Evidence suggests as consumption of  
5 asbestos has gone up, so have background rates of  
6 asbestos-related diseases. I've submitted another  
7 paper in my attachment discussing that.

8 NIOSH should review the epidemiology  
9 literature on all fibrous materials, not just  
10 those related to currently regulated asbestiform  
11 fiber types. Such research should address all  
12 respirable fiber types and all size parameters  
13 including short respirable fibers. Since  
14 biopersistence has been used as a surrogate for  
15 identifying and looking at lung burden studies as  
16 a critical factor in causation, and toxicological  
17 studies should evaluate whether external airborne  
18 concentrations are actually representative of the  
19 fiber concentrations and morphologies once the  
20 fibers have been inhaled into the lung.

21 Data suggests that the breathing zone  
22 samples of chrysotile may not represent the actual

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 fiber burden of chrysotile fibers in the lung as  
2 they break apart from fiber bundles and multiple  
3 once within the lung while the amphiboles tend to  
4 not do that. This is important as it means a  
5 higher dose of chrysotile in the lung as well as a  
6 higher rate possibly for translocation of  
7 chrysotile from the lung. Because dose plays a  
8 significant role in the toxicology of chrysotile  
9 as compared to amphiboles, such findings would be  
10 important in determining the actual role of  
11 chrysotile in asbestos-related diseases such as  
12 mesothelioma. This translation of chrysotile  
13 asbestos may indicate a more specific role for  
14 chrysotile in the etiology of mesothelioma.

15 Mesotheliomas develop in the pleura,  
16 peritoneum and other serosal surfaces of the body.  
17 It is universally accepted that chrysotile is a  
18 cause of cancer in the lung and migrates to and is  
19 concentrated in the pleura. Since chrysotile is  
20 carcinogenic and is present in high concentrations  
21 in the pleura where the mesothelioma is induced,  
22 it is biologically plausible that it causes or

1 contributes to the cause of mesothelioma.

2           This is also shown in many mechanistic  
3 and molecular studies that indicate how chrysotile  
4 may cause mesothelioma. Fiber penetration can  
5 rearrange cytoskeletal apparatus of the cell and  
6 thus could indicate an interaction between the  
7 chrysotile fibers and the normal mitotic process  
8 since giant, multi-nucleated cells are formed.

9           These studies indicate that chrysotile  
10 penetrates the cell and enters the nucleus and  
11 induces abnormal chromosomal formations in the  
12 dividing cells. Some of these abnormalities  
13 include the deletion of the P53 gene that controls  
14 cell growth.

15           Additional research should include  
16 evaluation of the synergistic effects between  
17 amphiboles and serpentine fiber exposures since it  
18 is highly unlikely that uncontaminated serpentine  
19 exposures exist in occupational and environmental  
20 settings. To date, such findings have suggested  
21 such a synergistic action between the mixed fiber  
22 types.

1           It has been suggested by some that the  
2       fibrous tremolite contamination of chrysotile,  
3       usually a very small percentage, less than 1  
4       percent, is the cause of mesothelioma among  
5       predominantly chrysotile-exposed persons. New  
6       evaluation of the South Charleston chrysotile-  
7       exposed population of textile workers has  
8       confirmed a dose response relationship between  
9       asbestosis and lung cancer. This is important as  
10      entities suggesting that chrysotile is the safe  
11      asbestos base their conclusions only on the  
12      outcome of it causing mesothelioma. While it is  
13      generally recognized that chrysotile on a dose by  
14      dose basis is less potent than the amphiboles in  
15      producing mesothelioma, however, this does not  
16      appear the case of other asbestos-induced  
17      diseases.

18           Therefore, future NIOSH research should  
19      continue to look at other asbestos-induced  
20      diseases when determining recommended regulatory  
21      actions for the prevention of asbestos-related  
22      diseases.

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1           I'll conclude by just giving you some  
2        comments about epidemiology. I have six points,  
3        very quickly.

4           When NIOSH does epidemiological studies  
5        or contracts out epidemiological studies: One,  
6        they should determine the actual exposure to the  
7        fibrous material and not allow dilution of any  
8        finding because non-exposed individuals or groups  
9        were included in the cohort;

10          Two, allow sufficient size of the study  
11        population to assure sufficient power;

12          Three, conduct sufficient follow-up to  
13        assure at least 95 percent of the cohort is  
14        followed up and traced and the vital status is  
15        taken into consideration;

16          Four, allow sufficient latency to  
17        determine if adverse effects are developing.

18          Five, identify and account for any  
19        possible cofounders or cofactors that may skew or  
20        alter the outcome of the study;

21          And, six, if case control analyses are  
22        conducted, make sure that all match controls are

1 selected so that the confounders or cofactors will  
2 not skew the outcome including securing adequate  
3 occupation histories to rule out other causative  
4 agents or past occupational exposures.

5 In closing, I'd just like to say that I  
6 think NIOSH is on the right track with putting  
7 this together. I hope that we can get the funding  
8 to NIOSH so that they can carry it out.

9 Thank you. The rest of my comments are  
10 submitted.

11 MR. HEARL: Thank you, Dr. Lemen. I  
12 want to say, since you mentioned a couple of times  
13 and I think it's probably worth my while letting  
14 everyone else know too, if you have materials,  
15 data or other supporting information that you  
16 would like to have entered into the record, you  
17 can bring those to me here today at the meeting  
18 before we close, and we'll be happy to get those  
19 on the records. Alternatively, there are the  
20 other methods of mailing and emailing it or using  
21 the web address to contribute to the docket, and  
22 those are all available to you.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           Our next presenter today is Mr.  
2 Christian Hartley, Esquire from Richardson Patrick  
3 Westbrook and Brickman, LLC.

4           As I've told others, if you could  
5 identify your affiliation and support.

6           MR. HARTLEY: My name is Christian  
7 Hartley, and I am a lawyer. I represent  
8 plaintiffs, victims in asbestos litigation, but I  
9 am here on my own behalf and I am paying my own  
10 way. I am not here to represent any of my  
11 clients.

12           I want to talk to you briefly about some  
13 of the issues that I think NIOSH needs to consider  
14 in looking at this roadmap. I think it's  
15 interesting that many people have not really  
16 commented on the actual roadmap and kind of came  
17 to represent their own scientific issues.

18           The roadmap brings up several things.  
19 One of the things I want to talk about is the  
20 importance of fiber dimensions and what I have  
21 seen because I see these things misused in  
22 litigation all the time.

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1           The NIOSH 7400 method picks a size  
2 fiber, and it's based on convenience related to  
3 the ability of the microscope to see those  
4 asbestos fibers. We've heard about that today.

5           Short fiber asbestos is one of the  
6 issues that's been brought up here, and it's been  
7 brought up in the Berman and Crump methodology  
8 which I think is substantially flawed.

9           Short fibers, there's no way to  
10 exonerate them from being causative of disease.  
11 The evidence is scant if at all. The evidence  
12 that's out there from Davis, et al. indicates --  
13 this is animal studies -- that short, fat fibers  
14 play a role in disease. If you look at that  
15 study, I think you'll see that short fiber  
16 chrysotile cause disease in rats, and it's not  
17 surprising.

18           Another study, where one of the speakers  
19 who actually did not show up today, Yeager, et  
20 al., in which Dr. Langer was a participant at  
21 least, indicates that short fiber chrysotile,  
22 calidria chrysotile from California, is more

1 cytotoxic than other types of asbestos. I think  
2 that's something that you all need to consider.

3           The human evidence is also important.  
4 Dr. Suzuki's 2002 work is mentioned in the  
5 roadmap. The more recent work is also important.  
6 It indicates. There's a strong indication in  
7 there as I see it that short fiber chrysotile,  
8 very short in fact, is the predominant type of  
9 asbestos that you see in the tumor, in the target  
10 organ, the pleura. I think that's important to  
11 consider.

12           Also, Dr. Dodson has also mentioned.  
13 Dr. Dodson did a wonderful review of the evidence  
14 on short fibers to show just how scant it is and  
15 how it's very difficult to rule out short fibers  
16 as a source of disease.

17           Biopersistence is another issue that's  
18 brought up. It's brought up in the Berman and  
19 Crump methodology. It's one that's been  
20 researched recently. Of late, the research seems  
21 to be funded by companies that were involved in  
22 litigation. Union Carbide has funded some work by

1 Bernstein and others that's mentioned. I think  
2 it's important to ask yourself why a company, 20  
3 years after it got out of the chrysotile business,  
4 is funding research in Europe on this when they  
5 sold short fiber chrysotile which they claim is  
6 not biopersistent.

7           Clearly, if you're going to look at  
8 biopersistence, let's look at the target organ and  
9 whether there's biopersistence in that organ. The  
10 issue for mesothelioma is going to be the pleura.  
11 There's no data. The only data that's out there  
12 is Suzuki, et al. and maybe some others but very  
13 little, but it shows that chrysotile is  
14 biopersistent in the pleura. I think that's a  
15 very important factor.

16           Some people have advocated the use of  
17 scanning electron microscopy and TEM. I think  
18 both are important. TEM is going to catch all of  
19 the fibers. We know and I know from my own work  
20 as a lawyer that experts, microscopists who are  
21 looking at tissue with scanning electron  
22 microscopy are missing fibers. They're missing

1 thin fibers of crocidolite. They're missing thin  
2 fibers of chrysotile. So lung burden studies,  
3 fiber burden analysis with scanning electron  
4 microscopy is not telling, and it's important to  
5 recognize that.

6 Obviously, another factor is whether or  
7 not chrysotile asbestos is biopersistent, we know  
8 it causes these diseases. Maybe biopersistence  
9 isn't really that important. The question is we  
10 know asbestos of all types causes mesothelioma, we  
11 know it causes lung cancer, and we know that, as  
12 the roadmap makes clear, there is no safe level  
13 that's been identified.

14 How important is biopersistence? Maybe  
15 it's important, but the evidence is not clear.

16 It's very important to recognize that  
17 when we're looking at potency estimates and the  
18 like, that the dose data out there that is  
19 available is very inaccurate. You're going to  
20 hear actually from Dr. Egilman today. I  
21 understand he's on the list. Dr. Egilman has  
22 pointed out very clearly and very succinctly how

1 the McGill, the Canadian chrysotile data is very  
2 inaccurate. It's based on conversions from an old  
3 midget impinger method to the current fibers per  
4 cc method, and there is no accurate conversion.

5 I also would point out that Hodgson and  
6 Darton who were mentioned here today, they point  
7 out specifically in their own work that their  
8 estimates of the potency are based on what they  
9 call guesstimations. If you looked at the  
10 appendix in that article, it's very clear that  
11 that sort of estimate is very inaccurate. It may  
12 be good for coming up with a general feeling as to  
13 relative potency, but it's garbage in, garbage  
14 out. If you don't know what the dose is for one,  
15 then you're not going to know what the relative  
16 potency is, and you've got to be very careful  
17 about that. We talked about that.

18 The same problem exists with the EPA  
19 methodology which apparently has been rejected  
20 finally by the EPA, and they're going to move to a  
21 new look at that issue. The dose data there is  
22 very, very unreliable. It's based on unreliable

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 dose data from studies. To come up with a  
2 relative potency and to come up with a risk  
3 estimate based on unreliable data, I say is  
4 garbage in, garbage out.

5           Obviously, with any meta-analysis, the  
6 author of the meta-analysis gets to put out the  
7 data. They choose what goes in, so they will  
8 choose what comes out. I think that we need to be  
9 very careful to accept any kind of methodologies  
10 where data is not available to the peer reviewers,  
11 which was the case in the Berman and Crump  
12 methodology. There were some private data that  
13 was not permitted to be released.

14           I think generally we just need to be  
15 watchful on that, and I'd ask NIOSH to do that. I  
16 know they are. Dr. Lemen has given me a good  
17 feeling that we can rely on the good scientists  
18 here.

19           It's very important, and this is another  
20 thing that happened with the proposed risk  
21 assessment methodology that was offered to EPA.  
22 The peer review process was greatly skewed. There

1 were several people on the peer review panel who  
2 did not disclose their industry contacts. This is  
3 a very important issue. Several of the people  
4 failed to disclose that they were working for  
5 current defendants in litigation, people like  
6 James Crapo who did not disclose his work for  
7 Union Carbide, a company that has a substantial  
8 issue in arguing that fiber shorter than 5  
9 microns, or even 10 microns as it was in the  
10 Berman and Crump methodology, were not hazardous  
11 and had zero risk.

12 This sort of thing is very important in  
13 my opinion, to make sure that the folks who are  
14 reviewing this have no ties to an outcome. That  
15 was not the case, and it has been pointed out by  
16 at least one EPA commentator, Dr. Cate Jenkins,  
17 that these issues need to be addressed.

18 We heard a little bit about some of the  
19 data out there, about talc and some of the studies  
20 there. I think it's very interesting to hear that  
21 there is very little disease in the talc industry.  
22 There are some published studies about talc where

1 there are mesotheliomas. I have litigation  
2 involving talc. We are being stonewalled by R.T.  
3 Vanderbilt. They have refused to provide us  
4 worker data that we believe indicates that there  
5 are more mesotheliomas than have been revealed.

6 The question I guess I would ask and I  
7 would ask NIOSH to consider this is why are we  
8 being stonewalled on this? Why shouldn't the  
9 world know about the worker histories for people  
10 who are exposed to things that are being  
11 considered in this situation?

12 I guess I'd also point out that on  
13 today's panel there are several folks who have  
14 connections to R.T. Vanderbilt. Dr. Langer, for  
15 instance, has consulted extensively with R.T.  
16 Vanderbilt. Although he is not here today, I am  
17 going to submit some of the bills that indicate  
18 his connections with R.T. Vanderbilt. I believe  
19 several of the other people who have spoken here  
20 today have had consulting relationships with R.T.  
21 Vanderbilt, and I think that's important as we  
22 look at these things.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           One of the things that seems very  
2 interesting to me is that we have R.T. Vanderbilt,  
3 which their data is very important in this because  
4 they maintain that they have tremolite cleavage  
5 fragments rather than asbestos. Back in 1975, in  
6 their own documents, they're telling their  
7 customers that they're going to warn about the  
8 asbestos hazard with their product.

9           If we could go to the next slide. In  
10 1977, a competitor and a well known asbestos  
11 seller, Johns Manville, actually recognized the  
12 fallacy of R.T. Vanderbilt's new argument which is  
13 that their asbestos is no longer asbestos.

14           The scientist, Mr. Lamar at Johns  
15 Manville, said, "I object strongly to an earlier  
16 statement," and this is in reference to C.S.  
17 Thompson's article entitled Asbestos in Your  
18 Future.

19           "I object strongly to an earlier  
20 statement on page 3 regarding misinformation  
21 supplied by a competitor. Furthermore, in all of  
22 Thompson's gobbledygook regarding the mineralogy

1 of Vanderbilt's talc, at no point does he admit  
2 the fact that their talcs contain not only fibrous  
3 tremolite but chrysotile and anthophyllite as  
4 well. This, we have proved by every available  
5 technique. These findings are well documented in  
6 numerous R and D reports.

7 "I'm afraid that Dr. Thompson," and Dr.  
8 Thompson is still a representative of R.T.  
9 Vanderbilt.

10 "I'm afraid that Dr. Thompson long ago  
11 gave up any professional ethics he might have and  
12 is now persisting with a program that is not only  
13 technically false but, even more tragic, morally  
14 and ethically wrong. He totally ignores the  
15 medical consequences of his immorality."

16 I think it's very important also to  
17 consider this study was mentioned, the Honda  
18 study. The Honda study, which is purportedly  
19 indicating that there is no hazard for  
20 mesothelioma with talc, was of course supported by  
21 R.T. Vanderbilt. I bring this up because I show  
22 you the order from the Kentucky court indicating

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 that R.T. Vanderbilt has refused to produce its  
2 worker records and also my motion in a court in  
3 Illinois, trying to compel the same thing because  
4 they have refused to produce this information.

5 I think it's very important for the  
6 folks in this room, for NIOSH to get the data  
7 before we make any decisions about this. The  
8 roadmap is a good idea. They are clearing up  
9 areas for proper regulation. But we also need to  
10 make sure that all of the data is received, and  
11 that includes the secret data that they're not  
12 producing.

13 With that, I think also there's been  
14 some reference to the taconite studies today. I  
15 think the more recent data has indicated there  
16 were a lot more mesotheliomas in the taconite  
17 mining groups, and I think that's really important  
18 to look at as well.

19 Thank you. Let me just add that I am  
20 going to provide you with some of the documents  
21 that I've shown.

22 MR. HEARL: Thank you, Mr. Hartley. Our

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 next presenter is Jonathan Ruckdeschel from the  
2 Ruckdeschel Law Firm. Hopefully, I didn't get  
3 your name too far off.

4 MR. RUCKDESCHEL: If you have a name  
5 like Ruckdeschel, you can't get uptight about it.

6 MR. HEARL: If you would identify  
7 yourself.

8 MR. RUCKDESCHEL: I will, of course.  
9 Good afternoon. My name is John Ruckdeschel. I'm  
10 an attorney who, for the last seven years, has had  
11 the privilege of representing individuals and  
12 families suffering with the difficulties of  
13 mesothelioma.

14 I came today on my own accord and  
15 without compensation to raise some concerns that I  
16 have regarding not the intention of the roadmap  
17 but some of the practical issues that I see  
18 becoming difficult as a result of the way that  
19 some of the things that are in the roadmap are  
20 phrased. Specifically, the roadmap advocates the  
21 laudable scientific goal of development and  
22 perfection of a grand unification theory of fiber

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 toxicity. I think that that and the other  
2 scientific questions posed in the roadmap are all  
3 worthy and laudable goals.

4           Unfortunately, as written, the roadmap  
5 contains various statements that will inevitably  
6 and immediately be seized upon by companies that  
7 are involved in litigation relating to  
8 mesothelioma and other asbestos-related diseases  
9 as claims that they have been exonerated by NIOSH.  
10 Specifically, the roadmap suggests in at least two  
11 places that absent finalizing this grand  
12 unification theory, there may not be a sufficient  
13 scientific basis to support the current policies  
14 that have protected American workers and families  
15 for decades.

16           What I'm referring to here specifically  
17 is the statement that: "Achieving the goals will  
18 be well worth the investment because the  
19 occupation health protection policies that NIOSH  
20 recommends for asbestos and other mineral fibers  
21 must be based on the results of sound scientific  
22 research."



1 unleashing a poison upon the public unless all  
2 evidence uniformly supports the conclusion of a  
3 danger when in fact sound public policy that NIOSH  
4 and other health agencies have followed for  
5 decades and should continue to follow is that when  
6 the weight of the current scientific evidence  
7 demonstrates that there's a hazard to life and  
8 health of individuals, action should be taken to  
9 protect those individuals.

10           Again, I do not believe that it is the  
11 intention of the panel or of any of the authors of  
12 the roadmap to suggest such a model. That being  
13 said, my experience in the last seven years in the  
14 asbestos litigation has demonstrated to me that,  
15 as written, the report will immediately be  
16 portrayed in courts and regulatory agencies and  
17 industry-sponsored peer-reviewed papers as  
18 validating such an approach. Every time the  
19 report states that various issues are uncertain  
20 without discussing the source, often industry-  
21 manufactured dispute, the roadmap will immediately  
22 be seized upon by industry-sponsored scientists to

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190



1 roadmap be kept as a draft and an evolving  
2 document. As an expressly evolving draft, the  
3 roadmap will provide direction while  
4 simultaneously recognizing the flexibility  
5 recognized in the roadmap in following such a  
6 significant scientific inquiry. At the same time,  
7 by keeping the roadmap as a draft, it will avoid  
8 many of the problems of manipulative  
9 mischaracterization that has happened so often in  
10 the past by advocates for industries who do not  
11 share the benign and laudable goals of this  
12 agency.

13 I want to thank all of the authors of  
14 the roadmap for the substantial piece of work that  
15 they've done and for giving me the opportunity to  
16 come here today.

17 MR. HEARL: Thank you. Our next present  
18 is Robert Paul from Paul Reich and Myers. As with  
19 the others, I'd ask if you can identify your  
20 affiliations.

21 MR. PAUL: Well, you might have trouble  
22 pronouncing Jon's last name. My problem all my

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 life has been people asking me, your name is  
2 Robert Paul what? That's been my cross to bear.

3           Anyway, my name is Robert Paul. I'm a  
4 plaintiffs' asbestos lawyer. I've been doing  
5 asbestos for 27 years. I can't stand up here and  
6 not acknowledge Mr. Zumwalde and all the things  
7 that he has done. Which one is he? Is that you?

8           I've read some of the papers you and  
9 Dick Lemen did and the things that this agency has  
10 done over the years to protect the folks that I  
11 represent. It hasn't been praised enough in this  
12 meeting, and I want to make sure that that's done.  
13 You guys have done a great job.

14           I also want to echo the comments that  
15 Jon made with respect to what are clearly the  
16 goals of what this roadmap is about, but I want to  
17 talk about some other things that I think are  
18 important. My presentation really breaks down  
19 into two conversations. One, I want to talk about  
20 the bias issues and, secondly, I want to talk  
21 about the science issues that are presented by the  
22 roadmap.

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190



1 dying of this disease which this agency has fought  
2 so hard to protect against all these years.

3           One of the things that I think this  
4 agency should consider is a requirement that any  
5 presenter must present to you before they present  
6 any papers of any kind or any statements to you  
7 purporting to be science, that the exact biases of  
8 that particular scientist be made clear. I can  
9 talk about how many times Dr. Gibbs has testified  
10 on behalf of asbestos defendants, how many times  
11 Dr. Langer has testified on behalf of asbestos  
12 defendants, how many times Dr. Rubin has testified  
13 on behalf of asbestos defendants and how many  
14 times Mr. Lee has testified on behalf of asbestos  
15 defendants, and you didn't hear any of that. Now,  
16 that's my point.

17           I think there needs to be a rule that  
18 the agency issues. I know issuing rules is hard,  
19 but I think there needs to be a bias description  
20 and a clear description of how much money each of  
21 these scientists have received from asbestos or  
22 commercial interests, and you can apply that to

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 our guys too. That's okay. I don't have any  
2 problem with that because the point is especially  
3 in these times in this town, the issue of how much  
4 money is being spent to effect science is a  
5 problem, and people don't always tell you that.

6 So that's my pitch about one of the  
7 things the roadmap ought to require is a bias  
8 description. I'm happy to submit. I won't do it  
9 today, but I'll submit some proposals on how that  
10 might be done.

11 Let me talk about the science issues a  
12 little bit too. The first issue is  
13 biopersistence, and I'll try not to cover what Jon  
14 and Christian did. But one of the issues with  
15 biopersistence is the assumption, which I disagree  
16 with in the paper, in the white paper, that merely  
17 by measuring how long chrysotile, amosite or  
18 crocidolite remains in the lung, that that is  
19 somehow the only measure for mesothelioma. Well,  
20 that's not true.

21 Let me give you an example. We all know  
22 that doctors diagnose people dying from gunshot

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 wounds every day and there's no bullet, right.

2 Why isn't the same thing true?

3 An example of that is a paper that some  
4 of you are familiar with, that Dr. Frank did for  
5 his Ph.D. dissertation for this agency, where they  
6 found immediate effects upon lung tissue of rats,  
7 immediately upon exposure to asbestos. Now, why  
8 isn't it equally plausible that the fibers break  
9 up, the fibers migrate to the lungs, to the  
10 pleura, the peritoneum, the pericardium as the  
11 white paper points out, and then cause the  
12 mesothelioma and are cleared out of the body?

13 What is conceptually wrong based on the  
14 evidence that we have which is primarily Dr.  
15 Suzuki's work?

16 Now, another problem that I have with  
17 the white paper is the notion that predictive  
18 measures to determine lung cancer are somehow  
19 automatically predictive for mesothelioma. I'll  
20 leave that for Dr. Rubin. He's much more expert  
21 at this than I to explain why I'm wrong about  
22 this, which I'm sure he'll be happy to do. But it

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 seems to me that there is a difference and that  
2 most of the papers that we've seen that are  
3 discussed in the paper and that the agency talked  
4 about when Howard Aro was here in the fifties,  
5 talked about even then was the fibers break up;  
6 they migrate; they cause disease; they move on.

7           The discussions also in the paper don't  
8 really discuss the more traditional synergistic  
9 effect of cigarettes and asbestos on the causation  
10 of lung cancer. The problem of the focus on what  
11 I call the pure science exposures, that is, the  
12 pure exposures, ignore the complicated use of  
13 different products that each of our fellows have  
14 as well as the whole issue, which the agency is  
15 much more familiar with than I, about the  
16 contamination of the tremolite, about the  
17 contamination of the talc, of these other things.  
18 Are we so certain that Suzuki's and Dodson's  
19 papers on the short fibers aren't sufficient in  
20 and of itself that we don't need any more research  
21 on that subject at all?

22           We need to also look at the foreign

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 exposures because the foreign exposures are where  
2 the new exposures are happening.

3 I'll comment about Mr. Lee's comment  
4 about the crayons because, as some of you may be  
5 familiar with, the paper that Ford did when in the  
6 1970s, Ford's scientific director discovered that  
7 the Girl Scouts as a project as part of the Girl  
8 Scouts for Brownies for use of asbestos. Ford, in  
9 1972, wrote a letter saying, you know, asbestos is  
10 dangerous in this context, and the Girl Scouts  
11 should take it out of the projects for the Girl  
12 Scouts.

13 So I don't think this issue about  
14 crayons is as funny as he seems to think it was.  
15 At least Ford thought it was significant 30 years  
16 ago.

17 The issue about what I call the attempt  
18 to create doubt, what we really have here is an  
19 attempt to create scientific doubt in order to  
20 defend cases. If any of us think that that's not  
21 true, you're wrong. Obviously, that's my  
22 perspective, but I think that on analysis, it does

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 make sense. There's enormous advantage to  
2 creating scientific issues that this agency  
3 decided 30 years ago such as asbestos kills, that  
4 all fibers cause it, that all types of fibers  
5 cause it.

6 This new cleavage fracture notion that  
7 we hear, that's I guess the latest chrysotile  
8 defense. We all know there's always been enough  
9 papers to talk about chrysotile. So now it's all  
10 about cleavage fractures. Now, we talk about  
11 cleavage fragments. Now, cleavage fragments don't  
12 cause mesothelioma.

13 I'm going to close with this comment:  
14 If chrysotile doesn't cause meso, if short fibers  
15 don't cause meso, then why do I have 100  
16 mesothelioma cases and why does my lady in  
17 Crawfordsville, Indiana, have peritoneal  
18 mesothelioma when all she ever did was work for 13  
19 years as an inspector of brake linings in the  
20 Raybestos plant?

21 MR. HEARL: Thank you, Mr. Paul. Our  
22 last signed-up presenter, and then we will take a

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 break after this presentation, is Dr. David  
2 Egilman, M.D./M.P.H. from Brown University.

3 Dr. Egilman.

4 MR. BROWN: Thanks. I'm Ed Brown. They  
5 didn't pay me to come. Nobody else did, and I  
6 also am not getting paid for being here.

7 On the other hand, I do a lot of work  
8 consulting on asbestos issues at the request of  
9 injured workers and at the request of a variety of  
10 large asbestos and small asbestos companies. So I  
11 do both, and I was a consultant to Turner Newell  
12 for a while, which some of you may know is the  
13 largest asbestos company in the world, having  
14 divided the world into a large cartel with Johns  
15 Manville who you saw a document from before.

16 SPEAKER: It's a little hard to hear  
17 you.

18 I'm sorry. That isn't said about me  
19 very often. I'll try to fix that.

20 Most of the things I was going to talk  
21 about have already been mentioned, so I'll just  
22 try to do it with less technical jargon. Since I

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 came all the way here and I had these few slides,  
2 I want to talk anyhow. So I'll be emphasizing two  
3 main scientific points.

4 The first is that biopersistence is not  
5 a relevant factor in analyzing asbestos toxicity,  
6 particularly with respect mesothelioma but also  
7 with respect to lung cancer. There are a variety  
8 of studies that have been done, looking  
9 mechanistically at the induction of cancer, most  
10 of which have been done by Carl Barrett here at  
11 NIH, who doesn't do any or a lot of litigation.

12 By the way, in terms of bias, I don't  
13 really think that money is the sole bias. It may  
14 be a potential bias, but there are other biases as  
15 well, and historically it's harder to get at  
16 those.

17 So, from my perspective, I would rather  
18 see, by the way, for panels like this, a circle  
19 and talk and exchange of ideas back and forth  
20 around a circle rather than constant presentations  
21 so that we can discuss iteratively things. I  
22 think that's a better process. You could have

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 both. That would be my process suggestion, and  
2 then we could discuss maybe some data, and we  
3 might actually make some progress rather than  
4 talking about each other or one another. I know  
5 that's not a traditional government construct, but  
6 at any rate it is sometimes an occasionally useful  
7 construct, at least in academia.

8           There are two reasons biopersistence is  
9 irrelevant, or three. One is that mechanistically  
10 it looks like the injuries begin within days,  
11 weeks or months of the contact of the substance  
12 with a cell. Asbestos has been studied that way.  
13 This is an old model. I think it's from a Carl  
14 Barrett presentation. It doesn't take long, 15 to  
15 17 changes maybe in the DNA over the course of the  
16 time. It's not a one-shot model. It's  
17 complicated. Life is complicated. Human beings  
18 are complicated. Even rats are complicated, it  
19 turns out. So a lot of this stuff is hard to  
20 figure out.

21           The second reason it is probably not, if  
22 you believe that crocidolite is more potent than

1 chrysotile, it turns out that in Finkelstein's  
2 study, which is the best one I know about  
3 biopersistence, biopersistence turns out to be a  
4 function of fiber length, not fiber type. If  
5 you're going to say there's a difference in fiber  
6 type potency, which in humans there appears to be,  
7 although in animals it looks reversed, that is,  
8 chrysotile is more potent than the amphiboles,  
9 then you have to say there must be something  
10 besides biopersistence that's the key issue  
11 because that's a function of fiber length.

12           The third reason it's irrelevant is all  
13 the studies on biopersistence deal with  
14 biopersistence in the lung, and of course the  
15 cancers of major concern here are in the pleura.

16           You're controlling this? Okay, when I  
17 push this, that means you should push yours.

18           The second point I wanted to make is  
19 what you can't see can kill you, and this is the  
20 problem with all the dose reconstructions and all  
21 the epidemiology, and you've heard it all day  
22 long. We have not been measuring the right stuff

1 for the last 50 years, okay, and it can't be  
2 fixed, the study. You can't go back, and it can't  
3 be fixed for a couple of reasons.

4           Nicholson did some papers in the early  
5 nineties, and it turns out that the pattern of  
6 fiber, there's not a consistent pattern of fibers.  
7 By the way, the 5 micron length was made for  
8 efficiency reasons. If you talk to Mort Corn,  
9 he'll tell you, well, we had to cut it off at five  
10 because there were too many under five, and it  
11 wasn't practical to count them.

12           Well, it turns out there's not a uniform  
13 distribution of fibers from every mine and every  
14 deposit and every product. So if you use a five  
15 cut-off for some chrysotile, you may be counting  
16 one out of a thousand fibers; for other  
17 chrysotile, it may be one out of a hundred; for  
18 another chrysotile, it may be one out of a  
19 million. So you can't go back and recreate  
20 exactly how many fibers people in the mines in  
21 Canada, which is the best long-term mortality  
22 data.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           By the way, just a quick comment, death  
2 certificates are pretty standard for epidemiology.  
3 In this field, particularly Selikoff's studies,  
4 they went back and they actually did best  
5 evidence. They went back and looked and tried to  
6 correlate the epi data from death certificates  
7 with actual path because they could do that in New  
8 York and New Jersey. So, in some cases, you can  
9 do better than death certificates, but death  
10 certificates are pretty standards. If you're  
11 going to wait for something better than death  
12 certificates, we could have our next meeting  
13 around 2050. Hopefully, it will be a small  
14 meeting because hopefully nobody will have any  
15 mesos generated in the next 50 years, at least in  
16 this country.

17           Here, you have some problems. The PCM  
18 detection limit is .25, and only PCM fibers count  
19 in 7402. Chrysotile fibers are .02 to .05. So when  
20 you count chrysotile, you're actually not counting  
21 chrysotile fibers. You're counting bundles, and  
22 they bundles break up when they're in the body.

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

1 They split longitudinally. A single bundle may be  
2 hundreds of fibers, so there's another problem  
3 with calculating dose response.

4 Even if you figure out what the  
5 chrysotile doses is in the air, it's different  
6 when it gets in the body, and I'm sure it's  
7 different in different people with different  
8 latencies because you have more or less time to  
9 split those fibers longitudinally.

10 This is not lead or beryllium. It's not  
11 a molecule. It's a fiber that splits  
12 longitudinally, and you can't use other models for  
13 dose response for these, particularly chrysotile  
14 fibers but also other fibers which also split.  
15 You have to have a different kind of thought  
16 process for assessing dose, and it's much more  
17 complicated than the usual occupational hazard.

18 Unfortunately, we're locked into the way  
19 we've been doing things, and so when your only  
20 tool is a hammer, everything looks like a nail  
21 including this bottle. Well, it turns out it's  
22 not so easy to open that bottle with a hammer.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 Sometimes you can break it. Not this one, it's  
2 plastic, but maybe the glass.

3 This turns out to be true, I think, for  
4 crocidolite. I think you heard as well.

5 You can't say short or long fibers are  
6 irrelevant because we haven't been measuring them  
7 for the last 40 or 50 years. The thin ones have  
8 not been measured. So we don't really know about  
9 this. None of the dose reconstructions and none  
10 of the meta-analyses can deal with these because  
11 the data is not there. It cannot be  
12 reconstructed.

13 I published on this, but I didn't  
14 publish my own work. I republished. The  
15 Canadians first looked at this in 1974 because  
16 they had to convert particles to fibers, and what  
17 they found was an inverse correlation between  
18 disease and particle counts. There's an  
19 explanation for that, at least one explanation.  
20 It turns out the higher the exposure in Canada,  
21 the less disease there was, and that was true in  
22 their epi studies.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           Yes, it's true. You've got to look at  
2 the appendix, Dr. Berman, published on this.

3           The reason for that was that the higher  
4 exposures were actually in the miners, but most of  
5 what they were exposed to was mine dust because  
6 they were exploding the stuff. It's only 5  
7 percent chrysotile; the rest was dust. Well, the  
8 midget impingers were measuring dust. So the  
9 higher dust levels were in the miners. They had  
10 less chrysotile exposure. The lower exposures  
11 were in the millers, where there were good  
12 controls, but a higher percentage was actually  
13 asbestos fibers.

14           So there was an inverse relationship,  
15 and they had to manipulate the data in those  
16 studies. They did manipulate the data, and they  
17 got away with it for a while. In other words,  
18 they threw out the inverse data until it became  
19 linear. The nonsense data, they just threw out.

20           This is from an industry-sponsored  
21 meeting, Archibald Cox, remember him, from Nixon  
22 days when he was the guy they hired to do this.

1 This is from 1993, and this is from the Health  
2 Effects Institute. They started looking at this,  
3 and they found that PCM overestimates exposures in  
4 buildings. That's because they were representing  
5 builder owners and asbestos in place people, but  
6 underestimates worker exposures. There is some  
7 science to this that's legitimate.

8           This is some data that you don't get.  
9 In other words, this is secret data from Union  
10 Carbide. This is from calidria, the calidria  
11 mine. What they found out since they were doing a  
12 lot of sampling is that the calidria had a lot of  
13 ultra fine material, and they figured this out and  
14 didn't tell anybody and never told the people that  
15 they were monitoring it for, that there were lots  
16 more fibers than the standard methods were  
17 finding.

18           But this has been known by some  
19 industries for a long time, the problem of thin  
20 fibers in the products were producing much higher.  
21 This is a 20 to 40-fold difference. That's not  
22 trivial.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           Okay, so here's the key point. You've  
2 got a picture here. You're measuring it. Where  
3 that X is, that's where all the data comes from.  
4 What's asbestos in the lung? How long does it  
5 last in the lung? Unfortunately, the cancer is  
6 occurring in the pleura, and there is an inverse  
7 relationship, not no relationship, between what  
8 you find in the lung and what you find in the  
9 pleura.

10           Oops! What you find in the pleura is  
11 almost all chrysotile. There are two studies,  
12 Suzuki's, but the best one is Sebastian's done by  
13 and funded by the Canadian Asbestos Mining  
14 Association, okay, funded by them, and he did  
15 pleural evaluations along with Suzuki. There are  
16 only two studies like this because this is  
17 apparently too hard to do. So when you don't do  
18 the right thing, you do the easy thing. We study  
19 the lung even though that's not where the cancer  
20 occurs.

21           But these guys actually did the right  
22 thing. Let's look and see what's at the scene of

1 the crime, and it turns out that most of the time,  
2 98 percent of the time, there's chrysotile in the  
3 pleura and it's mostly short fiber; 23 percent of  
4 the time there's amphiboles found; 21 percent of  
5 the time, it's both; 2 percent of the time, it's  
6 only amphiboles. Well, that's what you find where  
7 the cancer occurs.

8 I think there's a general consensus that  
9 the asbestos has to come in contact with the  
10 tissue to cause cancer.

11 This is my dose response curves that I  
12 just did as I was sitting here. There's some  
13 assumption here that there is a single dose  
14 response relationship. The human data would seem  
15 to indicate that that's not true. There are many  
16 cases of people who have -- and NIOSH has  
17 published this in a Greenberg paper in 1974 on  
18 this -- brief exposures to asbestos, and they've  
19 gotten meso.

20 Whereas, we know that the most heavily  
21 exposed populations, insulators who, by the way,  
22 are also exposed to highly toxic silicates,

1 tremolite, because it was heated. Those pipe  
2 coverings are heated. That's why they were there,  
3 and there were a lot of very toxic silicates  
4 produced in that. That's the reason, I think,  
5 that insulators got far more disease than other  
6 populations because of the silicate contaminant in  
7 the insulation. But that's for another day.

8           It looks like there are some people who  
9 are very sensitive, but 10 percent, at best, of  
10 insulators get meso, and they're really highly  
11 exposed. But some people with a day or more, they  
12 get meso, of exposure, a couple days. So you've  
13 got to think that there's more than one population  
14 of people, never mind rats.

15           MR. HEARL: Thank you, Dr. Egilman.  
16 We've reached the end of the pre-

17           Programmed set of speakers who signed up  
18 to speak at the meeting today. I went out at  
19 lunch and took a look at the sign-up sheet, and I  
20 noticed that the people who had signed up, which I  
21 first panicked because there are like 15 names on  
22 there, were all names of people who were already

1 on our program.

2 I just want to do a quick check before I  
3 let everyone off to break and see. Was there  
4 anyone who has signed up that hasn't had a chance  
5 to speak?

6 If not, what we'll do is we'll take a  
7 break, and then we'll come back, and we'll see if  
8 anyone wants to make remarks from the microphone,  
9 and we'll finish the meeting off. As I said  
10 before, we'll be closing this meeting out at 4:00  
11 for sure, and if we don't have any walk-ups, we'll  
12 end it right after we come back.

13 Just in case some of you do decide to  
14 duck out, I want to say, to start with, thanks to  
15 Dr. Roger Rosa in the back who helped coordinate  
16 pulling this thing together, Dr. Anita Schill who  
17 is sitting up here in the front row, Dr. John  
18 Pechetino who was helping everyone with their  
19 slides, working at the computer, Retina Holmes who  
20 isn't here but who made arrangements for the  
21 hotel, David Bang and Christina Bowles who worked  
22 the registration desk and anyone else whom I may

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 have forgotten. I also want to thank the  
2 presenters.

3 Like I said, we'll take a 15-minute  
4 break now and come back and see if folks want to  
5 come to the microphone. We'll be happy to take  
6 more input from you.

7 (Recess)

8 MR. HEARL: Thank you. If you could  
9 take your seats, we'll resume and conclude the  
10 meeting today.

11 We have one other individual. As I  
12 looked down the list of sign-ups, there's a D.  
13 Grace signed up to make presentation.

14 MR. GRACE: (off mike)

15 MR. HEARL: Oh, because you're present,  
16 okay. In that case, I think then out of the list  
17 of people who signed up to be on-site speakers  
18 included everyone who has actually already made an  
19 on-site presentation. I think there was a little  
20 confusion about that, but that's fine.

21 If you haven't signed up as attending on  
22 either of these sheets, please do so, so that we

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 can have a record of that, and there are some  
2 blank sheets still out by the back table.

3 We have run through the program. We  
4 have a microphone at center floor, and at this  
5 point, I would invite if there is anyone who would  
6 like to make any further comment, provide us any  
7 kind of input here orally, we still do have some  
8 time before the meeting concludes. So is there  
9 anyone who would like to add any comment?

10 It is very silent. I think that pretty  
11 much says that we may have covered our course. I  
12 think what I will do is give you an idea of what  
13 we're planning to do from here.

14 I want to thank everyone who came and  
15 made presentations. As I said a couple of times  
16 before, I still have a folder here where I will be  
17 taking any written inputs that you would like to  
18 submit for the record. If you want to give those  
19 to me today, that will be fine.

20 If you want to mail it in to the docket,  
21 you can go to the NIOSH web site, and there's an  
22 address on an announcement about this meeting.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 It's Docket 099. You can submit by email, you can  
2 send it mailing it in or you can send it using the  
3 web submittal form to do so.

4 After the docket is closed on May 31st,  
5 we will be posting all of the materials that we  
6 receive by mail, by email and here at the meeting.  
7 We'll be posting a copy of the transcript that was  
8 made at the meeting here today. So all that will  
9 be available publicly.

10 We also have a peer review panel that we  
11 have selected, and that panel is also listed up on  
12 our web site at present. They will be able to  
13 review the roadmap document, the comments  
14 submitted to the record, comments that have been  
15 made at the meeting here today, and they each,  
16 individually, will provide NIOSH with their review  
17 comments of the document.

18 After that, we will take this all into  
19 consideration and decide what final product might  
20 come from what we have as a draft that is on the  
21 web right now. In the meantime, the current draft  
22 as it exists will remain up on the web site as a

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1 draft document.

2 I don't know that we have a timeframe  
3 following up for after we get the peer review  
4 comments back.

5 If you could come to the microphone and  
6 identify yourself.

7 MR. GLENN: Bob Glenn, Crowell and  
8 Maurey.

9 A procedural question, will the slides  
10 be a part of the docket, the presenters' slides?

11 MR. HEARL: I've asked Dr. Pechetino to  
12 contact each of the presenters and request  
13 permission to post copies of their slides on the  
14 docket. So, to the extent that they give us  
15 permission to do so, we will post them in the  
16 docket as pdf files.

17 Yes, could you come to the microphone?  
18 Identify yourself.

19 MS. HUTCHISON: Cherie Hutchison with  
20 the Mine Safety and Health Administration.

21 I wanted your exact web site location  
22 because it's difficult to find NIOSH on the web.

ANDERSON COURT REPORTING  
706 Duke Street, Suite 100  
Alexandria, VA 22314  
Phone (703) 519-7180 Fax (703) 519-7190

1           MR. HEARL: Our exact location is  
2           www.cdc.gov/NIOSH or you can just Google NIOSH,  
3           and it's probably the first link that will come  
4           up, actually. The docket page and the asbestos  
5           roadmap, you'll find those right on the home page,  
6           and down the center line is information about this  
7           meeting and the asbestos roadmap document.

8           Any other?

9           SPEAKER: (off mike)

10          MR. HEARL: Www.cdc.gov/NIOSH, that's  
11          it. Thanks.

12          I want to check and see if any of the  
13          panel members want to make any comments.

14          Dr. Mittendorf.

15          DR. MITTENDORF: On behalf of my co-  
16          authors and the Mineral Fibers Working Group, we  
17          just wanted to thank each of the presenters for  
18          taking their time to come and present and share  
19          their thoughts and ideas with us. This is clearly  
20          an iterative process, and we will certainly be  
21          taking into consideration each and every thing  
22          that you have provided to us.

          ANDERSON COURT REPORTING  
          706 Duke Street, Suite 100  
          Alexandria, VA 22314  
          Phone (703) 519-7180 Fax (703) 519-7190

