

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON

RADIATION AND WORKER HEALTH

The verbatim transcript of the Meeting of the  
Advisory Board on Radiation and Worker Health  
Working Group held at The Westin Hotel, Cincinnati,  
Ohio, on Oct. 6, 2005.

C O N T E N T S

Oct. 6, 2005

WELCOME AND OPENING COMMENTS DR. LEW WADE, EXECUTIVE SECRETARY	6
BETHLEHEM STEEL SITE PROFILE TBD REVIEW	11
TASK 3 PROCEDURES REVIEW	115
Y-12 SITE PROFILE REVIEW	211
COURT REPORTER'S CERTIFICATE	231

### TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

In the following transcript (off microphone) refers to microphone malfunction or speaker's neglect to depress "on" button.

**P A R T I C I P A N T S**

(In Alphabetical Order)

BOARD MEMBERSEXECUTIVE SECRETARY

WADE, Lewis, Ph.D.

Senior Science Advisor

National Institute for Occupational Safety and Health  
Centers for Disease Control and Prevention

Washington, DC

MEMBERSHIP

GRIFFON, Mark A.

President

Creative Pollution Solutions, Inc.

Salem, New Hampshire

MUNN, Wanda I.

Senior Nuclear Engineer (Retired)

Richland, Washington

PRESLEY, Robert W.

Special Projects Engineer

BWXT Y12 National Security Complex

Clinton, Tennessee

## OTHERS PRESENT:

ALLEN, DAVE, NIOSH  
\*\*ANIGSTEIN, BOB, SC&A  
BEHLING, HANS, SC&A  
BEHLING, KATHY, SC&A  
\*\*DEMERS, KATHY, SC&A  
ELLIOTT, LARRY, NIOSH  
FITZGERALD, JOE, SC&A  
GLOVER, SAM, NIOSH  
HINNEFELD, STU, NIOSH  
HOMOKI-TITUS, LIZ, HHS/OGC  
\*\*LIVINGSTON, REV.  
MAKHIJANI, ARJUN, SC&A  
\*\*MAURO, JOHN, SC&A  
\*\*MELO, DUNSTANA, SC&A  
NETON, JIM, NIOSH  
\*\*PANTILLO, DANNY, CONGRESSMAN HIGGINS  
\*\*SCHROEDER, JANE, CONGRESSWOMAN SLAUGHTER  
SHIELDS, LASHAWN, NIOSH  
TOOHEY, RICHARD, ORAU  
WALKER, ED, BETHLEHEM STEEL

\*\*telephonically

**P R O C E E D I N G S**

10:07 a.m.

1           **MR. GRIFFON:** Good morning everyone. My name is  
2 Mark Griffon with the Advisory Board on Radiation  
3 and Worker Health. I'm chairing this working  
4 group session. And I think we have Lew Wade on  
5 the phone from, and Lew, I think you wanted to  
6 make some opening remarks and welcome everyone.  
7 I'll let you start the meeting off that way, I  
8 think.

**WELCOME AND OPENING COMMENTS****DR. LEW WADE, EXECUTIVE SECRETARY**

9           **DR. WADE:** Thank you, just I'd like to welcome  
10 everyone and thank you for your attendance. This  
11 is a working group of the Advisory Board. At the  
12 last Board meeting, this working group was  
13 formed. It consists of Mark acting as chair,  
14 Mike, Wanda and Robert, Richard Espinosa  
15 designated as alternate.

16 The working group was put together to bring focus  
17 and to expedite some of the many ongoing review  
18 activities that the Board has under its purview.  
19 The thought was that this working group could do  
20 somewhat detailed work leading up to a  
21 subcommittee meeting and a full board meeting  
22 that will take place in the middle of October.  
23 The particular issues that my recollection would

1           be that we'll need to be focused on are the  
2           Bethlehem Steel site profile review, TBD review.  
3           We have ongoing issues relative to the Task 3  
4           review by SC&A. That is the review of the  
5           procedures. I think there needs to be some work  
6           done on that.

7           We have site profiles for the Savannah River site  
8           and Y-12. Also, that it could use some  
9           discussion. But in my discussions with John  
10          Mauro of SC&A, I know that they are finalizing  
11          their Rocky Flats review. There's an issue  
12          that's come up on this, what I define as high  
13          five plutonium issue, and I think it could be  
14          worthwhile to get that issue on the table.

15          The Board in its wisdom suggests that we hold  
16          this working group as a public meeting, and I  
17          think that's wise. I mean, the more open we do  
18          our business the better. The public is invited.  
19          There is no public comment period that has been  
20          scheduled for the meeting, but the Board did ask,  
21          and NIOSH concurred, that we would afford an  
22          opportunity to Ed Walker who really is the focal  
23          point for those interested in the Bethlehem  
24          activity, to give Ed an opportunity not only to  
25          attend the meeting, but to participate, you know,

1           within reason within the discussion as the  
2           working group takes up issues at Bethlehem.  
3           So those are the introductory comments I would  
4           have. I mean, you're under the able direction of  
5           Mark, and I look forward to a most productive  
6           meeting, again, leading up to our subcommittee  
7           and board meeting. Thank you, Mark.

8           **MR. GRIFFON:** Thanks, Lew.

9           The only thing I will say, Ed Walker is here. I  
10          see Ed, and we will be taking up Bethlehem Steel  
11          as the first item. And I think we, you know, we  
12          want to extend the offer that if you have  
13          comments during that discussion, then feel free  
14          to come to the mike and we'll recognize you. You  
15          made the trip all this way again, so we do  
16          appreciate you being here.

17          And the other thing I think we should ask is I  
18          think there are other people on the phone line.  
19          I'm not sure if that's true, but has anyone else  
20          dialed in that we should acknowledge is at the  
21          meeting?

22          **DR. MAKHIJANI:** Kathy wanted to dial in but did  
23          not know the number.

24          **MR. FITZGERALD:** Well, I just called her. The  
25          number in the Federal Register apparently wasn't

1 working. So I went ahead and checked, and I gave  
2 them the number and the code, so it should be  
3 fine.

4 **MR. GRIFFON:** So as people come on --

5 **MS. SCHROEDER:** Are you asking for people to  
6 identify themselves?

7 **MR. GRIFFON:** Yeah, please.

8 **MS. SCHROEDER:** I'm Jane Schroeder with  
9 Congresswoman Slaughter's Office.

10 **REV. LIVINGSTON:** I'm Reverend Livingston.

11 **UNIDENTIFIED:** (Inaudible).

12 **MR. GRIFFON:** Wait, wait, we're going to have to  
13 start that again because we couldn't get those  
14 recorded those names.

15 **MS. SCHROEDER:** Okay, I'll try again. My name is  
16 Jane Schroeder. It's S-C-H-R-O-E-D-E-R. I'm  
17 with Congresswoman Slaughter's Office, the 28<sup>th</sup>  
18 district.

19 **REV. LIVINGSTON:** I'm Reverend Jerome Livingston  
20 with the (inaudible) group --

21 **MR. GRIFFON:** Reverend who? I'm sorry. Excuse  
22 me, Reverend who?

23 **REV. LIVINGSTON:** Livingston.

24 **MR. GRIFFON:** Livingston?

25 **REV. LIVINGSTON:** Correct.

1           **MR. GRIFFON:** For the Bethlehem Steel group,  
2           correct?

3           **REV. LIVINGSTON:** Correct.

4           **MR. GRIFFON:** Okay, thank you.

5           **MS. MELO:** I'm Dunstana Melo. I'm with SC&A.

6           **DR. MAURO:** John Mauro, SC&A.

7           **DR. ANIGSTEIN:** Robert Anigstein, SC&A.

8           **MS. DeMERS:** Kathy DeMers, SC&A.

9           **MR. PANTILLO:** Danny Pantillo, the office of  
10          Congressman Brian Higgins.

11          **MR. GRIFFON:** Can you repeat that? I'm sorry.

12          **MR. PANTILLO:** Sure, it's Danny Pantillo. It's  
13          P-A-N-T-I-L-L-O with the office of Congressman  
14          Brian Higgins.

15          **MR. GRIFFON:** Thank you.

16          Anyone else?

17          **DR. WADE:** This is Lew Wade again with NIOSH.  
18          Again, this is a working group meeting, not a  
19          board meeting. I don't think we're in any danger  
20          of having a quorum with the Board present, but  
21          Mark, I'll ask you to just sort of watch for  
22          that.

23          **MR. GRIFFON:** Right, that's part of the reason I  
24          wanted to do the roll call on the phone there.  
25          And I think as Lew stated, I think we're going to

1 start the with the Bethlehem Steel site profile  
2 TBD review. And my desire is to do the  
3 procedures review secondly and then, as we can  
4 get to them, either the Savannah River or Y-12  
5 site profile, in the afternoon. And I'm not sure  
6 how far we'll get along. The procedures review  
7 might be time consuming.

8 But to start --

9 **DR. WADE:** This is Lew Wade. I would ask that  
10 when we do get to either Savannah River or Y-12,  
11 we do Y-12 first only because we have an SEC  
12 petition pending.

13 **MR. GRIFFON:** Okay, that's fine.

14 **BETHLEHEM STEEL SITE PROFILE**

15 **TBD REVIEW**

16 And to start with Bethlehem Steel I think what  
17 makes more sense is probably to have SC&A do a  
18 quick overview of their recent report, the  
19 supplemental review draft, rev. two, and then  
20 maybe have, give NIOSH a chance to respond, and  
21 then have -- open up for discussion after that.  
22 So, Joe or Arjun or -- Arjun, I guess, is going  
23 to present on this.

24 **DR. MAURO:** This is John Mauro. I just wanted to  
25 say that first of all I apologize for not being  
able to make the meeting. I hope everyone can

1           hear me okay. Arjun, myself and Bob Anigstein  
2           were the coauthors of the recent review. I was  
3           hoping I'd be able to give a little overview, but  
4           Arjun, if you could please, it's probably most  
5           efficient for you to give the overview regarding  
6           the latest revision of Bethlehem Steel. So I'd  
7           like to --

8           **DR. MAKHIJANI:** Yeah, thank you, John. Yeah,  
9           originally John actually prepared the initial  
10          draft of the slides and was going to make the  
11          presentation, but since he's not here, I'm sort  
12          of pinch-hitting for him.

13          Let me just preface this by saying that our  
14          report was submitted about a week ago, and then  
15          the day before yesterday, and one of the  
16          principle things in the report was that there  
17          were a number of illegible data points in some  
18          very important data sheets from Bethlehem Steel.  
19          And NIOSH sent us new, NIOSH got the originals of  
20          those data sheets and sent us a spreadsheet.  
21          We would like to see the originals of that if  
22          they are available, but we do take NIOSH's word,  
23          we took NIOSH's word at it that those were  
24          properly represented and that, of course, has  
25          changed the picture somewhat. How much and in

1           what way we haven't had quite time to look.  
2           We've done a little bit of preliminary looking at  
3           the data.  
4           And so I just wanted to preface that by saying  
5           that there is a new element. I will try to  
6           address the element somewhat, but of course, we  
7           haven't had really time to have a considered  
8           analysis of this new information. I just want to  
9           stress that in the beginning.  
10          Last I was here I presented. We had a number of  
11          issues that we made in our first review, and I  
12          think a number of the issues that we raised have  
13          been resolved. I just want to go over at least  
14          we're in concurrence with NIOSH. We've looked at  
15          the NIOSH analysis technically, and we think that  
16          those issues have been addressed or resolved or  
17          where we have found that our original concerns  
18          were not -- we raised some concerns in regard --  
19          let me just go through them.  
20          We agree, NIOSH has said that they're going to  
21          use the Simonds data set at least for part of the  
22          time, and we agree with that. NIOSH is making a  
23          consistent use of the 95 percentiles, and we  
24          agree with this approach. In the first review  
25          SC&A had quite strongly raised a concern as to

1           whether the things that were marked as breathing  
2           zone samples in the old data sheets that were  
3           taken 50 years ago or more were actually  
4           breathing zone samples comparable to modern  
5           standards.

6           And we've looked at NIOSH's analysis that was  
7           commissioned, and we're in agreement with NIOSH  
8           that the samples were properly taken, and they  
9           appear to be represented. There were a few data  
10          points in the Bethlehem Steel data that didn't  
11          seem quite in line, but the laboratory analysis  
12          and the representation of the data, we don't have  
13          an issue with that anymore. That was a pretty  
14          major point in the last review.

15          We also have said that the Simonds' data set  
16          would be appropriate for estimating resuspension  
17          which was another significant point. And SC&A  
18          commissioned an analysis of industrial setting  
19          dust loadings as to what could be breathed in  
20          routinely in order to see whether there was some  
21          kind of upper limits to routine intakes of dust  
22          that could be determined.

23          There were two analyses that were commissioned.  
24          They're both in the report that we sent the  
25          board. And partly by happenstance, the limit was

1 in milligrams per cubic meter, 30 milligrams per  
2 cubic meter. But because here we're dealing with  
3 natural uranium, by happenstance it translates  
4 into about 600 times the maximum allowable  
5 concentration. Of course, enriched uranium would  
6 be more. If it were depleted uranium, it would  
7 be less.

8 But for natural uranium it turns out to be  
9 roughly the same number as the 95 percentile of  
10 the Simonds' data set. And so that made us sort  
11 of very comfortable that the 95 percentile of the  
12 Simonds' data set is a very claimant favorable  
13 and robust number for routine intakes in that  
14 kind of setting and so you would not be  
15 underestimating routine intakes by applying  
16 Simonds' data to Bethlehem Steel.

17 We had some caveats about cobbles and incidents  
18 in transient loadings, and I'll mention that a  
19 little bit later. As I mentioned, we've taken a  
20 look at the new Bethlehem Steel data. One of  
21 our, we had said in our report that Bethlehem  
22 Steel data are inadequate for estimating doses  
23 for 1951 and 1952. Now one of our problems with  
24 the Bethlehem Steel data set was that in the  
25 early data from April 1951 there were many

1 illegible points. That was also the data set  
2 that contained the highest air concentrations  
3 that were sort of legible or barely legible.  
4 And we had other issues that I want to mention,  
5 but that was one of the main ones. And two days  
6 ago we got a complete set of data. So now we  
7 have essentially all of the data that were taken  
8 at Bethlehem Steel in that period including those  
9 13 points. They did contain quite a lot of high  
10 air concentrations.

11 There are some issues with -- so what I'm going  
12 to say about this data is very preliminary and  
13 John and Bob and I have discussed this. And we  
14 all agreed that we really need to look at this in  
15 more detail since we have a much richer data set.  
16 One of the issues was that there was only one  
17 breathing sample for, zone sample, for the early  
18 period in the data set that we analyzed in the  
19 report that we sent the board. Now there are six  
20 which, and so the number of breathing zone  
21 samples now is not that different from Simonds --  
22 I haven't added up all the numbers, but it, the  
23 data set doesn't look, in terms of number of  
24 points, that different.

25 However, we still had some issues in the

1 interpretation of this data set in that both for  
2 the early period and for the later period -- let  
3 me just show you. Harry Chmelynski did some  
4 statistical analysis of the data set. I should  
5 put up this slide so you can look at it.  
6 Can we put up this slide?  
7 Anyway, our SC&A statistician analyzed the data  
8 set, and we found that there are two -- the  
9 October 6<sup>th</sup> folder, the PowerPoint, and it's  
10 slide number four.  
11 Well, I'll just describe it. Let me just go on.  
12 It's clear that in the early period when they  
13 were doing the lead bath experimentation, when  
14 they were heating up the uranium in a lead bath,  
15 that it was much more dusty than in the later  
16 period. This observation was also made by the  
17 AEC, Mr. Eisenbud, I believe in 1951, that the  
18 lead bath rolling process generated -- there you  
19 go. You have it there. It's above, slide number  
20 four.  
21 -- generated much more dust and was comparable --  
22 yeah, that one -- and it was comparable to the  
23 Simonds' no ventilation dust loads. And the red  
24 line is the data up to October 27<sup>th</sup>, 1951, and  
25 the blue line is data from October 17<sup>th</sup>, 1951, to

1 the end of the period for which we have data,  
2 somewhere toward the end of 1952.

3 And you can see that the line that goes up to  
4 zero, the Z score of zero, the average is  
5 somewhat, the median is somewhat different, but  
6 they're both below one times MAC, but the 95  
7 percentiles are very different. In one case more  
8 than a hundred times MAC. In the other case  
9 it's, I think, about seven times MAC or close to  
10 ten, just under ten.

11 The processes were different, generating  
12 different amounts of dust, and one of the  
13 observations that we have now on this data set  
14 that it does appear that the early period in  
15 Bethlehem Steel should be looked at in a  
16 different way. Well, our main message to the  
17 board about Bethlehem Steel data that's different  
18 from the report that we filed is that this is,  
19 because of the missing data points that have been  
20 filled in, this is a sort of different data set  
21 that needs a look.

22 One of the main reservations that we have about  
23 this data set is that the breathing zone samples,  
24 both for the early period and the later period,  
25 the averages are less than the general air

1 samples. And that makes us kind of a little bit  
2 uncomfortable as to how they are to be  
3 interpreted as breathing zone samples. Even  
4 though we agree that the labels are probably  
5 accurate, there's no report on this whole  
6 sampling as to what was the purpose of it that's  
7 comparable to Simonds. Just for reference the  
8 Simonds' breathing zone were ten times the  
9 general air, so it's the reverse direction by  
10 about a factor of 15 to 30 depending on the  
11 period.

12 So the next set of observations was really about  
13 the Simonds' data set, and we did conclude that  
14 it was an internally consistent data set that  
15 could be used in a claimant favorable way. We  
16 had three categories of workers about which we  
17 presented conclusions in our report. One was  
18 that since everybody at Bethlehem Steel in that  
19 period can apply, the people who were not in  
20 routine working contact with uranium, for them  
21 the Simonds' data is clearly very claimant  
22 favorable. For workers who were not involved in  
23 high transient loading incidents, for them also  
24 this is claimant favorable, especially as it is  
25 robust from the point of view of routine

1 exposures from dust loading analysis.

2 In the worker meeting of July 1, 2004, and in  
3 subsequent interviews that SC&A did with workers,  
4 it became very clear that some issues, especially  
5 the cobbles which happen more frequently at  
6 Bethlehem Steel than at Simonds where workers had  
7 to cut up these long uranium rods probably  
8 generating fumes, and fumes could generate high  
9 transient loadings for some workers, not for all  
10 workers. You know, you wouldn't expect this to  
11 be, say, typical of somebody who's working  
12 outside or in a different area or in a crane or  
13 something like that.

14 But for a worker who was cutting up these uranium  
15 rods during a cobble when the uranium gets out of  
16 line and gets tangled up like spaghetti and has  
17 to be cut into small pieces, we think that has to  
18 be taken into account in some way. We weren't  
19 sure whether it was going to add anything to 553,  
20 whether increasing that above 553 would be  
21 warranted or not. But it's certainly something  
22 that should be taken into account.

23 So generally we agree that the use of the  
24 Simonds' data set is pretty robust and transient  
25 incidents have to be taken into account. We have

1 not calculated any quantitative significance of  
2 those, but recommended worker interviews.  
3 We had a pretty big discussion over the last many  
4 months about oronasal breathing, and we agree  
5 with NIOSH's analysis that in the specific  
6 context of Bethlehem Steel, it's a small relative  
7 difference, and it's not a large uncertainty  
8 given the kind of uncertainties we're talking  
9 about. But it's not a negligible factor, and for  
10 other areas where the other uncertainties, other  
11 facilities where the other uncertainties are  
12 lower, it could be an issue. We haven't, so it  
13 could be a general issue, but it doesn't appear  
14 to be a big issue at Bethlehem Steel.  
15 Now we still do not -- here's a sort of a point  
16 which is still outstanding -- we don't agree with  
17 the use of TIB-0009 for, Technical Information  
18 Bulletin nine, for intakes as it doesn't take  
19 into account live particle intakes. And the  
20 numbers that were calculated in the revised site  
21 profile are sort of fortuitously similar.  
22 Bob Anigstein came up with a different model that  
23 assumes a hundred milligram ingestion every day  
24 but progressively mixed in with greater  
25 quantities of steel as time goes on after the

1 rolling and resulted in a considerably bigger  
2 intake, about threefold bigger than the 5.21  
3 calculated from TIB-0009. It probably won't make  
4 a big difference to most of the doses, but the  
5 technical method is out there.

6 We also did some analysis of the resuspension,  
7 and Bob Anigstein came up with a model that's  
8 described in there. One of the main things that  
9 we found was that because in between rollings  
10 there was no activity at Simonds that the dust  
11 wouldn't be stirred up in that, and that factor  
12 needed to be taken into account. Again, Bob  
13 developed this different model and came up with a  
14 higher intake. Certainly, this is also  
15 something, you know, all of these things are up  
16 for discussion. We've presented this as a  
17 alternative scientific approach that could be  
18 adopted.

19 We found that the point that we had raised in our  
20 last review, that there were some workers like  
21 inspectors who were touching these uranium rods  
22 with bare hands and may have had extended  
23 contact, that their skin doses and near surface  
24 doses should be calculated for these types of  
25 workers. And we didn't find that issue was

1 addressed in the TBD.

2 I think that's it. Thank you.

3 **MR. GRIFFON:** Thanks Arjun.

4 I think NIOSH is ready to respond. I'm not sure  
5 who's going to respond, but what I might ask for  
6 the sake of the people on the phone if we can  
7 remember to identify ourself when we talk. This  
8 is Mark Griffon by the way, violating my own  
9 rule.

10 **DR. NETON:** This is Jim Neton. We've had this  
11 document for just a week now, and we've taken a  
12 fairly preliminary look it, but we do have some  
13 initial take on some of these issues. And I  
14 don't know whether it's best to go through what  
15 our opinion is on each of these findings at this  
16 point or just to start -- open the table for some  
17 discussion on what we believe to be some of the  
18 more critical points. I'll just go through, I  
19 guess, and then we can open up for general  
20 discussion, but let me just get this thing going.  
21 I sort of have just a snapshot summary of what  
22 Arjun just went through. And I think probably  
23 the most significant issue that we take exception  
24 to is bullet number one or finding number one  
25 which I've sort of paraphrased here. It says

1           that we can't use any of the two hundred data  
2           points from Simonds Saw and Steel 1951 and '52 to  
3           predict the air concentration datas in those time  
4           periods. That's essentially what, that's exactly  
5           what they've said. They're inadequate to be used  
6           for any, they're not informative in any way of  
7           what happened at Simonds Saw and Steel '51 and  
8           '52.

9           **UNIDENTIFIED SPEAKER:** So you're saying Simonds -

10          -

11          **MR. GRIFFON:** Simonds Saw, you mean --

12          **DR. NETON:** I'm sorry, the Bethlehem Steel. I'm  
13          sorry. So, you know, we believe that when you  
14          have a couple hundred data points something can  
15          be used to do a bounding analyses, especially in  
16          light of the fact that it's acknowledged by SC&A  
17          that these processes were substantially  
18          different. If you recall, the Simonds Saw and  
19          Steel data represented roughly, it was about 40  
20          data points, I think, which were taken under very  
21          similar circumstances by the same organization.  
22          The Health and Safety Laboratory covered both  
23          jobs.

24          But the Simonds' data, if you recall, essentially  
25          was roasted uranium. I mean, this was fired in a

1           furnace with no salt bath, no lead bath, and  
2           generated these extremely large concentrations  
3           where we had up to a thousand MAC air. But the  
4           picture at Bethlehem Steel is substantially  
5           different.

6           I mean, you have a combination of lead bath  
7           operations through October, and after October  
8           1951, they adopted the salt bath as the method of  
9           preference for heating. So there is some very  
10          good technical reasons why these air sample  
11          concentrations went down over time. But SC&A has  
12          essentially, doesn't believe that they're  
13          informative as to why these concentrations should  
14          be lower.

15          Secondly, so from throwing out the air sample  
16          data then SC&A has resorted to saying well, the  
17          only informative information is either the  
18          Simonds Saw and Steel data or this sort of  
19          ancillary analysis they've done that said are  
20          bounding 30 milligram per cubic meter, choking  
21          atmosphere. And that's the best one can do to  
22          estimate exposures in '51 and '52.

23          One of the problems we have with that, and this  
24          is all open for discussion, is that we'd be  
25          interested to hear the mechanism that is there to

1           sustain air concentrations of that magnitude. So  
2           sustained 30 milligrams per cubic meter for ten  
3           hours a day requires some sort of a process  
4           that's going to generate that type of airborne,  
5           and we're hard pressed to come up with that  
6           mechanism. If you recall, the air samples were  
7           taken, and this is one of their criticisms,  
8           they're short duration air samples. Well, in  
9           essence, what they are is short duration because  
10          the process was short duration.

11          On August 26<sup>th</sup> and 27<sup>th</sup>, that first rolling, they  
12          rolled 72 billets. On average a billet takes  
13          about three minutes to go through the production  
14          mill. Remember, at Bethlehem Steel there was an  
15          18 stand rolling mill. They only used stands 13  
16          through 18 because these were finished rollings.  
17          These were like one-and-a-half inch or so  
18          diameter rods. It took about three minutes to go  
19          through so in my mind if you have 70 billets  
20          about three minutes a piece, there's 210 minutes  
21          of a process that will generate a large airborne  
22          that we've got captured in these air samples.  
23          That's about what? Three hours. A little over  
24          three hours, maybe four hours tops out of 20  
25          hours, yet SC&A's analysis suggests that this was

1 going to be 30 milligrams per cubic meter for  
2 their additional 17 hours of rolling. It just  
3 does not seem plausible to us that that's the, a  
4 value that should be used for bounding at this  
5 facility. We have much more to say on these  
6 issues, but we'll start there.

7 The profile does not address short-term episodic  
8 air concentrations. This is related to the  
9 cobble situation where you do have looping and  
10 bending of the rods as they go through. Most of  
11 the analysis is based on the fact that there was  
12 an interview with a worker who indicated that the  
13 rods were cut with a torch. Although that may be  
14 true, we're having trouble understanding how that  
15 could happen.

16 Cutting uranium with a torch is, doesn't seem to  
17 be a good idea to us. It's a pyrophoric metal,  
18 particularly in the light that they've speculated  
19 that the concentrations in air could be as high  
20 as 300 milligrams per cubic meter. If you've got  
21 open torch cutting with 300 milligrams uranium in  
22 air, a small particulate size, it would suggest  
23 that this would be a fairly combustible  
24 atmosphere for uranium. So, you know, it may be  
25 that it happened, but we have done some searches.

1 We have no evidence that uranium, we could not  
2 find any evidence of uranium torch cutting that  
3 actually occurred, and, you know, I'd like to ask  
4 SC&A if they could substantiate that a little  
5 better. It just does not seem to be reasonable  
6 to us. It may be that steel rods were cut with a  
7 torch and such, but, and in fact, we've looked at  
8 a number of samples.

9 And where there were cobbles in an instance,  
10 there's an indication that the process was  
11 stopped, the rod, it was opened and the rod was  
12 removed. There was one instance where they had a  
13 cobble. They actually took it out of the  
14 process, put it back in the salt bath, reheated  
15 it, and reran it.

16 So the whole issue of cobbles and creating  
17 potentially six thousand MAC air, I think needs  
18 to be addressed a little better. I mean, it is  
19 based on an interview of a worker, but at this  
20 point, we have no substantiating evidence that  
21 that actually happened.

22 The other issues become more minor as we go down.  
23 Oronasal breathing, SC&A has acknowledged that it  
24 has a relatively small effect. I think they took  
25 issue with our use of the word negligible, which

1 I think would be a six percent. We calculated at  
2 most it would be a six percent increase in the  
3 MAC. We accept that criticism. I mean, it is,  
4 it's not a significant issue, I think, given the  
5 uncertainties of the air sample data here.

6 The injection and resuspension models, I mean  
7 these were purported to be new models. Really,  
8 they are essentially the same as what we've had  
9 just with different starting points in my mind.  
10 The one thing I have, we have a problem with is  
11 the resuspension model, I believe, started with  
12 the resuspension being equal to the air  
13 concentration during rolling.

14 If you have to accept the fact, it's kind of  
15 hidden in there, but you're reading through and  
16 all of a sudden, whoa, let's assume that the  
17 resuspended air was equivalent, was all due, that  
18 the rolling operation was all due to  
19 resuspension, and that just does not make sense  
20 to us to start with that high of a concentration.  
21 In fact, they're left with a chronic resuspension  
22 of about, I think it's 13 or 14 MAC air every day  
23 for four years which seems to be implausible to  
24 us for a facility of this nature.

25 Ingestion model, I think we're still struggling

1 with SC&A's guidance that 100 milligrams per day  
2 is an acceptable amount for ingestion to start  
3 with. This is not going to make a very huge  
4 difference in the end result. Our model, in  
5 fact, was pretty much the same where you just  
6 didn't, there's a diminution in the amount per  
7 day. It's just what's your starting point.  
8 External exposure model, I think this is an  
9 issue, and we've had similar discussions in the  
10 past where we developed a model that exposes a  
11 person for, you know, I think the model currently  
12 says that one foot for six hours and one meter  
13 for four hours or something to that effect,  
14 trying to sort of get a time-weighted average of  
15 what the exposures may have been. That does not  
16 preclude the fact that a person could have been  
17 handling the metal at any given time.  
18 We're not saying that didn't happen. So we think  
19 the model is probably, is claimant favorable. We  
20 just need to go in and demonstrate that, you  
21 know, handling on occasion is not going to  
22 increase those values substantially. You know,  
23 there are issues here. Much of the time the  
24 uranium was being handled there's crowbars and  
25 gloves as workers have indicated. It's hot, it's

1           1100 degrees Fahrenheit while it's being rolled.  
2           It takes awhile to cool down.  
3           So the amount of manual handling, and one has to  
4           remember also, these are one-and-a-half inch  
5           diameter rods. We were assuming that these were  
6           planer exposure geometries of about 230 millirem  
7           per hour beta on surface which is representative  
8           of an infinite plane source of uranium which  
9           these were not. So, you know, we can go back and  
10          look at that, but I think we've got some bounding  
11          estimates that are fairly claimant favorable.  
12          I think that's enough to get the ball rolling, so  
13          I'll stop here and I guess we'll open up for  
14          discussion.

15          **DR. MAURO:** This is John Mauro. I would like to  
16          just comment on a couple of points you made to  
17          sort of kick this off if that's okay.

18          **DR. NETON:** Sure.

19          **DR. MAURO:** Regarding -- and again this is to get  
20          the ball rolling. Regarding the data we do not  
21          have any intention of saying that the data are  
22          useless if that's what, you know. If we did, we  
23          should not have. I think that there are data,  
24          now that we've gotten the additional data,  
25          there's no doubt, it was very much a part of our

1           considerations in our evaluation. So I, if we  
2           left that impression that the data is useless, we  
3           should not have. That's the first point I wanted  
4           to make, the data certainly is very much part of  
5           our analysis.

6           **DR. NETON:** John, I'd just like, the finding  
7           actually says the Bethlehem Steel dust data  
8           indicate they are inadequate for use in dose  
9           reconstruction. I'm not sure how else I would  
10          characterize that.

11          **DR. MAURO:** Well, yeah, you're right, and I guess  
12          where we're coming down is that there may be a  
13          better way to say that. Perhaps there's a better  
14          way to say that is taking everything into  
15          consideration. And there are a number of items  
16          that we've been talking about. We came down, and  
17          it wasn't an easy decision to make, we came down  
18          on the side that it seems like bounding -- There  
19          were concerns about making sure or trying to have  
20          a one-size-fits-all for all workers. There's a  
21          degree of confidence in that we don't  
22          underestimate the exposures in any worker.  
23          Everything considered where it comes down with  
24          Simonds Saw, Simonds Saw in the house so to  
25          speak. But I would not want to leave an

1 impression that we felt, and in that, that we're  
2 trying to make sure that no individual is  
3 (inaudible) would underestimate. There's not a  
4 doubt that the levels of exposure were lower and  
5 certainly coming down. Now that we have new data  
6 it's clear that we actually see a trend, but that  
7 observation regarding the (unintelligible) of the  
8 data, one of our concerns, of course, was the  
9 issue of the cobble.

10 That was another part of the equation. How do  
11 you deal with that issue? You don't know who  
12 might have experienced these transient exposures.  
13 How do you deal with that? And as an individual  
14 we find through a dose reconstruction we're not  
15 quite sure whether or not there's a data set  
16 which will (unintelligible) certainly is now  
17 approved.

18 Whether or not we've got a degree of confidence  
19 that we've captured the individual that may have  
20 had some unusual exposure, because as you know, a  
21 lot of our discussion with the workers reveal  
22 that there were some practices that were made  
23 reference to only one of which, or perhaps the  
24 most important one of which in our eyes up until  
25 this point, was cobbling.

1           Now, you make a very good point, and I think this  
2           is an important point of discussion is that it  
3           really is not plausible for that scenario in  
4           someone using an acetylene torch-type of  
5           apparatus for cutting the cobble. This is, at  
6           least the potential for this unusually high  
7           spike, of course, is that it diminished. So I  
8           want to say that. Quite frankly, we did not  
9           discuss that. In our lineup we took it basically  
10          on face value that well, we know this cobble had  
11          to be cut up. We have some information  
12          apparently that that might have been the way in  
13          which it was done. If it turns out that's not  
14          the way it was done, then certainly this needs to  
15          be revisited.

16          And finally, the issue of resuspension. Now, you  
17          (unintelligible) that the three things that as  
18          you were going through the material I sort of  
19          jotted down. The, with reading your approach in  
20          doing the resuspension, you have your line, your  
21          status and your scenario. And the way I  
22          understood the scenario was it made use of a  
23          couple things, made use of dust blowing the  
24          reserve at Bethlehem Steel at a time when things  
25          were quiet. There was no activity going on and

1           that characterization of the --

2           **DR. ANIGSTEIN:** Excuse me, John. You meant at  
3           Simonds there was --

4           **DR. MAURO:** I apologize. I meant at Simonds.  
5           No, that was our first. You know, I'm a little  
6           uncomfortable with using air sampling data  
7           between (unintelligible) so to speak, but where  
8           there's no physical activity. So that was a  
9           concern. And the other one I noticed in the  
10          write up that you would use as a way of sort of  
11          evaluating the resuspension issue or resuspension  
12          factor (inaudible) per meter. In a working  
13          environment like this where there might be the  
14          potential for kicking up dust as well as working,  
15          et cetera, et cetera, the potential item that  
16          sticks in my mind is a (unintelligible) factor of  
17          10 to a hundred (unintelligible). So those two  
18          areas left us with what I would say  
19          uncomfortable.

20          So Bob Anigstein, came up with the strategy  
21          whereby, which I would be the first to admit is  
22          probably high on the other extreme. And you want  
23          to go with Simonds' general area. Now when you  
24          go with Simonds' breathing zone on this, and Bob,  
25          certainly you can elaborate on this, but we

1           approached Bob and Dr. (inaudible). One  
2           (unintelligible) is that the general air samples  
3           collected at Simonds, if you assume that that  
4           dust load which is substantially higher than the  
5           breathing zone samples.

6           Let's assume just to put an upper bound on it  
7           that --

8           **DR. ANIGSTEIN:** Excuse me, Doctor.

9           **DR. MAURO:** Yes, go ahead.

10          **DR. ANIGSTEIN:** The sample of air needs to be a  
11          factor of ten lower.

12          **DR. MAURO:** It needs to be under study.

13          **DR. ANIGSTEIN:** The general air samples are a  
14          factor of ten lower than the breathing general  
15          air samples.

16          **DR. MAURO:** Yes, I'm sorry, of course.

17          And so we went with that. Now in retrospect and  
18          in thinking about this, when we came up with our  
19          example approach, and Bob, you may want to come  
20          in on this.

21          **DR. ANIGSTEIN:** Okay.

22          **DR. MAURO:** We picked the 95 percentile value for  
23          general air samples at Simonds as our starting  
24          point, then of course, (unintelligible) down from  
25          there. I think in retrospect we probably should

1           have worked with the average. And I'm sort of  
2           (unintelligible) some of the realizations that  
3           when you think about it, resuspension's a long-  
4           term setting and the exposed people would  
5           experience -- would probably be more appropriate  
6           given every worker considerations that if you  
7           look for a way to bound the resuspension issue at  
8           Bethlehem Steel --

9           Perhaps a better way we should have done is go  
10          with the Simonds' general area samples, but not  
11          use the 95<sup>th</sup> percentile as a starting point, but  
12          use the mean. That might have been no bounding,  
13          95<sup>th</sup> percentile to my mind now that we're talking  
14          true and a little bit more could be considered to  
15          be pushing the upper bound to the point where  
16          it's over the top.

17          This is my reaction to, I guess, some of the  
18          major points. Bob, I don't know what's your, do  
19          you have a sense of what I just described? Or  
20          are, you know, what do you think?

21          **DR. ANIGSTEIN:** The reason, if you took history,  
22          the reason for doing the 95<sup>th</sup> percentile was  
23          simply to be consistent with use of the 95<sup>th</sup>  
24          percentile of all the samples for worker  
25          exposures. So it seems to me like to be

1 consistent, we should continue the 95 percentile  
2 approach that had been used. If you go with -- I  
3 don't have, however, I don't have a strong stance  
4 on either way.

5 If you go with the average of the general air  
6 samples, you come down by a factor of six. So  
7 the whatever the dose from the resuspension would  
8 be a factor of six lower. The reason we're using  
9 the general air samples, Jim made the observation  
10 that these would not be all due to resuspension.  
11 Of course not, but we're looking in the absence  
12 of known data, of knowledge of what the  
13 resuspension really was at Bethlehem. It's not  
14 going to be any worse than the average, than the  
15 general air samples at Simonds.

16 So we're looking for a limiting approach not for  
17 the best estimate. That's the basic rationale  
18 for it, and then the rest of it is pretty  
19 straightforward, just a, the dust again, it's a  
20 limiting approach to say that on the day of the  
21 roll, we don't know when the facility was  
22 cleaned, if ever. We don't know when all the  
23 steel dust was removed, so we're making the  
24 limiting assumption that just before the uranium  
25 rolling there was no dust.

1           So that on the day of the uranium rolling, all  
2           the dust was uranium on, let's say they roll on a  
3           Sunday. On Monday, an equal amount of steel dust  
4           is mixed in over the period of the day. And on  
5           Tuesday, another equal amount, so you'd have one-  
6           half, one-third, one-fourth, and so forth on  
7           succeeding days, of uranium. And that the  
8           airborne concentration of all dust is equal to  
9           the general air sample due to resuspension, equal  
10          to the general air sample at Simonds during the  
11          rolling only the uranium (unintelligible) goes  
12          down day by day. That's the model.

13         **MR. GRIFFON:** Can I -- this is Mark Griffon. I  
14         just wanted to, after that response I'm curious  
15         that Bob just said that using the average versus  
16         the 95<sup>th</sup> brings the dose down by a factor of six.  
17         How does that compare with what was originally  
18         presented in the TBD? I'm trying to resolve  
19         these numbers in my head here.

20         **DR. NETON:** That's a good question. Dave Allen  
21         might have -- he's trying to find out right now.

22         **MR. GRIFFON:** The other thing let me just say for  
23         a second, I mean, I think that this might be an  
24         oversimplification, but I think that we got more  
25         common ground than initially we might have

1 thought. I think the first bullet, I think,  
2 deserves more lengthy discussion here. But the  
3 other things, I think, like the ingestion  
4 question, the resuspension question, I think  
5 we're getting, at least, you know, even when I  
6 hear numbers that are a factor of three apart for  
7 the ingestion, that to me is, well, you know,  
8 that's not too bad, you know.

9 So I think maybe we could spend a little more  
10 time on that first one. I have some questions in  
11 my mind about the one point that was raised that  
12 the breathing zone samples being less than the  
13 general area samples at Bethlehem as compared to  
14 the Simonds. It seemed like a flip-flop. I  
15 wondered if you had a response to that.

16 **DR. NETON:** We have some opinions on that, too.  
17 Do you want to move on then and let -- Dave, have  
18 you found the values for resuspension?

19 **MR. ALLEN:** Well, it's a ballpark. The number we  
20 used --

21 **DR. NETON:** Microphone, Dave.

22 **MR. ALLEN:** I'm sorry. Dave Allen, and I think,  
23 I'm looking real quick. I'm trying to remember  
24 what we did and look at the TBDs, so I could be  
25 wrong here, but at one point in here we have for

1 the month it would be equivalent to, what we have  
2 in here for the month it would be equivalent to  
3 inhaling 11.2 MAC in one day. So I'm just saying  
4 11.2 MAC days per month, whereas --

5 **DR. MAURO:** Divide that by (unintelligible).  
6 Right now our average comes to 1.9 in MACs per  
7 day. In other words, I'm going to the  
8 (unintelligible) units here. In other words, you  
9 only need to use off rolling days. Our  
10 resuspension approach, using the average  
11 assignment for the general air we come in with  
12 1.9 in MAC as the concentration in the air on the  
13 off rolling days. What does your number come to?  
14 Your number's in MAC days?

15 **MR. ALLEN:** Right, my question was are you  
16 assigning them resuspension inhalations for 29  
17 days a month?

18 **DR. NETON:** John, I think you've 12.77 MAC  
19 according to --

20 **DR. MAURO:** Yes, we've got that. That's using  
21 with the 95<sup>th</sup> percentile.

22 **DR. NETON:** Right.

23 **DR. MAURO:** I just want to say quite frankly I  
24 think that was over the top. In retrospect I  
25 would sooner say the strategy that Bob has

1           adopted is certainly a bounding strategy given  
2           that we lack, at least from this perspective,  
3           given that we're a little bit uncomfortable with  
4           the sensitive item of resuspension factors in the  
5           approach involving (inaudible). So we took the  
6           position that --

7           **MR. GRIFFON:** John, John, we're having trouble  
8           recording you here, so maybe if you --

9           **DR. MAURO:** I'm sorry. Is that a little better?  
10          Can you hear me okay now?

11          **MR. GRIFFON:** That's better; that's much better.

12          **DR. MAURO:** Okay, I'm up close to the speaker  
13          here.

14          What I'm saying is, yes, you're right, Jim. In  
15          our report we came up with 12.77 MAC per workday  
16          for resuspension. I think that --

17          **DR. ANIGSTEIN:** We made no assumption about how  
18          many days a month a worker was working, but we  
19          don't know what the work schedule was except on  
20          uranium rolling days. That number should be  
21          applied to the days on which they worked.

22          **MR. GRIFFON:** This is Bob Anigstein, right?

23          **DR. ANIGSTEIN:** Right.

24          **MR. GRIFFON:** Make sure you identify yourself,  
25          please.

1           **DR. ANIGSTEIN:** Okay, sorry.

2           **DR. MAURO:** And then to complete that, if you use  
3           the average for defining general air's data as a  
4           surrogate for an upper end of what might have  
5           been the dust loading for resuspension at  
6           Bethlehem Steel, you come up with a concentration  
7           of 1.982 MAC on those days. And I guess it would  
8           be useful to say now how does that compare to  
9           your value that you just described in terms of  
10          MAC days? Are you going to have to convert that  
11          into a concentration on a given working day?

12          **DR. ANIGSTEIN:** If I can, I have a comment to  
13          that. So (unintelligible) MAC if you assume 22  
14          days a month, say 22 work days a month.

15          **DR. NETON:** Forty-four MAC days.

16          **DR. ANIGSTEIN:** So that would be about 40-odd MAC  
17          days as opposed to 21.7 MAC which is in your  
18          Section 3.53 of the TBD. So now we're using a  
19          factor of two.

20          **MR. ALLEN:** I think it's -- just to correct you  
21          even though I don't want to here, it's -- this is  
22          Dave Allen again. I think it's 11.2.

23          **DR. ANIGSTEIN:** No, I disagree. The exponential  
24          model with -- I was reading the second sentence  
25          of this section. The exponential model will

1 ultimately produce an intake equivalent to a  
2 failing 21.7 MAC for one day. So the impact for  
3 one month, for the impact period, 30 days. Okay,  
4 I stand corrected. So then we'll multiply it by  
5 a factor of four. Assuming 22 days, I don't how  
6 many days you assumed.

7 **MR. GRIFFON:** So we're talking 40 MAC days versus  
8 11.2 MAC days.

9 **DR. NETON:** I'm certainly much more comfortable  
10 getting down into the lower MAC range. I mean,  
11 to me 13 MAC exceeded most of the air samples  
12 that were collected at Bethlehem Steel in 1951  
13 and '52 during rolling operations. So to assign  
14 that for those two years just seemed to me to be  
15 an implausibly high value which just made no  
16 sense to us at all.

17 And I think, you know, I don't intend, I don't  
18 think it's the intent that we're going to work  
19 all these details out at this working group  
20 meeting, but I think it's good that we --

21 **MR. GRIFFON:** No, no, but I think this brings us  
22 a lot closer, this discussion here, I think,  
23 brings us a lot closer.

24 **DR. MAURO:** I think, Jim, I think we're getting  
25 closer at this point. I think we're on an

1 agreement (inaudible). I think you can see where  
2 we concur. (unintelligible) Now certainly there  
3 may still be some point of discussion on this,  
4 the differences, but I think we're coming into an  
5 area where the differences are not large. I  
6 agree with Mark that I think really the place  
7 where our time is best spent perhaps today given  
8 our agenda is perhaps to address that first  
9 issue.

10 **MR. GRIFFON:** Right, and I think maybe we should  
11 delve into that right now.

12 **DR. NETON:** Now first I forgot to apologize for  
13 sending those data a couple days ago, but in the  
14 spirit of going with exactly the truth of what we  
15 have, you know, we did make the decision to send  
16 those data points out to clarify the remaining  
17 samples. And I do have the originals with me.  
18 SC&A is free to look at them throughout the day  
19 and verify that we, indeed, have interpreted the  
20 values properly.

21 They're much more easily readable, although I'll  
22 admit even in some cases on these copies, they're  
23 faint. These are those blue onion-skin-type  
24 pieces of paper. It's no surprise that the scans  
25 were not good quality.

1           **MR. GRIFFON:** Jim, can I ask you before we get  
2 into this specific, your first bullet. Ed, I  
3 think Ed had some comments so we'll let Ed Walker  
4 say a few words.

5           **MR. WALKER:** I just wanted to touch on some of  
6 these points that you had before we get far  
7 beyond them in my view as a worker.  
8 Unfortunately, I was there, and some of the  
9 things that I hear, and I've been hearing, and  
10 some of my issues in far more in what I'm going  
11 to be talking about today. I would just like to  
12 address these issues that kind of you are talking  
13 to in my opinion.  
14 Now the first is the breathing zone issue.  
15 Obviously, I'm not a scientist, and I'm not a  
16 health physicist, but I can only tell you from  
17 experience and what I felt and what I saw down at  
18 Bethlehem Steel. And going over the breathing  
19 zone samples, what struck me the most was is the  
20 location where the ten breathing zone samples, if  
21 it so be that we rolled uranium for four years,  
22 and there was only four breathing zone samples  
23 taken, and they were all taken at the shear, now  
24 how these samples can be accurate when I believe  
25 some of your findings, there may have been one or

1 two that wasn't, but what I found most of them  
2 were taken, the information that I got was taken  
3 by shear.

4 And in your findings you say that the highest  
5 concentration was at the rolling as it went  
6 through the rollers. Well, the shearer was  
7 something like 400, probably around 400 feet away  
8 from where the rollers were at Bethlehem Steel  
9 because between the rollers and between the  
10 shears was the cooling bed which we know for a  
11 fact was over 300 feet long. At the end of this  
12 cooling bed stood a control panel of metal, sheet  
13 metal, where the fella that controlled all these  
14 rods, and you probably seen the illustration that  
15 I made. He sat there and he ran those rods  
16 through getting ready to shear.

17 As they cooled he moved them ahead and moved  
18 them. They were constantly moving on this  
19 rolling bed. So when he got them through, they  
20 were, to start off that they were cool. They  
21 weren't 1100 degrees no more. And he was from  
22 where the worst points of contamination according  
23 to you people was, was up by the rollers when it  
24 went through the roller. That's where you'd get  
25 the most radiation. And that's documented.

1 I can come up with these documents, not  
2 instantly, but I've read them all, and I've gone  
3 through it. So my question is if you're taking  
4 air samples, and you aren't even close to the  
5 areas where the worst contamination was, which  
6 would include the cooling bed and the salt bath  
7 and the rollers, the three operations that should  
8 have had the highest concentration were, they  
9 were taken protected.

10 **DR. GLOVER:** Mr. Walker, I don't know what data  
11 you may have -- is this on? I'm sorry, my name's  
12 Sam Glover. For the shears we had nine breathing  
13 zone samples taken as part of the shears.

14 **MR. WALKER:** Okay, that's about what I came up  
15 with.

16 **DR. GLOVER:** And so that's, but there are salt  
17 bath data. There is --

18 **MR. WALKER:** Breathing zone samples?

19 **DR. GLOVER:** Yes, sir.

20 **MR. WALKER:** I had requested the information, and  
21 what I got, and it came from Oak Ridge. I didn't  
22 see that there so that's what I'm basing my stuff  
23 on, just what I can tell you from what I saw.

24 **DR. NETON:** We did send you yesterday the, or day  
25 before yesterday. We tried to send the completed

1 analysis sheet for the April 26<sup>th</sup> and 27<sup>th</sup>  
2 rolling. I don't know if you received it. We  
3 sent it to the e-mail address that we've been  
4 communicating.

5 **MR. WALKER:** No, I didn't, but --

6 **DR. GLOVER:** I apologize.

7 **MR. WALKER:** -- it's kind of short notice. I've  
8 been at this thing for three years, and a day or  
9 two before the meeting doesn't hack it for me  
10 because I struggle going through all these things  
11 so, I don't work at it every day and, you know, I  
12 need a little bit of time to look into this.

13 **MR. GRIFFON:** Just one second, Ed. Can I ask  
14 Sam, how many in those other areas, breathing  
15 zones and the salt bath area are in the --

16 **DR. GLOVER:** There are, we have, just flipping  
17 through the data, I have actually put it into  
18 another sheet, but I don't have it with me.  
19 There are two. On the very first day there's two  
20 where they transfer from the lead bath to stand  
21 number one. Actually, there's four of that.  
22 You'll notice in this, and I think part of the  
23 difference at Bethlehem Steel versus Simonds, I  
24 haven't been there, but the size of it and the  
25 accessibility of the rolls, it doesn't lend

1           itself to standing up near it and getting  
2           intimately in contact with this. And you could,  
3           and as far as there is definitely a difference --

4           **MR. WALKER:** Let me tell you, okay?

5           **DR. GLOVER:** Let me just --

6           **MR. WALKER:** Standing right next to it, okay?  
7           Let me tell you. These guys, and it's documented  
8           in the reports of government people that went  
9           through, it is documented that people stood there  
10          and held hand-held thermometers to see between  
11          the rollers. And if you look at the pictures  
12          that I sent, and there's four inches of dust on  
13          the floor between these rollers, and there's a  
14          couple little holes. You can see it in the  
15          picture which I found after three years of  
16          research. And those three little holes are a  
17          foot square. You would be standing in dust, and  
18          they had to be fed in to the first roller with  
19          sledge hammers.

20          In most cases the first rod that went in had to  
21          be fed manually hitting the rods with sledge  
22          hammers on the first one. Maybe Simonds carried  
23          them around in a circle, but at Bethlehem Steel  
24          they put them in with a sledge, and in between  
25          the rollers, they had to station men with

1 crowbars to open up the wedges to receive these  
2 rods.

3 Now I'm going to very briefly, and I don't want  
4 to take up too much of your time to tell you.  
5 There were six stands, and the reason they went  
6 to Bethlehem Steel, it's called a continuous  
7 mill, because there was no other continuous mill.  
8 A continuous mill, they had the ability by  
9 running through six stands continually, they  
10 could take it from a billet down to a rod.  
11 And when we talk of just finish rolling at  
12 Bethlehem Steel, the information that I have in  
13 the reports that Simonds Saw got billets from  
14 Mallinckrodt and so did Bethlehem Steel. Simonds  
15 Saw didn't rough roll them only and send them to  
16 Bethlehem Steel. Bethlehem Steel took the same  
17 ones, as far as the information that I have, and  
18 they rolled them down in a continuous operation.  
19 They didn't have to carry them around which they  
20 did at Simonds.

21 It went through, there was two mills. One was  
22 roughing, one was finishing at Simonds. They run  
23 it through the roughing mill once. They run it  
24 through and put it through the same mill the  
25 second time. There was two roughing rollings.

1           They took them over. They, I believe, they  
2           straightened them as much as they could. They  
3           brought them back, and then they went through the  
4           finishing process to get that billet down to a  
5           finished rod which they done. Bethlehem Steel,  
6           you put the rod in at one end, and it comes out  
7           as a finished rod.

8           So I'll probably get into that later, and I don't  
9           want to get too far into this. There's another  
10          issue about that that I'll be getting into.

11          As far as the cobbles, there were no cobbles at  
12          Simonds Saw. You can count on that because they  
13          didn't have a continuous mill. And a cobble  
14          simply means when this rod is going through the  
15          roller, if it doesn't hit that next roller going  
16          approximately -- it varied. There's different  
17          speeds, a hundred feet per minute, two hundred  
18          feet, three hundred feet. When it hit that next  
19          stand, it shot up in the air. It shot on the  
20          floor. It went down those holes in the drain.  
21          No one knew where it went. All the information  
22          that I heard that they cut them out. I can't  
23          swear to it because I never actually personally  
24          cut one out.

25          But I want to tell you they wrapped around

1           sometimes like a vine, and it would take them --  
2           there's one document that says it took seven  
3           hours to clear that stand. You talk about a ten-  
4           hour work period. It took seven hours before  
5           they could get the plant started again so they  
6           done the rolling apparently on Sunday. So where  
7           is the ten hours? That's 20 hours right there,  
8           and that's just one instance that we know.  
9           As I go through the documentation and find the  
10          cobble that happened on almost every rolling,  
11          and another problem with that cobble is if that  
12          uranium rod wasn't heated to the exact  
13          temperature when it hit that roller, and if there  
14          was a cold spot in that rod, that cold spot would  
15          stop it from going in that roller because it was  
16          harder, obviously, not soft enough to take. And  
17          that would cause a cobble and shut down the  
18          plant.  
19          When they had a cobble, they had to shut the  
20          whole line down, but that didn't mean that there  
21          wasn't uranium laying on the cooling bed. That  
22          doesn't mean they weren't shearing it, the stuff  
23          that was being cooled. So that was continuous.  
24          So I wanted to touch on that on the cobbles.  
25          There's no way that this information that I'm

1 hearing is what happened at Bethlehem Steel. And  
2 as far as Simonds Saw being smaller, it's much  
3 easier to clean out this room than it is this  
4 whole hotel. As far as the resuspension on it,  
5 I've talked to site workers. I've talked to  
6 probably 50 to 75 people that actually worked  
7 there. Some were credible; some of them weren't.  
8 And I took what I felt and from what they told  
9 me. When they were telling me what went on, I  
10 could pretty much tell if they were there when  
11 the uranium was being rolled. It was very  
12 obvious you could tell because if they weren't  
13 there when uranium was being rolled, their  
14 information was different.

15 And the resuspension, there was doors in the side  
16 of this place that would open, and they would  
17 periodically they would open them. And they were  
18 big doors that were almost the whole side of that  
19 building. So you talk about resuspension. If  
20 that uranium dust went up there on the weekend  
21 and laid up there, and that door was opened on a  
22 Monday where they were rolling regular steel,  
23 there probably wouldn't be any more steel going  
24 up there, any more dust going up from the steel.  
25 So you're not mixing up one-and-a-half, and it

1           didn't mix itself like a woman makes a cake.  
2           This stuff, as it fell down, it hit the heat and  
3           it blew it back up again. If you were setting  
4           there, and there was three inches of dust from  
5           the uranium rolling on that weekend being blown  
6           up there, and a crane went across, where do we  
7           get the steel in that uranium. That would fall  
8           right down on the workers. It would hit the  
9           heat. It would go back up.  
10          Sure, it may mix with some, but this computing  
11          just how much it would mix every day is  
12          ridiculous. Some of that stuff could stay up  
13          there a week and never be knocked down, nothing  
14          on top of it. The uranium was laying there until  
15          something happened to make it come down.  
16          In that photograph, that picture that I drew, I  
17          took to a fella that worked, that worked on top  
18          of that catwalk on the mill. And he said that  
19          that thing was right on. That picture was right  
20          on. He says except there's so much stuff that  
21          you left out of that picture that would  
22          contribute to colling (ph.) uranium during the  
23          rest of the week. And that is all electric  
24          lines. He says you ain't even close in that  
25          picture. And I says I know it, but if I showed

1 all that, I couldn't show this basement area and  
2 just what it was like.

3 I had to leave that stuff out, the gears and  
4 stuff it took to run that rolling process, all  
5 that was underneath, even the rollers. There was  
6 motors as big as cars underneath there where that  
7 uranium could go down in and get into those  
8 motors. And you're not cleaning it out on the  
9 weekend. And if those electricians and that went  
10 and worked the rest of the week, they were  
11 involved in that uranium so it wasn't a ten-hour  
12 shift.

13 Honestly, not everybody delved in it. There was  
14 only certain people, but I can't tell you which  
15 ones were there. A lot of these claimants call  
16 me. They all worked at (unintelligible), and  
17 they heard that's what was being done. So that's  
18 as far as the resuspension.

19 The ingestion I'm not going to say too much  
20 about, but I ate my sandwiches, and I would like  
21 to take a cup of anything you have here, being  
22 that I was there. And I'm not lying about this.  
23 I'd like to take a cup and just put any kind of  
24 dust in it and ask you to drink it. When you  
25 talk how much it was, and it didn't amount to

1           much, I'm telling you at times there was almost  
2           an eighth of an inch of dust in the plant.  If  
3           something went over it, when you take your cup  
4           like this, and you'd go like that to get it to  
5           flow off the top and you'd drink it.  Was it  
6           uranium?  I don't know.  Was it steel?  I don't  
7           know, but we were there when the uranium was  
8           there.

9           And a sandwich is very similar.  You'd take your  
10          sandwich -- and Dave, you know this from  
11          (inaudible) -- you'd take your sandwich and tip  
12          it over and it would fall off like pepper.  So  
13          what about this ingestion?  I think you really  
14          should talk to some of these people and set down.  
15          From day one nobody's come up and talked to these  
16          people until July of '04.  And talk to some of  
17          these people that will swear, give you any kind  
18          of sworn statement you want on the conditions at  
19          Bethlehem Steel as far as the resuspension and  
20          this inhalation and stuff.

21          I got one claimant that's got cancer quite bad,  
22          and when he retired, his wife told me, she says  
23          it took him two weeks before he could spit up  
24          without having black stuff in his throat, two  
25          weeks to clear his throat just when he coughed

1           before he could spit up clear stuff. That's the  
2           kind of stuff we were dealing with, and that's  
3           the kind of stuff that I want you people to know  
4           in a clear conscience say that we weren't exposed  
5           to whatever was there.

6           That's all I have to say on this. I think it  
7           covers the resuspension. If you have any  
8           questions, you know, I'll try and answer them.

9           **DR. NETON:** I just have a quick question for Mr.  
10          Walker.

11          You indicated you had information that suggested  
12          that billets were rolled like five-inch diameter  
13          billets at Bethlehem Steel? We'd be interested  
14          in seeing that. Are you saying that they were  
15          rolled in stands other than 13 through 18 at  
16          Bethlehem Steel?

17          **MR. WALKER:** A six stand, and I questioned the  
18          rollers, the guys that actually run the rollers,  
19          and I says could you take down a billet from  
20          three to five inches and run it down to an inch-  
21          and-a-half? And he says easily. And he says the  
22          reason they only needed six stands because that's  
23          all it would take to get that down.

24          **DR. NETON:** All right, that's different than the  
25          information I have. I'd be very interested in

1           seeing that because the finishing roll --

2           **MR. WALKER:** That'll give you sworn affidavits  
3           that they can do that with that.

4           **DR. NETON:** Oh, I thought you had documentation  
5           from other areas. I mean, you have affidavits  
6           that said that they rolled five-inch diameter --

7           **MR. WALKER:** Well, I don't know if they were five  
8           inch, three to five. Now I don't know what they  
9           got. There were squares, and there were rounds  
10          from the information that I have.

11          **DR. NETON:** I understand, but of all the  
12          documentation that we have from Bethlehem Steel,  
13          there is no evidence that I've seen that suggests  
14          that anything other than finished rolling was  
15          done. In fact, that was the contract with the  
16          government, but I'd be very interested to --

17          **MR. WALKER:** I can get that. I'll mark it down,  
18          and I'll get it to you, Jim.

19          Any other questions?

20          **MR. GRIFFON:** Not for now. Thanks, Ed.

21          I think we need to speak to this  
22          representativeness of the data question and that  
23          gets in the number one so...

24          **DR. NETON:** I think one of the conclusions that  
25          was, aside from the fact that the legibility

1 issue was raised, I think the other fact that  
2 SC&A relied on was that the breathing zone air  
3 sample data were lower than the general area data  
4 which surprised them. And in fact, we looked at  
5 that analysis, and there was a Wilcox Rank Sum  
6 analysis done on that data which we understand.  
7 But what they've done in looking at the data,  
8 have done linear interpolations on lognormal data  
9 which, if you actually do a lognormal plot of  
10 this data and fit a curve to it, you end up with  
11 almost exactly the opposite conclusions. In  
12 fact, the mean value of the B-Z data are almost  
13 the same as the -- the geometric mean value of  
14 the B-Z data are almost the same as the geometric  
15 mean of the -- I'm sorry, Dave Allen is more  
16 familiar with this.

17 **MR. ALLEN:** The, instead of linear interpolation  
18 if you do the lognormal type of distribution plot  
19 that we've done on everything else and that SC&A  
20 says would be appropriate for this data, the G-  
21 A's for that same time period, the geometric mean  
22 comes out to be about 13- and-a-half DPM per  
23 cubic meter which is about a tenth of what the  
24 average is. The average is very high on the G-  
25 A's because of one outlier. And that one outlier

1 was also one of the two points used for the  
2 linear interpolation to get the high 95<sup>th</sup>  
3 percentile.

4 So if you use all of that in a typical lognormal  
5 plot, you end up with a geometric mean of about  
6 13-and-a-half DPM per cubic meter and a 95<sup>th</sup>  
7 percentile of about, I've got 468 DPM per cubic  
8 meter for about 6.7 MAC. So between the G-A's  
9 and the B-Z's, the 95<sup>th</sup> comes out to be fairly  
10 similar but the geometric mean is about, well,  
11 the general area is about a fifth that of the B-  
12 Z's.

13 **DR. ANIGSTEIN:** This is Bob Anigstein. I'd like  
14 to speak to that. The only, actually, the data  
15 that we just got for the first day of rolling,  
16 April 26<sup>th</sup>, 27<sup>th</sup>, 1951. The one time that you had  
17 comparable data was the October -- let me be sure  
18 it's right here. Sorry, the January 26<sup>th</sup>, 1952  
19 rolling is the greater set of B-Z's data non-zero  
20 were significant numbers. There were nine values  
21 on that day, on those two days, oh, it was one  
22 day, with three data sheets. I believe it was  
23 one day. And then at the same time so we have,  
24 you had about 20 -- I'm flipping on my  
25 spreadsheet now, so bear with me for a second.

1 We've had 12, we had 92 data points and 12 G-A  
2 data points so it was a comparable number. The  
3 arithmetic mean of the non-zero value or the B-Z  
4 is 116 DPM per cubic meter. The arithmetic mean  
5 for the G-A is 147, but the G-A is higher, but  
6 thus, you can't compare because for most days  
7 there were no B-Z, so you can't compare all the  
8 B-Zs to all the G-As. It's comparing apples and  
9 oranges. In the 95<sup>th</sup> percentile done by the  
10 methodology, the non-parametric methodology,  
11 while simply taking the (unintelligible) and  
12 seeing where the 95<sup>th</sup> is, not in some lognormal  
13 distribution for which there is really no valid  
14 basis. You end up with the one for that day is  
15 G-A ends up being 1055 DPM a cubic meter. And  
16 the B-Z ends up being 368.

17 **MR. ALLEN:** Yeah, I realize. The numbers I  
18 quoted are from the exact same data you're  
19 talking about. I have nine B-Zs, and I have 12  
20 G-As from that one day. But taking the  
21 arithmetic, taking the just straight average  
22 means if you have one outlier, you blow that  
23 whole average out the door, and that's exactly  
24 what happened in this. So you're basing your  
25 entire argument here on one air sample instead of

1 taking a distribution of all twelve.

2 **DR. MAKHIJANI:** Are you talking about the same --  
3 this is Arjun. Are you talking about the 1952  
4 data set or are you talking about --

5 **DR. ANIGSTEIN:** January of 1952.

6 (Unintelligible). I'll give you that point. We  
7 still find that we had assignments where we had  
8 all the samples were indicated. They were either  
9 G-A or B-Z, and the B-Z (inaudible), which is the  
10 whole idea of taking the B-Z. So we took a  
11 question whether there was enough B-Z data and  
12 something similar. I did a quick look at the  
13 April 26<sup>th</sup>, 27<sup>th</sup>, 1951, and a similar conclusion  
14 that might make a difference, but a similar  
15 conclusion. The G-A is higher than the B-Z.  
16 And we just question, that one big reason of  
17 questioning the Bethlehem data. And if the data,  
18 we don't question that the data was, the samples  
19 were taken correctly and properly analyzed by  
20 AEC. We did find a few data points, there were  
21 five data points which were miscalculated by AEC,  
22 but you know, that's five out of 199. Because  
23 the numbers they gave for the DPM and for the  
24 CPM, and the flow rate are not consistent with  
25 the DPM, again, that's a very minor point. We

1           concede that these were good samples, but the  
2           question is they were samples of what, and are  
3           they really samples of the workers' exposure?

4           **DR. MAKHIJANI:** I just want to return to the  
5           point I made during my presentation is I think  
6           the way this data, this is a complex set of data  
7           now, especially as the new points have been  
8           added. But almost the highest points in the  
9           early data set, in April 1951, are all general  
10          air samples. The highest, I think the highest  
11          four, are all general air samples. And they are  
12          much, much higher.

13          So if you take a, without doing any statistical  
14          analysis, if you just take a look at the data, it  
15          seems very odd that none of the high, the highest  
16          data point in general air is 29,000 and odd DPM  
17          per cubic meter. And the highest breathing zone  
18          is like 2,000 or 5,000. It's many, many times  
19          off, and there are many, there are several data  
20          points in general air that are more than 10,000  
21          DPM per cubic meter.

22          And that doesn't, I mean, it raises a question  
23          about how you use this data, and how you  
24          interpret it, specially as you got another set of  
25          data that was taken with the explicit purpose of

1           estimating worker exposures and the time  
2           averaging was done and so on. And there you can  
3           see that the breathing zone samples are  
4           significantly higher. They're an order of  
5           magnitude higher than the general air, and that  
6           makes sense because if you're working, if you're  
7           taking a sample at the work location, you should  
8           expect a higher concentration unless you've got  
9           some resuspension problem. I don't want to go  
10          back there. I think --

11         **MR. GRIFFON:** Let NIOSH respond.

12         **DR. NETON:** I think, and what I see they didn't  
13         really look at very closely was the difference in  
14         the processes that there were occurring there.  
15         If one looks at the, that the whole purported  
16         purpose, and we have is the documentation, of the  
17         Bethlehem Steel process, as Mr. Walker correctly  
18         indicated, was to use a rolling mill, a finishing  
19         mill, or a continuous rolling mill so that they  
20         could quickly process this without having to go  
21         around and keep refeeding it. And that's exactly  
22         correct.

23         But one of the other main objectives of this  
24         process was to evaluate the health protection  
25         afforded by the use of salt baths, lead versus

1 salt as a heating mechanism. There were also  
2 some production benefits for using a bath. You  
3 got a better, more uniform temperature and such,  
4 but it was also well established that the coating  
5 provided by either the lead or the salt clearly  
6 minimized or reduced the air concentrations in  
7 the plant. And that's exactly what's happened at  
8 Bethlehem Steel.

9 So what you see in the early rollings, and I  
10 think the first -- Sam, correct me if I'm wrong,  
11 the first four rollings that we had data for?  
12 The first three rollings were a combination of  
13 lead and salt bath rollings. I mean, you can see  
14 in the documentation. These are lead bath; these  
15 are salt bath. And if you plot the lead versus  
16 the salt, this is what you get. Clearly,  
17 clearly, two different air concentration sets of  
18 data.

19 Now what we've done is combine those into one set  
20 which ends up giving you more, gives you a higher  
21 value. It's going to be assigned across all the  
22 years, you know, rather than break them apart.  
23 We're certainly willing to discuss the merit of  
24 breaking these into two different sets because  
25 clearly, the salt bath, the lower curve there,

1 the red triangles, is substantially lower. In  
2 fact, there's very low concentrations when the  
3 salt baths are being rolled. So you need to look  
4 at that.

5 To give you a little better perspective on this,  
6 this is a graph --

7 **MR. GRIFFON:** Jim, Mark Griffon. You don't have  
8 an overlay with Simonds on that same graph, do  
9 you?

10 **DR. NETON:** Yeah, I do as a matter of fact.

11 **MR. GRIFFON:** I'm just curious how that --

12 **DR. NETON:** That's with the Simonds, so their --

13 **MR. GRIFFON:** And Simonds is no salt baths at  
14 all, no lead or salt baths, right?

15 **DR. NETON:** That's correct. That's what I would  
16 call the roasted uranium technique, and the  
17 Simonds are the squares.

18 Now those, and Eisenbud was correct. He said  
19 that the lead salt bath was similar to what they  
20 saw at Simonds with no ventilation, I mean,  
21 within the realm of reasonableness, these curves  
22 are way up here. The salt bath treatment clearly  
23 added a protective effect. Now what also  
24 happened here was that since these things were  
25 encrusted and not roasted uranium -- I'll use

1           that term -- it appears to us at least at the  
2           stand, the distribution of the air concentrations  
3           by stand was somewhat different.

4           You got, I think the highest concentration --  
5           Sam, help me out here -- at Simonds was typically  
6           at stand one. I mean, there was only one stand,  
7           but the first pass through the furnace. Taking  
8           it out of the furnace was a 70,000, 1,000 MAC  
9           sample. But when you put that through the first  
10          pass, you had this no protective coating so the  
11          concentrations were fairly high on that first  
12          pass through. At Bethlehem -- I think I have  
13          some data by stand. These are just the data,  
14          there's the data by stand, and actually, that --  
15          **DR. GLOVER:** Then show it by date, by salt versus  
16          lead.

17          **DR. NETON:** This is the one that shows by salt  
18          versus lead. You can see that that sort of shows  
19          you what we saw in the other graph, that the lead  
20          bath is higher than the salt bath.

21          **MR. GRIFFON:** These are breathing zone samples or  
22          all samples?

23          **DR. NETON:** These are all samples.  
24          The other thing is I don't know, I think we seem  
25          to be going down a path that the only usable data

1 we're ever going to be able to come to a  
2 conclusion on is breathing zone air sample data.  
3 And I'm not convinced that that's necessarily the  
4 case here. I mean, we need to go back and look  
5 at what the G-A samples were trying to do.  
6 These were not what you would call G-A samples  
7 where you went and sampled the cafeteria or some  
8 non-working environment. These were actually  
9 positioned at the rollers themselves. Now, they  
10 weren't a B-Z taken over a guy's shoulder like  
11 they did in those days, but they had samples, you  
12 know, during vertical rolls, horizontal rolls and  
13 that sort of thing. I'm certain we don't have  
14 exactly where they were, but they were in the  
15 vicinity of those rollings, not far away, and if  
16 the same positioning occurred at Simonds, they're  
17 very close.

18 **MR. GRIFFON:** Do you have any indication of what  
19 the difference would be between general area  
20 versus some that are labeled production samples  
21 or processing samples?

22 **DR. NETON:** The process sample was --

23 **MR. GRIFFON:** My impression was those were the  
24 ones right in the process of interest or  
25 whatever.

1           **DR. NETON:** Right, in fact, we have process  
2 samples which was included in our distributions  
3 although my recollection of the process samples  
4 were not all that much higher than the general  
5 areas samples. There's actually a similar  
6 spread, the process and general area. So the  
7 process samples were taken in areas where it was  
8 not normally considered that a worker would be  
9 positioned.

10          **MR. GRIFFON:** Yeah.

11          **DR. NETON:** Yeah, so they were not in the way.  
12 But I think these G-A samples were positioned at  
13 the stands, and if you look at the G-A samples  
14 that were taken at Simonds, I mean, there are  
15 some better descriptions of the positioning in  
16 relation to the stands. And these were not, you  
17 know, far removed from those stands.

18          **MR. GRIFFON:** I guess I'm not hearing that, you  
19 know, you can't use the general area air samples.  
20 What I'm hearing is it seems like there's a  
21 peculiarity between Bethlehem versus Simonds  
22 where at Bethlehem you had the B-Zs running lower  
23 avoiding all the lognormal versus linear.

24          **DR NETON:** Right, right.

25          **MR. GRIFFON:** The geometric mean versus average,

1 I mean, they tended to be lower as opposed to  
2 Simonds which the ratio flipped, and it seems a  
3 little peculiar especially if you're saying,  
4 you're saying that that early coating, I mean,  
5 you might even want to look at stand-by-stand  
6 ratios if you're saying the coating was  
7 protective on the first cycle through.

8 **MR. ALLEN:** That's the point, Mark, is the idea  
9 that the B-Zs were lower than the G-As was  
10 represented that way in the SC&A review, but that  
11 same data set it's just not true. It's only an  
12 artifact if you take an average because of one  
13 outlier that's high. If you actually take a  
14 geometric mean of all data points, the G-As are  
15 about a factor of five lower than the B-Zs.

16 **MR. GRIFFON:** And is that consistent with Simonds  
17 then? What was the -- it's in the ballpark?

18 **DR. MAKHIJANI:** It would be for the -- I didn't  
19 do the original analysis. I haven't looked at  
20 the data, but just taking Dave at his word and  
21 that is the case, that would apply to January  
22 26<sup>th</sup>, 27<sup>th</sup>. I think if you look at the April 1951  
23 data, you would have a very clear issue where the  
24 general air samples are much bigger.

25 And Mark, I agree with you. You know, we're not

1           saying that you can't use the general air samples  
2           because obviously in the Simonds distribution  
3           you've got both a breathing zone and general air  
4           samples that are part of the distribution. And  
5           because if you only use the breathing zone  
6           sample, you wind up in a different place.  
7           So it's not a, it's a question of, it would be  
8           really useful to have some description of what  
9           was the purpose of this whole program comparable  
10          to what we have very clearly for Simonds because  
11          I find it quite hard to interpret the data in  
12          retrospect given the complexities and how long  
13          ago it is and the questions that we have.

14          **MR. GRIFFON:** Go ahead, Sam.

15          **DR. GLOVER:** Real briefly, I did want to make the  
16          point that in the text of the revisions, I did  
17          try to show what the stands, how the samples  
18          compared so we took the MAC that we were  
19          assigning, that the tower and show at the stand,  
20          this is what it was at Simonds Saw so that you  
21          actually could see how they compared and also  
22          Bethlehem Steel so you could actually look at  
23          that evaluation. And so I wasn't trying to hide  
24          that or to, you know, really try to bring that  
25          out, that this is the worst location and this is

1           how that data compares.

2           **DR. NETON:** I think one thing to remember is  
3           these samples were taken by the same program that  
4           took the samples at Simonds Saw and Steel for  
5           them, too, and several years later. And so  
6           presumably their thoughts and methodology  
7           developed to even be better not to be worse, and  
8           you know, we don't have a --

9           **MR. GRIFFON:** We don't have a written methodology  
10          for that, right?

11          **DR. NETON:** Well, we have some later  
12          documentation put together by Al Breslin that  
13          indicated the purpose of a G-A, a P, and a  
14          breathing zone air sample and that sort of thing,  
15          and you know, we don't have -- there was  
16          certainly not a time-motion study done here like  
17          there was at Simonds. I think that's what Arjun  
18          is referring to. It certainly would be better,  
19          but to sort of assume that they covered the least  
20          exposed locations with these air samples just  
21          does not seem to me to be a reasonable  
22          conclusion.

23          **DR. MAKHIJANI:** There's no implication about that  
24          they did a good job or bad job. In fact, we've  
25          agreed that the people who were doing the

1           sampling and the lab work seemed fine and the  
2           designations seem okay. It's just that if you go  
3           to Simonds and the purpose of the sampling is to  
4           estimate time-rated exposures, and you've got a  
5           track record that they did that, then you can be  
6           confident that what you calculate from that has  
7           some relationship to exposures.

8           When you've got a set of data where you don't  
9           know -- if the purpose of the expedition to  
10          Bethlehem Steel was simply to examine the  
11          difference between the processes and not to  
12          attend to the exposures of the workers and that's  
13          why a time-motion study wasn't done, then it  
14          becomes harder to think how you might apply these  
15          data to worker exposures.

16          It's not that the data are bad. It's a question  
17          of having the documentation to interpret it,  
18          specially as we've got these anomalies. And I've  
19          an open mind about it, I want to assure you.

20          It's just that I don't know how we're going to  
21          interpret this data. Maybe it's worthy of  
22          further study, or I don't exactly know how where  
23          we go from here.

24          **DR. NETON:** Right, and I think maybe in light of  
25          these new data points that are there and SC&A is

1 still, you know, has not had a chance to look at  
2 them, and there may be some different conclusions  
3 drawn. I don't know. I don't want to speculate,  
4 but until that happens, I guess, we -- my main  
5 and our main objection to the conclusion was that  
6 you have to go directly from there to 550 MAC  
7 air. And that's really where we have our biggest  
8 source of anxiety is that is it reasonable then  
9 to say that that is the only bounding value one  
10 can use in light of the data.

11 Now we have more points. I understand that, and  
12 as I mentioned earlier that one has to provide  
13 some mechanism that can sustain the generation of  
14 that type of airborne activity given that these  
15 billets were rolled in three-to-five or whatever  
16 minutes per shot, and we know how many billets  
17 were rolled per rolling. That doesn't give you a  
18 mechanism to generate 30 milligram per cubic  
19 meter air for ten, twenty hours.

20 **MR. GRIFFON:** I got the impression, maybe not so  
21 much in the documentation but from Arjun's brief  
22 presentation this morning, that that thought  
23 experiment with the 30 milligrams per cubic meter  
24 sort of supported the 95<sup>th</sup>, not that it was a  
25 sustainable amount but that it sort of supported

1           your --

2           **DR. NETON:** No, I think what that does is support  
3           the fact that at the 95<sup>th</sup> -- it couldn't be  
4           higher than that, let's put it that way. Was it  
5           that high? I don't think it was that high at  
6           Simonds even on a kind of sustainable basis.  
7           We're saying the 95<sup>th</sup> is what is was, and we've  
8           agreed to use that. But then to say that at  
9           Bethlehem Steel where we have a couple hundred  
10          samples, it's also reasonable to conclude that 30  
11          milligrams per cubic meter was there doesn't seem  
12          reasonable to us given that these were bath, you  
13          know, the report itself lists all of our reasons  
14          why we believe that these values are lower. And  
15          SC&A actually agrees that there is a substantial  
16          case to be made that these values were lower.

17          **DR. MAKHIJANI:** I think, you know, that there is  
18          agreement on many of the points and that we  
19          actually explicitly said in quoting the draft  
20          revision of the site profile that in most  
21          respects it does appear that Simonds Saw was more  
22          dusty than Bethlehem Steel from the data and the  
23          evidence and the nature of the processes. We did  
24          raise a couple of points where the contrary  
25          conclusion, you know, that point in the opposite

1 conclusion.

2 But you know, we did not ignore Bethlehem Steel  
3 data or thought that they were useless. In fact,  
4 we explicitly said that one, we arrived at a --  
5 maybe we ought to start at a point of agreement  
6 that we arrived at a conclusion that for two sets  
7 of workers that this number of 553 was very  
8 claimant favorable. And one of the factors that  
9 we used in arriving at the conclusion was that  
10 553 is really higher than every measured sample  
11 even including the new data points which we  
12 didn't have at that time at Bethlehem Steel.  
13 So it's not a question of having ignored the data  
14 or not looked at it. It's a question of when  
15 you, how we have read the regulation is -- and  
16 this is a point of debate obviously -- is that  
17 when you've said you're going to resolve every  
18 uncertainty to the benefit of the claimants, how  
19 do you interpret that in a situation like this  
20 where you're using a surrogate facility? And  
21 obviously, you know, there's room for  
22 interpretation, and we should look at that.

23 **MR. GRIFFON:** I'm not sure the regulation says  
24 exactly that, but I'll let Jim respond to that.  
25 Ed, I know you have a comment.

1           **DR. MAKHIJANI:** I have the quotation here from  
2           the regulation here.

3           **DR. NETON:** I think it speaks to the fact if we  
4           have too uncertainty, we will give the benefit of  
5           the doubt to the claimant. But we believe that  
6           the uncertainty using the 95<sup>th</sup> percentile and all  
7           the other claimant-favorable assumptions that  
8           have been built into these models, which is a  
9           sustained continuous air concentration at the  
10          95<sup>th</sup> percentile, accomplishes that. I mean, I  
11          don't think there's any requirement for us to  
12          pick the highest air concentration that was ever  
13          observed in a uranium processing facility in  
14          light of the fact that we have 200 data points  
15          which is what the report says.

16          **MR. GRIFFON:** Let me, something that was on my  
17          mind on this thing from the beginning is just  
18          what you alluded to, Jim, up here, is that it  
19          seems to me that when they went to the salt baths  
20          there was quite a drop off. And yet, you chose  
21          to, I think you chose to roll all the Bethlehem  
22          Steel data into one, lumping the lead bath and  
23          the salt bath data together.  
24          What was the rationale there? Is there enough  
25          data to separate that out? It seems from an

1 exposure standpoint it might make sense that  
2 those people in the '52 rollings, maybe they were  
3 all the same people anyway, but they would have  
4 probably got much lower exposures than the  
5 earlier rollings.

6 **DR. NETON:** Right. I guess the issue is how  
7 closely do you parse the data and when you have a  
8 couple hundred samples that seems to be fairly  
9 good when you start parsing that. We really  
10 didn't make that conscious decision to say we're  
11 going to parse it out at that point. I think we  
12 tried to use as much data as possible recognizing  
13 that the lead bath technology is higher. But  
14 when you roll that up into the whole  
15 distribution, you end up with a higher 95<sup>th</sup>  
16 percentile than if you segregated it. It's sort  
17 of six of one, half dozen of the other. There is  
18 some merit, I think, in going back and looking at  
19 that, and we'd be interested to explore that.  
20 Certainly, the people in '52 would receive higher  
21 --.

22 **MR. GRIFFON:** Especially now that you have some  
23 of those illegible points.

24 **DR. NETON:** I'm not sure it would actually, in a  
25 cumulative sense it would not probably make that

1 much difference.

2 **MR. ALLEN:** I think it might go down just a  
3 little.

4 **DR. NETON:** It might go down a little. I don't  
5 know.

6 **MR. ALLEN:** You've got a longer time frame that  
7 they did the salt.

8 **DR. NETON:** But then to get into the issue of  
9 what's fair to a worker who maybe worked there in  
10 '52 versus '53, you know, then you have to look  
11 at that.

12 **DR. MAURO:** Jim, this is John Mauro. Can you  
13 guys hear me okay?

14 **DR. NETON:** Yeah.

15 **DR. MAURO:** Hello. Jim?

16 **MR. GRIFFON:** Go ahead, John.

17 **DR. MAURO:** I'm sorry. I wasn't sure you heard  
18 me. I'd like to also, part of this, one of the  
19 reasons we came down where we came down is also a  
20 lot of feedback about it appears that there were  
21 a lot of activities, practices, scenarios going  
22 on at Bethlehem Steel as described by Mr. Walker  
23 that leaves us with a sense that perhaps the  
24 samples we were taking or that were taken did not  
25 capture some of those activities, perhaps many of

1           those activities.

2           And that also had a bearing on where we came  
3           down. And that's why the 550 or the 33 milligram  
4           on the back of the 30 milligram number gave us a  
5           degree of comfort. That is, if there were such  
6           practices, and if they were widespread, and if  
7           there was uncertainty as to who participated in  
8           those practices, we felt that we came down in a  
9           place where those people were covered. So that  
10          plays also.

11          And I, quite frankly, I'd like to hear a little  
12          bit more about the degree to which there may have  
13          been practices that could have generated  
14          substantially elevated levels of dust that we  
15          really did not capture in the scenarios and in  
16          the data that we've embraced as our model for  
17          these facilities.

18          **DR. NETON:** Right, I hear what you're saying,  
19          John, and I -- oh, Mark has something to say.

20          **MR. GRIFFON:** Go ahead, Jim, respond to this. I  
21          just had a little birdie in my ear. They're  
22          asking for a break.

23          **DR. NETON:** We're aware that there were other  
24          activities, but in our minds the rolling of the  
25          uranium seems to have generated the highest

1 concentrations, you know, in both Simonds and  
2 Bethlehem Steel. We've taken a look at the  
3 centerless grinding activities which was, by the  
4 way, a water, a wet operation. We did not  
5 believe that that produced concentrations higher  
6 than what we're looking at here. So any of the  
7 other activities that have been mentioned, it's  
8 just hard for us to envision that they go higher  
9 than the values that we've seen at some of these  
10 stations where rollings were conducted. But we'd  
11 certainly be interested in entertaining, you  
12 know. It's one thing to say it happened. It's  
13 another thing to say is it really higher than  
14 what we're assigning here. And right now I don't  
15 think we believe that.

16 **MR. GRIFFON:** Let's, if it's okay with everybody,  
17 let's take a comfort break. Take like ten  
18 minutes, and I think maybe we can try to schedule  
19 lunch around 12:30. I mean, this will just be a  
20 quick break, comfort break, for our reporter and  
21 myself, and then, you know, we'll think about  
22 lunchtime being at 12:30 if that's okay. Thanks.

23 (Thereupon, a break was  
24 taken from 11:45 a.m. to  
25 12:05 p.m. after which

1 the following  
2 transpired:)

3 **MR. GRIFFON:** John Mauro, are you on the phone?

4 **DR. MAURO:** Yes, I am.

5 **MR. GRIFFON:** John, we're having a hard time,  
6 Ray's having a hard time hearing you for the  
7 transcript, and I don't know if you can maybe go  
8 on a hard line instead of the speaker phone.

9 **DR. MAURO:** Is that better? It was on the squawk  
10 box. Can you hear me any better now?

11 **MR. GRIFFON:** That seems to be much better, yeah.

12 **DR. MAURO:** I was on the squawk box, you know,  
13 it's a little easier, but I certainly will talk  
14 directly into the, you know --

15 **MR. GRIFFON:** That will be helpful, appreciate  
16 that.

17 Just trying to reconvene, and I think we should  
18 probably close out on the Bethlehem Steel  
19 discussion pretty soon. I think the one item, at  
20 least my sense is that the one item that is most  
21 outstanding or most difference here between SC&A  
22 and NIOSH is the first bullet item of Jim Neton's  
23 presentation which speaks to the air sampling of  
24 Simonds Saw versus Bethlehem Steel, the  
25 representativeness of it and several other

1 issues.

2 My thought is that since some of that illegible  
3 data was only recently collected and put into a  
4 spreadsheet format, SC&A has not been able to do  
5 any assessment on that. I think we probably need  
6 to defer that issue to, and I'm suggesting maybe  
7 to, hopefully finalize this at the next  
8 subcommittee meeting which will be the meeting in  
9 Oak Ridge or Knoxville, I guess, really, the next  
10 full board meeting or the subcommittee before the  
11 full board meeting later this month, yeah,  
12 October 17<sup>th</sup>, yeah.

13 So in the meantime though several items have been  
14 brought up, at least from what I've heard. It  
15 seems like we've come to maybe better agreement  
16 on certain items. And to the extent that some of  
17 these things were offered during the discussion,  
18 I think that SC&A and NIOSH should both come  
19 prepared to show those differences. The one  
20 example that comes to mind is the resuspension  
21 calculations. If you're going to modify anything  
22 from your existing report, SC&A, you should  
23 probably come with that to the next subcommittee  
24 meeting to explain that.

25 Is there anything to add to this before we close

1 out? I do want to ask, Ed Walker asked to say a  
2 few more words, but before Ed, I'd just ask is  
3 there any other discussion before we want to  
4 close out?

5 **DR. WADE:** Mark, this is Lew. The only thing I  
6 think I actually, the only thing I would hold out  
7 is if either of the parties find it critical in  
8 their need to talk to the other party between now  
9 and the subcommittee meeting, I think we should  
10 encourage them, although I think again, we should  
11 do that, notify all of the work group members so  
12 that they could participate, but I wouldn't want  
13 to rule out the possibility of an interaction if  
14 either side wants to take steps towards the  
15 purpose of bringing this to closure.

16 **MR. GRIFFON:** Yeah, I certainly agree with that,  
17 yes.

18 Arjun.

19 **DR. MAKHIJANI:** Thank you, Dr. Wade, for  
20 clarifying that, and I would propose that the way  
21 Jim and I did for the Mallinckrodt report, and  
22 what we have done for this report that we simply  
23 make all the e-mails part of any official report,  
24 and Ed would be copied on everything, I presume,  
25 so he would be able to participate and know

1           what's going on.

2           But my question, Mark, for you was is there a  
3           specific expectation of a resolution to that  
4           first bullet point about the use of the Bethlehem  
5           Steel data and how do you, where do you see that  
6           headed, in your judgment? It's not a long time,  
7           but we're now in October 17<sup>th</sup>. Do you expect to  
8           hear from us before the working group meeting  
9           with a revised report or a memorandum on that  
10          question? I guess I'm not clear on what the  
11          process is.

12          **MR. GRIFFON:** I guess my sense is that, you know,  
13          one of the issues that you outlined in that  
14          section was the illegible data. So now is that  
15          still an unresolved issue? I think they've  
16          addressed, right, they've addressed that, and  
17          then, but you haven't had time to look at that  
18          data. So given the analysis you did in that  
19          first section, how would this new data, you know.  
20          Maybe you want to re-look at that analysis and  
21          see if it changes any conclusions. That's what I  
22          would say. And in the meantime I also agree with  
23          Lew that dialogue between, you know, with NIOSH  
24          might help to come to some conclusions there.  
25          Ken, did you have any --

1           **MR. PRESLEY:** Mark, is there any way though that  
2 before we go to the 17<sup>th</sup> meeting that we can have  
3 some type of a closure on this from SC&A and  
4 NIOSH on this one point?

5           **DR. WADE:** I think that's terribly important. To  
6 speak to your issue, Arjun, and to Mr. Presley's  
7 point, there are two ways this could work. Let's  
8 take just the one point. One is that, you know,  
9 based upon deliberation and consideration by both  
10 sides that you come to a meeting of the minds.  
11 There's a possibility that you don't come to a  
12 meeting of the minds.

13 I think in the first case it would be just as  
14 important to know that, understand that. If you  
15 don't come to a meeting of the minds, I think  
16 it's essential that the board can look at clearly  
17 articulated points. Obviously the board would  
18 have to make its recommendation. So I would hope  
19 that before the board meeting that we could hear  
20 from both SC&A and NIOSH on this point. Either  
21 we've agreed or we haven't agreed and here's our  
22 position on it.

23           **MR. PRESLEY:** That's what I would like to see.

24           **DR. MAURO:** Lew and other members of the working  
25 group, this is John Mauro. What comes to mind

1           that would be of particular importance in terms  
2           of coming to as much we can to closure has to do  
3           with the issue of unusual practices, cutting of  
4           the cobbles, other words, activities, scenarios,  
5           practices that may have taken place. A richer  
6           understanding of that because a lot of our  
7           concerns related to where, why, where we came  
8           down is this discomfort we have that the actual  
9           data that we do have did not capture some of  
10          these practices.

11          And of particular importance that Jim brought up  
12          that we had not looked at was the possible  
13          implausibility that these cobbles were not cut  
14          with a torch of some type because that would then  
15          reduce the likelihood of substantially high  
16          levels of fumes which are very small particle  
17          size and could be fairly high in concentrations.  
18          One of their areas that I think a dialogue over  
19          the next two days might be especially productive  
20          is to come a little closer together on our  
21          understanding regarding that particular matter.

22          **MS. DeMERS:** This is Kathy DeMers. Can I ask Ed  
23          a question?

24          **MR. GRIFFON:** Yeah, go ahead, Kathy.

25          **MS. DeMERS:** Ed, we know that you guys weren't

1           aware you were rolling uranium. Was cutting of  
2           cobble common for when it occurred with steel?

3           **MR. GRIFFON:** We're getting a mike for Ed right  
4           now.

5           **MS. DeMERS:** Did you guys hear me?

6           **MR. WALKER:** Yes, I've got -- is it on?  
7           Kathy, would you repeat the question, please?

8           **MS. DeMERS:** We know that you guys were not aware  
9           that you were working with uranium, okay?

10          **MR. WALKER:** Correct.

11          **MS. DeMERS:** Now when you had a problem with  
12          steel, what did you do to get them out of the  
13          rollers?

14          **MR. WALKER:** We burned them out. That was the  
15          only possible way you could get a steel cobble  
16          out of, steel out of the cobble, is to burn them  
17          out.

18          **MR. GRIFFON:** As opposed to this torch cutting  
19          that they --

20          **MR. WALKER:** Well, burning out would be torch  
21          cutting. That would be the same.

22          **MR. GRIFFON:** Okay, that's what I thought. So  
23          it's the same thing.

24          **MR. WALKER:** And I never heard any of the experts  
25          ever say that they done anything. I will check.

1 I'll go back and bring up this issue to them and  
2 check and see. But as far as I know they had to  
3 be burned out. There was no other way to get  
4 them out. You couldn't pull them out. They had  
5 to be burned out. It's possible they could have  
6 been cut with what we call today a chop saw, but  
7 I don't think so.

8 **MS. DeMERS:** Well, Ed, as far as you guys were  
9 concerned, uranium was steel.

10 **MR. WALKER:** Right.

11 **MS. DeMERS:** Okay.

12 **MR. WALKER:** Is that all?

13 **MS. DeMERS:** Yes.

14 **MR. GRIFFON:** Arjun is --

15 **DR. MAKHIJANI:** Yeah, I have a request of Ed.  
16 You know we're going to correspond, SC&A will  
17 correspond with NIOSH about this forthwith. I  
18 think starting tomorrow. You're going to be  
19 copied on everything. This is a point that was  
20 brought up by workers and people who really know.  
21 I've never worked in a steel or uranium rolling  
22 mill, right? I think it's extremely important  
23 for the most knowledgeable people in your  
24 community to participate in this so we can have  
25 the best judgment possible.

1           Because as Jim has said, uranium, and we all know  
2           that uranium is pyrophoric. It catches fire very  
3           easily under these kind of conditions. And this  
4           is a kind of very important point for the  
5           integrity of the process, and we don't have  
6           documentation. If you have any documentation  
7           from the period, statements of workers, at least  
8           I would very much like to have the benefit of  
9           looking at them. And urgently, because as you  
10          can see, we're asked to come up with an opinion  
11          very rapidly. So I would request that.

12          **MR. WALKER:** To the site experts that actually  
13          worked down there, there's quite a few of them.  
14          And I only talk to the ones that I feel, and  
15          that's all I have done. If I feel they're not  
16          telling me the truth or I catch them in something  
17          that isn't right such as the fella that worked in  
18          the straightener. I talked to him, and I got an  
19          interview. And I believe Kathy has that or I'll  
20          send it to her where he loaded into the  
21          straightener with his bare hands.

22          He had a partner and today his hands are crippled  
23          and he's got severe headaches and his front lobal  
24          (sic) brain cell. He's about 76 years old, but  
25          he actually picked up the steel by hand off of

1 the, after the shear, and carried it and put it  
2 into the straightener. And as he put it in, he  
3 commented how when he put it in and these rollers  
4 were rolling to pull this into the straightener,  
5 how it would flash and light up the whole plant  
6 and blind him 'cause obviously he had no  
7 protection. And truthfully, I says is that your  
8 arthritis in your hand. He said no, I don't know  
9 what it is. The doctor's don't know. And his  
10 hands look like they've been run over by a  
11 bulldozer.

12 And I says, Richard, I says what went on back by  
13 the cooling rack and what went back on back by  
14 the salt bath? And he says, Ed, I don't know. I  
15 went to work there. My job is at the  
16 straightener. I done my job for eight, sixteen  
17 hours a day, he said, and that was it. I didn't  
18 walk around and look to see what was going on.  
19 So I have no information other than what happened  
20 at that straightener at that time that I worked  
21 on.

22 So that's how I'm getting my information. I  
23 worked there as a bricklayer. At times I was  
24 there, and there was times I wasn't there. There  
25 was a lot of the people that I talked to worked

1           there much longer obviously in the plant as  
2           rollers that worked on it all the time. And  
3           that's where I get my information from. And I  
4           tell them if you don't know, tell me 'cause I  
5           cannot go down and talk to these people and find  
6           out that some of the information you gave me is  
7           false. I says I'm not going to be questioned on  
8           it. If you don't know, I'd much rather you tell  
9           me right now, Ed, and this is just like what  
10          Richard done. So that's how I get my information  
11          and I'll look as long as I can.

12          **MR. GRIFFON:** Thanks, Ed. Let me -- I'll give  
13          you the mike in a second, too. I know you had  
14          some other thoughts to share.

15          Let me just ask in terms of schedule, I'm hearing  
16          Bob and Lew Wade, and it would be nice to have  
17          some kind of more conclusive process before the  
18          next board meeting. On the other hand I'm  
19          looking and saying, you know, it's the 6<sup>th</sup> now,  
20          and we've got till the 17<sup>th</sup>. All I would say is  
21          that maybe if there are any amendments to the  
22          SC&A report or any, it'd be nice to have them at  
23          that Friday before, which is the 14<sup>th</sup>. Yeah, at  
24          least in draft form, something that we can  
25          consider before we get there on the 17<sup>th</sup>.

1           **DR. MAURO:** Yeah, Mark, this is John Mauro.  
2           Given that the main body of our report is only 27  
3           pages, everything we've been talking about right  
4           now, there might be some effect on some of the  
5           appendices, but I'm going to go out on a limb a  
6           little bit, and I believe between now and let's  
7           say a week from tomorrow, you know, we're going  
8           to be busy putting our slide presentations  
9           together for the meeting on the 17<sup>th</sup>.

10          But I think it's plausible for us to issue  
11          another revision because I think it will affect  
12          only some of the main body of the 27 pages that  
13          makes up our main report. So I'll go out on a  
14          limb a little bit, and we're going to do our best  
15          to give you a revised report that will reflect  
16          not only this conversation, but also the dialogue  
17          that we engage NIOSH in over the coming weeks.  
18          It'll be ambitious, but I think it's important  
19          that we try to do that.

20          **DR. MAKHIJANI:** Can I have a conversation with  
21          our project manager in public, please?

22          **DR. MAURO:** Certainly, go ahead, Arjun.

23          **DR. MAKHIJANI:** John, I think the approach  
24          suggested to kind of present a memorandum of  
25          revisions rather than a revised report will avoid

1 the logistics of typesetting and revising, and we  
2 can work to present any new or revised  
3 conclusions maybe in a table with text or  
4 something like that and then give a revised  
5 report after the board for the record rather than  
6 provide, I think the logistics of a revised  
7 report may take a couple of days that would be  
8 more useful in actually doing the work.

9 **DR. MAURO:** Okay, Arjun, fine, but I think it's  
10 critical that we come to closure as much, as best  
11 we can on many of these issues as we can. If  
12 they take the form of a memorandum or such like,  
13 that's fine. Maybe that's the best way to go,  
14 just let's get the final report out after the  
15 meeting. Quite frankly, the Monday morning  
16 meeting on the 17<sup>th</sup>, more may emerge so perhaps  
17 that is a wise choice, so let's wait and see a  
18 little bit.

19 **MR. GRIFFON:** Okay, we're in agreement here,  
20 John. Wanda has some comments.

21 **MS. MUNN:** John, this is Wanda. Thank you very  
22 much for making the effort to try to get some  
23 additional data settled between now and the  
24 upcoming meeting. The fact that you have only  
25 six findings and that most of them probably

1 balance on a single technical point gives me hope  
2 that perhaps you can do that.

3 One of the other things that has not been  
4 discussed here in depth, and I don't know whether  
5 it was your intention to take this up again after  
6 lunch or not, but there's no question in my mind,  
7 I keep hearing the issue of oronasal breathing.  
8 And that's just about been beaten to death in one  
9 form or another here, but I see no solution or  
10 agreement between NIOSH and SC&A on that point as  
11 yet.

12 It seems to me that we're going to have to face  
13 this and face it preferably with Bethlehem Steel  
14 with the understanding that it may vary somewhat  
15 at the other sites, but it would certainly be of  
16 great comfort to several members of the board if  
17 we could put that to some degree of rest.

18 **MR. GRIFFON:** I thought I heard agreement.

19 That's the one I had okay next to, but maybe Jim.

20 **DR. MAURO:** Arjun, if you want to respond on it,  
21 either way.

22 **MR. GRIFFON:** Jim Neton first then --

23 **DR. NETON:** Well, you guys can go first if you'd  
24 like, but I thought there was substantial  
25 agreement there that for this particular site

1 profile, oronasal breathing would not make a  
2 substantial difference. The issue was whether it  
3 was negligible or not, and we agree it wasn't.  
4 Possibly not negligible. There was, I think, a  
5 six percent adjustment that may or may not be  
6 required, and we have not really addressed that  
7 issue yet. But I don't think that's a show  
8 stopper to any extent.

9 **DR. MAURO:** I'm going to be very frank. I think  
10 it's a non-issue on Bethlehem Steel in the  
11 margin. I think, however, a more fundamental  
12 issue regarding whether or not it should be a  
13 matter of policy to take into consideration the  
14 fact that some workers -- now we're not talking  
15 Bethlehem Steel anymore, just in general -- are  
16 mouth breathers and certainly we have some data  
17 that says there could be 15 to 20 percent of the  
18 population. This becomes almost a -- and I  
19 mentioned this at one of our meetings awhile ago.  
20 So yes, the issue is still on the table from a  
21 generic point of view. I think it's off the  
22 table for all intents and purposes of Bethlehem  
23 Steel.

24 **MS. MUNN:** I understand that, but my concern was  
25 the larger policy issue because if we have to go

1 through the kind of exercise we've been through  
2 on the last two sites at every one that we come  
3 to, it would certainly be far more beneficial and  
4 far more expeditious if we could come to some  
5 general agreement about how we're going to  
6 address that issue. It would resolve problems  
7 for all of us I think.

8 **DR. NETON:** I agree, Wanda, and I think if we get  
9 into it, that same comment occurs in the, I think  
10 it's either the Y-12 or the Savannah River review  
11 or both. So it's an issue that we're going to  
12 have to deal with. It's coming up in the next  
13 set of reviews. We'd like to put this issue to  
14 bed as well.

15 **DR. MAKHIJANI:** May I make a suggestion that  
16 since it is a generic issue as John has said,  
17 then maybe it ought to be addressed in that  
18 context rather than it coming up. And maybe  
19 there's a range of uncertainties within which  
20 it's important to take it into account. And you  
21 know, when the other uncertainties are big, then  
22 it becomes a non-issue or a small issue that can  
23 be regarded as not important. And so some  
24 general guidance maybe can be developed inside  
25 NIOSH since they did do an analysis that we

1           agreed with on the question. So we don't think  
2           there's a kind of a technical difference anymore.  
3           It's a question of how do you go from here to a  
4           general guidance which is a policy issue --

5           **MR. GRIFFON:** And how is it applied  
6           programmatically, yeah.

7           **DR. NETON:** This becomes a -- I think we tried to  
8           address this to a certain extent at the last  
9           board meeting with the Bethlehem Steel comment.  
10          And the fact is the difference in breathing  
11          depositions among people is greater than the  
12          difference in the oronasal breathing deposition  
13          parameters. And in some sense one has to argue  
14          then if we correct for oronasal breathing, then  
15          do we correct for differential breathing rates  
16          among different sized people, different sexes,  
17          you know, all those sort of issues come into play  
18          at that point. And then one creates a quagmire  
19          of corrections that may never, we may never end,  
20          but these are the issues, the policy issues, that  
21          need to be addressed, and it's a fairly broad  
22          issue.

23          **DR. MAURO:** Yes, in fact, Jim, I think you hit  
24          the nail on the head. We managed to sort of  
25          avoid this issue with Bethlehem Steel because we

1 had a way to avoid it. It's not important here.  
2 I think though that the line of attack on this  
3 issue of the type that you just described, mainly  
4 reference man and the definition of reference man  
5 and the inherent variability in all of the  
6 parameters that make up the respiratory tract  
7 model. Your, as I understand it is well, this is  
8 just one more of those parameters.  
9 And I think that coming at this issue from that  
10 perspective and whether or not we should look at  
11 the oronasal breathing as something different and  
12 separate from all the other parameters that make  
13 up the genetics of retention, deposition and  
14 clearance for the respiratory tract model, that  
15 really becomes the question.  
16 I agree with you that if this is just one more of  
17 many parameters that represent a definition of  
18 reference man, then we, you know, why are we  
19 taking on it? However, there's reason to believe  
20 that ICRP provides for this type of treatment or  
21 to separately look at oral breathing. You know,  
22 that may be, then it becomes a matter of  
23 interpretation, whether we should be trying to  
24 apply this as a claimant favorable approach.  
25 By the way, I don't know if Kathy Behling is

1           there today?

2           **DR. NETON:** Yes.

3           **DR. MAURO:** Kathy, is oronasal breathing on the  
4           Task 3 internal dosimetry generic issues?

5           **DR. BEHLING:** No. It is at the Savannah River  
6           site as Jim had already mentioned.

7           **DR. MAURO:** I didn't know if you heard the  
8           question.

9           **DR. NETON:** Yes. It's not -- Hans is going to go  
10          to the microphone, John.

11          **DR. BEHLING:** John, it's not in the Task 3  
12          report, but it is on the table for discussion if  
13          we get to the Savannah River site today.

14          **DR. MAURO:** Okay. Now the reason I raise the  
15          question is I agree with Arjun that this is a  
16          generic issue, and it could be addressed offline  
17          or on a case-by-case basis. It would be much  
18          more satisfying as Wanda indicated if we could  
19          address it generically and put it to bed once and  
20          for all. I just thought it might be one of the  
21          ones we'd be looking at on Task 3, but apparently  
22          not.

23          **MR. GRIFFON:** I think we're all in agreement on  
24          that, John.

25          Larry Elliott has a comment.

1           **MR. ELLIOTT:** This is Larry Elliott and speaking  
2           from a program policy perspective here today.  
3           That's what you're talking about.  
4           Jim Neton has clearly put on the record our  
5           thinking and our position, our rationale on this  
6           issue, and it is a generic issue in our mind. We  
7           stand on that, and that's the policy that's being  
8           applied right now; what you heard from Jim two,  
9           three meetings ago, I believe, on this issue.  
10          That's where we stand. If we need to, you know,  
11          refresh your memories of that, we can do that  
12          maybe at the next meeting or do whatever and walk  
13          through that. But that's essentially where we  
14          stand, and that's what the policy is. That's  
15          what's being applied.

16          **MR. GRIFFON:** Yeah, we might need a refresher on  
17          that.

18          **DR. NETON:** Yeah, I think we could prepare to do  
19          that maybe at the next meeting or whenever people  
20          want. But someone made the comment about ICRP  
21          not addressing it. I just want to clarify. ICRP  
22          actually chose to ignore oronasal breathing in  
23          their models because of the exact reason that I  
24          just mentioned. The difference between, among  
25          people is greater than the difference in oronasal

1 breathing differences.

2 Also, it gets into the issue of do we default and  
3 make a correction universally on one side or do  
4 we incorporate this into the distribution at  
5 which point I'd argue that the GSD of three on  
6 internal dose calculations already includes that.  
7 But I won't say anymore on that. We can pick  
8 that up at another time.

9 **MR. GRIFFON:** Yeah, and I think we've all agreed  
10 that this is a general issue, and we need to  
11 address it. But for Bethlehem Steel I think it's  
12 kind of, there's agreement here on this issue.  
13 So I think I wanted to try to close out Bethlehem  
14 Steel discussion now. If there's anything else -  
15 - I know Ed has some things.

16 I'm sorry to make you wait so long.

17 Ed has some final things he wants to share with  
18 us.

19 **MR. WALKER:** That's all right, Mark, I'm retired.  
20 Well, when I started out, I was under the  
21 impression and I was told that if we have any  
22 information, we should present it to NIOSH, and  
23 they'll give it consideration. There's been many  
24 issues that I have that I've tried to get  
25 through, and I have not been able to get through.

1           There's many issues, and a lot of it just  
2           requires a simple answer. If a group of three or  
3           four would have come up and sat down and says,  
4           Ed, this is where it's at. But when I don't get  
5           any answer...

6           (Telephone line interference occurred.)

7           What was that? So I'm concerned about that, and  
8           one of the things is this weighing the range  
9           letter that I've had for quite awhile, and we've  
10          discussed, I think, before. But it kind of upset  
11          me as I said. I feel my job for working with  
12          this group is to find information and present it  
13          to the people so they get a fair treatment on it.  
14          And there seemed to be a black hole in '49 to  
15          '51. The range letter was sent to the assistant  
16          manager, Mr. Anderson, of the Environmental  
17          Control.

18          I don't know if you're all familiar with this,  
19          but the Bethlehem Steel Quality, Environmental  
20          Quality Control. Now it says many things, but  
21          one of the things that kind of caught my eye was  
22          beginning in approximately 1949 it was determined  
23          that then current production rolling of uranium  
24          billets to rods left much to be desired in the  
25          present reduction in the mill pass schedules.

1           And this regular production was not being  
2           performed at Bethlehem Steel but through a  
3           contract with them. It was determined that a  
4           suitable blooming mill -- they determined this.  
5           Eddie Walker didn't determine this, and this is  
6           what they say in '76 -- and a suitable continuous  
7           mill -- which is a ten inch mill, which we all  
8           know -- existed for the necessary development and  
9           work to indentify (sic) required pass schedules.  
10          Okay, it mentions that mill. There's a lot of  
11          mills at Bethlehem Steel. There's a 12 inch  
12          mill, 13 inch mill, strip mill, you name it. But  
13          they specifically said the blooming mill. The  
14          blooming mill reduces the size of billets, or in  
15          most cases, ingots which is the first step of a  
16          billet, okay? So they said the blooming mill was  
17          there. So why would they say it if they didn't,  
18          why did they say the ten inch mill. Why didn't  
19          they just say the ten inch mill if they didn't  
20          mean it? Okay.

21          To the best of our ability we have established  
22          that Bethlehem Steel was given a contract for the  
23          necessary development for pass schedules. For  
24          the development of pass schedules, given a  
25          contract -- well, I read that. And work

1           accomplishing in the period -- in the period --  
2           between '49 and '51 -- to '51. It doesn't say  
3           including '51 which we know they done  
4           experimental rollings. But this is their wording  
5           that it was experimental work that was being done  
6           between '49 to '51. It says a lot of other  
7           things but unimportant.

8           It goes on to say the extent to which air samples  
9           or surface sample contamination readings were  
10          taken is not known -- is not known, okay? It  
11          goes on to say these records long since have been  
12          destroyed. We do not believe there are any  
13          remaining records of the archives at Bethlehem  
14          Steel Lackawanna plant. It is quite probable  
15          that in 1949 to 1951 time periods of the  
16          technical information developed was a classified  
17          nature and for this reason was returned to the  
18          AEC.

19          Okay, classified nature and the technical  
20          development. They did not walk into that plant  
21          in 1951 and say tomorrow you're going to roll  
22          uranium rods. They had to set up. They had to  
23          experiment with it. One of the gentlemen said  
24          they done it in another plant. I've never seen  
25          anything about that. But it does state there --

1 I didn't say it -- that there was mills used in  
2 one area. Here it does say, it mentions mills  
3 being used.

4 So as I look at it as a worker, there was more  
5 than one mill being used. I take this  
6 information. I confront it. It's thrown out.  
7 Well, that doesn't mean nothing. That's a letter  
8 of somebody that lost their memory. Could be,  
9 but they used parts of it in their dose  
10 reconstruction.

11 This is what upsets me. I found a document that  
12 said what happened. It didn't say rollings  
13 happened. It said developmental experiments were  
14 being done at Bethlehem Steel. How much  
15 contamination did they get with the salt baths,  
16 the lead bath that they were dealing with then  
17 and probably more lead baths and uranium. When  
18 they had to figure out running it through the  
19 pass schedules, the heat temperatures and that  
20 because it had to be heated evenly, how much  
21 experimental work that they say was done, was  
22 done at Bethlehem Steel from '49 to '51 that  
23 we're not given any credit for? Because Jim will  
24 say that we are allowing you for a rolling once a  
25 month.

1           That doesn't mean much. We don't know what they  
2           done. We don't know what their exposure was.  
3           We've taken exposure samples from Simonds Saw to  
4           say what we done later on, but we don't know what  
5           happened at Bethlehem Steel at that time. We  
6           don't know what exposure they had and how often  
7           they had it. So if you get a document, and I  
8           present it to NIOSH, and they completely  
9           disregard it, I don't think it's fair to us.  
10          I want to go with the lost uranium a little bit.  
11          I won't take up much longer. I know you're all  
12          hungry including myself, so I would just like to  
13          talk about the lost uranium which the document  
14          says four to six pounds of uranium was lost in  
15          the rolling process at Bethlehem Steel on every  
16          billet rolled. People will say well, they take  
17          care of that when they say they picked it up and  
18          they cleaned up the site.  
19          No. Because if they picked it up and they  
20          weighed it, it would be accountable. This is  
21          unaccountable, was lost at the site. I have  
22          people, when this uranium come off the rollers,  
23          and a lot of it that was washed was put into what  
24          they call a scaling pit. I have a man that  
25          worked in the scaling pit. He told me when they

1 loaded these scaling pits, they cleaned them out  
2 once a month so that uranium wasn't cleaned out  
3 every day.

4 They cleaned them out once a month, sometimes  
5 twice a month. They put it in a railroad car.  
6 And what the man told me was I noticed, he says,  
7 there was no air hoses on the railroad car and  
8 this scaling was dumped that come out, not, it  
9 was mixed with steel, granted that. But it was  
10 dumped on and it was with no air hoses means it  
11 could not go off the plant site because you can't  
12 take a railroad car of any sort without brakes  
13 and air hoses had the brakes. So it was dumped  
14 on the site but there's no residual.

15 And that's what he told me. I didn't pump this  
16 into him. He says the first thing I noticed  
17 there was no air hoses on that car. So when you  
18 talk about residual contamination, there's a good  
19 source of it. And we don't know how often that  
20 was done, but at least for two years we know for  
21 sure.

22 That's about all I got to say, but I hope that I  
23 can stay in touch, and I really appreciate giving  
24 me this opportunity to talk. But there are quite  
25 a few other issues that when I send in, I would

1           like somebody to respond. And as I said, a lot  
2           of these questions may be answered very simple  
3           and easy. And I can understand. I'm not a hard  
4           person to understand or reason with. So thank  
5           you again, and hopefully I'll see you in  
6           Tennessee. Thanks again.

7           **MR. GRIFFON:** Thanks.

8           Larry's going to give us a response.

9           **MR. ELLIOTT:** I appreciate Mr. Walker's comments,  
10          and I must take exception though, Mr. Walker,  
11          that if you look on our website, we have  
12          responded to inquiries you sent to us, the  
13          information you shared with us. There are  
14          documents there that show what you sent and our  
15          reaction to your input, and we're going to stand  
16          by that. If there are points that you want to  
17          discuss further that you think you haven't got  
18          full reaction to, we should talk about that. We  
19          should continue the dialogue. I don't want to  
20          shut the dialogue down, but I just want to make  
21          sure that people understand for the record that  
22          we have been responsive and we have been working  
23          together on this. It's not that we have been  
24          ignoring the input that you've been giving us.

25          **MR. GRIFFON:** Thank you.

1 Any further thoughts on Bethlehem? Otherwise, I  
2 think we'll break for lunch. Ed has --

3 **MR. WALKER:** And again, I'll make this real short  
4 because I'm getting hungrier, too. But what  
5 really upsets me is our technical-based document  
6 came out in 2003, '03. It wasn't until 16 months  
7 after that date that we've been denied, that our  
8 claimants have been denied, that anyone talked to  
9 anybody from Bethlehem Steel site experts. And  
10 it was my understanding that the dose  
11 reconstruction is taken from the technical-based  
12 document which is taken from the site profile.  
13 Our site profile was done 16 months after our  
14 technical-based document. And when I questioned,  
15 a couple months after that I questioned, I says  
16 where is our site document? Where did you get  
17 the information? I was told Simonds Saw. And I  
18 says could you send me Simonds Saw site profile  
19 being that you used their documentation to assess  
20 our contamination site. And he says it is not  
21 done.

22 So this is what upsets me about what went on. I  
23 can't see how anybody can do an assessment and  
24 not go there or not talk to anybody who was  
25 there. If there was car accident out in

1 California, I could not set in Eden, New York,  
2 and say the two cars hit at 50 miles an hour.  
3 This guy was at fault. I would have to go and  
4 talk. And this was not done. Clearly, I want to  
5 know that this was not done till 15 months after  
6 our claimants had been denied, and I think that's  
7 a gross injustice to Bethlehem Steel.

8 **MR. ELLIOTT:** Larry Elliott, and I appreciate  
9 your frustration, and it is a complex and a very  
10 difficult program to understand. It's a  
11 difficult program to manage as you might suspect.  
12 In trying to handle a large caseload of claims,  
13 yes, we made some decisions early on as to when  
14 to draw the line and say we had enough data to  
15 provide a reasonable estimate of dose to  
16 determine the compensability of a case.  
17 We did that in the early days, as you say, with  
18 Bethlehem Steel. We had experts at the table  
19 that understood the data and understood what it  
20 was like to work in a steel mill. Had we been to  
21 the steel mill at Bethlehem's site? No, sir,  
22 I've told you we hadn't. We didn't. We did get  
23 input through our interview process. We did take  
24 action later on, as you know, maybe too late, but  
25 we were doing a variety of things, as you know,

1 to get a meeting set up where we come in and said  
2 here's what we're working with. What do you  
3 think of it? What can we do to make it better?  
4 We did do that, and you gave us input, and we  
5 addressed that input. This is a process. You're  
6 part of that process, and we're very proud of the  
7 work you're doing in that process. And I just,  
8 you know, I wish we could do better for you.  
9 We're trying our level best, but the, you know, I  
10 think it's remarkable that for Bethlehem Steel,  
11 we have paid out over 45 percent of the cases  
12 there through dose reconstruction.  
13 We think it is a very sound scientific product  
14 that we're using to do that. We've given the  
15 benefit of the doubt as appropriate to the  
16 claimants. And if there are ways that we can  
17 improve upon that, that's why I'm standing here.  
18 That's why I want to be here.

19 **MR. GRIFFON:** Okay, last comment, Dick Toohey,  
20 wants to make a comment and then we're going to -  
21 -

22 **DR. TOOHEY:** Dick Toohey, ORAU. I just want to  
23 clarify for the record this issue on TBDs and  
24 site profiles. TBD is a technical basis document  
25 and it's just one document category we use in our

1 document control system. For an atomic weapon  
2 employer site there is only one document, the  
3 technical basis document. That is the site  
4 profile. That's it.

5 So there's only one document and rev zero of the  
6 Bethlehem Steel TBD which came out in 2003, as  
7 you say, that was it. It has been revised since  
8 then as more data became available. For a DOE  
9 site, which in general were larger and more  
10 complex, the site profile consists of a set of  
11 TBDs, each looking at a different aspect of  
12 exposure. But usually an AWE only did one thing  
13 so we can cover it in one document.

14 Secondly, in terms of using the Simonds Saw and  
15 Steel data, we thought that was the most complete  
16 set of air sampling data at a site that had  
17 rolled uranium. Obviously, we had nowhere near  
18 that much data from Bethlehem Steel. So we  
19 thought given the lack of whatever large,  
20 reasonable, adequate amount of data from  
21 Bethlehem Steel, the best way to develop an  
22 exposure model for these workers would be to use  
23 actual air monitoring data from Simonds Saw and  
24 Steel, and that's what we did.

25 And we looked at and analyzed that data, and we

1 saw no need to complete the Simonds Saw and Steel  
2 TBD before using that data. And the reason we  
3 went to Bethlehem Steel first, our order of doing  
4 site profiles was basically by the number of  
5 claimants from the site. And as you know,  
6 Bethlehem Steel was by and large had the largest  
7 number of claimants of any of the atomic weapon  
8 employer sites so that's where we started.

9 **MR. GRIFFON:** Thanks for that clarification.

10 I, myself, have been a little loose with the  
11 language of a site profile versus TBD, so we  
12 might have created some of that confusion  
13 ourselves.

14 With that I think we'll close out on Bethlehem  
15 Steel and have lunch. So 1:45, we've got a busy  
16 schedule, so we'll start up at 1:45. Thanks.

17 (Thereupon, a lunch break  
18 was taken and the meeting  
19 reconvened at 2:00 p.m.  
20 after which the following  
21 transpired:)

22 **TASK 3 PROCEDURES REVIEW**

23 **MR. GRIFFON:** Let's reconvene. I think we're  
24 going to take up the procedures review, the Task  
25 3 Procedures Review. And probably it looks like

1           the focus is going to be on the external dose  
2           issues because that appears to be what SC&A has  
3           completed the matrix elements and NIOSH has just  
4           now provided some draft responses for us. So we  
5           at least have, I think that's where we'll have  
6           the most fruitful discussions or dialogue.  
7           And I did want to raise one thing though that was  
8           a little point of confusion coming in here for me  
9           was that I actually thought this was the priority  
10          of this work group and apparently this got de-  
11          prioritized as the time went between the last  
12          Advisory Board meeting and this work group  
13          meeting. And I'm not sure who did that so I  
14          just, you know, if, I mean, this may have been  
15          done in conjunction with Lew talking with our  
16          chair, but I don't know that the Advisory Board  
17          was in the loop.  
18          And that's one concern I have going forward.  
19          There's nothing we can do about it now, but you  
20          know, I think this is a pretty important  
21          priority, and if it was to be lowered in the  
22          scheme of priorities, and I know everybody was  
23          loaded down with work between these last two  
24          meetings, but if it was going to be lowered,  
25          maybe someone should have let the Advisory Board

1 know about it.

2 **DR. WADE:** This is Lew, Mark. It wasn't lowered  
3 by any conscious effort. I mean, I, it was my  
4 understanding coming out of the Advisory Board  
5 that we were to look at a number of issues. We  
6 did not receive SC&A's matrix on internal dose  
7 until, I guess it was yesterday. And you know, I  
8 think that was just the press of business on  
9 their part. So I don't think it was a conscious  
10 on anybody's part to de-prioritize this.

11 **MR. GRIFFON:** Well, I think we've spent a lot of  
12 time at the last meeting saying can SC&A complete  
13 the matrix by this work group meeting? And can  
14 NIOSH give responses back by then? There was  
15 some hemming and hawing, but everybody agreed to  
16 the date, and then we come in and we're not  
17 there. So I, just, you know, and I don't, look,  
18 we just have to proceed with what we've got, but  
19 I think in the future if there's going to be, you  
20 know, there's limited resources, everybody's got  
21 limited time.

22 If there's some issue that arises, I think  
23 somebody has to bring the board into the circle,  
24 and you know, we'll work through it. And maybe  
25 we would have come to the same conclusion, but

1           you know, at least bring us in the loop I think.  
2           That's my opinion anyway. I'm not sure I speak  
3           for the entire board here. But given that I  
4           think we need to start on the matrix, and my  
5           sense is, if this is a good way to do this, I  
6           think this is where we need, a nice informal  
7           discussion going finding by finding down the  
8           matrix.

9           Some of these I think we'll pass by fairly  
10          quickly, given NIOSH's response. Some of them  
11          are going to be a little more technical in  
12          nature, and we'll have to have a discussion  
13          explanation by SC&A and an explanation of the  
14          response. So I think the best way might be just  
15          to start at the beginning of your matrix and work  
16          down and have SC&A start the --.

17          **MS. BEHLING:** This is Kathy Behling of SC&A, and  
18          if I could just make a few brief opening comments  
19          about Task 3 because it has been quite some time  
20          since we published this report and actually, it  
21          was well over a year that we started working on  
22          this project. And so therefore, just to re-  
23          familiarize everyone with what the Task 3 project  
24          was all about, and what we did.

25          First of all, the participants were Hans Behling

1 and myself, Joyce Lipsztein, Arjun Makhijani,  
2 Kathy DeMers and Steve Ostrow. And we, as I  
3 said, started this over a year ago, and we were  
4 initially given 33 procedures that were selected,  
5 I guess, by the board and NIOSH that represented  
6 the primary procedures used at the time for the  
7 dose reconstruction process. I want to note that  
8 since then, obviously, there have been a lot of  
9 additional TBDs so this isn't complete at this  
10 point in time by any means.

11 When we evaluated this, we looked at this and  
12 evaluated from assessing seven objectives that  
13 focused on timeliness, efficiency, completeness,  
14 consistency, claimant favorability and the  
15 procedures and the methodologicability to account  
16 for uncertainty. And then lastly to try to  
17 balance this adequate science against efficiency  
18 and to determine if the procedures did that.

19 So in light of that you will see in our matrix  
20 that was developed from the findings of the Task  
21 3 report that there are a lot of issues that are  
22 not technical in nature. I guess the matrix that  
23 we will be using today to, does try to follow the  
24 Task 3 report pretty much page by page.

25 And the other point I wanted to make is when we

1 started this project, this is really one of the  
2 first tasks of the, Task 3 was one of the first  
3 tasks we did, the procedure reviews. And it  
4 preceded us actually looking at the dose  
5 reconstruction audits. And so I guess Hans has  
6 mentioned this before, but at the time it was  
7 almost as if we went into the showroom and looked  
8 at the car and kicked the tires and looked at  
9 specifications.

10 And since then we've obviously had the  
11 opportunity to do some, a lot of dose  
12 reconstruction reviews; and therefore, we have  
13 actually taken these procedures for their test  
14 drive. And so as we go through this matrix there  
15 may be things that at the time seemed more  
16 significant than they possibly are now and maybe  
17 the other way around also. But I just wanted to  
18 point that out and remind everyone of the  
19 process.

20 I was going to suggest that we would discuss only  
21 those items that possibly we had some differences  
22 of opinion on, but it appears that you prefer to  
23 go through them one by one, and we're prepared to  
24 do that.

25 **MR. GRIFFON:** I think, I mean, just to step

1 through them so people can follow along, and the  
2 ones that there's no difference of opinion, let's  
3 dispose of them quickly, you know.

4 **MS. BEHLING:** And one last item, this is the  
5 first that we've, in fact, as we were sitting  
6 here is the first that I saw NIOSH's response.  
7 So as we discuss these topics, we haven't even  
8 had a chance to read through these.

9 **DR. BEHLING:** Yes, and just -- this is Hans  
10 Behling, just to put things into perspective,  
11 it's part of the expanded review process. And as  
12 of today we have not had any direct dialogue with  
13 NIOSH over the Task 3 report in spite of the fact  
14 that the report was issued back in January of  
15 this year. So this is really the first time  
16 we've had the opportunity to discuss the  
17 technical merits of some of the issues that we've  
18 raised. And it's basically we're starting at  
19 ground zero with this discussion of an expanded  
20 review. And it's possible that we may have to go  
21 beyond today, but it's also possible that we may  
22 be able to resolve these things in an informal  
23 fashion hereafter.

24 I guess we'll do it in sequence. We will start  
25 out, for those who have the matrix in front of

1           you, the first procedure, and it's really the  
2           dominant procedure that defines really the  
3           foundation for all dose reconstruction for  
4           external dosimetry and that is the OCAS  
5           implementation guide 0-0-1.

6           And as Kathy already mentioned, there are some  
7           issues that go outside the scope of technical  
8           issues as we'd mentioned or as Kathy mentioned,  
9           we had with the board's approval defined seven  
10          different criteria that we wanted to assess these  
11          procedures, and some of them were really  
12          addressing the issue of process efficiency, and  
13          do these procedures meet that objective.

14          We've heard all kinds of comments made by people  
15          over the last couple years at various board  
16          hearings that what's taking you so long. And we  
17          considered that an important issue. We need to  
18          resolve these dose reconstructions as quickly as  
19          possible for the obvious reasons. And so many of  
20          these issues will be somewhat subjective in  
21          nature, and there may not be a necessary  
22          resolution. It's just a comment, a criticism  
23          that we make without the expectation that we  
24          anticipate a revision in these procedures. It's  
25          just a comment, and I want everyone to understand

1           that.

2           So the first comment that we have, or issues that  
3           we identified here is the format, the structure  
4           of the document. And quite honestly, I looked at  
5           this and as a potential person who might be asked  
6           to do a dose reconstruction, and I found some of  
7           the information provided as somewhat fragmented,  
8           difficult to follow, and perhaps excessive in  
9           terms of what information would be really needed.  
10          So the first comment that you see here are  
11          deficiencies with procedural layout, the  
12          fragmented structure of the procedure, and in  
13          some instances what we consider, or I consider,  
14          excessive information that served really no  
15          purpose.

16          And I don't want to go unnecessarily into detail,  
17          but for instance, when we talk about as an  
18          example the issue of occupational medical  
19          exposure. There are a certain number of pages  
20          dedicated in the implementation guide that almost  
21          reads like a primer on Health Physics 101 on x-  
22          ray and so forth that really in the end should  
23          have been something that every person who's part  
24          of the dose reconstruction process has already  
25          had, fully understands, and certainly serves no

1           purpose because we're not going to sit there and  
2           reconstruct an organ dose based on our  
3           understanding of milliamps and milliseconds and  
4           distances and chest wall thickness and those  
5           kinds of things.

6           And in the end we will use always the default  
7           parameter values used in the derivation of organ  
8           doses as defined in the various documents whether  
9           it's the implementation guide, the TBDs or in  
10          TIB-0006. So again, this is just an example  
11          where I felt you have to go through an awful lot  
12          of information to get to the point where you  
13          understand what is really being offered to you as  
14          a way of procedural guidance. And so that's  
15          issue one, and as I said, it falls into the  
16          category of a subjective criticism that may or  
17          may not require any resolution at all.

18          **MR. HINNEFELD:** Okay, from our standpoint, just I  
19          want to make one comment here that will maybe  
20          help us out later on. We have a generic response  
21          here that says that we don't disagree with the  
22          comment at all, but that any particular revision  
23          we would consider a relatively low priority  
24          revision to rearrange this structure. And so  
25          this first response is a sort of a generic

1 response that you'll see several times down the  
2 page when we encounter a comment that we think  
3 that's in that category. Now if we've misapplied  
4 that one, you know, we don't understand that the  
5 nature of the comment or the finding at some  
6 point, we want to make sure that I point that out  
7 today because this response will occur several  
8 times down the matrix.

9 **DR. BEHLING:** For instance if, for those who may  
10 have read the actual Task 3 report, when I said  
11 fragmented, for instance, to go through the issue  
12 of photons, you have to go through a whole series  
13 of steps that says first we discuss dose recorded  
14 dose. And we have to talk about dose recorded  
15 dose for photons, electrons and neutrons. And  
16 then we go from missed dose. This photon, and  
17 this was clearly identified as an improvement in  
18 PROC-006 where you aggregated them, and it was  
19 nicely done there.

20 **MR. GRIFFON:** Can I make a suggestion? Where we  
21 have general agreement, unless you're disputing  
22 that, maybe we can give a very quick description  
23 of the finding so that we can get to the meatier  
24 ones.

25 **DR. BEHLING:** Okay, and I think I accept your

1           comments and at this point I don't think we need  
2           to discuss issue number one. Let's go to the  
3           second one and that is guidance for deriving film  
4           and TLD dosimeter uncertainty neutron dose from  
5           source term and occupational medical doses and x-  
6           ray machine levels.

7           Skip the second one, but talk about uncertainty  
8           because at this point as Kathy pointed out  
9           already, when we reviewed these procedures, we  
10          had no real understanding of how some of the  
11          issues that we identified would translate into  
12          the actual dose reconstruction process.

13          And at this point in time I will say this. We've  
14          had now 60 audits of dose reconstruction reports,  
15          and some of these things have turned out to be  
16          exactly what I would have predicted. With regard  
17          to uncertainty with film dosimetry, the  
18          implementation guide gives you a lot of formulas  
19          and they give you methods by which you can  
20          calculate it if you understand what the  
21          densitometer values were and so forth. And in  
22          some cases early on when films were essentially  
23          issued to people 52 times a year on a weekly  
24          basis, the recommendation to do uncertainty would  
25          have resulted in an expenditure of time that was

1 phenomenal.

2 And clearly, now that I've had a chance to look  
3 at 60 audits, not one person has ever made an  
4 attempt to do this, and it's clearly  
5 understandable. And so my recommendation is,  
6 while it's very nice to explain what the nature  
7 of uncertainty is, but clearly no one is really  
8 capable of doing it in a practicable way. And so  
9 my recommendation here is while the uncertainty  
10 was described adequately on a technical level, it  
11 really lacks the ability to be used in a  
12 functional way.

13 And my recommendation is to assign at 30, 40  
14 percent value that people can use when they come  
15 up with a dose, a recorded dose, and saying well,  
16 what do I put in under parameter two? And in  
17 most instances, as I said, the people have,  
18 either they've ignored it entirely and avoided  
19 the need for an uncertainty which is obviously  
20 deficiency and certainly not claimant favorable,  
21 or they've gone to the opposite direction by  
22 using the maximized approach by saying we'll  
23 multiply all recorded doses by a factor of two  
24 and that covers my need to deal with uncertainty.  
25 Now again, that might have been even appropriate

1           for the instances where a maximized dose is the  
2           objective of the dose reconstruction, but when  
3           the day comes where we have to deal with best  
4           estimate, there you are, in fact, at this point  
5           no longer in a position to make use of maximized  
6           approach of multiplying the recorded dose by two,  
7           where you're now faced with having to assign an  
8           uncertainty. And I would recommend we resolve  
9           this issue by perhaps identifying a reasonable  
10          percent value as an uncertainty value for film or  
11          TLD and exempt the dose reconstructor from having  
12          to go through this tedious process.

13         **MR. GRIFFON:** It's a little more extensive than  
14          what's here.

15         **MR. HINNEFELD:** Right, now our response really,  
16          response to the parts other than the uncertainty  
17          part of the comment, and I think I just neglected  
18          to include our uncertainty response in it. If  
19          you look at finding number IG-004, we said that  
20          we feel like the IG, the implementation guide's  
21          uncertainty description should support what is  
22          being done, as you say, in the dose  
23          reconstructions you look at. Let's have a  
24          discussion that supports that uncertainty if it's  
25          not been prepared yet. We're suggesting we'll

1           revise the IG, take out this uncertainty language  
2           and insert a basis for what is being done in dose  
3           reconstruction.

4           **DR. BEHLING:** And I also wanted to say it's a  
5           discussion that we could probably spend the  
6           balance of this afternoon on just by itself. The  
7           uncertainty as I found out was really not a  
8           complete uncertainty that did not address, for  
9           instance, a radiological uncertainty or  
10          environmental uncertainty. It seems that it was  
11          mostly based, or the formula that were given were  
12          essentially dealing with the laboratory  
13          uncertainties.

14          **MR. HINNEFELD:** Right, you're jumping ahead.  
15          That comes up later.

16          **MS. BEHLING:** The other thing that we also  
17          recognize is the workbooks, the workbooks that  
18          are being developed, the best estimate-type  
19          workbooks, do take into account the uncertainty  
20          on the dosimetry, the attempt to do that with  
21          Monte Carlo and crystal ball runs. However,  
22          based on this particular document, that's where  
23          the comment of uncertainty comes in.

24          **MR. GRIFFON:** I think the other thing I see  
25          repeated and for OCAS-IG-001, and also that I

1 think we should keep in mind is that it's a  
2 general guidance document, right?

3 **MR. HINNEFELD:** Yes.

4 **MR. GRIFFON:** So there is, I see that reflected  
5 in your response several times that, yeah.

6 **MR. HINNEFELD:** There's language in that document  
7 that would lead you to conclude that, so a dose  
8 reconstructor is supposed to be looking at this  
9 when he does dose reconstructions. But that's  
10 really not the intent. The intent is this is  
11 general guidance for performing dose  
12 reconstructions, and so, we're not really trying  
13 to write a step-by-step procedure in IG-001.

14 **DR. NETON:** Right, I can address that a little  
15 better. I was largely responsible for working  
16 with the person who drafted this document early  
17 on. And that's exactly the intent was to lay out  
18 the framework for general concepts and what  
19 issues need to be addressed. And then the  
20 subsequent procedures that are based on them  
21 would flow from them and be more general and use  
22 the efficiency process and that sort of thing.  
23 But at some point I thought we felt the need to  
24 at least address these higher tier issues some  
25 place. And it's an implementation guide, very

1 similar to what you see in either DOE or NRC  
2 facilities where the implementation guides  
3 themselves, they're not really useful to a person  
4 in the field trying to do a job. It's a policy,  
5 not a policy, but it's a technical basis almost.

6 **MS. BEHLING:** And I believe actually when we  
7 started to review this, I think we agree with  
8 that we understand that was the intent. However,  
9 when we started doing the dose reconstructions, I  
10 don't think there's a dose reconstruction that  
11 we've looked at that they don't reference the  
12 implementation guide. They seem to use the  
13 implementation guide quite a bit.

14 **MR. GRIFFON:** So what do we say about the second  
15 finding? Is there agreement there with NIOSH's  
16 response?

17 **MR. HINNEFELD:** In our response remember, we also  
18 have the uncertainty edit that should be part of  
19 this response.

20 **MR. GRIFFON:** In part number four.

21 **MR. HINNEFELD:** In part number four, that also  
22 relates to the --

23 **MS. BEHLING:** I said this is the first time we've  
24 had a chance to read the responses.

25 **DR. BEHLING:** Are we prepared to go to the third

1 item?

2 **MS. BEHLING:** They want to know if we're in  
3 agreement.

4 **MR. GRIFFON:** Is there agreement on number two?

5 **DR. BEHLING:** Yes.

6 **MR. GRIFFON:** Okay, then go to the third item.

7 **DR. BEHLING:** Again, this is a relatively easy  
8 one to resolve. The issue here is one of the  
9 implementation guide provides inadequate guidance  
10 for classifying a case as potentially less than  
11 or greater than 50 percent POC and should  
12 identify the role of the Task 2 personnel.  
13 When I first looked at, and I looked at,  
14 obviously, the regulations and they clearly  
15 spelled out that there'll be different tiers of  
16 dose reconstruction based on efficiency, the need  
17 for efficiency and so forth. And that the  
18 question I had in reading the implementation  
19 guide, it doesn't really offer you the  
20 opportunity to say how will we differentiate a  
21 maximized dose that is likely to be less than 50  
22 percent from one where best estimates apply and  
23 the implementation guide didn't address it.  
24 Obviously, the procedure number six clearly  
25 identifies that in a series of appendices. So

1           again, this is an issue that I identified because  
2           the implementation guide was the very first  
3           document I reviewed. And had I had the benefit  
4           of seeing everything up front, I probably would  
5           have deleted that as an issue. So it's a  
6           question of learning things as you go along, and  
7           I don't think this really requires any --

8           **MR. GRIFFON:** There's agreement there, okay.  
9           Number four.

10          **DR. BEHLING:** Number four, again, we're going  
11          back to TLD uncertainty, and I just took a couple  
12          issues here. For instance, in the TLD  
13          uncertainty it defines an equation for  $\mu$  sub n  
14          and  $\mu$  sub e, or something that should be  
15          obtained from your local DOE, DOELAP-accredited  
16          health physicist. And I found that, first of  
17          all, two problems with that. It's not something  
18          that you should call somebody who was involved in  
19          DOELAP accreditation to get the answer to.  
20          And second, if you're talking about DOELAP  
21          accreditation for a TLD that was done in the  
22          early 1990s, how is that applied to a TLD system  
23          that was used in the '70s, and it's questionable.  
24          So that's really where the issue is here. One of  
25          discrepancy in terms of time and the availability

1 of data that should be made available in the  
2 procedures so that people wouldn't have to  
3 necessarily consult somebody within a DOE complex  
4 in arriving at variables that are necessary for  
5 defining uncertainty. So it goes to the same  
6 issue we addressed earlier.

7 **MR. GRIFFON:** In your response, NIOSH's response,  
8 it seems like this is more than simply a matter  
9 of modifying language.

10 **MR. HINNEFELD:** Well now, in this case I believe  
11 it is. I mean, there's, the discussion that is  
12 in the implementation guide, you know, the  
13 offending passages, don't really provide  
14 direction on how to accomplish anything. And so  
15 from our standpoint, you know, we have a pretty  
16 standard approach to uncertainty on these  
17 measurements, and that's used typically in each  
18 of the site profiles or in one way or another  
19 will describe what the uncertainty approach  
20 should be on measured doses, and we have a basis  
21 for that.

22 And so the IG should write that basis not this  
23 various other conversation about potential ways  
24 to do uncertainty. And so to my way of thinking  
25 this is really a language change, but the IG

1           should describe the basis for what we're doing in  
2           uncertainty rather than these other passages  
3           about uncertainty that don't, that really don't  
4           tell us what to do for what we're doing.

5           **MR. GRIFFON:** Do you agree with that response?

6           **DR. BEHLING:** Yes.

7           **MS. BEHLING:** Number five of the implementation  
8           guide indicates that what we're just suggesting  
9           here that the LOD values, I believe the  
10          implementation guide had suggested a ten  
11          milligram in the early, well, '56 through '60 as  
12          an LOD value. And based on other technical basis  
13          documents and other references that we looked at,  
14          it just appeared to us that that was a low value.  
15          And I guess in retrospect, as I said, when we  
16          started doing the dose reconstruction reports we  
17          realized that they often do use the TIB 008 and  
18          the TIB 0010 which does recommend the 40  
19          millirem. But it just seemed that there was a  
20          little bit of a discrepancy with the  
21          implementation guide in the fact that these early  
22          years were just such lower LOD values such as ten  
23          millirem as opposed to 40 as a minimum.

24          **DR. BEHLING:** And just for clarification, Table  
25          2.1 of the implementation guide provides LOD

1 values for '56 through 1960 that went from 30  
2 millirem to ten millirem. And I have a difficult  
3 time being part of, or having been part of the  
4 dosimetry program at various locations that as  
5 early as 1960 you would find an LOD value for a  
6 film at ten millirem. And again, it's  
7 inconsistent with TBD values that identify site-  
8 specific values. And for that time period  
9 usually you end up with 40 millirem as the  
10 standard LOD value that is used throughout the  
11 complex. So it's just a statement here.

12 As I said most people when they go to LOD values  
13 from this dose, whether it's LOD over two or just  
14 N times LOD, they usually cite site-specific  
15 information which makes this particular statement  
16 in the implementation guide a question we should  
17 --

18 **MR. HINNEFELD:** Right, I think from both finding  
19 number five and finding number 6, I believe  
20 their, the intent of the passages in the IG are  
21 to provide illustrative, you know, an  
22 illustration of a concept as opposed to a  
23 recommended value for LOD. So it's the, you  
24 know, in one part it illustrates this is the  
25 effect of a lower limit of detection or a less

1 frequent badge exchange on the missed dose  
2 number. And so I think these are both sort of  
3 examples or illustrations, but without the intent  
4 that the actual LOD values are recommended values  
5 to be used.

6 **MR. GRIFFON:** And I think to me it does sort of  
7 read that way. I mean, it's a Rocky Flats, you  
8 know. It actually says in one paragraph before  
9 the table, at least for the one on page 31, so  
10 you know.

11 **MS. MUNN:** This is one that I'd like it if you  
12 really emphasized, illustrate, in your response  
13 there, Stu. I think as I read it if the word  
14 illustrate jumps out at me then it's very clear  
15 to me, and I think even to any casual reader it  
16 would be clear that you're not, even though you  
17 say later, not recommending. A little emphasis  
18 on illustrate would be appreciated.

19 **MR. HINNEFELD:** Okay, in the language of the IG?

20 **MS. MUNN:** Yeah.

21 **DR. BEHLING:** The next one is just a statement  
22 that --

23 **MR. GRIFFON:** You agree with that five, right?

24 **DR. BEHLING:** Right, right.

25 The next is just a statement that refers to LOD

1 values given in the implementation guide as being  
2 one and the same whether it's for photons and for  
3 deep dose photons as well as for the shallow dose  
4 which may be low energy photons or betas. And  
5 then I guess for anyone who's familiar with the  
6 dosimetry system that's not necessarily the case.  
7 We usually assume that the degree of sensitivity  
8 for shallow dose is not quite up to that level of  
9 the deep dose and that's really a comment. But I  
10 think you address, your response is acceptable as  
11 it stands.

12 **MR. GRIFFON:** Okay.

13 **DR. BEHLING:** The next one is finding number  
14 seven and it deals with the NTA film dosimeters,  
15 the limitations as defined by their response.  
16 And again, the implementation guide like so many  
17 of the other TBDs identify 500 keV as a threshold  
18 for being able to detect neutrons and producing a  
19 track that is observable under a light  
20 microscope.

21 And I looked at the early documents including  
22 information that's contained in some of the  
23 classic textbooks like Hine and Brownell, and  
24 they identify something that is considerably  
25 higher, 800 maybe to 1,000 keV which would be a

1 threshold that would expand the area of  
2 uncertainty for various neutron spectra  
3 associated with reactor sites. And so that's the  
4 reason I brought it up here. And it's also an  
5 issue that needs to be somehow other explained.  
6 Even if we accept a certain threshold, that  
7 doesn't mean that once you cross that threshold  
8 that your ability to observe an exposure is the  
9 same. There's a steep dose response gradient  
10 between 500, even if it is, in fact, something  
11 that on occasion you may be able to observe a  
12 track that is a result of a 500 keV neutron.  
13 The likelihood of seeing that in the terms of  
14 dose response and put it on equal footing for  
15 tracks that may be generated by neutrons between  
16 one and two MeV is considerably different. And  
17 that's really not always clearly stated. In  
18 fact, the casual observer would read that if you  
19 exceed 500 then everything is on an equal level.  
20 It is not. And I think in so many of the TBDs,  
21 including in the Savannah River site, you do show  
22 the steep dose response gradient that's above the  
23 threshold level and that let's you know that  
24 you're really operating in the dark.  
25 And of course, the whole issue also centers

1           around the use of NTA film. At least for many of  
2           the facilities, NTA film has been viewed with  
3           skeptical, with a skeptical perception of how  
4           accurate is it, and therefore, completely ignored  
5           in favor of neutron-photon ratios. And so again,  
6           the question comes in why even bother for those  
7           facilities where NTA film has been used but  
8           acknowledged as unreliable, and therefore, the  
9           surrogate methodology involving neutron-photon  
10          ratio was adopted.

11          And I have no comments beside that other than in  
12          so many of the TBDs the issue is resolved by  
13          saying we're not even going to use it. We're  
14          going to use something that is more, obviously  
15          more practical.

16          **MR. HINNEFELD:** I don't disagree with anything  
17          you said.

18          **MR. GRIFFON:** So the only clarification I want is  
19          on your response it says interpretation of the  
20          NTA film dosimetry results probably require site-  
21          specific evaluation. I mean, is that, what is  
22          happening? It's all individual site-specific?

23          **MR. HINNEFELD:** Well, the TBDs will generally  
24          describe like Hans was saying, some of the TBDs  
25          say NTA film was used before this date because of

1 the nature of the spectrum they were exposed to.  
2 We're not even going to worry about it because we  
3 don't think it was effective at all, and so we're  
4 just going to use neutron to photon ratios for  
5 that period of time, so --

6 **MR. GRIFFON:** Maybe the guide should give that  
7 clarification, too, that --

8 **MR. HINNEFELD:** I think we could make an edit to  
9 IG-001 to make it more clear that we don't  
10 necessarily think 500 is a magic threshold and  
11 once you hit 500 everything's hunky dory. I  
12 mean, we could say that. I would make that kind  
13 of a low priority edit because we're behaving, by  
14 writing site-specific TBDs that address it for  
15 that site the way that we think we should behave  
16 anyway, I think, is what I believe I'm hearing.  
17 And so I would make that edit IG-001 relatively  
18 low priority because we seem to be, you know,  
19 it's going --

20 **MR. GRIFFON:** Probably a low priority, but it  
21 would be nice if it was consistent with the  
22 practices down through the chain. Yes, I agree.  
23 You agree?

24 **DR. BEHLING:** (no audible response)

25 **MR. GRIFFON:** Okay, next.

1           **DR. BEHLING:** The next one is number eight, and a  
2           summary of this issue here is methods for a  
3           reconstruction of neutron doses from survey data  
4           or source term data do not appear practical,  
5           achievable and defensible. In a sense what I'm  
6           really saying is that Appendix B has a huge  
7           citation of neutrons' fluence and their  
8           conversion, and quite honestly, we all know that  
9           when we go into a facility based on time and  
10          space, time and space, the dose rate from  
11          neutrons is highly variable.

12          And the option of even calculating an exposure  
13          for a person who may have worked there for years  
14          without knowing where he was, when he was there,  
15          how long he was there from neutron fluence, while  
16          it has some theoretical merit in discussing, has  
17          no practical value in dose reconstruction. And I  
18          would venture to say at this point we will never  
19          see an instance where somebody's going to be or  
20          where neutron dose reconstruction will take place  
21          with regard to a neutron fluence assessment or  
22          going through the motions as defined in Appendix  
23          B.

24          Now the exception to that might be a person who  
25          works in a calibration laboratory where he has a

1 mono or a fixed source that produces neutrons of  
2 a certain energy spectrum, and we can at least,  
3 you know, under the most extreme conditions if  
4 the person wasn't monitored, reconstruct it using  
5 a bounding value. That would be the exception I  
6 would take. But for a person working in a  
7 reactor facility I would say the use of that  
8 whole process would be an ambitious process to  
9 say the very least.

10 **MR. HINNEFELD:** I agree. I'm proposing we change  
11 the wording to more accurately describe what we  
12 do.

13 **MS. MUNN:** I would point out -- this is Wanda --  
14 that we very likely may have such an instance as  
15 Hans referred to with respect to laboratories and  
16 sources and a variety of folks. We have an SEC  
17 that probably is coming up, it will be almost  
18 precisely what you were talking about.

19 **DR. BEHLING:** As I've said, I'm not exempting all  
20 conditions from the use of that methodology but  
21 at least for a large category of workers in and  
22 around reactors whether it's at Hanford or at  
23 Savannah River. I would say this is a very  
24 ambitious approach to doing dose reconstruction,  
25 and I don't believe for a moment people would

1           actually choose to use that methodology.  
2           If everyone agrees, we can go to the next issue  
3           number nine, and I guess the center of that  
4           particular statement is that at most facilities  
5           neutron exposures were generally less than 20  
6           percent of photon exposures. Now we do know that  
7           that's not necessarily the case, but again, it is  
8           not likely that this particular statement will be  
9           used for a dose reconstruction. It was,  
10          therefore, a statement that is generically a  
11          statement that may or may not necessarily be  
12          true.

13          But for real dose construction people would, in  
14          fact, go to the TBD that defines the particular  
15          facility and look at the various locations in  
16          defining what the neutron-to-photon dose ratios  
17          are as are clearly defined in all the TBDs along  
18          with the ICRP correction factors, et cetera. So  
19          again, it's just a statement that I'm not sure it  
20          serves a purpose here in giving people the  
21          illusion that neutrons are always less than 20  
22          percent of photon doses.

23          **MR. GRIFFON:** And there's agreement.

24          **MS. MUNN:** Can we just simply take out 20  
25          percent?

1           **DR. BEHLING:** Yeah, yeah.

2           Yeah, the next one is issue number 10, and it  
3           refers to Appendix B DCFs for bone surface and  
4           red marrow. And again, if I look at some of the  
5           earlier work, especially for low energy photons  
6           where the photoelectric interaction dominates,  
7           the fact is when you have, whether it's an AP  
8           geometry exposure, the instant photons when they  
9           go through the skin and adipose tissue and the  
10          muscle tissue and finally strike the bone, there  
11          is a much increased probability of an interaction  
12          by means of the photoelectric effect which raises  
13          at the interface the actual dose of the bone  
14          surface by a considerable margin, up to, at the  
15          point of transition between soft tissue and  
16          mineralized bone you will see a steep gradient in  
17          terms of the actual dose, which for certain types  
18          of bone cancers would apply here in terms of the  
19          DCF.

20          In fact, I brought with me some of the actual --  
21          and I cited Hine and Brownell for that. And I  
22          brought the original document as photocopied from  
23          Hine and Brownell with me. You will see  
24          instances where the actual bone surface dose far  
25          exceeds the entrance dose. And so that was not

1 brought out if I look at the DCF in Appendix B  
2 for the low energy photons, the DCF does not  
3 reflect a much enhanced dose that involves the  
4 photoelectric interaction at lower energy  
5 photons. Again, this is something that you may  
6 want to look at or correct.

7 **MR. HINNEFELD:** Yeah, this is one that I think we  
8 want to study and probably talk about some more  
9 after today because I'm not sure we're going to  
10 be able to reach a resolution today. ICRP 74  
11 does talk about electronic equilibrium in those  
12 bone surface dose part at least, not necessarily  
13 in the marrow dose part, but in the bone surface  
14 dose. And they describe, you know, the  
15 electronic equilibrium and all, the nature of the  
16 comment you brought up and in the document that  
17 is the basis for the DCFs that we used.  
18 So our belief is that ICRP probably was aware and  
19 incorporated it appropriately as they describe in  
20 their finding. But you know, we're just kind of  
21 sorting through that now, and I think we'll need  
22 to exchange some more messages about a final  
23 outcome here. You know, our comment here is  
24 that, you know, ICRP, we consider that pretty  
25 definitive.

1           **DR. BEHLING:** I agree with you. I looked at  
2 ICRP, and they do acknowledge it but don't do  
3 anything about it. And saying no, we're not  
4 going to address that as an issue.

5           **MR. HINNEFELD:** Well, in the bone surfaces they  
6 say that we're going to call it bone surface like  
7 average of the bone dose or something like that  
8 so it's covered. But they don't, they don't say  
9 anything about it in the bone marrow dose which  
10 is also, and the effect on the bone marrow is  
11 going to be a function of the size of the cavity  
12 that the bone marrow resides in.

13           And so the key question then, essentially, what  
14 is the average size of the cavity that bone  
15 marrow resides in because all these doses are  
16 average anyway. So what's the average size and  
17 that would be the extent of the effect. So I  
18 think we'll take a little more time to look at  
19 it, and then we'll talk to you about --

20           **DR. BEHLING:** It's a requirement that may or may  
21 not be something that is significant here. I  
22 brought it up because the early work, and I'm  
23 very familiar with it, with the Spiers' work in  
24 '49. And then again it goes back to what Stu was  
25 just mentioning, electron equilibrium.

1           And when you talk about a marrow cavity that's  
2           less than ten microns in diameter, the electrons  
3           generated in the bone matrix is what liberates  
4           its energy in the cavity itself. And therefore,  
5           for a very small cavity you essentially have an  
6           electron equilibrium value that is similar to  
7           that of mineralized bone as opposed to soft  
8           tissue.

9           If the marrow cavity is very large, it reaches  
10          again an equilibrium that is one of soft tissue  
11          and you average out the dose over a hundred, two  
12          hundred micron cavity that, in effect, becomes an  
13          average dose to soft tissue as opposed to  
14          mineralized bone. It's a moot issue. It's a  
15          small issue. I'm not sure if you want to address  
16          it, but I've raised it as an issue because I  
17          happen to know Spiers' work.

18          Item number 11, implementation guide does not  
19          account for additional laboratory uncertainty for  
20          film badge readings associated with exposures  
21          less than 200 millirem. And I think in looking  
22          at the National Research Council's report, they  
23          do address that as a separate issue in saying  
24          that the uncertainty is much higher for low  
25          energy photons. But on the basis of certain

1           considerations that NIOSH gave to this issue  
2           decided that it was not something that they were  
3           going to address. And then I just raised it  
4           because again, I'm quite familiar with the  
5           National Research Council's report, '89 report,  
6           on film badge dosimetry and the uncertainties as  
7           discussed in that report. And I just raised it  
8           here as an issue, but they addressed it as an  
9           uncertainty and NIOSH decided not to.

10          **MR. HINNEFELD:** Yeah, and this will be part of  
11          the rewrite of the uncertainty part. There are  
12          several uncertainty sections of IG-001 that are  
13          commented on and our general rewrite of our  
14          uncertainty language needs to address this as  
15          well. And there's a sentence in the response to  
16          IG-001, finding number 16, the last sentence  
17          there really I think is relevant to this comment  
18          rather than 16 when you're talking about the  
19          NAS's, NRC NAS's additional uncertainty at low  
20          energy.

21          So it's actually the additional uncertainty  
22          because of it's a field badge versus a laboratory  
23          badge, and it is most prominent. And these  
24          effects are more prominent. The higher  
25          uncertainty is more prominent in lower doses.

1           It's part of that whole, everything we have to  
2           address and rewrite the uncertainty part.

3           **DR. BEHLING:** In fact, there were a couple minor  
4           errors associated with the discussion of  
5           uncertainty where they referred to environmental  
6           uncertainty, and they ended up deferring to  
7           environmental exposure as environmental  
8           uncertainty in the context of the NRC report.  
9           Environmental uncertainty involves issues such as  
10          heat, humidity and other physical and chemical  
11          potential issues that may affect the performance  
12          of a badge. And it does not involve the term  
13          environmental dose as we define it in the  
14          implementation guide. And so it was just a mix-  
15          up of sorts.

16          The next one is obviously a very important one,  
17          at least from my point of view, and I believe  
18          NIOSH is going to look at this. The issue  
19          centers around the dose conversion factors as  
20          defined in Appendix B or Attachment A in PROC-006  
21          which are identical.

22          I looked at those and obviously there are some  
23          problems here with defining how to convert a  
24          reading from a film dosimeter or a TLD into an  
25          organ dose. The assumption based on the

1 implementation guide is that we all start out  
2 with an air dose, which is not correct, in free  
3 air nevertheless. When, in fact, the readings  
4 that we're going to be starting out with are  
5 readings that involve either a film or TLD badge  
6 that's worn, meaning that it has also, is subject  
7 to attenuation by the human body and other  
8 factors that will obviously have some profound  
9 impacts in converting a dose, for instance, in a  
10 PA geometry, isotropic and rotational. And I  
11 assume, based on the comments that NIOSH  
12 submitted, that some amendments will be made. A  
13 reasonably quick and dirty one would be to resort  
14 to AP geometry as a dose conversion factor for  
15 all geometries independent of what you might  
16 think they should be.

17 **MR. HINNEFELD:** Well, and we're actually haven't  
18 been able to convince ourselves that that is the  
19 most claimant favorable in all conditions. We've  
20 done that much work to recognize it. Our first  
21 thought was let's just use AP, you know, no  
22 matter what we use, AP geometry does correction  
23 factors. And we're not entirely sure that that  
24 is claimant favorable in all conditions.

25 **DR. BEHLING:** Not always.

1           **MR. HINNEFELD:** Right, so we've got some work  
2           underway, but it's very preliminary, and I'm  
3           really not prepared to talk about it at any  
4           length except to say that, yeah, this is  
5           certainly a valid comment, and we are pursuing  
6           edits to resolve it.

7           **DR. BEHLING:** I think we can already address the  
8           issue 13 in conjunction with 12, so the two of  
9           them come together so we'll skip 13.

10          Item number 14 is angular sensitivity not  
11          accounted for in correcting measured film or TLD.  
12          Again, I went back to some of my own studies  
13          early on in my career. I also looked at Hine and  
14          Brownell. And clearly, film dosimeters as well  
15          as TLD are normally calibrated in a laboratory  
16          under the most ideal conditions, meaning that you  
17          have an instant beam of radiation that is at  
18          right angles to the face of the badge, and that  
19          obviously gives you the maximum response in most  
20          instances.

21          On the other hand reality dictates that when you  
22          look at a TLD that's worn by an individual in a  
23          radiologic environment, even if it's a single  
24          point source, he will rotate through his own  
25          axis, 360 degrees, over a period of a week, a

1 month or however long the badge is worn, and you  
2 realize that the exposure is not one of ideal  
3 exposure conditions. Under those circumstances  
4 when you look at, for instance, low energy  
5 photons, especially those that are heavily  
6 impacted by the 1,000 milligram filter that  
7 overlies the sensitive portion of the TLD or  
8 film, that you would potentially underestimate.  
9 And some of the underestimates are fairly  
10 substantial, especially when you approach the 90  
11 degree or 180 degree. And so I raised it up, I  
12 raised that as an issue. I provided some data  
13 with that from Hine and Brownell that identifies  
14 the dose response in various angles which are  
15 substantially less than unity when compared to  
16 the 90 degree on-face exposure.

17 I did look at Fix, by the way, who is a very,  
18 very knowledgeable person and did an awful lot of  
19 the work on behalf of the Hanford site as well as  
20 other facilities. And one of the things that did  
21 bother me a little bit about him -- and I do  
22 respect his knowledge. He's a very, very  
23 intelligent person without ever having met him  
24 but reading his documents. He's clearly an  
25 expert on dosimetry. But he does make a point

1           that when you have a film badge that is  
2           irradiated at a 90 degree angle that the  
3           processor would instantly recognize that.

4           Well, that's true if you're going to be giving  
5           somebody a film badge and says you will not move  
6           from 90 degree to this one source. The truth of  
7           the matter is when you have a film or a TLD in an  
8           environment that has either multiple sources or  
9           you just walk around, you're going to have only a  
10          portion of the exposure that will impact a 90  
11          degree, a 180 degree. Which means that this will  
12          not be recognized, and the very, very low values  
13          at those extreme angles are masked by exposures  
14          of angles other than those.

15          So I take exception to his comments that you can  
16          ignore the response at these extreme angles  
17          because the processors would recognize it. Well,  
18          that's kind of ludicrous because no one's going  
19          to be exposed for a period of a week, a month, or  
20          even a quarter at exactly 90 degrees which would  
21          reveal the exact angle of exposure.

22          And that's the only comment I have because we  
23          talked about the issue of angles sensitivity  
24          before, and I guess NIOSH questioned the value  
25          cited in Hine and Brownell as perhaps being

1 extreme. And I would just caution you that Fix's  
2 assessment of the 180 and 90 degree angles are  
3 not legitimate in the real world where people are  
4 going to be exposed not just at 90 or 180, but at  
5 all angles. And the ability to discern whether  
6 or not a certain portion of the exposure was  
7 received at those extreme angles is lost and  
8 would not be known to the person who's processing  
9 these films.

10 **MR. HINNEFELD:** Well, we're including this  
11 angular dependence of the badge in the product  
12 that we described in the last two.

13 **DR. NETON:** I agree with you. I think the range  
14 of error that could be made was cited at some of  
15 those extreme angles in your own review report,  
16 so you're, the magnitude of the error was  
17 asserted at those extreme angles implying that  
18 the error could be that large because a person  
19 was indeed exposed at those extreme angles. So  
20 we're just trying to respond in kind.

21 **DR. BEHLING:** Yeah, if you were to use an  
22 aggregate which would essentially be represented  
23 by a person who is on a rotational exposure  
24 geometry, it could prove to be that for at least  
25 very low energy photons which are most effective,

1           and clearly, as Hine and Brownell data and Fix's  
2           data show, he used 70 and 150 keV or 120 keV  
3           photons, they can be up to 30, 40 percent.

4           **DR. NETON:** That was our point. These extreme  
5           examples where you -- could be a factor of four  
6           or whatever.

7           **DR. BEHLING:** Yes, yes, no, I agree. I mean, I  
8           wouldn't use, for instance, an interdependence as  
9           cited by Hine and Brownell of 0.12 at the extreme  
10          end or edge of the low sensitivity. Of course,  
11          that would not be appropriate. But something on  
12          the order of 30, 40 percent as an average value  
13          for all angles that would be essentially  
14          representative of rotational geometry is not  
15          unreasonable as an uncertainty component.

16          **MR. GRIFFON:** Let me just ask, you said that, I  
17          mean, this, unlike some of the other ones, the  
18          earlier ones, that you said were not priority  
19          changes, this seems like it might be a higher  
20          priority. Is there any sense of how, what kind  
21          of timeline we're looking at or how many, could  
22          this affect cases that have already been, the  
23          geometry's completed for?

24          **MR. HINNEFELD:** I really hesitate to give a time  
25          frame today because like I said, we have some

1 very preliminary products, but to be honest with  
2 you, I haven't even read them all yet. But I'm  
3 not ready to decide --

4 **MR. GRIFFON:** But it's a higher priority.

5 **MR. HINNEFELD:** Oh, yeah, when I'm talking about  
6 things I think I consider low priority edit,  
7 that's like things I'll get to when I have time,  
8 you know, if I get to them. There are probably  
9 even three classifications you could put in here.  
10 This is really an important one. You know,  
11 resolving this issue and getting a correct answer  
12 or correct number down is an important response  
13 here. You know, there are some others that may  
14 fall in a middling category like the uncertainty  
15 where, you know, we're already behaving  
16 appropriately in uncertainty. That's kind of a  
17 middling sort of thing. But this is an important  
18 one and I think will resolve.

19 **MR. GRIFFON:** I was thinking the same thing. The  
20 uncertainty kind of fell in the middle, so this  
21 one was a higher one. Okay, just getting the  
22 sense of --

23 **DR. NETON:** I'd just like to point out that if we  
24 increase the uncertainty on the external doses,  
25 it's not likely to affect many decisions because

1           the uncertainty in the external doses probably is  
2           the smallest component of the uncertainty in all  
3           of these calculations even if we doubled the  
4           uncertainty or more. So it really, it has most  
5           impact when we actually change the estimate of  
6           central tendency as opposed to increasing the  
7           uncertainty bands really is not going to change  
8           much at all in the decision-making process.

9           **MS. BEHLING:** The other issue with this, with the  
10          DCFs being an important issue as you just  
11          mentioned, Mark, is the fact that as you said for  
12          most of these min-max cases we are using the AP  
13          geometry. But when we are looking at the best  
14          estimates, and we're looking at doing these using  
15          the workbooks, then a lot of DCF information does  
16          come into play. And so, as you said, it does  
17          have to be a higher priority.

18          **DR. BEHLING:** If we can go on to item 15. It  
19          deals with backscatter, and again, I can't speak  
20          on behalf of everything but, or all the TBDs, but  
21          at least on behalf of the Savannah River site for  
22          one, it's identified that on-phantom calibration  
23          started in the mid-1980s and there's a correction  
24          factor. And I believe they used something like  
25          11 point something, three decimal points,

1           whatever, and I looked at the issue of the  
2           backscatter.

3           And it's clearly a very complex issue.  It's  
4           energy dependent for sure.  It's going to be a  
5           function of the scattering medium and the  
6           physical dimensions.  And I provided some  
7           information that comes out of Hine and Brownell  
8           again in our report.  It's on page 47, figure  
9           2.1-6.  And you see that you can receive  
10          backscatter factor for a very large person and  
11          for certain types of energies that are up to 40  
12          percent.  And again, the question is is the 11  
13          percent a conservative, claimant favorable  
14          adjustment factor for on-phantom calibration, and  
15          that's the only reason I raised it.

16          **MR. HINNEFELD:**  Well, the 11 percent was actually  
17          a combination of factors.  There were a number of  
18          things that changed that year and not only the on  
19          calibration phantom.  I think calibration energy  
20          changed, and so that was actually an evaluation  
21          that was done by Savannah River, I believe.  In  
22          terms of maybe our doses, our recorded doses,  
23          should be adjusted by that much which we've  
24          adopted.  But it was a combination of factors and  
25          not strictly, not only changing to on-phantom

1 calibration.

2 So I think -- now Jim, if I say something wrong  
3 here, help me out -- but I think before if a  
4 facility's using a free-in-air calibration, our  
5 approach would be that well, that, they're then  
6 calibrating with an exposure measure not a rem  
7 measure and so the dose conversion factor that  
8 should be used is the exposure to HP 10 dose  
9 conversion factor. I think that's generally how  
10 we would deal with on air calibration or in air  
11 calibration versus an on-phantom calibration.

12 **DR. NETON:** Right, if the phantom were present,  
13 it would backscatter into the badge. You would  
14 have a higher result.

15 **DR. BEHLING:** Yes.

16 **DR. NETON:** And so it would be under, it would be  
17 conservative to not have that included in the  
18 phantom calibration than if it were worn on  
19 another person's badge. So it would be claimant  
20 favorable.

21 **DR. BEHLING:** There is some limitations with  
22 regard to backscatter since most of the film and  
23 TLD actually had a filter on the backside as  
24 well. So your 180 degree backscatter photons,  
25 especially from low energy photons, would in

1 essence probably not even penetrate the backside  
2 of your film, so it's just a side issue.

3 I guess we can leave it at this. I just thought  
4 that the 11 percent adjustment factor for pre-  
5 1985 for in the case of Savannah may not  
6 necessarily be the most claimant favorable, but  
7 if there are data that suggests it was done on  
8 the basis of empirical measurements, I will  
9 accept that.

10 **MR. HINNEFELD:** I'm sort of speaking from memory  
11 on that, but I know there were a number of things  
12 that changed. It wasn't strictly an on,  
13 switching from free-in-air to on-phantom. That  
14 change occurred, but there were other things that  
15 changed that year as well.

16 **DR. NETON:** Right, there was a fairly systematic  
17 review done by Savannah River. We could produce  
18 that, I think, if need be.

19 **DR. BEHLING:** Item number 16, I think we've  
20 already discussed. That is the environmental  
21 uncertainty that is the result of physical and  
22 chemical factors such as heat, humidity, light,  
23 et cetera. It was not addressed in the  
24 implementation guide. On the other hand we've  
25 already discussed that in a couple of previous

1 issues as a part of a missing discussion and  
2 involving uncertainty that includes radiological  
3 such as the angle sensitivity or environmental  
4 uncertainty that was not discussed in the  
5 implementation guide.

6 Item number 17, I'm going to have to, I'm drawing  
7 a blank here. The issues as cited in the matrix,  
8 I'd have to probably go back to the actual  
9 report. It states guidance for selection of  
10 uncertainty distributions for total organ dose  
11 raises question of consistency and requires  
12 professional judgment. And I'm trying to figure  
13 out what the purpose of that was or what the  
14 basis was.

15 **MR. GRIFFON:** I guess the NIOSH response is the  
16 key here, too.

17 **DR. BEHLING:** Okay, let me take a look. I  
18 haven't looked at that yet either.

19 **DR. MAURO:** Excuse me, Hans?

20 **DR. BEHLING:** Yes, sir.

21 **DR. MAURO:** Can you hear me?

22 **DR. BEHLING:** Yes, I can.

23 **DR. MAURO:** We're all, the people on the line are  
24 not, sorry, the connection went bad, and we can't  
25 really hear you although now that I'm talking to

1           you I can kind of hear you. But what's gone on  
2           the last five minutes, hardly were able to hear  
3           you and you're going in and out. My guess is  
4           we're experiencing the same thing we experienced  
5           the last time only that was the focus that time  
6           on Mallinckrodt. I don't know if there's  
7           anything you can do about what we've done like on  
8           Mallinckrodt. For some reason we were fine this  
9           morning, but right now we're having a very, very  
10          difficult time hearing anything.

11         **DR. WADE:** Well, you're very clear right now,  
12         John, so...

13         **DR. MAURO:** Lew, are you hearing them well?

14         **DR. WADE:** No, I had the same problem the last  
15         five minutes.

16         **DR. MAURO:** Okay, so just to let you know, I  
17         don't know if there's anything you can do about  
18         it over there at your end or something's changed,  
19         something's happening with the communication, but  
20         I got a call also from Kathy DeMers who also is  
21         having the same problem. She called me on my  
22         cell phone. If there's anything you can do about  
23         it great, otherwise we'll just try our best to  
24         listen in.

25         **DR. WADE:** Right, yes, please proceed.

1           **DR. BEHLING:** Yeah, I try very hard, in fact, I  
2           have a tendency to shout at times, and so  
3           hopefully by being very close to the mike this is  
4           not going to, you're not going to lose the signal  
5           here.

6           **DR. MAURO:** Yeah, that's a lot better. If you  
7           could keep doing that, that would be great.

8           **DR. BEHLING:** Okay, I'm going to have to tell  
9           Kathy that too because she has a tendency to shy  
10          away from the mike here.

11          **MS. MUNN:** But we had something on the line that  
12          was really creating a problem there for awhile.  
13          I think they went away whoever they were.

14          **DR. MAURO:** No, there's actually static. I mean,  
15          now that you're -- I'm sorry to interrupt again,  
16          but as long as you're close to the mike and  
17          speaking loudly, we can hear you over whatever  
18          that static is. It's almost like a continuous  
19          noise in the background like a wind. That's  
20          there, but when you, that showed up about five  
21          minutes ago, but as long as you speak, you know,  
22          directly into the microphone, we can hear you  
23          over that.

24          **DR. BEHLING:** We're still on number 17 here, and  
25          I'm really for the first time looking at NIOSH's

1 response, and again, the issue is consistency in  
2 defining uncertainty distributions for total  
3 organ doses. And their response is that OCAS  
4 will revise the uncertainty language in various  
5 sections of the implementation guide so that it  
6 reflects the basis for the uncertainty approaches  
7 utilized in the program.

8 I have to tell you right now I'm struggling to  
9 figure out what the issues were that I raised;  
10 what caused me to raise them.

11 **MR. HINNEFELD:** I think -- if I could. I think I  
12 can help you out, Hans. This is Stu. The IG  
13 language describes the compiled distribution of  
14 whatever quantity we're talking about could be  
15 fit with any of a variety of statistical  
16 packages, et cetera, et cetera. So it's kind of  
17 wide open. What do I do?

18 **DR. BEHLING:** Stu reminded me, and it's really  
19 in, I believe, section four of the implementation  
20 guide where you deal with the different  
21 distributions that all come together and then the  
22 use of the Monte Carlo analysis that aggregates  
23 all these different distributions that are a part  
24 of the IREP input code I take it, where you sort  
25 of look at the distribution and sort of say,

1           okay, what is the net effect of all these  
2           different distributions in terms of the  
3           uncertainties. So I do remember now what it was,  
4           and I guess their comments are appropriate here.  
5           It scared me actually when I read it and say are  
6           we going to have to do all this? I know my  
7           limitations.

8           **MS. BEHLING:** I actually -- can you hear me? I  
9           actually believe that was the last finding  
10          associated with the implementation guide-001.  
11          And I did make the statement on here that PROC-  
12          0006, ORAUT-PROC-0006, is very similar to it, in  
13          fact, follows the implementation guide in this  
14          exactly. And so our comments to PROC-0006 are  
15          reflected in the implementation guide findings.  
16          The only thing I do want to add with regard to  
17          PROC-0006, it appears that they make revisions to  
18          PROC-0006 where they're adding addendums that  
19          seem a little bit puzzling sometimes because I  
20          believe the last addendum that was added was what  
21          you referred to as Attachment E, and it's an  
22          addendum specific to Hanford.

23          And at sometimes I know it's confusing to us, and  
24          I'm sure it must be confusing to the dose  
25          reconstructors to have site-specific information

1 as an appendix or as an attachment to a generic-  
2 type procedure. It's very useful data that's  
3 there, but sometimes I wonder how all of the dose  
4 reconstructors if they're even aware that it's  
5 there.

6 **MR. HINNEFELD:** Well, I understand the concern.  
7 The project population is fairly static, you  
8 know, a few new people come on now and then. And  
9 the people that are here have been at it for  
10 awhile, and they know, they've figured it out by  
11 now. And when new people come on there's a  
12 fairly, you know, fairly extensive training  
13 session to point out the, and then they also work  
14 under someone's tutelage clearly during their  
15 first period.

16 So I understand the comment, and it probably  
17 relates to a kind of a hierarchy and something is  
18 going to come up a little bit about if, if you  
19 have a TIB that says you can do an overestimating  
20 technique like this, and a procedure that says  
21 you can do an overestimating technique like that.  
22 They're not exactly the same. Is there one  
23 prevalent over the other? Are there two options?  
24 You know, some of those things.

25 And it kind of relates to is there a hierarchy to

1           these things comment. And I guess I'm not really  
2           willing to commit to saying we're going to write  
3           a hierarchy right now, but I certainly understand  
4           the comment. I think it's worth some  
5           consideration and evaluation. So just saying  
6           that now, but I think that's what you're saying  
7           on this Attachment E type of thing.

8           **MS. BEHLING:** Yes.

9           **MR. HINNEFELD:** That similar kind of item, right?

10          **DR. BEHLING:** Kathy's comment was just a summary  
11          statement with regard to procedure number six  
12          which by the way is a very, very good procedure.  
13          And I have to say that there is a level of detail  
14          here that is very constructive to doing dose  
15          reconstruction. It's very, it amplifies a lot of  
16          things that are obviously not there in the  
17          implementation guide, and for good reasons  
18          because the implementation guide was basically a  
19          foundation for expressing all the other things  
20          that are part of the dose reconstruction process.  
21          So PROC-0006 is not necessarily a facsimile.  
22          It's an expansion of the implementation guide,  
23          and it's a very, very useful and well organized,  
24          structured document. But some of the issues such  
25          as a DCF are commonplace and so they do need to

1           be looked at in concert with changes that may  
2           affect the implementation guide.

3           **MR. GRIFFON:** Can I offer something maybe that  
4           will help us with efficiency here? I'm looking  
5           ahead OCAS-PR-003?

6           **DR. BEHLING:** Yes.

7           **MR. GRIFFON:** The next two pages cover that and  
8           the response seems to be for every one of them  
9           that you're going to rewrite, you're going to  
10          have a new procedure to replace this one. Is  
11          that correct?

12          **MR. HINNEFELD:** Well, chances are we'll just  
13          cancel it.

14          **MR. GRIFFON:** Just cancel it, okay.

15          **MR. HINNEFELD:** This procedure was written in  
16          September of 2002, and it provides a pretty  
17          decent general description of how dose  
18          reconstruction is done. But it sort of attempts  
19          to assign responsibilities and without, there  
20          were no organizations at the time to assign  
21          responsibilities to. In the interim those  
22          organizations have been set up, better procedural  
23          guidance has been provided. So we don't really  
24          see a need for it.

25          **MR. GRIFFON:** So the only thing I would say is

1           maybe we don't have to go through these item by  
2           item.  But is there another procedure that it  
3           makes more sense for us to follow up on, or  
4           rather a different set of procedures that would -  
5           -

6           **DR. BEHLING:**  My gut feeling is that --

7           **MR. GRIFFON:**  -- this isn't really replaced by  
8           one procedure.

9           **MR. HINNEFELD:**  No, no, it's --

10          **MS. BEHLING:**  But I believe one of our comments  
11          was the fact that we didn't quite understand why  
12          this procedure was necessary.  So I think, in  
13          fact, we do recommend that we didn't understand  
14          the duplication between the other procedures and  
15          this.

16          **MR. GRIFFON:**  So maybe we can just move ahead.

17          **DR. BEHLING:**  Yes, yes.

18          **MR. GRIFFON:**  Two pages and start with --

19          **MS. BEHLING:**  I was going to recommend that.

20          **DR. BEHLING:**  If I didn't state it in my review,  
21          it probably was at least implied that we can do  
22          away with the procedure.

23          **MR. HINNEFELD:**  You did state it in your review.

24          **MS. BEHLING:**  You did.

25          **DR. BEHLING:**  I guess we will then go to ORAUT-

1 OTIB-0010 which is a procedure that is aimed to  
2 maximize exposures for claims that are not likely  
3 to be compensable.

4 **MS. BEHLING:** Yes, can I make a comment here  
5 also, Mark? Something we may want to consider.  
6 Many of the comments that we are going to have on  
7 TIB-0010 also apply to TIB-0008. And so again  
8 for efficiency we may be taking care of two  
9 guidance documents there.

10 **DR. BEHLING:** And the difference between TIB-0008  
11 and 0010 is one is geared towards maximizing  
12 exposures defined by film and the other one is  
13 for TLDs, but they run at parallel path and they  
14 are both used for the purpose of deriving  
15 maximized doses from non-compensable cases. And  
16 I think here is where we clearly have the benefit  
17 of looking at particular dose reconstructions  
18 that had been done at this point in time.  
19 And as I said, we've done 60 and one of the most  
20 frequent issues that we've had to contend with in  
21 reviewing those particular dose reconstructions  
22 involved these two procedures. They have been  
23 consistently misinterpreted. And it took me  
24 probably several weeks to understand what the  
25 intent was here, too. And I will basically

1 summarize. Well, maybe we should go through each  
2 one of them.

3 The first one is the guidance lacking for how to  
4 treat missed dosimetry data in which the number  
5 of zero readings is fewer than 12 cycles. Again,  
6 if I look at the dose reconstruction reports that  
7 I've seen to date, they will frequently ignore  
8 hard copy data from the DOE that defines the  
9 frequency of dosimetry exchanges. They will  
10 actually default to the assumed number of 12 even  
11 though they may have only been monitored on a  
12 quarterly basis. And so the assumption is when  
13 you maximize doses, if you are claimant  
14 favorable, that's all that counts.

15 And to a certain extent I agree with it, but it  
16 sometimes is hard to say why would you  
17 necessarily give a person 12 missed doses when in  
18 fact he was only monitored quarterly and possibly  
19 even had positive responses during at least one  
20 or all of the quarterly doses.

21 But again, I've heard from Dr. Neton that for  
22 efficiency purposes we just, rather than even  
23 dwell on the issue, we will just give you the  
24 benefit of the doubt by using the maximum number  
25 of dosimeter cycles and give you the full measure

1 of the missed dose regardless of whether it was a  
2 zero or a positive response or even if the person  
3 was monitored at a frequency that is fewer than  
4 the 12 assumed in the two TIBs.

5 Again, this is a subjective issue. We've had  
6 discussions about overly generous assignments of  
7 doses for the simple reason that sometimes, you  
8 know, sure you avoid the arguments, and you  
9 couldn't possibly have gotten any more than we  
10 are going to assign you. On the other hand if a  
11 person ends up with a POC of 43 or 44 percent  
12 based on these overly excessive and generous  
13 assignments, he may feel that he came so close  
14 and he's not happy about it. You're dealing with  
15 a potential problem in a sense where the person  
16 feels that he came close but not close enough.  
17 On the other hand there's the issue where  
18 excessive generosity with dose assignments may  
19 lead to a future problem if the person develops  
20 another cancer, and we say now that that cancer  
21 has a higher probability of being compensated,  
22 we're going to have to take away all these doses  
23 that we assigned to you from your previous claim  
24 of cancer and restart from scratch, and now we're  
25 going to basically use a best estimate.

1           And so there are trade-offs in terms of what  
2           benefits there are to being excessively claimant  
3           favorable especially when there's no need for it.  
4           If I see a DOE document that says he was only  
5           monitored quarterly, it's very generous to give  
6           him four missed doses. And if you want to be  
7           excessively generous use N times LOD instead of  
8           dividing it by two. But it's unnecessary to be  
9           that generous where you assign missed doses for  
10          cycles that he didn't even, wasn't even assigned  
11          a dosimeter for.

12          So that's a general comment about both the use of  
13          TIB-0008 and 0010 is that they do have a  
14          prescriptive process in which the number of  
15          dosimeter cycles are essentially told to you in  
16          the, in a table format. And as I said, I think  
17          in the end if you have real data perhaps you  
18          should use the data instead of defaulting to a  
19          value that is just a generic value in a table.

20          **MS. BEHLING:** And I think that's especially true  
21          when process efficiency is not being impacted.

22          **DR. BEHLING:** Yes, I can clearly understand where  
23          if a person was monitored 52 times in a given  
24          year and the person has to go through and says  
25          well, in this week, the 23<sup>rd</sup> week of that year,

1           there was a positive dose so I'm going to take  
2           not 52 number of cycles but 51. You know, it's  
3           so much easier to say well, we'll just ignore the  
4           positive ones and give you 52 for every year.  
5           And I understand the logic behind it. At least  
6           one can say for efficiency purposes the blanket  
7           assumption that every dosimeter cycle has to be a  
8           missed dose, has a lot of merit, but it's not an  
9           efficient process, efficient. But it's not  
10          process efficient to ignore the obvious when, in  
11          fact, you have real data that says, no, he was  
12          not monitored 52 times, but he was only monitored  
13          maybe 12 times or even quarterly. That's the  
14          point.

15          The next issue that we have here, and again, is a  
16          consistent error that we've observed here is the  
17          --

18          **MR. GRIFFON:** Let's just hear from NIOSH on that  
19          one because I see a response, but well, basically  
20          that's a maximizing approach, and that's...

21          **MR. HINNEFELD:** Yeah, as a general rule we, a  
22          number of our dose reconstructions are  
23          overestimating, you know, there's an  
24          overestimating component to it, and we tend to  
25          try to avoid really high POC numbers with an

1           overestimating approach just for the reason that  
2           Hans alluded to, but I don't know that we really  
3           want to say well, we're going to stop doing  
4           overestimating approaches.

5           And I think it's kind of -- you know, I don't  
6           know what else to say about that. I think we  
7           want to retain that as (inaudible). Sometimes  
8           it's not hard to predict in every case what's  
9           efficient for this case and what isn't so we want  
10          to retain at least some flexibility to do, you  
11          know, to use an overestimating approach even if  
12          it means assuming more bad cycles than there were  
13          or seemed to be.

14         **DR. BEHLING:** It's an issue also of consistency  
15         because I think we all know that there's a  
16         possibility that people among the claimants, and  
17         there are so many of them, may compare notes and  
18         saying why are you getting all this assigned  
19         doses and I'm not. And it's due to the fact that  
20         two people interpreted the procedures  
21         differently. One is more likely to be  
22         excessively claimant favorable than another  
23         person which leads to inconsistency and unfair  
24         treatment perception.

25         **MS. BEHLING:** I also believe that PROC-0006

1 indicates that if you're using overestimating  
2 assumptions and your POC goes over 30?

3 **DR. BEHLING:** Yeah.

4 **MS. BEHLING:** Thirty percent that you're supposed  
5 to re-evaluate that. It's something that we  
6 don't always draw attention to because again,  
7 we're looking at the efficiency process. And we  
8 understand why it's not being done, but it does  
9 reinforce this statement in this particular case.

10 **DR. BEHLING:** And it would certainly help because  
11 a lot of these POCs of 40 some odd percent are  
12 driven by excessive use of generous assignments  
13 that in principle would be avoided if you did, in  
14 fact, apply the 30 percent rule that says, oh my  
15 god, you know, maybe we should be still claimant  
16 favorable but not necessarily that favorable  
17 where we end up with a 40-some-odd percent POC  
18 value. Based on PROC-0006 the assumption is that  
19 if you exceed 30 percent, you should actually  
20 introduce the best estimate methodology.

21 And that may not be necessary because oftentimes  
22 you can achieve less than 30 percent using still  
23 claimant favorable values but adhering to the  
24 rules that are defined by DOE document that says  
25 why give the guy 12 missed doses when four will

1 do, et cetera, et cetera. And so you can avoid  
2 the costly time issue of a best estimate by  
3 ratcheting down the claimant favorability aspect  
4 that oftentimes ends up with these 40 percent  
5 plus POC values that really shouldn't be there.

6 **MR. HINNEFELD:** Well, how about if I take a look  
7 at the language in TIB-0010 and see if there's  
8 something we can insert there to do that. And I  
9 also need to talk with what does that do on the  
10 actual reconstruction side. You know, changing,  
11 taking away a technique that's currently in place  
12 would be perturbation on the dose reconstructors  
13 and dose reconstruction process. And so before I  
14 say well, okay, we'll go take that away, I'd just  
15 rather, kind of like to know what the impact is  
16 on the dose reconstruction process. Because I  
17 mean, fundamentally, our position still is that  
18 if the case is less than 50 percent of causation  
19 theoretically, although we won't go as high as 50  
20 percent, but if it's a less than 50 percent case,  
21 and you've got overestimating approaches in  
22 there, then it's done. It's by efficiency  
23 method.

24 **DR. BEHLING:** Well, then PROC-0006 needs to be  
25 revised because that statement of 30 percent --

1           **MR. HINNEFELD:** And I will check on that, too.

2           **DR. BEHLING:** -- is something that conflicts with  
3 that.

4           The second item for TIB-0010 is the issue of LOD  
5 and again here we have just a repetitive number  
6 of errors among the dose reconstructions that we  
7 audited to date. When you use N times LOD, that  
8 is the 95<sup>th</sup> percentile and at that point you do  
9 not have a parameter value, the default value  
10 generally being 1.52 as the geometric standard  
11 deviation.

12           And there is a tremendous amount of confusion in  
13 both TIB-0008 and 0010 on that very issue. And  
14 so if you multiply the number of cycles by the  
15 full LOD value, you are at the 95<sup>th</sup> percentile  
16 which exempts the need for uncertainty. And  
17 people just haven't gotten that idea. And it's  
18 just a simple rewrite of those two procedures.

19           **MS. BEHLING:** It's written well in PROC-0006.

20           **MR. HINNEFELD:** Okay.

21           **MS. BEHLING:** They don't make that mistake when  
22 they use the instruction, desk reference  
23 instructions.

24           **DR. BEHLING:** Again, the next item three for TIB-  
25 0010 is basically what we discussed very early on

1 as our first issue. I'll just read it. The  
2 document contains too much upfront background  
3 information and does not provide the dose  
4 reconstructor with guidance for maximizing  
5 external dose until page eight.  
6 And I think again, I'm speaking on behalf of both  
7 TIB-0008 and 0010. You go through an awful lot  
8 of information and digest information that serves  
9 really no purpose. It's really the final table  
10 that says for recorded dose, multiply times two.  
11 That's really the gist of it. Multiply times two  
12 and again, avoid the need for uncertainty. For  
13 missed dose use LOD times N. That's it. That's  
14 really what the whole procedure tells you to do.  
15 And it is something that you don't find out until  
16 you get to the bigger end on page eight, or the  
17 very last.  
18 And again, it's not an efficient way to write a  
19 procedure. I would have liked to have seen an up  
20 front table that says this document is intended  
21 to maximize doses. Here's how you do it for a  
22 recorded dose and missed dose. And if you want  
23 to hear why we're doing it this way keep reading,  
24 but give the reconstructor the chance to use the  
25 information up front rather than force him to go

1 through eight pages of background information  
2 before he gets to the point where he has to  
3 really make use of some information for dose  
4 reconstruction.

5 **MS. BEHLING:** We believe also, based on your  
6 discussion here, we also covered finding number  
7 four on TIB-0003 and four.

8 **MR. GRIFFON:** Yes, yes.

9 **DR. BEHLING:** The next one I think we've also  
10 pointed out. Well, when we talk about missed  
11 dose, we usually talk about, or at least the  
12 procedures whether it's the implementation guide  
13 or individual procedures, always talk about  
14 missed doses being a recorded zero dose. But the  
15 truth is there are oftentimes recorded doses as  
16 little as one millirem when, in fact, they  
17 coincide with a period where the associated LOD  
18 value is cited as 40 millirem which means that a  
19 person might look at this under some  
20 circumstances. Well, this is a positive dose.  
21 I'll give you one millirem. When in fact the guy  
22 would have been better off having had a zero  
23 dose. In which case he would have gotten 40  
24 millirem over two at 20, or in some cases, just  
25 40 millirem. And so there's a need to identify

1 missed dose in two parameters. A missed dose is  
2 anything that is less than LOD over two or LOD  
3 depending on which methodology you use.

4 Again, I think that the next one, item six is  
5 something we've already discussed. The standard  
6 correction factor of two eliminates the need for  
7 uncertainty and that was already discussed.

8 Number seven, I'm not sure. Let me see what you  
9 responded to here. Item number seven says  
10 guidance provided in TIB-0010 differs from  
11 instructions in Section 5.0 of ORAUT-PROC-0006.

12 **MS. BEHLING:** PROC-0006 does not recommend  
13 standard correction factors, so I think we are  
14 questioning the inconsistencies.

15 **MR. GRIFFON:** Is there a discrepancy in --

16 **DR. BEHLING:** Well, no, I'm just reading now  
17 again for the first time NIOSH's response. And I  
18 guess they agree. You know, I have to read it  
19 here. But they basically state that there are  
20 some discrepancies that need to be corrected.

21 **MR. GRIFFON:** Yeah, yeah, yours --

22 **MR. HINNEFELD:** I think we'll take a look at  
23 those. It kind of fits where earlier we said we  
24 need to look at the language and procedures set  
25 against this TIB, and also TIB-0008 probably, and

1 see these various language inconsistent portions.

2 **MR. GRIFFON:** So you may amend your response by  
3 saying both procedures are overestimates but  
4 should be looked at for consistency, right?

5 **MR. HINNEFELD:** Yeah.

6 **MR. GRIFFON:** Go ahead.

7 **MR. ALLEN:** Well, basically these are two options  
8 for overestimating. There's no real reason why  
9 we have to have one, and only one method, for  
10 overestimating. Is that what you're trying to  
11 say in this comment?

12 **DR. BEHLING:** I'm reading; I'm sorry.

13 **MR. GRIFFON:** I guess if there's two options then  
14 the PROC and the TIB should indicate both options  
15 maybe. Is that --

16 **DR. BEHLING:** Yeah, that is an issue that is  
17 raised in, I think, the next one, too, is that  
18 sometimes I'm confused about the hierarchy of  
19 documents, which dominates. Obviously, there's  
20 always, at least in my mind, the final conclusion  
21 that a TBD dominates everything. In other words  
22 if you have a site-specific document that says  
23 this is how you do it, you ignore everything else  
24 assuming that the issue is properly treated in  
25 that document.

1           But beyond that when I talk about or when I see  
2           generic procedures, and I will just briefly  
3           allude to one. I believe, for instance, in case  
4           of Savannah River, there are a couple TIBs there  
5           that deal with the missed tritium dose. And one  
6           will say oh, it's okay for a missed tritium.  
7           It's five microcuries per liter that translates  
8           to 375 millirem a year. And the other one says  
9           it's really based on a one microcurie per liter  
10          that we didn't bother recording. That's 71  
11          millirem a year. And it's up to the individual  
12          to make a decision which I really don't think  
13          should be the case.  
14          You either decide one or the other. And for  
15          consistency purposes you should at least identify  
16          a common, like if there are multiple procedures  
17          that treat the same subject, they should at least  
18          be consistent. Preferably they shouldn't be  
19          redundant in terms of procedural content anyway  
20          because, you know, people have enough documents  
21          to confer with in doing dose reconstruction.  
22          They don't need to have multiple documents that  
23          treat the same issue. And so the issue comes  
24          into play in terms of hierarchy. Where does the  
25          person go to say this procedure takes precedence

1 over the other procedure in defining what the  
2 dose shall be? And it's not always clear in my  
3 mind.

4 **MS. BEHLING:** And there are definitely  
5 inconsistencies depending on who the dose  
6 reconstructor is.

7 **MR. HINNEFELD:** I think probably our response has  
8 to be let's go sort out the language. And I know  
9 it's relevant to 0008, 0010, TIBs 0008, 0010 and  
10 PROC-0006. And see if we can come to some common  
11 understanding and approach that's not a  
12 particular perturbation on the dose  
13 reconstruction process.

14 **DR. BEHLING:** So I think we've covered item seven  
15 and eight. Number nine for TIB-0010 is a  
16 standard correction factor of ten. And I would  
17 say generally speaking that the standard  
18 correction factor of ten is one that covers an  
19 awful lot of uncertainty. The other -- the  
20 standing correction -- I have two, I'm sorry --  
21 encompasses a tremendous amount of potential  
22 errors associated with the performance of a film  
23 badge or a TLD. But I wouldn't say it's  
24 necessarily excessively claimant favorable.  
25 I think it's reasonable to assume that a factor

1 of two may define the 95<sup>th</sup> percentile. Because  
2 when I look at that National Research Council  
3 report of '89, and you look at the recorded or  
4 best estimate value versus the 95<sup>th</sup> percentile,  
5 it's usually a factor of two apart. So if you  
6 want to consider the 95<sup>th</sup> percentile as being  
7 excessively claimant favorable, okay, but it is  
8 one that is within bounding values of real  
9 dosimeter performance.

10 And so that statement was really written in here  
11 to say that the factor of two is potentially a  
12 95<sup>th</sup> percentile value that that may apply under  
13 some circumstances when even a maximized dose is  
14 not necessarily the approach, but could even  
15 apply to, under certain circumstances, to people  
16 who are best estimates may be usable.

17 **MR. HINNEFELD:** Okay, I want to talk about this  
18 one just a bit. The National Research Council  
19 study that you refer to, if I remember from your  
20 report, was the dosimetry of -- was it atomic  
21 veterans?

22 **DR. BEHLING:** Yes, yes, up to '62, and those are  
23 films that were used --

24 **MR. HINNEFELD:** So a particular troop of soldiers  
25 would be given film badges. They'd be marched

1 through the same terrain. They'd essentially  
2 have the same exposure conditions. And the 50<sup>th</sup>  
3 percentile of their badges, it could easily be  
4 half or the 95<sup>th</sup> percentile could easily be twice  
5 the 50<sup>th</sup> percentile, so that relates to the  
6 variability of a single badge, you know, episode  
7 like that.

8 So there's that much variability in badge. I  
9 think from our standpoint that the times two  
10 factor for the standard correction factor, you  
11 recall, is applied as the multiplier of two times  
12 every recorded value. So we think that since  
13 we're doing it times every recorded value, the  
14 likelihood that a specific individual would  
15 always be the low outlier on the distribution of  
16 badge and exposures, we think that the factor of  
17 two is relatively okay in the way it's being used  
18 since it's, to his entire recorded dose as  
19 opposed to a single incident where you might say  
20 well, yeah, it might be that much. But over the  
21 course of his career and every recorded dose, if  
22 you apply that factor of two, we think we're  
23 pretty good at saying that that's an  
24 overestimate. So that would be our --

25 **DR. BEHLING:** Yeah, I agree with the idea that a

1 factor of two certainly brackets the potential  
2 exposure.

3 **MR. HINNEFELD:** Okay.

4 **DR. BEHLING:** But I guess the exception I took is  
5 the wording of it in saying that this is  
6 excessively, it's probably in some instances  
7 within the range of performance of the,  
8 especially early film dosimeters.

9 **MR. HINNEFELD:** Okay, we'll take a look at the  
10 wording.

11 **DR. BEHLING:** Yeah, I mean, nothing needs to be  
12 changed other than the reference to the fact that  
13 this is way outside the scope of reality. It's  
14 probably not. It's probably in many instances  
15 within the range of a dosimeter's performance,  
16 the error band that says, you know, a factor of  
17 two may define a 95<sup>th</sup> percentile value.

18 **MR. GRIFFON:** You can do this last one, and then  
19 I've been asked for a five minute comfort break  
20 after.

21 **DR. BEHLING:** The next one is item number ten,  
22 the use of a default LOD value of 40 millirems  
23 should be considered a typical value as opposed  
24 to a highly conservative value. Again, I looked  
25 at the NRC report which defines that as a typical

1 value as you know if you look at the, I guess  
2 it's one of the appendices to that report that  
3 says 40 millirem is really a typical value for an  
4 LOD.

5 Again, I haven't looked at the raw data involved  
6 in the various facilities that made use of the  
7 dose film. But considering the fact that the  
8 military personnel in the Pacific or at Nevada  
9 Test Site, probably were given the same DuPont  
10 fiber 2-5-10 badges. What applies there is  
11 likely to be applicable to the energy employees.  
12 And so I consider 40 millirem perhaps as a  
13 typical value as opposed to the highly  
14 conservative value as an LOD.

15 **MS. BEHLING:** Again, it's just a wording issue.

16 **DR. BEHLING:** Just the wording.

17 With that I assume, Mark, you would like to take  
18 a break.

19 **MR. GRIFFON:** Yeah, why don't we take a, just a,  
20 and let's keep it to five minutes because I know  
21 a lot of people have planes. I know I have a  
22 plane to catch so let's keep it to five so we can  
23 get through the rest of these. We're making  
24 headway.

25 (Thereupon, a break was

1                                   taken from 3:30 to 3:37  
2                                   p.m. after which the  
3                                   following transpired:)

4       **MR. GRIFFON:** Okay, reconvening now. Hope  
5       everyone's on the phone that needs to be on the  
6       phone.

7       One thing I wanted to bring up just in between,  
8       we're going from OTIB-0010 to 0008. I had a  
9       question in my mind was do either one of these  
10      documents -- and I can't remember for the life of  
11      me -- but do either one of these describe the  
12      procedure used for unmonitored periods as opposed  
13      to missed dose? Is there anything about  
14      unmonitored dose? Any guidance on that?  
15      And I'm thinking of a situation where you might  
16      have many cycles of dose records with positive  
17      readings, you know, 100 millirem, 300 millirem,  
18      and then you have gaps in the data. Are they  
19      always treated as just, you know, LOD values or  
20      are they actually unmonitored and treated  
21      differently? Treated as an average of, you know,  
22      is there any guidance? Is that in here? Is that  
23      in other guidance?

24      **DR. BEHLING:** Well, I can answer that. I think  
25      if you looked at implementation guides they give

1           you various options.  If for instance you have a  
2           person who has an exposure track record for a  
3           period of time and then there's a gap, and he  
4           resumes again with an exposure period, one can  
5           use interpolation between the two.  And assuming  
6           that everything else being equal, one could  
7           certainly look at that gap and say, well, during  
8           this period and the period that follows we just  
9           simply linearly extrapolate during the period and  
10          assume that that's a reasonable approach.  There  
11          are other approaches for dealing with unmonitored  
12          or missed periods such as using administrative  
13          dose limits as an approach.

14          **MR. GRIFFON:**  I agree.  Different approaches were  
15          outlined in the implementation guide.  But that's  
16          a broad guidance document.  I was wondering if  
17          there's any more specific --

18          **DR. BEHLING:**  No.

19          **MR. HINNEFELD:**  Not in 0008 or 0010.

20          **DR. TOOHEY:**  No, they're not in 0008 or 0010.  
21          They tend to be more in the site profiles.  Or  
22          the other option we've got now since we've  
23          completed coworker data distributions for the  
24          major sites is assigning that distribution for  
25          unmonitored gaps.

1           **MS. BEHLING:** But what we're actually seeing in  
2           the dose reconstructions, and I don't believe  
3           I've seen anything other than this, is they're  
4           just treating those gaps as if they were zero,  
5           and they feel that that's being, that's an  
6           overestimate. That's based on what we've seen on  
7           the audits.

8           **DR. NETON:** That shouldn't be the case. If it  
9           was unmonitored, truly unmonitored exposure  
10          should not be treated as the L-O, as missed dose  
11          unless there's some great justification for that.

12          **MR. HINNEFELD:** If there's reason to believe that  
13          the person was not monitored and was correctly  
14          not monitored. In other words there was a job  
15          title change that would make them look as if they  
16          moved into a job where they probably wouldn't  
17          have been monitored. If it has been done that  
18          the monitored worker missed dose would be applied  
19          as a bounding estimate for that, doing that.

20          **MR. GRIFFON:** I think there might have been other  
21          site-specific conditions, too, where blanks in  
22          the record, it was determined that those were  
23          actually red badges that were less than  
24          detectable. So I think that was a site-by-site  
25          issue. But it's not in the procedures. That's

1 the main issue. So we can address it another  
2 time.

3 **DR. BEHLING:** Neither 0008 or 0010 address the  
4 issue of unmonitored.

5 **MR. GRIFFON:** I'll let you continue. Didn't mean  
6 to sidetrack you.

7 **DR. BEHLING:** I think we're trying to expedite  
8 things so as to leave some time left for other  
9 discussion. So we're on TIB-0008, and again,  
10 we've already mentioned that TIB-0008 parallels  
11 0010. So much of what we said about TIB-0010  
12 applies to TIB-0008, and so I think we, if we  
13 agree we can skip TIB-0008 because it's  
14 essentially a parallel of TIB-0010.  
15 That brings us to TIB-0007 and the first item  
16 here, and I think if I recall this deals with the  
17 issue of removing or eliminating environmental  
18 doses associated with badges that were stored  
19 perhaps in the areas that should have been  
20 reported as occupational. In other words there's  
21 EALER issue involving elevated ambient levels of  
22 radiation. There was a time in practice where  
23 control badges were used to subtract doses from  
24 the monitored badges.  
25 And it turns out that perhaps the control badges

1           were stored in places that were not just natural  
2           background radiation, but actually occupational  
3           one. And I think if I recall, that particular  
4           procedure says we're going to continue this.  
5           This was an incomplete procedure at the time we  
6           reviewed it.

7           Am I correct, Stuart?

8           **MR. HINNEFELD:** Right, it was originally  
9           published with consideration of the practices at  
10          a selected number of sites with the idea that  
11          other sites would be addressed as they were  
12          evaluated. And realistically our feeling now is  
13          that when we evaluate each site, we'll write the  
14          site profile for the site. Let's just put that  
15          information in the site profile rather than keep  
16          this particular TIB around. So we're really  
17          going to consider whether we need to hang onto  
18          this one at all.

19          **DR. BEHLING:** Yeah. Again, the next issue here  
20          besides the EALER issue is one of the exposures  
21          defined usually in behalf of a deep dose. And of  
22          course we know that deep dose really may not be  
23          representative of a skin dose which is not only  
24          deep dose but perhaps a beta component or low  
25          energy photons. And so issue number three, OTIB-

1           0007, does not provide guidance for assessing  
2           shallow dose in cases involving skin cancer.  
3           Again, it is an issue we've raised beforehand,  
4           but it's confined to only those claims where skin  
5           cancer is the issue and environmental doses are,  
6           generally speaking, recorded as deep doses. And  
7           is there an issue here that needs to be looked  
8           at?

9           **MR. HINNEFELD:** Well, I think it folds into the  
10          desire to move this into site profiles because  
11          there'll be some sites we might have argon-41  
12          potential exposures. Or you might have  
13          contaminated, you know, beta emitting  
14          contaminants and particular areas that are  
15          elevated to the point where it would be relevant.  
16          But that's kind of like a site-specific thing as  
17          opposed to a general approach. And so I think  
18          it's kind of a resolution to those kinds of  
19          issues fits with using the site-specific site  
20          profile or TBD information rather than a generic  
21          one and see if we can't move away from this in  
22          general.

23          **DR. BEHLING:** And I also have a question because  
24          I didn't really see any empirical data that would  
25          give me some understanding of what the magnitude

1 of exposure is that may have been subtracted from  
2 worn badges based on control badges being stored.  
3 Did you have a feel for whether this is really  
4 even a significant issue? I mean, were there  
5 some sites where the potential exposure that's  
6 been subtracted is a substantial part of a  
7 person's exposure?

8 **MR. HINNEFELD:** There might be an occasion where  
9 badges were stored in badge racks not far removed  
10 from a radiological area, and the one that comes  
11 to mind I believe it might be Idaho, there was  
12 some discussion. I don't know off the top of my  
13 head the final answer to, I don't remember that  
14 was discussed, but there might actually be  
15 control badges stored in badge racks that really  
16 weren't particularly isolated.

17 **DR. NETON:** Yeah, I think this all came up at the  
18 green runs at Hanford when there were some  
19 significant environmental, ambient environmental  
20 levels, you know, virtually plant wide at some  
21 point. And if you subtracted those values from  
22 the badge rack reading, my recollection is this  
23 could be in the realm of several hundred  
24 millirem. It's not significant, but it's  
25 certainly enough that would, you know, could put

1           somebody over the limit, I mean over the  
2           compensability limit if we weren't careful. But  
3           it's really isolated to a very select number of  
4           sites, pretty much early on.

5           **DR. TOOHEY:** Dick Toohey. I just really, it  
6           really is a site-specific issue. It was also an  
7           issue at Rocky Flats where it was alleged that  
8           badges were stored in high background areas. And  
9           as it turned out, they were.

10          **DR. NETON:** I think we put that one to bed.

11          **DR. TOOHEY:** Yeah, we did, but the point I'm  
12          trying to make is that's dealt with on a site-  
13          specific basis in the site profiles.

14          **DR. BEHLING:** Okay, so this procedure may, in  
15          fact, be scrapped? Is that what I'm hearing?

16          **MR. HINNEFELD:** Right, we're going to have to  
17          take a look and make sure if we don't hamper  
18          ourselves by doing that, but that would be our  
19          preference is not to keep this general. This was  
20          a general procedure issued early on with let's  
21          get the capability to do some dose  
22          reconstructions before all the site research is  
23          complete.

24          **DR. BEHLING:** Okay, so in that case I guess we're  
25          down to TIB-0006. I have to actually remind

1           myself what TIB-0006 is about. Is that the  
2           medical? Okay, that's the medical.

3           Again, the first item for -- yeah, this is Ron  
4           Kathren's medical occupational exposure generic  
5           document. I find it's at times used when in fact  
6           there's a TBD that has a separate section. And  
7           again, I would assume that when there is a TBD  
8           that has site-specific data that that should be  
9           used as opposed to the generic one. And yet I've  
10          routinely found people using the generic one  
11          when, in fact, there's a site-specific TBD that  
12          identifies exposure. So I guess I would  
13          recommend that the hierarchy of use of procedures  
14          favors the site-specific data as opposed to  
15          generic one.

16          But anyway this is obviously the one that's more  
17          generically used and the criticism or at least  
18          the statements that are offered under issue one  
19          is that the document is poorly structured and  
20          provides unnecessary background information and  
21          so forth and so forth. And it's really one of,  
22          again, giving the reader an awful lot of  
23          information to digest before going to the final  
24          tables that says here's what you use for  
25          identifying organ specific doses by a period of

1           time during which these x-ray machines were  
2           provided with additional filtration, et cetera.  
3           So the first thing is just nothing more than a  
4           comment about the structure and design of the  
5           particular TIB that is again one that involves an  
6           awful lot of background information that has  
7           little or no value for dose reconstruction. And  
8           as far as I'm concerned there's not much we can  
9           do at this point other than to accept the fact  
10          that if we had to do it over again perhaps we  
11          would restructure these procedures.

12          I've looked at the procedures in general. I  
13          think it's a well done procedure that has a lot  
14          of research behind it. The organ doses are well  
15          defined for various periods of time using  
16          obviously the state-of-the-art ICRP documents  
17          when organs were not necessarily identified, et  
18          cetera. So I believe the document stands as it  
19          is without any need for change other than the  
20          format.

21          **MS. BEHLING:** Just one additional issue on TIB-  
22          0006 is as the TBDs are being developed, one of  
23          the things we are finding is as we're comparing  
24          TIB-0006 to the TBDs, we're often seeing quite a  
25          discrepancy in some of the doses that are

1 reported. May be something to keep in mind as  
2 the TIBs make a comparison. Because if you use  
3 TIB-0006 as opposed to the site profile, that can  
4 often make a fairly significant difference.

5 **DR. BEHLING:** Yeah, and sometimes it's not  
6 necessarily something that I fully understand.  
7 For instance, assumptions regarding  
8 photofluorographic procedures, I think in one the  
9 total number of frames are five and they  
10 correspond to dose, especially to the issues, to  
11 organs in the primary beam, something in the  
12 order of three rem. And there are other site-  
13 specific tables, and I forget which one in  
14 particular, where the dose is fully a factor of  
15 ten less.

16 And again the question is why the difference? If  
17 the procedure, generally speaking, should have  
18 been somewhat common and for the same period of  
19 time should have resulted in similar doses. And  
20 a factor of ten is hard to explain. So again,  
21 consistency is an issue here specifically for  
22 photofluorographic procedures which is really  
23 item two for TIB-0006.

24 **DR. MAURO:** Say Hans? This is John Mauro. One  
25 of our -- and I know that it's not on your list,

1 but it may be something that's worth bringing up  
2 at this point in time is when we were doing a  
3 site-specific review of a site profile, one of  
4 the site profiles. It might have been Idaho. In  
5 the process of reviewing the medical exposure  
6 procedure in looking at TIB-0006 and how it's  
7 implemented, one of the commenters had indicated  
8 that the uncertainty that I believe has been  
9 adopted in TIB-0006 for the standard x-rays, or  
10 chest x-rays, I believe is around 30 percent.  
11 And the point that was made is that's probably  
12 realistic for, I guess, the physics, that is,  
13 the, assuming that the practitioner who's  
14 actually taking the x-ray is very, very highly  
15 qualified, well trained and always does exactly  
16 according to the rules. This person, the  
17 reviewer, the fellow that helped us with this  
18 review, his experience, his hands-on experience,  
19 was that there's a tremendous amount of  
20 variability in the actual practice and how people  
21 go about taking x-rays and their proficiency.  
22 So this would be a new item, I guess. It's not  
23 here on the list. I don't know if it's  
24 appropriate or not at this time to bring it up,  
25 but it was his feeling from his own personal

1           experience that the real uncertainty, the  
2           variability that might exist when a person is  
3           taking an x-ray because of the differences in the  
4           skills of the practitioner and also the physician  
5           and what he, his sort of druthers regarding the  
6           film that he's going to look at, for a given x-  
7           ray the difference is considerably greater than  
8           the 30 percent, and that was a point made by one  
9           of the fellows who participated in our review who  
10          had a lot of experience with these things.

11         **DR. BEHLING:** And I agree, John. When you look  
12         at the variability of the doses defined by the  
13         millisecond exposure duration, the KVP 7, the  
14         distance between the source and the individual,  
15         these are all variables that, and of course, the  
16         one of the most important critical ones is the  
17         issue of number of retakes which is, I'm not  
18         sure, fully addressed here in a 30 percent sigma  
19         value.

20         I'm sure that there were early times when a film  
21         was done perhaps twice, even a third time, which  
22         is perhaps going to be one of the major  
23         contributions to the uncertainty of assigning a  
24         particular dose to an organ. So 30 percent is  
25         perhaps not unreasonable, but it's clearly not a

1 bounding value.

2 **MR. GRIFFON:** John, John Mauro, I was just  
3 wondering if there was any reference, any  
4 publications supporting that claim that these in  
5 practice uncertainties might be greater?

6 **DR. MAURO:** I can get that information, and I  
7 don't know if it's in the published literature,  
8 but here in New Jersey we have a sort of  
9 licensing process. We keep records of all of the  
10 licensed practitioners and their performance. So  
11 I probably can get my hands on them, and this is  
12 current now, data, but I could look into this.  
13 This, the comment that was brought up wasn't  
14 brought up by me. It was brought up by a  
15 practitioner who that was his personal  
16 experience.

17 Let me look into this and so before we put this  
18 on the list so to speak as an item perhaps I  
19 could put out, look at this a little further and  
20 give his comment a little pedigree so to speak  
21 and forward it on. I'll forward it on to Hans,  
22 and Hans if you could work it into the system as  
23 appropriate.

24 **DR. BEHLING:** Yeah, for instance, I'm looking,  
25 sometimes when I look at the data, and I look at

1           the original data as supplied by the DOE, I  
2           actually look at, for instance, the individual in  
3           question in terms of his gender and his body  
4           weight. And of course we know when we talk about  
5           a reference person, we're assuming a 70 kilogram  
6           individual with a chest wall thickness of a  
7           certain fixed dimension. And of course that  
8           defines what the KVP setting is, et cetera, et  
9           cetera.

10          When in fact you look at a guy and you see his  
11          weight is 240 pounds, he's going to obviously  
12          receive a much higher entrance skin dose for sure  
13          because the KVP setting has to be jacked up  
14          considerably to accommodate that person's weight.  
15          So these are all variables that clearly will come  
16          into play.

17          The question is will the doses be significant  
18          enough to deal with issues that we're concerned  
19          with and that is the compensability of the claim.  
20          If one were to say okay, the long dose goes from  
21          early in years from 42 millirem to 52 millirem,  
22          would that necessarily, that increment, make a  
23          big difference with the likelihood that there  
24          will be instances where a person's claim will be  
25          compensated based on changes or increases, modest

1 increases, in medical exposures. Again, it's a  
2 judgment call here.

3 **MR. GRIFFON:** Dick's been waiting to -- sorry.

4 **DR. TOOHEY:** Yeah, I'm sorry, just a couple of  
5 general comments on that.

6 We actually had some serious arguments between  
7 two medical physicists while 0006 was in there.  
8 I think there were some health physicists when it  
9 comes to arguing about the physics. But I did  
10 want to mention we are revising TIB-0006 to  
11 include C-spine and T-spine doses for fluoride  
12 workers which was a gap we had. And in general,  
13 I think if we had site-specific data that we can  
14 put in the medical TBD at the site, that should  
15 take precedence for dose reconstruction.  
16 TIB-0006 should have the tables of the dose  
17 coefficients we're going to use given the skin  
18 entrance dose exposures, and default parameters  
19 if we don't have site-specific information. So  
20 as we include the other things in there, I want  
21 to get revision of that to cover these other  
22 bases and make it clear what the purpose of that  
23 one is. Again, that was one written early on to  
24 get us going.

25 **MR. GRIFFON:** Does that address all the items for

1 TIB-0006, Hans, or --

2 **DR. BEHLING:** Yeah, pretty much. I guess if I do  
3 have a modest criticism is that in some instances  
4 we defined doses for a lot of years that are in  
5 units of microrem and I find that a little  
6 disturbing. I think it would be nice just to say  
7 less than one millirem rather than microR  
8 readings or microrem readings. It gives you the  
9 false impression that there's a level of accuracy  
10 that simply doesn't exist.

11 You know, it's like dividing ten by three. You  
12 could end up with 3.33 and an infinite number of  
13 threes, when in fact, if the value of ten is not  
14 a good, a solid number, you know, you should stop  
15 at the value of three or maybe 3.3. And I think  
16 there has been a tendency on the part of certain  
17 procedures to give the illusion of a level of  
18 accuracy that simply isn't there. But you know,  
19 that's just a comment on my part.

20 I think we're done at this point, Mark.

21 **MR. GRIFFON:** How about, isn't there a TIB-0007?  
22 There's two.

23 **DR. BEHLING:** Oh, I'm sorry.

24 **MS. BEHLING:** Now the next one's OCAS-TIB-0006 as  
25 opposed to ORAUT-TIB-0006, and this has to do

1 with Savannah River site external dosimetry  
2 records.

3 **DR. BEHLING:** Okay, the comment on, the first  
4 comment for TIB-0007 is that guidance does not  
5 specify all occupations that may involve neutron  
6 exposures.

7 **MR. GRIFFON:** No, no, no, you missed -- go back  
8 up to TIB-0006, OCAS-TIB-0006, instead of --

9 **DR. BEHLING:** Okay, oh, I'm sorry. I'm sorry.  
10 Okay, we're at OCAS-TIB-0006, and the first  
11 comment there is that guidance regarding the need  
12 to correct SRS dosimeters with aluminum filters  
13 between 1954-1981 is complex, confusing, and does  
14 not clearly indicate which dosimetry data  
15 requires refinement.

16 As this comment summarizes, I found it very  
17 confusing in terms of the description for dealing  
18 with the aluminum filter, and what it represents  
19 in defining a correction factor.

20 **MR. GRIFFON:** Actually, can I make a  
21 recommendation here since I'm looking at the  
22 time? The next two procedures are Savannah  
23 River-specific, and I'd propose that we're going  
24 to take that up at the subcommittee in Oak Ridge.  
25 Let's put the Savannah River site profile on

1           there and start with these two procedures. We  
2           can start with a matrix review from here. Is  
3           that agreeable?

4           **MR. HINNEFELD:** It's okay with me.

5           **MR. GRIFFON:** Yeah, because I want to give, Jim  
6           asked, and rightly so, to maybe have a little  
7           time to discuss Y-12 at this meeting.

8           One thing before we move on from here, what I  
9           wanted to ask is on the matrix now SC&A has  
10          expanded the matrix to include all the internal  
11          dose findings now in the CATI interview findings,  
12          I guess. Is there any chance that we will be  
13          able to discuss that at the subcommittee meeting  
14          in Oak Ridge on the 17<sup>th</sup>? Will that give you  
15          time to --

16          **MR. HINNEFELD:** There are five workdays.

17          **MR. GRIFFON:** Some preliminary response.

18          **MR. HINNEFELD:** There are five workdays in the  
19          meantime so --

20          **MR. GRIFFON:** So the answer is yes?

21          **MR. HINNEFELD:** I would think, I think what we  
22          could hope for is to hope that there are some  
23          simple resolutions and go through case, you know,  
24          a number of these comments and see if we have  
25          relatively, oh yeah, you're right kind of

1 resolutions or --

2 **MR. GRIFFON:** Well, the other thing is, the other  
3 thing I would ask is if you can focus on the ones  
4 that are at least Savannah River-specific because  
5 then we can get a site profile in the procedures  
6 that relate to Savannah River.

7 **MR. HINNEFELD:** We can focus on the Savannah  
8 River ones. Yeah, we can focus on those.

9 **DR. NETON:** Many of the Savannah River ones are  
10 related to the high five approach which is  
11 covered in the Savannah River site profile  
12 review.

13 **MR. GRIFFON:** Right, so they are one and the  
14 same.

15 **DR. NETON:** They're very one and the same  
16 actually. I believe, and also the organically  
17 bound tritium, and there were a few other issues  
18 like that.

19 **MR. GRIFFON:** I think you're right. I think we  
20 deferred most of them to the --

21 **DR. NETON:** Matter of fact most of them were  
22 deferred to the resolution within the site  
23 profile review.

24 **MR. GRIFFON:** Well, I guess I would ask to the  
25 extent you can fill in the matrix responses on

1 the internal and the CATI, you know, we can move  
2 ahead on this at the subcommittee on the 17<sup>th</sup>.

3 **UNIDENTIFIED SPEAKER:** Mark, could you speak into  
4 the microphone?

5 **MR. GRIFFON:** Sorry, sorry. I was just saying to  
6 the extent that NIOSH can fill out responses for  
7 the internal dose and the CATI interview sections  
8 of the matrix, that will allow us to pick this  
9 item up at the subcommittee meeting on the 17<sup>th</sup>.  
10 So we'll just understand they'll do the best they  
11 can, and we'll proceed from there.

12 **MS. BEHLING:** The only additional comment I would  
13 like to make about the matrix because we were  
14 also under lots of time constraints here, there  
15 are a few things I may want to add to the  
16 interview that was the very last one I did. I  
17 was anxious to get it out and there may be a few  
18 clarifications I want to put onto the CATI, the  
19 matrix for the CATI reports.

20 **MR. HINNEFELD:** Could you send it as a,  
21 essentially the same file name, but rev one or  
22 whatever rev you want, so we'll do the same  
23 thing?

24 **MS. BEHLING:** Yes. And they're just  
25 clarifications.

1           **MR. HINNEFELD:** And it would be acceptable to, if  
2 she's going to send these to me, who else should  
3 she send them to? I mean, the working group, the  
4 entire board?

5           **MR. GRIFFON:** The entire board I think.

6           **MS. BEHLING:** Just a few clarifications that I  
7 wanted to add.

8           **MR. HINNEFELD:** Sure.

9           **MR. GRIFFON:** Any other clarifications on this,  
10 the matrix, and what we're going to have prepared  
11 for the next meeting? Okay.

12 I think we're going to try to cut this off at  
13 like 4:30 because I think a bunch of people have  
14 flights or at least I do.

15           **Y-12 SITE PROFILE REVIEW**

16 Jim, for the Y-12 site profile review I think it  
17 makes the most sense to have Jim maybe give a  
18 preliminary discussion of --

19           **DR. NETON:** I don't know if Joe is prepared to  
20 discuss this at all and I can react or whether  
21 it's just more time efficient for me to --

22           **MR. FITZGERALD:** I think in 30 minutes I would,  
23 rather than going through a recitation, we did  
24 present this back in July although that was  
25 awhile ago. And I think in the process of

1           responding, you can, in the process of responding  
2           I think you can just outline the finding at the  
3           same time. That would be very efficient.

4           **DR. NETON:** That's fine.

5           You know, as with the Bethlehem Steel, I think  
6           there's a couple key issues here that we really  
7           need to come to grips with and then the other  
8           ones are -- not that they're not important, but  
9           they're not as much of a show stopper as a couple  
10          of the other issues.

11          So that was a cue for me to --

12          **MR. GRIFFON:** I think so.

13          **MR. FITZGERALD:** I was going to say if there was  
14          an hour we could have done a tag team, but I  
15          think with 30 minutes, I'll just defer to you.

16          **DR. NETON:** Actually, all I have is a slide that  
17          loosely paraphrases the findings. I know the  
18          report was very extensive. Again, we received  
19          this report I think some time in September 9<sup>th</sup> or  
20          so, and we did have a preview earlier as to what  
21          it might be. But you know, it's a couple hundred  
22          page document. It's pretty hard to react to  
23          given all we have going on, but -- and Joe can  
24          correct me if I'm off base here, but these are  
25          really in my mind the six major findings that

1 summarizes what was in the executive summary.  
2 There are a number of vertical issues that go  
3 along with these, but they all fall along similar  
4 lines. I'll just go through them briefly and we  
5 can set the stage here maybe for discussion at  
6 the subcommittee meeting.

7 Support services worker was defined. There's  
8 incomplete monitoring data for the support  
9 services workers. Those would be welders, pipe  
10 fitters, those type, the crafts-type folks. And  
11 the finding was that we did not have enough data  
12 for, to justify that these workers were not as  
13 exposed as the monitored workers, and I'll go  
14 through that a little bit later.

15 The second one is lack of evidence that monitored  
16 workers were maximally exposed. That's sort of a  
17 similar theme in the sense that prior to 1961,  
18 anywhere from seven to 20-something percent of  
19 the workers were monitored for external, and I  
20 think the finding was that the profile didn't do  
21 a sufficient job of defining why we believe those  
22 workers were in the maximally exposed population.  
23 The third finding is a number of issues rolled  
24 into one related to external exposure.

25 Primarily, this had to do with our coworker

1 matrix and solubility types used and the 48 hour  
2 lag period when you take a sample on a Monday  
3 when a person hasn't been exposed since Friday  
4 and what that means in terms of interpretation of  
5 the dose. It's a fairly complex technical issue  
6 that we'd like to address. I'm not sure we're  
7 going to be able to go through that today.  
8 And then as we go down the issues become a little  
9 less problematic. Internal dose not addressed  
10 for all radionuclides. There is a few, a number  
11 of radionuclides other than uranium at the site  
12 that were in existence. For instance there's  
13 some discrepancy in our mind as to whether these  
14 nuclides move rightfully under the purview of X-  
15 10, versus the National Lab, versus Y-12, that  
16 sort of thing.  
17 And then this neutron exposure issue not  
18 adequately addressed is of a similar vein to what  
19 we just talked about with the procedure review.  
20 That is, you know, can one indeed see neutrons of  
21 greater than, less than one rem, I mean, yeah,  
22 one rem. And there's actually a fairly complete  
23 companion report now at TIB that goes along with  
24 the site profile that addresses this issue, and  
25 has very much along the lines of the table we saw

1 in the procedure review, some documentation to  
2 support the fact that 500 millirem was indeed  
3 seeable although there are correction factors  
4 that need to be applied as you go.

5 **MR. FITZGERALD:** And Jim, on that one it has a  
6 sort of a companion issue where I think there was  
7 a claim that the neutrons were sufficiently hard  
8 higher energy at Y-12 so that that, in fact,  
9 wasn't as inappropriate as it might be elsewhere.  
10 And that got into the question of spectrums.

11 **DR. NETON:** What were the spectra coming off of  
12 these devices.

13 **MR. FITZGERALD:** Right, in the sense that there  
14 really wasn't really a broad sense of that.

15 **DR. MAURO:** Excuse me, Jim. This is John Mauro.  
16 I'd like to add a clarification. It sounds like  
17 there with regard to the neutron issue, there are  
18 two aspects to it that I'm hearing. One is this  
19 business of whether you can see, using NTA film,  
20 exposures of less than one MeV, (unintelligible)  
21 500, (unintelligible) one MeV, and now I'm also  
22 hearing this issue is now regarding the energy of  
23 the neutron but also, I guess, the exposure  
24 itself if it's less than one rem notwithstanding  
25 the energy, you might have a problem. Is that

1           what I heard?

2           **DR. NETON:** No, I think what we're saying is you  
3           can see, NTA film can indeed see neutrons less  
4           than one rem.

5           **DR. MAURO:** Okay, but there was a one MeV issue  
6           also, right?

7           **DR. NETON:** And I said one rem. I mean MeV.  
8           It's late in the day. My mind is fogged. I'm  
9           sorry. A rem and MeV.

10          **DR. MAURO:** I'm okay now.

11          **DR. NETON:** I'm sorry.

12          I think the first two issues in our mind, and one  
13          needs to keep in mind that we also currently have  
14          an SEC petition under evaluation and these are  
15          very relevant to that petition. You know, the  
16          profile reviews and petition evaluations are very  
17          interconnected obviously. And the issue of  
18          support services workers is actually the subject  
19          of the SEC petition.

20          We have gone through that, and I've done a review  
21          of the data available, of which are fairly  
22          extensive. I mean, I hate to keep spouting  
23          numbers, but you know, we do have monitoring  
24          data. Y-12 is one of the sites that has a fair  
25          extensive monitoring history. Believe it or not,

1           there were over 900,000 air samples taken at the  
2           Y-12 facility between '51 and '76, about 50,000  
3           lung counts for uranium, 10,000 lung counts for  
4           thorium, 80,000 air samples for thorium, and I  
5           forget exactly the number of external  
6           measurements, but tens and tens of thousands.  
7           So we have a fair monitoring history. The  
8           question though is when you get down to this time  
9           period, and we have two to 23 percent of the  
10          workers monitored, the profile review asserts  
11          that we don't really know and can't prove to a  
12          reasonable person that the workers who were  
13          monitored were the ones most likely to be  
14          exposed. This is a very similar theme that we've  
15          seen in other reviews.  
16          We do know job category here, but the fact is if  
17          you go through and analyze by job category, it  
18          turns out that a fairly wide spectrum of workers  
19          were monitored. I mean, you will see, and my  
20          original thought was you would see chemical  
21          operators or something like that and then none of  
22          the service folks. And what happened is you see  
23          a fairly diverse spectrum of workers being  
24          monitored.  
25          SOL has gone and interviewed support personnel

1 staff, the health physics staff, and they pointed  
2 to documents, procedures which we have which we  
3 will provide, that indicates that there was a  
4 very conscious effort on the part of Y-12 health  
5 physics staff at that time to monitor maximally  
6 exposed individuals, those who had the  
7 significant potential for exposure.

8 If you couple that, and they've gone back and  
9 done an analysis of these support personnel that  
10 were indicated that maybe were not monitored  
11 properly. It turns out that during the time  
12 period when the monitoring was conducted, about  
13 11 percent in this early time frame prior to  
14 1961, of support service personnel were actually  
15 monitored, not a hundred percent, but it's right  
16 in the same ballpark of the --

17 **MR. FITZGERALD:** Were these the -- certainly Bob  
18 can speak better than I can, but are these the  
19 outside maintenance or outside support people as  
20 opposed to the inside? Because it took us awhile  
21 to figure that out that there were support staff  
22 on the line programs versus what they called  
23 outside support or outside maintenance and sort  
24 of two distinct groups. And we found actually  
25 monitoring information and dose values for the

1 support personnel on the line but not for the so-  
2 called outside maintenance staff.

3 **DR. NETON:** Now are you talking about  
4 subcontract, like --

5 **MR. FITZGERALD:** No, no, they made a distinction  
6 between two groups of support service workers.  
7 Ones that were, in fact, assigned to a specific  
8 facility, a specific operation, and were  
9 providing dedicated support to that operation  
10 versus -- I'm not sure what the best term would  
11 be, maybe sort of a freelance, support folks that  
12 would work anywhere in the plant on a daily  
13 assignment almost. They would provide  
14 maintenance, even over at the X-10 facility.  
15 And those folks seemingly did not have the  
16 monitoring, regular monitoring, routine  
17 monitoring that the people that were assigned to  
18 the line programs did. If you were a 92-12  
19 maintenance person, you did get monitored just as  
20 if you were a chem operator, it was a regular  
21 monitoring. But if you were an outside  
22 maintenance person, you could probably well not  
23 be monitored, and in fact, we interviewed a  
24 number of them. Interviewed workers from both  
25 groups, and clearly the one group was distinct

1 and different even though they were both  
2 maintenance people.

3 **DR. NETON:** Well, I don't know the answer to that  
4 question. I mean there was really just out of  
5 the database that was polled, 317 workers with  
6 job titles including, you know, pipe fitter,  
7 plumber, steam fitter were identified which was  
8 about 11 percent of the population.

9 **MR. PRESLEY:** Can I talk? Can I talk?  
10 All right, at Y-12 you had -- this is Bob  
11 Presley. At Y-12 you had what we call a prime  
12 construction contractor. Then you had Y-12's own  
13 individual construction people. The people that  
14 worked for Y-12 we had carpenters and pipe  
15 fitters and everything like that. Some of them,  
16 yes, were assigned to work full time up in the  
17 areas of 92-12 or alpha five, places like that  
18 because we had satellite shops. And those people  
19 should have had a badge and should have been  
20 monitored just like everybody else in the plant.  
21 You had a prime construction contractor at that  
22 time I believe was Rust Engineering. And those  
23 people were hired to do nothing but construction  
24 or tear down. They would go in, if we decided to  
25 build a new building, they would go in and build

1           that building and turn it over to Y-12. And then  
2           we would start production in that building. You  
3           have to know whether those people are Y-12  
4           employees or whether they are Rust or M.K.  
5           Ferguson (ph) because it's very likely that what  
6           you've got is everything rolled into one. You've  
7           got so many, say 200 pipe fitters.

8           Well, those pipe fitters, Y-12 didn't have 200  
9           pipe fitters. We just didn't do, you know, we  
10          didn't have that much pipe fitting because the  
11          pipe fitting on the new buildings was done before  
12          we ever took it over. So you've got two sets of  
13          people. You've got construction contractors and  
14          then you've actually got people that worked for  
15          Y-12.

16         **MR. FITZGERALD:** Well, it sounds like we might  
17          actually have three based on the worker  
18          interviews that we --

19         **MR. PRESLEY:** And yes, there were. People that  
20          went to all three plant sites.

21         **MR. FITZGERALD:** Yeah, I was going to say -- not  
22          to dwell on this, but this might be important  
23          certainly for the SEC reviews, the fact that  
24          there were the dedicated, in fact, we did have  
25          the interviews with 92-12 and alpha maintenance

1 people. We also had interviews with a group that  
2 called themselves the outside maintenance staff,  
3 but not, not, they weren't construction staff.  
4 They were people that were covering the entire  
5 site with different assignments. They were Y-12  
6 employees, so --

7 **MR. GRIFFON:** Like a pool maintenance --

8 **MR. FITZGERALD:** Like pool maintenance, and those  
9 folks generally were not monitored. And that was  
10 of concern and that's something that would be  
11 useful to pin down. But literally, that was the  
12 answer we got back. And now the ones that were  
13 assigned to specific operations as Bob was  
14 pointing out clearly were, in fact, monitored.  
15 And we can go back to the early '60s and find  
16 data for those specific workers as being  
17 monitored. They were monitored just like the  
18 chem operators were so...

19 **DR. NETON:** Right, you made a very important  
20 distinction though. Right now NIOSH is not doing  
21 dose reconstructions for building trades folks  
22 that were not related to the prime contractor.  
23 We recognize that those folks were not monitored  
24 and there was special exposure circumstances, and  
25 this is complex wide where we have held up those

1 things. And in fact, we have a contract in place  
2 with the Center to Protect Workers' Rights to  
3 help us evaluate the potential exposure  
4 conditions for those, that special --

5 **MR. FITZGERALD:** What I'm saying though is that  
6 just, the clarification for that group that we're  
7 talking about may be whether or not they were --

8 **DR. NETON:** And I appreciate that because I'm not  
9 sure that that was really pointed out as a  
10 difference in the review.

11 **MR. FITZGERALD:** Right, and what we say here is  
12 that we couldn't nail the personnel records and  
13 figure out, you know, who was actually who in  
14 some of these cases.

15 **DR. NETON:** In fact, whoever these 372 workers  
16 are, we've compared them to the average of the  
17 monitored population, in fact, they are lower  
18 than the average population of the workers that  
19 were monitored during that period. So that's  
20 some of the data that we tried to flesh out.  
21 One more issue that we tried to address. I'm  
22 sorry, these were the five major findings, and  
23 then as we get down here there were some five,  
24 there were ten total findings. Now I'm  
25 refreshing my memory, but we won't dwell on these

1 right now. Let's stick with --

2 **MR. GRIFFON:** We've got about ten minutes.

3 **DR. NETON:** We've got about ten minutes here so  
4 in the interest of saving time I want to just  
5 switch over to this one slide that I have.

6 **MR. GRIFFON:** Jim, while you're switching can I  
7 ask, is any of this data, the databases you  
8 referenced, are they on the O drive? Are they  
9 accessible to us to see this data, all this  
10 monitoring data, you talked about, nine thousand  
11 samples, et cetera?

12 **DR. NETON:** I don't believe it's readily  
13 accessible. Dick Toohey is not here right now,  
14 but we can certainly try to get those out there  
15 and make them available. They may be, but I'm  
16 not sure if they are. Those tend to be more -- a  
17 lot of this data came out of CDR databases very  
18 much like Mallinckrodt data. We'd need to put it  
19 out there in special format and everything so we  
20 can work on that.

21 **MR. GRIFFON:** If that can be done, that'd be  
22 great.

23 **DR. NETON:** This slide is very confusing so I  
24 apologize for that. I didn't put it together,  
25 but it's instructive. If I just can step you

1 through here, this speaks to the issue of were  
2 the maximally exposed people monitored or not at  
3 the facility. So what we have here is the cut  
4 point.

5 After 1961 almost everybody was, external  
6 exposure was monitored. And so we have prior to  
7 '61, after '61 which is the green hash line  
8 there. The red dots on the top are the maximally  
9 exposed workers by quarter during all those  
10 monitoring periods. If you notice, prior to '61  
11 and after '61, the maximum exposed workers  
12 continue to be about the same. There is no trend  
13 there in the data set. However, after '61 when  
14 you start monitoring everyone, you notice a trend  
15 going down which is not what you would expect if  
16 only the lesser exposed individuals were  
17 monitored where you're not capturing the maximum  
18 exposures.

19 So this is somewhat instructive to point out  
20 that, you know, we believe there's sort of a  
21 three-pronged approach here. You talk to the  
22 line. You talk to the health physics staff. You  
23 talk to line managers. They indicate the  
24 maximally exposed people were monitored. There's  
25 some documentation to support that fact, and then

1           you look at the monitoring data itself, and it  
2           also bears that out. So we feel we have a pretty  
3           good handle on this fact. And you know, we need  
4           to move forward on this, and we can engage in  
5           some --

6           **MR. FITZGERALD:** But just to confirm what you're  
7           saying is that the interviews plus the empirical  
8           information is pretty much what this would have  
9           to rest on. There still isn't anything that  
10          would be documentation procedures or --

11          **DR. NETON:** There are, well, there are a couple  
12          later reports issued like in the late '50s that  
13          when they were switching over from, I think a  
14          weekly to a quarterly program, there's some very  
15          good discussion in these documents while working  
16          with line management and discussing who should be  
17          on based on past histories of what the monitoring  
18          program is showing and that sort of thing.  
19          You know, I'm not sure what more we can produce  
20          on this, but it certainly is all pointing toward  
21          a direction that these folks were the highest  
22          exposed individuals were monitored. This is a  
23          very significant issue though because it also  
24          carries over into the internal dose area where  
25          we've worked on a coworker analysis of the

1 hundreds of thousands of urine samples that were  
2 taken and intend to use those to establish bounds  
3 for the internal dose of unmonitored workers. We  
4 are proposing to use the 50<sup>th</sup> percentile, and we  
5 have been using the 50<sup>th</sup> percentile to assign  
6 unmonitored workers since we believe that the  
7 monitored workers were the maximally exposed.  
8 Now the profile review is suggesting that no, you  
9 need to use the 95<sup>th</sup> percentile to assign that to  
10 unmonitored workers. That puts you in -- if  
11 these workers really were the most exposed, the  
12 absurd situation of assigning higher doses to  
13 unmonitored workers the 95 percent of the  
14 monitored workers. And that's not very appealing  
15 to us, and I think we can discuss this when we  
16 have more time about why we believe the 50<sup>th</sup>  
17 percentile is more appropriate for these workers  
18 than assign the 95<sup>th</sup> percentile to the  
19 unmonitored workforce.

20 That's probably about as far as we can get into  
21 this given five minutes left, but I think it's  
22 important to keep these thoughts for the  
23 subcommittee meeting. We're going to work out  
24 these details, you know, flesh these out in more  
25 detail. And if we have time, we can engage in

1           some discussion just with the Bethlehem Steel  
2           profile with SC&A, and maybe, you know, some  
3           facts out there that they can react to.

4           **MR. GRIFFON:** Just one other question. Are there  
5           other new TIBs? You mentioned one new TIB that  
6           might have come after this review or was it  
7           considered during this review? I mean...

8           **DR. NETON:** Most of them were considered during  
9           this review. I think there were a couple.  
10          There's one that was, that evaluated the external  
11          exposures in 1948 and '49 that documented what  
12          was done and the pocket ion chambers and that  
13          sort of thing. And there is another one coming  
14          out that is in press, and the subject of it  
15          escapes me at the moment, but I think it's the  
16          beta dosimetry.

17          There's a three-part series that discusses gamma,  
18          historical gamma, historical neutron, and there's  
19          an historical beta document coming out. So those  
20          are all out there on, as they're published on the  
21          O drive or X drive or whatever it is on your  
22          computer, and they're available for review.  
23          There's probably, I had them totaled up but  
24          there's probably eight or so documents that are  
25          supplemental to the TIB and most of them were

1           considered as part of this review. That's  
2           probably all we're going to be able --

3           **MR. GRIFFON:** Yeah, that's about -- okay.

4           **MR. FITZGERALD:** And I guess maybe a thought  
5           would be to pick this up that Monday before.

6           **MR. GRIFFON:** Yeah, my hope is, again  
7           tentatively, I think we need to try to close out  
8           Bethlehem Steel at the subcommittee level,  
9           continue on our procedures review, and probably  
10          take up Y-12 before Savannah River. We have a  
11          full day of subcommittee so --

12          **MR. FITZGERALD:** Well, this will help. We'll  
13          have Joyce Lipsztein who did a lot of the  
14          internal section, in Knoxville, so that will be  
15          good timing for that.

16          **MR. PRESLEY:** Before we stop, can I make a  
17          suggestion or a motion or something that the next  
18          time we have a committee meeting that we kind of  
19          meet where everybody's at a round table where we  
20          can meet as a roundtable discussion, and we  
21          don't, you know, it's nice to see everybody's  
22          face.

23          And the other thing that we could -- public  
24          comment's fine, but the telephone call-ins and  
25          stuff like that's been awful today. You know,

1 we've had trouble listening to everybody and  
2 hearing what they say. And I kind of have a  
3 problem with that. If you're here, fine, but if  
4 we have a committee meeting, we need to, they  
5 need to be here.

6 **MR. GRIFFON:** Yeah, I'm not sure we can make a  
7 motion here. It might be something we should  
8 bring up at a regular board meeting, and you  
9 know, bring up the format of these work group  
10 meetings as well as the, you know, the telephone  
11 aspect because it does make it difficult  
12 especially when we're sorting through technical  
13 documents.

14 Anything else? All right, I guess we'll see  
15 y'all in a few weeks, two weeks. Meeting  
16 adjourned.

17 (Meeting adjourned at 4:30 p.m.)  
18  
19  
20

**C E R T I F I C A T E   O F   C O U R T   R E P O R T E R****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported and transcribed the above and foregoing from the day of Oct. 6, 2005; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 6th day of December, 2005.

-----  
**STEVEN RAY GREEN, CCR**

**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER:   A-2102**