

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

FERNALD

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held in Hebron, Kentucky, on
March 26, 2008.

*STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTERS
404/733-6070*

C O N T E N T S

March 26, 2008

WELCOME AND OPENING COMMENTS DR. LEWIS WADE, DFO	6
INTRODUCTION BY CHAIR MR. BRAD CLAWSON	12
"Briefing on the Use of Daily Weighted Exposure Reports for the Estimation of Chronic Intake Rates" MR. MARK ROLFES	17
RAFFINATE ISSUE	141
"Comparison of FMPC Hardcopy Bioassay Records to the HIS-20 Database" MR. MARK ROLFES	200
MATRIX DISCUSSION	217
COURT REPORTER'S CERTIFICATE	313

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^"/((inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

DESIGNATED FEDERAL OFFICIAL

WADE, Lewis, Ph.D.

Senior Science Advisor

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

MEMBERSHIP

BEACH, Josie

Nuclear Chemical Operator

Hanford Reservation

Richland, Washington

1 CLAWSON, Bradley

2 Senior Operator, Nuclear Fuel Handling

3 Idaho National Engineering & Environmental Laboratory

GRIFFON, Mark A.

President

Creative Pollution Solutions, Inc.

Salem, New Hampshire

SCHOFIELD, Phillip

Los Alamos Project on Worker Safety

Los Alamos, New Mexico

ZIEMER, Paul L., Ph.D.

Professor Emeritus

School of Health Sciences

Purdue University

Lafayette, Indiana

IDENTIFIED PARTICIPANTS

BALDRIDGE, SANDRA, PETITIONER
BEATTY, RAY, FORMER WORKER
BEHLING, HANS, SC&A
BEHLING, KATHY, SC&A
CHEW, MELTON, CAI
FAUST, LEO, ORAU
HILL, BROOK, SEN. SHERROD BROWN
HILL, STEPHEN, CONG. CHABOT
HOFF, JENNIFER, ORAU
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
KENT, KAREN, ORAU
KOTSCH, JEFF, DOL
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MORRIS, ROBERT, ORAU
NETON, JIM, NIOSH
RICH, BRYCE, ORAU
ROLFES, MARK, NIOSH
SHARFI, MUTTY, ORAU

P R O C E E D I N G S

(9:00 a.m.)

1

2

WELCOME AND OPENING COMMENTSDR. LEW WADE, DFO

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

DR. WADE: Good morning. This is the work group conference room. This is a meeting of the work group on Fernald's site profile and SEC petition. My name is Lew Wade, and I'm filling in for Christine Branche who's the Designated Federal Official for the Advisory Board, and Christine is away on other business. In fact, yesterday she was visiting the Nevada Test Site to broaden her experience in that issue related to the program.

This is a work group that's ably chaired by Brad Clawson, members Griffon, Ziemer, Presley and Schofield. In the room here are Clawson, Griffon, Ziemer and Schofield. Is Mr. Presley on the line?

(no response)

DR. WADE: Is Robert Presley on the line?

(no response)

DR. WADE: Are there any other Board members who are participating by telephone?

1 (no response)

2 **DR. WADE:** Any other Board members
3 participating by telephone?

4 (no response)

5 **DR. WADE:** Well, the good news is we don't
6 have a quorum of the Board, so the work group
7 can continue with its deliberations.

8 Let's do some introductions, and we'll
9 go around the table here. We'll start with
10 members of the NIOSH/ORAU team, then members
11 of the SC&A team. Then we'll look at
12 petitioners, claimants, workers who are
13 involved in the call and would like to be
14 identified. We'll look for members of
15 Congress or their representatives, other
16 federal government employees, and then anyone
17 who wants to be on the record.

18 Around the table here we'll just go
19 around the room, and then when we go out into
20 the telephone we'll go by those categories. I
21 would ask that ORAU/NIOSH folks, SC&A folks,
22 Board members would identify whether or not
23 they have conflicts relative to this
24 particular site. That's the Fernald site. So
25 we'll begin.

1 Again, I'm Lew Wade. I work for
2 NIOSH.

3 **DR. NETON:** I'm Jim Neton. I'm with NIOSH,
4 and I'm conflicted at Fernald.

5 **MR. CHEW:** Mel Chew, I work for O-R-A-U
6 team. I am not conflicted.

7 **MR. ROLFES:** Mark Rolfes with NIOSH. I have
8 no conflicts.

9 **MR. SHARFI:** Mutty Sharfi, the ORAU team, no
10 conflicts.

11 **MR. RICH:** Bryce Rich, O-R-A-U team, no
12 conflict.

13 **DR. BEHLING:** Hans Behling, SC&A, no
14 conflict.

15 **DR. MAURO:** John Mauro, SC&A, no conflict.

16 **MR. GRIFFON:** Mark Griffon with the Advisory
17 Board, no conflict.

18 **MR. CLAWSON:** Brad Clawson from the Advisory
19 Board, no conflict.

20 **MS. HOWELL:** Emily Howell, HHS.

21 **MR. SCHOFIELD:** Phillip Schofield from the
22 Board, no conflict.

23 **DR. ZIEMER:** Paul Ziemer from the Board, no
24 conflict.

25 **DR. WADE:** And then in the room if you could

1 shout out for the microphone.

2 **MR. HILL:** Stephen Hill from Congressman
3 Chabot's office.

4 **MS. BALDRIDGE:** Sandra Baldrige,
5 petitioner.

6 **MR. BEATTY:** Ray Beatty, former worker,
7 assisting Sandra.

8 **MS. HOFF:** Jennifer Hoff, ORAU team, no
9 conflicts.

10 **MS. KENT:** Karen Kent, ORAU team, no
11 conflicts.

12 **DR. WADE:** Let's go out onto the telephone
13 then and ask for other members of the
14 NIOSH/ORAU team to identify themselves.

15 **MR. FAUST (by Telephone):** Leo Faust, ORAU
16 team.

17 **DR. WADE:** Leo, could you tell us if
18 conflicts?

19 **MR. FAUST (by Telephone):** No conflicts.

20 **DR. WADE:** Thank you.

21 **MR. MORRIS (by Telephone):** Robert Morris,
22 ORAU team, no conflict.

23 **DR. WADE:** Other members of the NIOSH/ORAU
24 team?

25 (no response)

1 **DR. WADE:** How about SC&A folks?

2 **MS. BEHLING (by Telephone):** This is Kathy
3 Behling, SC&A, no conflict.

4 **DR. WADE:** Always a pleasure to have you
5 with us, Kathy.

6 **MS. BEHLING (by Telephone):** Thank you.

7 **DR. WADE:** Others of the SC&A team?
8 (no response)

9 **DR. WADE:** How about other federal employees
10 who are working on this call?

11 **MS. HOMOKI-TITUS (by Telephone):** Liz
12 Homoki-Titus with HHS.

13 **MR. KOTSCH (by Telephone):** Jeff Kotsch with
14 Labor.

15 **DR. WADE:** Thank you, Jeff, for being with
16 us.

17 Other feds?

18 (no response)

19 **DR. WADE:** How about other workers,
20 petitioners, claimants, their representatives?

21 (no response)

22 **DR. WADE:** Members of Congress or their
23 representatives?

24 **MS. HILL:** This is Brook Hill with Senator
25 Sherrod Brown's office.

1 **DR. WADE:** Thank you for being with us. Can
2 you hear us okay?

3 **MS. HILL:** Yes.

4 **DR. WADE:** Other members of Congress or
5 their representatives?

6 (no response)

7 **DR. WADE:** Is there anyone else on the call
8 who would like to be identified for the
9 record?

10 **DR. MAKHIJANI (by Telephone):** Yes, this is
11 Arjun Makhijani of SC&A, no conflicts.

12 **DR. WADE:** Good morning, Arjun.

13 **MS. BEACH (by Telephone):** And this is Josie
14 Beach --

15 **DR. MAKHIJANI (by Telephone):** I'm sorry, I
16 have a conflict.

17 **DR. WADE:** We still are glad to have you
18 with us.

19 Josie Beach, you with us?

20 **MS. BEACH (by Telephone):** And Josie Beach,
21 no conflicts.

22 **DR. WADE:** We're glad to have you, Josie.
23 We worry about quorum on work groups, but you
24 do not bring us to a quorum, so please
25 participate as you would like.

1 Anyone else who would like to be
2 identified for the record?

3 (no response)

4 **DR. WADE:** A little thing about phone
5 etiquette, you know, if you are not actively
6 engaged, then mute the phone. If you are
7 speaking, speak into a handset if at all
8 possible and disdain the use of speaker
9 phones. They collect all kinds of background
10 noise. Be mindful of the noise in your
11 environment that might be not disturbing to
12 you, it could be awfully disturbing to people
13 on the call.

14 We do have examples of people typing
15 and all manner of things, and dogs barking and
16 we did have one fellow snoring. So it would
17 be good to be mindful of those situations.
18 Dr. Branche has pointed out that if you don't
19 have the ability to mute your phone, you can
20 hit star six which will mute the phone. And
21 then to get it unmuted you hit star six again
22 and apparently that works.

23 So with that, Brad, it's yours.

24 **INTRODUCTION BY CHAIR**

25 **MR. CLAWSON:** The last time that we met was

1 11/13, and we had numerous, we made it through
2 the matrix, and we had a kind of a layover for
3 a little while. So we're going to start back
4 into the responses that SC&A requested from
5 NIOSH. And I guess we'll just start from the
6 front of the matrix and proceed forward.

7 Hans, where would you like to start on
8 this one?

9 **DR. BEHLING:** I'm not sure this is my call.
10 I guess you have a presentation that has some
11 structure to it and rather than second guess
12 you, what's on your computer, I will defer to
13 Mark.

14 **MR. CLAWSON:** Okay, Mark. You know, that
15 brings up something else. Has everybody got a
16 copy of the matrix that Mark brought, and is
17 there any other papers you need to hand out?

18 **MS. HOWELL:** Does that contain Privacy Act -
19 -

20 **MR. ROLFES:** It may contain Privacy Act so,
21 Privacy Act information, so that's --

22 **MS. HOWELL:** We shouldn't.

23 **MR. CLAWSON:** Should we share it or not?

24 **MR. ROLFES:** We shouldn't.

25 **MS. HOWELL:** No.

1 **MR. ROLFES:** I apologize, Mr. Hill. We
2 can't share that with you because of Privacy
3 Act information.

4 **MR. CLAWSON:** It contains Privacy Act
5 information.

6 **MR. ROLFES:** Yeah, we've got a couple of
7 presentations just to --

8 **MR. GRIFFON:** But we'll get that cleared and
9 make sure he gets a copy, right?

10 **MR. ROLFES:** Sure.

11 **MR. GRIFFON:** And I think we should try to
12 clearly define what we're talking about in the
13 matrix that some people can't see the matrix.
14 So when we get to that point, you know.

15 **MR. ROLFES:** I was going to say I can
16 project it on the screen, but if there's
17 Privacy Act information in there I probably
18 shouldn't do that sort of thing. Well, we do
19 have a matrix. We made some updates. We also
20 put together several presentations just to
21 bring everyone up to speed on the work that
22 NIOSH has completed.

23 A couple of the outstanding things,
24 the main couple of issues that were
25 outstanding were the thorium coworker model or

1 the thorium intake model that we would be
2 using for reconstructing historical intakes of
3 thorium at Fernald. And the other was the
4 reconstruction of recycled uranium and
5 raffinates. So we do have a couple of
6 presentations, and I also have a small, brief
7 presentation on the comparison of bioassay
8 data to the HIS-20 database. So we can go
9 through those presentations, and I guess we
10 can discuss additional details from the white
11 paper following the presentation. That's
12 probably the easiest way.

13 **MR. GRIFFON:** Do you have copies of the
14 presentation?

15 **MR. ROLFES:** I did hand out copies of the
16 presentations as well. If you didn't get one,
17 I do have --

18 **DR. WADE:** This is Lew Wade. I'd like to
19 say something for the record about the
20 deliberation. Again, this is a work group
21 meeting of the Federal Advisory Committee.
22 Under the Federal Advisory Committee Act, work
23 group meetings are normally not open to the
24 public and transcripts are not kept of those
25 meetings. This is to allow for boards and

1 members of boards to do the everyday work that
2 needs to be done as they prepare for publicly
3 attended board meetings.

4 This board, I think much to their
5 credit, has allowed for work group meetings to
6 be open to the public, transcripts are kept
7 and shared and made public. It creates a
8 problem though in that material is being
9 prepared in near realtime for these
10 deliberations, and the deliberations are
11 happening in public. We can't share Privacy
12 Act information with the public until it's
13 cleared.

14 It takes time for a document to be
15 cleared, and that creates the dilemma we face.
16 We don't want to limit these meetings. We
17 want to make them open to the public, but at
18 times these deliberations discuss Privacy Act
19 information that can't be shared with the
20 public. The record of this meeting will be
21 posted on the website. All documents
22 discussed will eventually be cleared, but
23 sometimes things are brought before this body
24 that haven't been cleared, and therefore,
25 can't be shared with the public.

1 **"Briefing on the Use of Daily Weighted Exposure Reports**
2 **for the Estimation of Chronic Intake Rates"**

3 **MR. ROLFES:** Okay, so I believe we can get
4 into our presentation here. And the first one
5 that will start will be the "Briefing on the
6 Use of Daily Weighted Exposure Reports for the
7 Estimation of Chronic Intake Rates." And if
8 you excuse me for just a second, we'll get
9 this projected up here.

10 This is the "Fernald Working Group
11 Briefing on the Use of Daily Weighted Exposure
12 Reports for the Estimation of Chronic Daily
13 Intake Rates."

14 **MR. GRIFFON:** Just one more second. I
15 didn't get copies. Can someone make a couple
16 of extra copies? And I think we can give out
17 these copies, right, of the presentation?

18 **MS. HOWELL:** No, I'm sorry. I have to
19 interrupt. We have not seen this. We have
20 not seen the matrix.

21 **MR. GRIFFON:** But it's being projected.

22 **MS. HOWELL:** I'm going to have to tell you
23 to please block the projector. We can't do
24 this. You've got to get us stuff ahead of
25 time.

1 **DR. WADE:** Then give me copies and I'll copy
2 it for the work group members.

3 **DR. ZIEMER:** And just for the record, Mark,
4 this presentation will follow the white paper
5 that was on the O drive. Is that correct?

6 **MR. ROLFES:** Correct. This is just a
7 summarization of the white paper that was
8 produced.

9 **DR. ZIEMER:** I just want to make sure it
10 matches up with what we've got.

11 **DR. WADE:** I'm going to go make copies. How
12 many copies are needed for people around the
13 table?

14 **DR. ZIEMER:** One other comment, Mr.
15 Chairman. On the hard copy that's
16 distributed, the tables aren't readable, so
17 you may need to go to your O drive to see
18 them, since they're not going to be projected.
19 We can't --

20 **MR. ROLFES:** I apologize. On the handouts
21 some of the bottom slides are cut off.

22 **DR. ZIEMER:** No, I'm not talking about the
23 cut off. They're not readable anyway; the
24 tables are not readable.

25 **MR. ROLFES:** We'll do our best to explain

1 that. I apologize for any inconvenience.

2 **DR. ZIEMER:** But if you can access the O
3 drive here, which you can, you can pull them
4 up.

5 **MR. CLAWSON:** Where do you want to go from
6 there, Mark?

7 **MR. ROLFES:** I guess we can wait a couple
8 minutes.

9 Would you like for us to wait, Lew?

10 **DR. WADE:** No, you can continue.

11 **MR. ROLFES:** We will go ahead and go
12 through, I will go through the slides, and I
13 apologize for not having it projected up on
14 the screen.

15 **MR. GRIFFON:** Can you tell the name of the
16 file? I'm looking for that presentation.

17 **MR. ROLFES:** This presentation is not --

18 **DR. ZIEMER:** White paper on FMPC.

19 **MR. GRIFFON:** Oh, it's not on there. So the
20 white paper's --

21 **DR. ZIEMER:** The white paper is.

22 **MR. ROLFES:** That's why I was giving a
23 presentation. I apologize. This was a late,
24 last minute presentation.

25 **DR. ZIEMER:** It's like the last thing in the

1 Fernald file.

2 **MR. GRIFFON:** I got the white paper.

3 **MR. ROLFES:** The white papers are available.

4 We initiated a data capture for
5 Fernald to go back and re-review some of the
6 information on air sampling, on bioassay data.
7 We had requested about 110 boxes of records,
8 both for additional thorium air monitoring
9 data, bioassay information. We went up to the
10 Mountain View Federal Records Center and
11 reviewed those boxes and probably ended up
12 copying about 25 boxes of records at that
13 time. We focused on a lot of the daily
14 weighted exposure reports that were produced
15 historically.

16 Anyway, the January 2008 data capture
17 yielded hundreds of documents which included
18 daily weighted exposure reports. We used
19 these to define thorium inhalation and
20 ingestion intakes prior to the use of chest
21 counting in 1968. We also can use these to
22 support our raffinate and recycled uranium
23 exposure assumptions.

24 The daily weighted exposure reports,
25 the initial one at Fernald was put together by

1 the New York Operations Health and Safety Lab,
2 HASL. They established the daily weighted
3 exposure process in the 1940s and imprinted it
4 on the AEC complex. HASL staff did the first
5 daily weighted exposure assessment in 1953 at
6 Fernald. The method was proceduralized and
7 applied by Fernald staff and formal reports
8 were prepared for use by facility management.

9 Daily weighted exposure reports are
10 similar in concept to the modern time-weighted
11 averages used by industrial hygiene personnel.
12 Every daily weighted exposure report was
13 similar. It was typewritten. It included
14 data sheets. I do have a couple of documents
15 as well that I can pass around. These are a
16 couple of examples of the daily weighted
17 exposure reports. They do contain Privacy Act
18 information, however.

19 I will get to a couple of tables that
20 we've extracted from these reports, but in
21 Table 1, the average daily weighted exposure
22 for each job description in the facility is
23 documented, the number of workers employed in
24 each job description, and an average daily
25 weighted exposure for the entire facility.

1 Table 2 includes the average of the air dust
2 sample concentrations for a specific operation
3 or area. There's also recommendations listed,
4 discussed and tracked.

5 **MR. CLAWSON:** Mark.

6 **MR. ROLFES:** Yes, Paul.

7 **DR. ZIEMER:** Your Table 1 that you're
8 referring to is not the Table 1 in the report.
9 It's in the slides.

10 **MR. ROLFES:** It should be in the slides.

11 **DR. ZIEMER:** Yeah, I see it now.

12 **MR. ROLFES:** It should be in the slides that
13 you have, and it's towards the end of the
14 presentation.

15 Also, the appendices to the Daily
16 Weighted Exposure Reports show each job
17 evaluation report. The job evaluation
18 reports, the industrial hygiene personnel
19 created time and test diaries for each job. A
20 full eight and a half hours per day was
21 assessed for exposures. Each task is sampled
22 using both breathing zone and general area air
23 sampling. High exposure tasks were sampled on
24 several different days. Common areas were
25 sampled often.

1 The average concentration was
2 established for each task. The time times
3 concentration for each task is summed and then
4 divided by the total time to give an average
5 exposure in multiples of the maximum allowable
6 concentration, the MAC. This is an example of
7 Table 1 which just has various job
8 descriptions and the number of employees that
9 were working in that job description, and also
10 a daily weighted exposure in multiples of the
11 MAC.

12 The next slide is another job exposure

13 --

14 Yes, Paul.

15 **DR. ZIEMER:** Is it okay to ask questions as
16 we go?

17 **MR. ROLFES:** I'm sure. We can go through
18 more detail as well after.

19 **DR. ZIEMER:** The eight and a half hour
20 issue, did you determine that that's the
21 actual time in the workplace versus the length
22 of the workday? Was there, what I'm getting
23 at is some places have an eight and a half
24 hour day, but they work eight hours and
25 there's a lunch break.

1 **MR. ROLFES:** Correct, and if you take a look
2 at this next slide here for the job exposure
3 evaluation for the chemical area process, if
4 you take a look, there's some breathing zone
5 air sampling results for the dumping of
6 thorium nitrate tetrahydrate into dissolving
7 tanks. These are breathing zone samples that
8 were taken for, let's see, there were three
9 samples that were taken, and it took 60
10 minutes to complete this task per shift.

11 But also, if you take a look down
12 towards the bottom of this slide, there are
13 some general area air monitoring data
14 following this individual to the washroom, to
15 the smoking area, to the locker room, to the
16 cafeteria, and also traveling between plants.
17 So it's almost like a time and motion study
18 what is being done here.

19 **DR. ZIEMER:** Okay, you're including for that
20 period that they're in the lunchroom, that
21 value.

22 **MR. ROLFES:** Correct. That was recorded.

23 **DR. ZIEMER:** That's part of the eight and a
24 half hour --

25 **MR. ROLFES:** Correct.

1 **DR. MAURO:** And the MAC is 70 dpm per minute
2 per cubic meter, and it's a gross alpha count
3 on an air sample presumed to be thorium that
4 you're looking at.

5 **MR. ROLFES:** Correct. And if you take a
6 look at those two, the two Plant 9, the daily
7 weighted exposure reports, it does describe a
8 little bit of a process information that's
9 going on during the air sampling. That is
10 correct. It's 70 dpm in the earlier days, but
11 it did change to 100 dpm in the more recent
12 time periods.

13 **DR. MAURO:** I don't know whether everyone
14 else might, this might be helpful or not, but
15 in a way what we're doing now is that there
16 are certain concerns that we expressed in our
17 review that went toward thorium issues. And
18 obviously, to a certain degree the work, the
19 original work that you folks did that was in
20 your site profile, the original site profile,
21 and perhaps in the evaluation report, and we
22 commented on that certain areas were
23 deficient. In effect what I'm hearing now is
24 that the material you're covering now is
25 additional material that has come in, as I

1 understand it now, after those discussions
2 that in effect attempt to fill those holes.

3 **MR. ROLFES:** Correct.

4 **DR. MAURO:** I guess in a way it might be
5 helpful to point out that, let's say this is
6 what we had before, and these were the issues.
7 And this is what we have now and why we
8 believe what we have now helps to resolve
9 those issues. If everyone agrees with that
10 strategy, certainly we ^.

11 **MR. ROLFES:** Because usually we have enough
12 information based on uranium bioassay data,
13 that's usually a pretty good indicator of an
14 individual's exposure. When the Technical
15 Basis Document was initially developed, we
16 were under a timeline so that we could provide
17 claimant favorable, scientifically defensible
18 answers to claimants in a reasonable amount of
19 time. We had put a default exposure per year
20 1,050 MAC hours of exposure to thorium for any
21 individual who had indicated that they had
22 worked with thorium.

23 However, we also did say if we do have
24 additional bioassay data for thorium for that
25 individual, we would use that as well. So we

1 certainly realized that there could have been
2 higher concentrations of thorium that the
3 individual was exposed to and lower
4 concentrations. However, in the interest of
5 time so that we could produce dose
6 reconstructions that were defensible at the
7 time, that we felt we had defaulted to that
8 1,050 MAC hours.

9 Now we certainly acknowledge that
10 there could have been higher exposures, could
11 have been lower exposures. So we went back
12 and revisited our living document, our site
13 profile, to make sure that if we did have
14 higher exposures or lower exposures, that we
15 properly accounted for those. So that's
16 essentially what we've done with these daily
17 weighted exposure reports now.

18 **DR. MAKHIJANI (by Telephone):** Mark, this is
19 Arjun. These are uranium exposures, right?

20 **MR. ROLFES:** No, that's incorrect. These
21 are for thorium.

22 **DR. MAKHIJANI (by Telephone):** But the Plant
23 9 table that I'm looking at is a thorium
24 exposure table?

25 **MR. ROLFES:** That's correct. It's extracted

1 from, I believe, the one that we're on right
2 now is from -- well, actually, if you take a
3 look, it says dumping TNT into the dissolving
4 tank. That is thorium nitrate tetrahydrate.

5 **DR. MAKHIJANI (by Telephone):** Okay, I guess
6 I'm looking at your white paper.

7 **MR. ROLFES:** Okay, I'm going through a
8 presentation right now that's approximately 17
9 slides.

10 **DR. MAKHIJANI (by Telephone):** Okay.

11 **DR. BEHLING:** Mark, let me ask you. A few
12 minutes ago you made mention of the fact that
13 some of the air samples were general air,
14 others were BZA. Which one are you referring
15 to here, Mark?

16 **MR. ROLFES:** I'm sorry. What's that, Hans?

17 **DR. BEHLING:** What slide are you referring
18 to?

19 **MR. ROLFES:** I apologize. I am --

20 **DR. BEHLING:** And I'm looking at your white
21 paper. Am I looking at Table 2?

22 **DR. MAKHIJANI (by Telephone):** Can somebody
23 e-mail me that presentation, please?

24 **MR. ROLFES:** I don't have e-mail access, and
25 I don't know if we have anyone else that has

1 it on their computer at the moment, Arjun.

2 **MR. CLAWSON:** The slide presentation?

3 **MR. ROLFES:** Uh-huh.

4 **MR. RICH:** I can get that.

5 **MR. ROLFES:** Okay, we can take care of that,
6 Arjun.

7 **DR. MAKHIJANI (by Telephone):** Thank you.

8 **MS. HOMOKI-TITUS (by Telephone):** This is
9 Liz Homoki-Titus. While you're taking care of
10 that for Arjun, would you mind putting me on
11 that e-mail as well, please?

12 **MR. RICH:** Who is that?

13 **DR. WADE:** Liz Homoki-Titus.

14 **MR. RICH:** Do you want to get all those e-
15 mail addresses?

16 **DR. WADE:** Do we have an e-mail address for
17 Liz?

18 **MS. HOWELL:** zah6 -- is that right, Liz,
19 zah6?

20 **MS. HOMOKI-TITUS (by Telephone):** zah9.

21 **MS. HOWELL:** Nine.

22 **MS. HOMOKI-TITUS (by Telephone):** Yeah,
23 thank you.

24 **MR. ROLFES:** Could we get yours as well?

25 **MS. HOWELL:** E-P-H-2 @ C-D-C.G-O-V for

1 myself and Liz.

2 **DR. WADE:** Do you have something from Arjun
3 or do you need Arjun's?

4 **DR. MAKHIJANI (by Telephone):** My e-mail
5 address is Arjun, A-R-J-U-N, @ I-E-E-R.O-R-G.

6 **MR. ROLFES:** We're going to have Mel send
7 out the copies of the presentations and also
8 at this point a comparison as well and then a
9 copy of the matrix if you'd send that for me
10 as well, please, Mel.

11 **DR. BEHLING:** You didn't answer my question.

12 **MR. ROLFES:** Yes, Hans.

13 **DR. BEHLING:** Table 2, in your white paper
14 you make mention of the fact that some of
15 these assessments of air concentration
16 evaluations were done based on GA air sampling
17 versus BZA. I don't see that differentiation
18 in my table here, or am I looking at the wrong
19 table? You mentioned that certain areas like
20 the cafeteria would have been GA samples?

21 **MR. ROLFES:** Correct. If you take a look at
22 slide number seven --

23 **DR. BEHLING:** Okay, I'm sorry, I see here.
24 I see it.

25 **MR. ROLFES:** Just for the record, the top

1 three were breathing zone samples and the
2 bottom seven were general area air monitoring
3 samples.

4 **MR. MORRIS (by Telephone):** Mark, this is
5 Bob Morris.

6 **MR. ROLFES:** Yes, Bob.

7 **MR. MORRIS (by Telephone):** I think Paul and
8 Hans may be confused by that first or second
9 slide where you showed that, where we
10 mentioned Table 1 and Table 2 for the first
11 time. Those are, Table 1 and Table 2 are
12 common through every year and every facility,
13 and across the AEC complex as far as HASL was
14 concerned. You could go to a 1955 DWE report
15 and Table 1 meant the same thing as it did in
16 1967 in a DWE report, similarly with Table 2.
17 So don't get those confused. That is a common
18 trait of every DWE report is the Table 1 and
19 Table 2 notations.

20 **MR. ROLFES:** Thank you, Bob.

21 Okay, I'll move on to slide eight.
22 The Daily Weighted Exposure reports were done
23 in many plants for many years. Sometimes
24 hundreds of job descriptions were evaluated
25 year after year. The dates for these Daily

1 Weighted Exposure reports range from 1953
2 through 1969.

3 **DR. NETON:** Mark, when you say plants,
4 you're strictly referring to Fernald plants?

5 **MR. ROLFES:** Fernald plants, correct. That
6 is correct. There's at least 160 Daily
7 Weighted Exposure reports that have been
8 recovered.

9 If you take a look on slide number
10 nine, that will give you an idea of the time
11 period for which a Daily Weighted Exposure
12 report was found and the corresponding plant
13 at Fernald. We have Daily Weighted Exposure
14 reports for various portions of Plant 6. We
15 have the pilot plant, Plant 1, Plant 2, Plant
16 3, Plant 4, Plant 5, Plant 7 for the time that
17 it was operating, Plant 8, Plant 9.

18 We also have exposure studies that
19 were done in the laundry, the technical
20 laboratory. We also have non-productions of
21 areas in buildings, general maintenance and
22 storage areas, the decontamination building
23 and the scrap plant.

24 There are a range of exposures in a
25 facility. We are in the process of

1 transforming each average daily weighted
2 exposure to the geometric mean of a lognormal
3 distribution representing each employee.
4 We're combining all those daily weighted
5 exposure results and fitting a lognormal
6 distribution. We can assign an employee to a
7 low, medium or high exposure potential group.

8 The low exposure potential group would
9 be the 16th percentile assigned as a constant
10 or a point estimate. The medium exposure
11 class we would assign the 50th percentile with
12 a geometric standard deviation. In the high
13 exposure class we would use the 95th percentile
14 as a constant.

15 For guidance on exposure potential
16 grouping, individuals that would have had low
17 exposure potential were typically clerks,
18 secretaries and administrators. Individuals
19 in medium exposure classes are typically
20 laborers, construction trades workers,
21 maintenance individuals, drivers, foremen and
22 anyone who is not in either the low or the
23 high exposure class. The high exposure class
24 would be chemical operators, operator helpers,
25 machine operators and helpers, loaders and

1 helpers.

2 To calculate the chronic daily
3 inhalation rate, the inhalation rate is equal
4 to the daily weighted exposure times the MAC
5 times the breathing rate times the time times
6 the fraction of five divided by seven. The
7 daily weighted exposure corresponds to low,
8 medium or high values for the years and for
9 the facility at Fernald. The breathing rate
10 is the ICRP light worker breathing rate of 1.2
11 cubic meters per hour. The time is eight and
12 a half hours per day, and the five divided by
13 seven adjusts for a five day work week
14 scenario out of 365 days for a chronic intake
15 scenario.

16 The calculation of a chronic ingestion
17 rate would be based on information from OCAS
18 OTIB-0009. Mode one would be the respiratory
19 tract clearance built into the biokinetic
20 model. Mode two is based on the airborne dust
21 falling into a drinking cup, and Mode three is
22 based on airborne dust falling onto surfaces
23 and then transferred to the hand and the
24 subsequent, inadvertent ingestion. This
25 ingestion intake rate simplifies to the daily

1 weighted exposure times the MAC times the time
2 times a constant times the five divided by
3 seven.

4 In summary, the daily weighted
5 exposure data refines the intake rates that
6 would be calculated solely from air sampling
7 data. The time weighted task information was
8 reported during the work. High exposure tasks
9 were monitored and assessed. So we believe
10 that dose reconstruction is possible for
11 thorium work at Fernald.

12 I've also included a, I believe I
13 included this in the handouts as well. It's a
14 thorium processing at Fernald timetable. Does
15 everyone have that in their notes or anyone?
16 There's a thorium processing at Fernald slide,
17 slide 15. I do see it on Jim's copy here.
18 Okay, it is in there.

19 I apologize. We do have this on the O
20 drive. This is a little bit larger. You'll
21 be able to see it there, but this basically
22 indicates the various plants and time periods
23 at Fernald that thorium was processed. And it
24 also shows the quantities, when available, of
25 how much thorium was processed in that given

1 plant in that given year.

2 An alternate method that could be used
3 as well for assessing thorium intakes, the
4 employee and job description, if they are well
5 matched, we could use the daily weighted
6 exposure or the job description as the best
7 estimate. We would assume a geometric
8 standard deviation of three and could
9 calculate a chronic daily inhalation and
10 ingestion rate from that information.

11 The GSD of three is based upon an
12 Adams and Strom Health Physics Journal article
13 from 2008 which studied uncertainties with
14 daily weighted exposure data from Atomic
15 Weapons Employer sites. They found that 89
16 percent of the geometric standard deviations
17 were between 1.25 and 3.0.

18 Eight percent had a GSD greater than
19 three but less than four, and only three
20 percent had a GSD greater than four. This
21 came up with an average GSD of 2.1. So we
22 feel that the GSD of 3.0 is claimant
23 favorable. A GSD of three is also specified
24 in the construction trades worker Technical
25 Information Bulletin and also in the internal

1 dose reconstruction Technical Information
2 Bulletin-0060.

3 And that is the end of the slideshow,
4 and if there are questions within the white
5 paper that was produced, we can certainly
6 discuss those at this time.

7 **DR. BEHLING:** Let me ask you a few questions
8 with regard to Table 1 in your white paper.
9 Am I correct in assuming that the Figure 1 is
10 data for a number of people who have various
11 job functions that in Figure 2 you have an
12 expansion of Figure 1? And the question is
13 the wet area helper. So we have a wet area
14 helper as a job description, and in Figure 1
15 we see that he has a daily weighted exposure
16 of 46.9 MAC. And on the next Figure 2 we have
17 a delineation of how that number came to be.

18 Now we also realize that there were
19 three BZ samples, and were also seven GA
20 samples. We talked about obviously the
21 problem with GA samples. I think we went
22 through a lot of data involving an analysis
23 that is ^ that showed that as many as, you
24 could have as much as seventy-fold difference
25 lower value in general air sample as opposed

1 to BZA sample. And to what extent can you --

2 **UNIDENTIFIED SPEAKER (by Telephone):** Could
3 you speak up? None of this is coming through
4 on the phone.

5 **DR. BEHLING:** I'm going to have to speak
6 toward the speaker rather than --

7 **UNIDENTIFIED SPEAKER (by Telephone):** Good,
8 I hope you --

9 **DR. BEHLING:** -- the person's that
10 presenting this.

11 My question concerns a number of
12 issues that were raised in our previous
13 discussion, namely, the reliability of general
14 air samples. And in the case that is being
15 demonstrated here in the white paper in Figure
16 2, if you have that white paper, we derive a
17 daily weighted exposure value of 46.9 MAC and
18 realize that was derived on the basis of three
19 breathing zone air samples and seven general
20 air samples.

21 And we do know that general air
22 samples are far from reliable as a general
23 rule goes. And we've shown data that relates
24 to a study at one of the DOE facilities back
25 in the 1960s that the general air samples,

1 especially at a location where it's very
2 critical near the maximum permissible air
3 concentration, can be low on average by a
4 factor of 70. And we do know that, for
5 instance, in this particular example that's
6 being shown here that a good portion of his
7 daily weighted exposure is based on general
8 air sample.

9 And recognizing the fact that these
10 general air samples are statistically speaking
11 always going to come up on the low side, what
12 do we do to accommodate that particular issue?

13 **MR. ROLFES:** If you take a look at the three
14 BZ samples, it is the BZ samples where the
15 high air concentrations are documented. The
16 lower air concentrations are typically
17 associated with the general area air
18 monitoring data.

19 The impact that the difference if
20 there was any uncertainty associated with the
21 general area air monitoring data, it would not
22 have as much of an influence as would the BZ
23 data. The BZ data are certainly more
24 representative of the higher exposures
25 associated with the process that is going on

1 where high exposures would, in fact, occur.

2 **DR. BEHLING:** Not true. If you look at the
3 chemical area upper deck and you look at your
4 right-hand column of time times concentration,
5 you see obviously a significant, and it's
6 basically 50-50. If you look at the dumping
7 recycle oxide, you realize that the two are
8 virtually identical, 82,404 -- no, 824,400
9 versus 778 ^ . So in essence the two are split
10 nearly equal.

11 **MR. ROLFES:** There may be uncertainties
12 associated with general area air monitoring
13 data; however, you do need to remember that we
14 are assuming that the individual was not
15 wearing respiratory protection. So by wearing
16 a respirator, a protection factor of a
17 thousand could certainly be applied for an
18 individual who was wearing a respirator.
19 We're not correcting for any intakes based on
20 non-respirable-type particles as well. So
21 there are uncertainties --

22 **DR. BEHLING:** Well, I have to disagree with
23 you. A thousand is usually reserved for a
24 very special respirator. Fifty is probably a
25 common --

1 **MR. ROLFES:** A factor of 50 as well, sure.

2 **DR. BEHLING:** -- protection factor, and we
3 do know on the documentation I've seen, that
4 respirators were either most of the time
5 disregarded. And when they were used, they
6 were filthy dirty and contaminated. So I
7 don't believe that we should even consider the
8 buffer of a respirator.

9 **DR. NETON:** And we're not.

10 **DR. BEHLING:** Yeah, I'm just commenting --

11 **DR. NETON:** I think, Hans, you're pointing
12 out some good observations that general area
13 samples are fraught with some uncertainty.
14 But I think the fact is there are a large
15 number of samples there, and whether or not
16 they can be tweaked, if necessary, to come up
17 with a bounding estimate is really the issue.

18 I'm not going to quibble with you that
19 there are some areas maybe where -- I think
20 cafeteria samples are pretty low
21 representative. The further away you get away
22 from generation, the source generator, it's a
23 general area. But if there are issues where
24 they use general area samples in fairly close
25 proximity to the source, we can certainly work

1 to accommodate those differences.

2 **MR. RICH:** Let me just say just one thing
3 about these time-weighted averages, Hans.
4 These were done by the Health and Safety group
5 in cooperation with management, and they did
6 look at where the individual was spending
7 their time.

8 And a good number of these operations
9 or the job assignments, they were working in
10 general areas as opposed to working on a piece
11 of equipment where the source of the activity
12 was being generated. And so as a consequence,
13 the general area air samples constituted a
14 breathing zone sample, if you will, for people
15 working in certain areas in a general area.

16 **MR. ROLFES:** I have a picture here as well
17 that shows a general area air sampler to the
18 individual's --

19 **MR. RICH:** That's a breathing zone sample.

20 **MR. ROLFES:** Well, there's also a general
21 area air sample off to the side of the machine
22 as well.

23 **MR. RICH:** Yes, yes.

24 **MR. ROLFES:** But that's an example of both
25 breathing zone and general area air sampling.

1 You can see a general area air sample right at
2 the station the individual is working at, and
3 you can also see a breathing zone air sampler
4 as well.

5 And the breathing zone sample was
6 taken, an individual, an industrial hygienist,
7 would have collected a breathing zone sample
8 as close as possible to the individual's
9 breathing area, to his face, without
10 interfering with the operations that were
11 done. If you take a look, there is also a
12 general area air sample result that is
13 running.

14 **DR. BEHLING:** Let me get some understanding
15 of how strong these statistics are here. In
16 Figure 2 we realize that this was done in 1955
17 in Plant 9, and I'm looking at Table 1 which
18 verifies that there's a dot in that slot and
19 none in 1955. Now, is this an air sample that
20 was essentially done on a single day? Were
21 these assessments done -- when we talk about
22 daily-weighted average exposures for any given
23 year, is this an effort that was done on a
24 certain day where people come through for this
25 area, and they do this? Obviously, it's a

1 very time consuming --

2 **MR. RICH:** Yes, it is. It represented a
3 number --

4 **DR. BEHLING:** -- and I would assume, I mean,
5 someone has to stand there with a stopwatch.
6 Someone has to stand there with an air
7 sampler. And so I would imagine that when we
8 talk about daily weighted average exposures,
9 we're dealing with a single day for this
10 particular class of workers. Is that a
11 reasonable assumption?

12 **MR. ROLFES:** I'd have to take a look back in
13 the source report to determine that. Maybe
14 Bob Morris on the line would also be able to -
15 -

16 **MR. GRIFFON:** There are some interesting
17 things in the details. When you look, for
18 instance, at the furnace operator, one of the
19 higher exposed jobs, I mean, a lot of the
20 tasks they do, like Hans said, they have it
21 down to the minute. So they're drawing BZAs
22 by the minute which are very time consuming
23 I'm sure.

24 The other thing interesting to me in
25 that particular job is you have the age-old

1 problem of -- I mean, you're talking general
2 area versus BZA, you have the BZA question and
3 the worker making their own exposure
4 environment.

5 And I think it's pointed out pretty
6 well in here, you've got two samples for one
7 of the particular tasks range from 130 dpm per
8 meter cubed to 7,250. And you're getting an
9 average of, in the middle. So if you're the
10 dirty worker, this average, you know -- well
11 anyway, it points that issue out. I'm not
12 saying there's not data there.

13 **MR. RICH:** And the BZs do not represent a
14 single set of samples.

15 **MR. GRIFFON:** Excuse me?

16 **MR. RICH:** These individual studies do not
17 represent a single set of samples. There were
18 a number of studies that they did to define a
19 specific job.

20 **MR. GRIFFON:** But this worksheet looks like
21 it says two-member shift, one shift per day,
22 two men per day is the details of that.

23 **MR. RICH:** That's the job supervisor's
24 assignment of how, what the typical employee
25 spends in those jobs.

1 **MR. GRIFFON:** So when this says a low and a
2 high, and it says number of samples, two, I
3 can't assume the low was one person and the
4 high is the other? Or it could have just been
5 --

6 **MR. RICH:** That could be so, yes.

7 **MR. GRIFFON:** Okay, I'd assume it would. I
8 don't know any other way to interpret it.

9 **DR. BEHLING:** Well, I think most of these
10 are of very, very short duration. I think the
11 average duration was a three-minute sample on
12 average. And I think that in most instances
13 we're talking about successive samples.

14 You're at a location. You've got a
15 worker, and he's doing something. And you
16 take a three-minute sample. You may wait a
17 few minutes, and then you take a second one.
18 And we do know from looking at the data, which
19 I've shown throughout the report, that there's
20 a tremendous variability in both location and
21 time.

22 And we've shown that to a certain --
23 and I include this on the datasheets in my
24 report -- that shows, as you show here, two
25 samples. One is 100-and-some-odd, and the

1 other one is 7,000, and then from that you try
2 to establish an average value. And most are
3 oftentimes likely samples drawn within minutes
4 of each other.

5 **MR. GRIFFON:** You're getting this average on
6 two people on one day.

7 **DR. BEHLING:** Or maybe just one person at
8 two different locations.

9 **MR. GRIFFON:** The one thing you glean from
10 this is I think they were trying to find the
11 dirtiest operations and clean up things.
12 That's good. And then you can certainly see
13 which, were the dirtier jobs relatively.

14 **DR. NETON:** We're applying the 95th
15 percentile, the distribution of all --

16 **MR. GRIFFON:** How we use the data is the
17 question.

18 **DR. NETON:** The 95th percentile is being
19 applied and a GSD is assigned at the 50th
20 percentile, and I'm assuming it's a GSD of the
21 distribution. So there is some --

22 **MR. GRIFFON:** Yeah, right now I'm just
23 reacting to the study. I haven't seen how
24 you're applying it.

25 **DR. BEHLING:** And then I also wondered to

1 what extent when you have an industrial
2 hygienist standing next to a worker, and you
3 know very well that there's the issue of,
4 well, I'm being monitored; I'm being watched.
5 And there's clearly an attempt on the part of
6 all workers to minimize the exposure at least
7 when they're observed so that again the
8 question is to what extent --

9 **DR. NETON:** I don't know how much you can
10 minimize their exposure. They're standing
11 there grinding a piece of uranium metal, Hans.
12 I mean, I don't buy that.

13 **DR. BEHLING:** If you look at the report that
14 I wrote, and there was a description in one
15 instance where I believe it was a forklift
16 operator. And again, there was a world of
17 difference between one person being monitored
18 and watched and being very careful about
19 dumping things into a 55-gallon drum as
20 opposed to another. And of course, the level
21 of effort that would potentially minimize that
22 exposure will potentially change the air
23 concentrations by orders of magnitude
24 depending on how careful that one person as
25 opposed to somebody else. So again, we're

1 talking about a moment in time, a day and a
2 year, and again, over a brief period of time
3 that multiple samples may be taken during a
4 given operation. And drawing conclusions --

5 **DR. NETON:** Again, the 95th percentile for
6 every single day the guy performed that job in
7 the plant I think is pretty valid.

8 **MR. MORRIS (by Telephone):** Mark, can I
9 chime in for a minute? This is Bob.

10 **MR. ROLFES:** Yes, Bob, go ahead, please.

11 **MR. MORRIS (by Telephone):** I've got a few
12 issues that I wasn't able to jump in on the
13 conversation because it moves without a break
14 there, so a few things I'll add. First of
15 all, we have a procedure on file that I can
16 provide if you'd like me to that shows that
17 most of the air sampling was not three minutes
18 but 30 minutes. That was the typical
19 procedure that they had that they followed.

20 Secondly, if you recall, we're not
21 using the average value for setting the
22 facility distribution data. We're using that
23 data with a GSD, we're fitting it to get the
24 lognormal of a distribution that that would
25 fit with a GSD of three. So we're already

1 taking account a large spread of data into
2 that, into the individual task analysis. And
3 that gets propagated then further into the
4 facility GSDs.

5 In fact, when we've done some test
6 cases it looks like the facility GSDs end up
7 being about a five and a half or five to five
8 and a half GSD. So these are not small
9 uncertainties that we're taking account of.
10 They're big uncertainties, and it shows up in
11 the final numbers.

12 The third thing I'd like to point out
13 is that since some of the DWE reports -- I
14 couldn't tell you which ones at this moment
15 because I've never actually tried to look at
16 this as a study topic -- but in some of the
17 DWE reports, there are contemporary
18 assessments of the average uranium
19 concentrations that people in uranium areas --
20 when the DWE report was concerning a uranium
21 area, they've also tabulated the contemporary
22 uranium samples for the people that were in
23 the facility in the same document.

24 And my recollection is -- I certainly
25 wouldn't want to be held to this -- but my

1 recollection is that the uranium bioassay
2 results always, always were much lower than
3 what would have been predicted by the daily
4 weighted exposures.

5 **MR. RICH:** That's true.

6 **MR. MORRIS (by Telephone):** So there are
7 some empirical reasons to believe that without
8 regard to what you think about how dirty
9 respirators were or that people never wore
10 them. In fact, they were cleaned. There was
11 a cleaning program for respirators, and people
12 did wear them, and there were airline
13 respirators in use. And that probably
14 accounts for a lot of the fact that we can get
15 an empirical observation of protection.

16 **DR. MAKHIJANI (by Telephone):** May I ask a
17 question? This is Arjun. How are you
18 accounting for the inter-day variability since
19 even on the same day in the same location the
20 variation in air samples is so huge?

21 **MR. MORRIS (by Telephone):** This is still
22 under discussion inside OCAS, but let me tell
23 you what the Oak Ridge team proposed to OCAS.
24 And that is that that lognormal distribution
25 that I described to you for each,

1 representing, for example, the wet area helper
2 that's in this dataset. It's assigned as a
3 GSD of three with a lognormal that correlates
4 to the average for that person. And then that
5 is sampled with a Monte Carlo code so it
6 represents the uncertainty, that factor of
7 three.

8 So in theory then, if you sample 365
9 days, you get 365 different values for this
10 worker. Our Monte Carlo analysis actually
11 tries to simulate that. The uncertainty then
12 gets propagated into the whole group of data
13 that represents the whole facility, and that's
14 what we then end up with GSDs in five, five-
15 and-a-half range for.

16 **DR. MAKHIJANI (by Telephone):** So, Bob, a
17 Monte Carlo analysis cannot substitute for
18 data. It can only represent the data that you
19 have, and if you don't have an idea about
20 inter-day variability relative to the same day
21 variability, a Monte Carlo analysis is not
22 going to help you. It's just going to give
23 you a sampling from the data that you have.

24 **MR. MORRIS (by Telephone):** I don't think
25 you understand.

1 **DR. MAKHIJANI (by Telephone):** Let me finish
2 my --

3 **MR. MORRIS (by Telephone):** ^ these were
4 multiple day air sampling events. For example
5 --

6 **DR. MAKHIJANI (by Telephone):** We were
7 talking over each other so if you can start
8 over.

9 **MR. MORRIS (by Telephone):** These are
10 multiple day air sampling events. Their
11 dumping TNT into a dissolving tank was
12 probably done on three different days. The
13 dumping of recycled oxide into a pre-dryer was
14 probably done on eight different days.

15 **DR. MAKHIJANI (by Telephone):** But my
16 question does not relate to the period of the
17 set over which the air sampling was carried
18 out. My question relates to the relationship
19 of the air samples that were taken to the air
20 samples that would have been present on the
21 days when no samples were taken.

22 And the reason for worrying about that
23 is within one sampling period you have
24 enormous orders of magnitude of variation in
25 the same location and the same job at the same

1 time or in the same sampling period. How are
2 you going to establish the relationship of
3 that to the times when no samples were taken?
4 And how do you know the sampling was done on
5 representative days? I guess that's a short
6 way of asking that question.

7 **MR. MORRIS (by Telephone):** Well, I think
8 you could ask that question to the American
9 Congress of Government Industrial Hygienists.
10 Why do they think that that sampling method is
11 an appropriate approach for contemporary
12 today? There's an industrial hygienist going
13 out today using that sampling method. And the
14 answer would be because we think this is a
15 representative snapshot.

16 **DR. MAKHIJANI (by Telephone):** It has been
17 my understanding of this program from the time
18 I looked at these years ago is that this was
19 being done to improve industrial hygiene
20 conditions and not for the purpose to which it
21 is being applied. Now, it's possible the data
22 is collected for one purpose, and it could be
23 applied to some other purpose, but you have to
24 establish that applicability. It doesn't mean
25 when you have data that says air

1 concentrations that you can automatically
2 apply it to individual dose calculations
3 whatever percentile you're using. You have to
4 establish the relevance of that data.

5 **MR. MORRIS (by Telephone):** Well, back to my
6 point is that that's why they fit data to
7 lognormal distributions, is to incorporate the
8 top end of those tails. Your point precisely
9 was that this was a program intended for
10 industrial hygiene improvement. That means
11 they went after the worst part of the plant
12 with more vigor than others, and, in fact --

13 **DR. MAKHIJANI (by Telephone):** You don't
14 know that. That's completely incorrect. This
15 is a misrepresentation of a documented Fernald
16 history. They did these for the purpose, but
17 there's no evidence. You have to establish
18 that the industrial hygiene measures were
19 actually implemented, and this was a problem
20 that Fernald management confronted with the
21 AEC repeatedly. When they asked for these
22 things, they were often told there was no
23 money. That's why you see, you know, you see
24 very high air concentrations appearing and
25 disappearing from time to time, varying from

1 one job to another well into the production
2 period, not just in the mid-'50s. This went
3 on in the '60s also, for example, and --

4 **MR. MORRIS (by Telephone):** Hold on. Let me
5 respond to that point.

6 **DR. MAKHIJANI (by Telephone):** -- if I
7 remember correctly, 1970s.

8 **MR. MORRIS (by Telephone):** Let me respond
9 to that, please. Don't keep --

10 **DR. MAKHIJANI (by Telephone):** Well, you
11 have to let me finish my statement. I'm not
12 done yet.

13 **MR. MORRIS (by Telephone):** Well, you ^ you
14 need to stop after that question. You've
15 raised the question. You need to stop and
16 answer it.

17 **DR. MAKHIJANI (by Telephone):** Okay, go
18 ahead.

19 **MR. MORRIS (by Telephone):** At this point
20 whether or not the ^ funded the improvement
21 that was requested or not is really not
22 relevant to the issue. The point is data was
23 still collected, and it still represented
24 obviously bad situations.

25 **MR. ROLFES:** Bob, this is Mark Rolfes, and

1 I'd like to add if you do take a look at the
2 source documents, the Daily Weighted Exposure
3 reports themselves, it is documented within
4 the report for the purpose of the studies that
5 were conducted. I'll just read from this.

6 Let's see, this is the Feed Materials
7 Processing Center thorium Plant 9,
8 occupational exposure to airborne
9 contaminants. It's HASL FMPC-9. The purpose
10 of this document, the purpose, the survey was
11 made with the following objectives in mind:
12 to evaluate the average daily weighted
13 exposure of FMPC Plant 9 personnel to
14 radioactive dust; two, to provide data for the
15 dust exposure history of personnel; three, to
16 evaluate the effectiveness of plant dust
17 control equipment; and four, to provide a
18 basis for recommending additional controls or
19 procedures.

20 **DR. MAURO:** May I jump in and ask what I
21 always like to think of as a commonsense
22 question because I heard your 95th percentile
23 argument. That always is very compelling to
24 me. What I'm hearing, and correct me if I'm
25 wrong, is that daily time-weighted averages

1 were estimated, given day -- let's say we're
2 in 1959, and there's an interest that says,
3 okay, here we are in 1959, and there are
4 certain types of operations going on in a
5 given building.

6 And let's say you say, well, we have a
7 category of work going on in the building.
8 Now, I'm going to go in there, and I'm going
9 to collect these samples and come up with a
10 daily time-weighted average which reflects
11 exposures that a given category of worker
12 experienced on that day in that room.

13 And everyone says, and if it's done
14 correctly according to standard practice,
15 you've got a pretty good idea of what the
16 intake, uranium or thorium intake experienced
17 by the worker was that day in that room. And
18 I would say, yeah, if they did it the correct
19 way, and these folks know how to do that, I'd
20 say we've got that day down pretty good.

21 What I'm also hearing is that, but
22 wait a minute. Let's say we've got that day
23 down pretty good, but we realize from day to
24 day and even if we did that day over again,
25 let's say we went right back in and froze time

1 and went back in, actually could go back and
2 do it again. It'll be somewhat different just
3 because you picked a different two minutes
4 when you took that, or three minutes or 30
5 minutes.

6 Now what I'm hearing is though, no,
7 but we have a lot of those days. In other
8 words during that year, there may be five,
9 six, seven, eight times where we randomly went
10 in and did this. So now all of a sudden, no,
11 it's not just one day. We've got n days.

12 Now we have those n days, and we take
13 a look at it, and we say, well, gee, on this
14 day the daily time-weighted averaged a certain
15 amount of intake. Let's just talk about how
16 many atoms of thorium this person would, we
17 estimate, took into his body on this day. How
18 many atoms on this day and keep it really
19 simple. And now we have five estimates, five
20 separate estimates that if it was really
21 randomly, this is what we get.

22 And let's say it turns out as the
23 concern is expressed, they're all over the
24 place. Let's say they varied those different
25 daily estimates. I don't know how much they

1 varied by, but let's say they varied by a
2 factor of, okay, let's say those five
3 different estimates varied by a factor of 100.
4 I'm making this number up.

5 And we sit around the room and say,
6 hmm, what do we do in a circumstance where on
7 the five different days where we made our best
8 estimates of what we believe were the real
9 intakes, the number of atoms this person took
10 in, depending on, you know, differed by a
11 factor of 100.

12 And Jim's saying, well, you know, what
13 we're going to do, we're going to take those
14 numbers, and we're going to fit them to a
15 lognormal distribution. And we're going to
16 pluck off the upper 95th percentile, and we're
17 going to say that every single day that guy
18 worked there, we're going to assign to him the
19 number that came off that distribution at the
20 upper 95th percentile.

21 **MR. GRIFFON:** I don't think you're assigning
22 the 95th all the time, are you?

23 **DR. NETON:** The highest exposed worker. I
24 mean, for a worker who was likely to be --

25 **DR. MAURO:** Right, right, I understand.

1 There are certain worker categories that
2 that's unreasonable.

3 **DR. NETON:** And that's also in the
4 discussion, right?

5 **DR. MAURO:** But if he's in the, we're
6 saying, no, this is the worker that worked in
7 this room every day doing this job in that
8 building, and he's that worker. And we do
9 have data for five days out of the year. And
10 what I'm hearing is that to make sure, because
11 we recognize the variability is so great --
12 and the data will tell us how variable that
13 data is.

14 Now, if that's what I'm hearing, and
15 you pick the upper 95th percentile, and we're
16 going to give it to him every day, I would
17 have to say that, well, gee, that sounds like
18 it's a pretty reasonable thing, but I'm
19 willing to hear Arjun or Hans say why that
20 might not be, and if that's, in fact, what
21 you're saying you did.

22 **DR. BEHLING:** Let me just give you some
23 numbers here because we're just talking about
24 the variability. I'm looking on page 59 of my
25 report, and it's Attachment 4.3-1e. And it

1 talks about comparable weighted exposures of
2 Plant 9 personnel, and the dates in question
3 are May 17th through October 31st as one period
4 of this assessment. And it's followed by a
5 second set on November 4th through November
6 23rd. So we're talking about a one month
7 difference. And it's given by location.

8 And John just said what are the
9 potential variabilities for a daily weighted
10 average. For the wet area here for the
11 earlier period in May to October the daily
12 weighted average was 215.1 MAC and a couple
13 months later it was down to 2.74. We're
14 talking in there a hundred-fold difference.

15 **DR. MAURO:** I guessed it.

16 **DR. BEHLING:** And the same thing for the
17 reduction area, 233 versus 3.49, for the arc
18 furnace 473 versus 23. So we're talking
19 monumental differences over a very short
20 period of time.

21 **DR. ZIEMER:** Can I make an observation, Mr.
22 Chairman? First of all the reason for
23 sampling is exactly to find out what you're
24 describing. The fact that there's variability
25 says nothing about that sampling is not

1 representative or is poor or anything else.

2 It says, in fact, the operations may
3 lead to very variable concentrations which it
4 may include some sampling error, may include
5 some differences in operation. All of those
6 things come into play, but that's precisely
7 what you want to know. If you're going to do
8 bounding, you want to know what that spread
9 is.

10 **DR. BEHLING:** I agree, but that was my
11 initial questions of how much of these numbers
12 that, for instance, for the wet area, the 46.9
13 MAC hours for the helper, for the three
14 helpers defined in Figure 2, how many datasets
15 represent that number? That's the question.

16 **DR. ZIEMER:** Now, unless you only did this
17 once.

18 **DR. BEHLING:** Exactly.

19 **DR. ZIEMER:** Unless you only did it once out
20 of a hundred times, that's like you're bagging
21 marbles where you're drawing one and
22 describing, so obviously, it's a statistical
23 issue.

24 **DR. BEHLING:** I understand that.

25 **DR. ZIEMER:** But as long as you've done, and

1 if you didn't do that well, then you're
2 uncertainty gets greater, and you spread that
3 out and pick from the upper end, it sort of,
4 in the way we know, it sort of helps, it gives
5 you a worse answer than if you know that very
6 tightly.

7 If you got the same results every time
8 and squeezed it down, you'd know that number
9 very well. You'd have a tight distribution.
10 But, in fact, you want to know about that
11 variability. That's an important thing.

12 And, Arjun, I'm not sure unless
13 there's some indication that people have
14 selectively chosen days to get particular
15 results, and I don't think they have evidence
16 of that, you have to assume statistically that
17 there's some kind of a representation of the
18 distribution regardless of which days you
19 chose.

20 They may not be, I think you can
21 always argue there are some day in there
22 that's different, but that's the whole reason
23 we do, we don't do 100 percent sampling. It's
24 like our dose reconstruction sampling. I
25 think someone could argue that we've missed

1 the right doses, or we're not representative.
2 But you statistically say, well, I'm sampling
3 at least enough to get a picture of this to
4 bound something.

5 But maybe I missed the point you were
6 making on that, Arjun. Could you clarify
7 that?

8 **DR. MAKHIJANI (by Telephone):** Dr. Ziemer, I
9 agree with what you are saying, that you don't
10 have to sample a hundred percent. You don't
11 have to sample anything close to a hundred
12 percent in order to have a good picture. But
13 what you do have to know is what the days that
14 you sample, how representative are they of the
15 whole picture --

16 **DR. ZIEMER:** And I don't think you always
17 know that.

18 **DR. MAKHIJANI (by Telephone):** -- it relates
19 to the representativeness question.

20 **DR. ZIEMER:** You only know that by doing the
21 sampling, right?

22 **DR. MAURO:** I would argue that. In other
23 words let's say we're all sitting around a
24 table. We're about to design this program.
25 And we say, listen, we all recognize from day

1 to day things really change a lot. And we
2 know that. We've been living with it. And we
3 want to go in there and get an idea of how
4 different is it.

5 So what I'm hearing is that there were
6 some n number of days that they went in, and
7 they went ahead and took the sample to say how
8 often is it really high, how often is it low.
9 In the end you've got a set of data. As far
10 as I'm concerned, I look at it real simple.
11 I've got n days over 365 days where I have an
12 estimate of the number of atoms of thorium
13 this person inhaled. And it goes from a low
14 to a high.

15 And let's say it's, I'm just picking
16 five days. I don't know how many days you've
17 got. And I would say, listen, what do I do
18 with that now? We're sitting around the
19 table. What do we do with this? Can we
20 somehow use that information to predict with a
21 degree of confidence that we can estimate what
22 the intake was for that worker or people like
23 him who did a similar job during that year?

24 And what I'm hearing is that we're
25 going to pick the high end. We could pick the

1 highest number. Now, in my mind if we pick
2 the highest number out of five numbers, I'm
3 not quite sure statistically what that means,
4 but it probably pushes you up pretty high up
5 the distribution.

6 In other words to say, well, we only
7 have five numbers, and we want to make sure
8 we're being claimant favorable. Maybe we're
9 going to pick the highest number or it may be
10 based on the spread, you know, you can pick a
11 number that's higher than the highest number.
12 There's only five measurements, and we are
13 talking about 200 days.

14 So I guess if I'm thinking about this
15 correctly, if people were listening to what
16 I'm saying and say, yeah, I hear what you're
17 saying, how many days of these kinds of
18 estimates do you have for a given category of
19 worker for a given year? And when you have
20 those number of days, out of those numbers, in
21 fact it would be nice to have them in front of
22 me. Here they are. What did you pick? What
23 are you going to pick? Are you going to pick
24 the highest number? Are you going to pick a
25 number that's higher than the highest number?

1 That's where, you know, how I'm looking at
2 this.

3 **DR. BEHLING:** Yeah, let me pose a question
4 here --

5 **MR. ROLFES:** Just a second, I want to answer
6 Dr. Mauro's question.

7 For thorium we have approximately
8 3,000 air samples for thorium over the
9 operating history of Fernald during this SEC
10 evaluation. So that data has been provided to
11 the Advisory Board. It's on the O drive and
12 also the source documents that all of those
13 air samples were pulled from are also on the O
14 drive. So they are available for review.

15 **MR. GRIFFON:** I mean, that didn't answer his
16 question. I'm looking for an answer to the
17 question.

18 **DR. BEHLING:** What's the question? I am a
19 wet area helper. I worked at Fernald in 1955.
20 Am I recently going to assume that what you're
21 going to do is to go to this table that you
22 have here in Figure 1 and say, yeah, you're a
23 wet area operator, wet area helper, and we're
24 going to assign you 46.9 MACs?

25 **DR. NETON:** There's no point to this

1 discussion.

2 **DR. BEHLING:** This is the point because on
3 the next page I have one daily weighted
4 average for that number.

5 **DR. NETON:** It's going to be the daily
6 weight, the distribution of the daily weighted
7 averages for the facility. And he would be
8 assigned, I don't know whether it would be the
9 50th percentile or the 95th percentile of the
10 daily weighted average of the distribution for
11 that entire facility.

12 **MR. GRIFFON:** Can I get back to, there's a
13 couple detailed questions. I'd like the
14 answers to John's questions first of all. But
15 also in the details of this when you say the
16 distribution, does that include these daily
17 weighted averages from these reports, these
18 daily weighted estimates?

19 **DR. NETON:** Yes.

20 **MR. GRIFFON:** Or does it include each
21 worker's estimate? Because, I mean, that's
22 the point I was making with the furnace
23 operator. It looked like -- and we know this
24 from field experience -- we have one worker
25 that was getting a lot less exposure. You

1 make your own exposure in that kind of
2 environment. One worker was getting a lot
3 lower levels in the BZA than the other person.
4 And then you have an average that, you know,
5 you've got 107,000 and you have an average of
6 3,000, this is now, is the 3,000 point going
7 into your distribution or is the 7,000? You
8 know, is the other worker --

9 **MR. ROLFES:** I don't know, good point.

10 **MR. GRIFFON:** That could drastically change
11 that upper bound of your distribution.

12 **MR. MORRIS (by Telephone):** What we do,
13 Mark, in this case is we take the, there is an
14 identity for a lognormal distribution that you
15 can use to take an average in a GSD and
16 convert to a geometric mean. We are assuming
17 based on Strom and David's data of Health
18 Physics Journal, 2008, that the GSD is three
19 in all cases.

20 **DR. NETON:** Bob, this is Jim. I think you
21 might have missed the question. The question
22 really was did we use the individual data for
23 each worker or did we use the average for the
24 class of workers?

25 **MR. MORRIS (by Telephone):** Individual, that

1 wet area helper is represented as, so since
2 there's three wet area helpers, then that
3 represents three points on the facility curve.

4 **DR. NETON:** Every individual worker that was
5 sampled is in the distribution.

6 **MR. GRIFFON:** Okay, I'd like to crosswalk
7 that because I'm still a little unclear that I
8 think the study that I looked at -- I didn't
9 look at both those in detail, but the one
10 mentioned, 19, I think it said 19 job
11 categories, were looked at. And the only DWE
12 that's recorded is the DWE average. So the
13 only breakdown you see is like high and low,
14 and then they have average. And then the sum
15 at the bottom is the only DWE recorded. In
16 other words, they didn't tally for each
17 person. I was wondering where did you get
18 those numbers from.

19 **MR. MORRIS (by Telephone):** It would be
20 remarkably labor intensive to try to figure
21 out a fitted distribution for each individual
22 path.

23 **MR. GRIFFON:** Okay, so you didn't do that.
24 That's what I'm asking.

25 **MR. MORRIS (by Telephone):** That's right.

1 So we're rolling it up at the bottom of that
2 Figure 2 which is the job exposure evaluation
3 form.

4 **MR. GRIFFON:** Which is based on job, not on
5 individual worker. I'm not criticizing, I'm -
6 -

7 **MR. MORRIS (by Telephone):** That's correct,
8 Mark. You got it right.

9 **DR. NETON:** I think we're kind of getting
10 into the weeds of the analysis here trying to
11 --

12 **MR. GRIFFON:** I mean, my point there is that
13 when you have two workers that range in one
14 task -- I'll admit it. It was like a five-
15 minute task or a three-minute task or
16 whatever, but the ranges are drastic --

17 **DR. NETON:** I agree. We have a wide range
18 here, and I think that's --

19 **MR. MORRIS (by Telephone):** As long as you
20 identify the distribution even if it contains
21 multiple workers, you can still compile a
22 facility --

23 **MR. GRIFFON:** I just want to understand what
24 the data is. That's all I'm trying to
25 understand.

1 **DR. NETON:** I think what needs to happen
2 here though is that we need to, if we haven't
3 already, present this exact analysis that
4 we've done for SC&A to react to. I mean,
5 right now we're here trying to flesh out this
6 in some scientific detail, and all we're
7 saying right now I think is we have 3,000 data
8 points of thorium at Fernald, we believe
9 there's sufficient information here to
10 generate bounding analyses for thorium
11 exposures.

12 **DR. MAURO:** Notwithstanding the ^ samples.

13 **MS. BALDRIDGE:** Can I say something about
14 the percentage that's used to find class
15 whether it's 16, 50 or 95. Is it based on the
16 facilities that they were working in?

17 **DR. NETON:** No, the job category, type of
18 job.

19 **MS. BALDRIDGE:** Are the records available to
20 show who was performing each task and the
21 different times? When you go from 50 percent
22 to 95 percent, how do you classify someone who
23 would fall into the realm of other possibly...
24 My father did inspections at times during the
25 12 years he was at Fernald, but he also was

1 this. Well, what is the window for that
2 particular safety implementation period? Was
3 that only done after 1980? You know, if
4 that's the case, there are 28 years of workers
5 prior to 1980 who weren't protected under that
6 particular procedure. How are these things?

7 And my final question or statement is
8 why did it take the SEC filing to motivate
9 NIOSH to go to the Mount and go through those
10 12 boxes to find the thorium data that had
11 been stored there since who knows when?

12 **DR. NETON:** In answer to your third
13 question, I think Mark sort of addressed it at
14 the very beginning.

15 **MR. ROLFES:** Yeah, the Mountain View data
16 weren't actually at Mountain View. They were
17 stored at a separate federal records center,
18 the Dayton Federal Records Center, and were
19 brought to Mountain View for review.

20 We were essentially using, I had
21 previously given an introduction that we had
22 defaulted to what we believed was a claimant
23 favorable and scientifically defensible
24 thorium intake model. If an individual had
25 indicated that they were exposed to thorium,

1 in our initial site profile we had said we
2 would use a default of 1,050 MAC hours or
3 consider individual bioassay data for thorium.

4 We wanted to make sure that we were
5 able to get timely decisions out but also
6 committed to reinvestigating any issues based
7 upon new data that came in. This isn't the
8 only time that we have gone back and done a
9 data capture for Fernald. We've done several
10 data captures both prior to the SEC and
11 throughout the SEC discussions that have been
12 going on.

13 Also, with review of individuals'
14 bioassay data, we do sometimes find records
15 that indicate another process that was
16 ongoing. That triggers an internal look for
17 us to go back and say, well, there's something
18 else that we didn't know about. We need to go
19 find out more information so that we can
20 properly account for it.

21 **MS. BALDRIDGE:** So the point is when this
22 whole process started back in 2001 with the
23 enactment of the EEOICPA, and people were
24 about gathering their information and
25 submitting their claims, we are now in 2008

1 and the decisions that were made at NIOSH to
2 use default information rather than even --
3 I'll use my father's case. I was looking
4 through his old records. I asked and I
5 provided some that I brought today. Who was
6 doing the correlating?

7 You know, my father was hired in
8 December of '51. He worked the entire year of
9 1952 before Plant 6 ever opened. That's where
10 he was exposed in 1952 to the UF-6 which puts
11 him in the pilot plant, but that exposure was
12 not considered in his dose reconstruction. So
13 right now our claim is locked up in the
14 Department of Labor.

15 They won't move forward. They won't
16 move backward until the site profile is
17 revised and all this information can be
18 resubmitted and NIOSH requesting cases back so
19 that the information that was available but
20 not applied because defaults were chosen
21 rather than calculations have not only my
22 father's claim tied up now here, what, six
23 years, but a lot of other people who this data
24 could apply to.

25 **MR. ROLFES:** Sure, I certainly understand,

1 and that was done as an efficiency method
2 early on so that we could provide a claimant
3 favorable response.

4 **MS. BALDRIDGE:** The intent was not what
5 happened.

6 **MR. ROLFES:** I certainly understand. That
7 is one of the issues that we've dealt with,
8 and it's certainly one of the things that I
9 hear from workers when I go to public
10 meetings. That is one of the concerns that
11 I've heard from workers. So it's not just a
12 concern that you've expressed. Other workers
13 have, in fact, expressed.

14 We certainly have committed to taking
15 a look back at any claims that were previously
16 turned down. We continue to do investigations
17 and reviews on every site profile. We are
18 committed to re-evaluating any previously
19 denied claims when new information does become
20 available.

21 **MS. BALDRIDGE:** But it's conditional subject
22 to the revision of the site profile.

23 **DR. NETON:** But keep in mind on the claims
24 that we rework, the vast majority do not
25 change their compensation decision,

1 overwhelmingly.

2 **MS. BALDRIDGE:** Well, it would depend on the
3 basis for the request to review.

4 **DR. WADE:** But see, the program has always
5 struggled between two competing values. In
6 time, we're giving people timely answers and
7 being complete, and those values sometimes
8 butt against each other. In retrospect I'm
9 sure it can be found that errors in judgment
10 might have been made. But you have to
11 understand the times that those decisions were
12 made and the purpose. And certainly the
13 agency commiserates with anyone who's been
14 adversely affected, but we'd like you to try
15 and understand why that was done.

16 **MS. BALDRIDGE:** In hindsight I would have
17 chosen accuracy over timeliness.

18 **DR. WADE:** And in some other cases when we
19 did that it might have been shown to be wrong
20 as well. So we do understand.

21 I'd like to offer an observation as
22 sort of an interested listener of all the
23 discussions we had so maybe NIOSH can address
24 some of the issues because there was lots of
25 discussion and lots of important issues

1 raised, and then we moved on. I think there
2 are four fundamental questions that need to be
3 raised and answered relative to what we've
4 talked about.

5 First of all, you've got to spend some
6 time sort of scoping out the process that's
7 being investigated, how many years, what was
8 going on, what the geographical extent was.
9 Once you do that then you start to look at the
10 makeup of the dataset, the size of the sample
11 that's being taken to try and represent that
12 process. And statistics will guide you as to
13 whether or not your sample size is adequate.
14 And if it is, then what you do with that
15 sample size in terms of its inherent
16 variability.

17 The other thing that I heard raised
18 was we need to be sure that the purpose the
19 data is being put to is coincident with the
20 purpose that the data was collected for. And
21 if not, then you have to create a reason, a
22 bridge, why any deviation there is acceptable.

23 And the last thing you have to
24 struggle with is this question of was the
25 sampling biased in any way. You have these

1 issues of were people shutting down the
2 process that was being evaluated on sampling
3 days. You have to look for bias, and if
4 there's reason for bias, you have to consider
5 that statistically if you can. But you have
6 to consider this.

7 But I think all of those points were
8 raised. I think all those points are valid.
9 I think those points really need to be
10 addressed back to the assemblage at some
11 point.

12 **MR. ROLFES:** Certainly a lot of those issues
13 may be addressed in some of the source
14 documents in the exposure study reports
15 themselves.

16 **DR. ZIEMER:** I just want to make an
17 observation, Lew, as kind of react to your
18 fourth point. And that is that none of the
19 data we used was collected for the purpose for
20 which it's being used today. None of it.

21 **DR. WADE:** But then you need to
22 intellectually look at that and decide it's
23 acceptable to use the data.

24 **DR. ZIEMER:** But that's exactly what NIOSH
25 has been working on and their contractors, and

1 what we struggle with. And what the Board is
2 saying are we doing that right. All of this
3 data was collected for workplace control. Now
4 it is being used to establish eligibility for
5 compensation, two very different objectives.
6 Now, we know that in a sense the data is
7 there, and the question we struggle with is
8 are we using it properly and correctly and
9 making the proper inferences. That's the real
10 struggle.

11 **DR. WADE:** Or at least not using it
12 improperly.

13 **DR. ZIEMER:** We're not using it improperly.
14 I just wanted to clarify it because none of it
15 was originally collected for this purpose.

16 **DR. WADE:** But Mark read a fairly compelling
17 list as to the purpose of the analysis that
18 sort of gave me comfort in terms of the use of
19 the data. But those things need to be
20 explored.

21 **MR. GRIFFON:** Back to John's questions. I'd
22 love to have an answer to those, like how many
23 days, when we were talking about this earlier,
24 how many days was it sampled over.

25 **MR. ROLFES:** That was why I was pointing out

1 on the ^ report.

2 **DR. MAURO:** Would you indulge me for a
3 minute? If someone showed up and handed me a
4 truckload of data and said, listen, we're
5 trying to get a handle on the intake these
6 people might have gotten ^.

7 **DR. ZIEMER:** Talk loud enough so the people
8 can hear you.

9 **DR. MAURO:** I'll speak from here. What I
10 was saying, all right, I've got this data.
11 What I would do is I would create a table.
12 I'd say, okay, I've got data that captures a
13 certain number, n years, one through ten, ten
14 years of data I have. And I also have data
15 that says, well, we can sort the data into
16 different categories of workers or maybe
17 buildings.

18 I'd say this is what I want to know.
19 For year number one, worker category or
20 building number one, how many days do I have
21 an estimate of a time-weighted average? Is it
22 one day in that year for that worker? Fifty?
23 So what I'm really saying is if you tell me
24 that -- and you could fill in this table.

25 This is what I would do. I would say,

1 well, I've got 50 days' worth of data in year
2 one for category worker one, 50 days of the
3 data. I'd say not bad, or ten or four. And
4 then I'd go to my statistician. I'd say,
5 listen, assuming that this is what we have,
6 what do you do with that? And if I saw those
7 numbers, and I would say they're all filled
8 out, and some are ten, some are 12, some are
9 30.

10 I would say I've got a rich database
11 from which I could build distributions for
12 each one and then make judgments for people
13 who were in this category in that year what
14 intake I would assign to that worker. Now I
15 don't know if that's what you did, and I can't
16 tell from the conversation we had. Because
17 that would be what I would be shooting for.

18 Now, it may turn out that the data is
19 such that it won't allow me to do that because
20 I think that maybe you can't, and maybe all
21 you can do is work with a rollup because in
22 the end maybe you just have a rollup of data.
23 In other words you have a number of dates.
24 You've got 500 days, but you can't sort them
25 this way. You can't sort them.

1 All I know is I've got a list of 500
2 numbers that capture what the concentration,
3 the intake, was in each day. And we really
4 can't sort them by year, and we can't sort
5 them by worker category. Now, the question
6 becomes, but we do know we now have a sampling
7 of what the intake was for a certain number of
8 days that in theory can we go from there to
9 now we have a real person who worked in a real
10 year at a real location can somehow we take
11 that big collection of data and somehow assign
12 a claimant favorable, scientifically valid
13 intake to that worker.

14 I guess my first question is does that
15 exist? Can that be built? Or am I thinking
16 right about this? In other words, that's how
17 I'm thinking right now.

18 **DR. NETON:** I probably shouldn't speak
19 because I haven't read the report, but I don't
20 think we have this level of granularity built
21 into the process. I think we're hitting this
22 with a bigger sledgehammer which is you have a
23 lognormal distribution generator of all these
24 worker categories, not even categories, just
25 worker job types I guess or whatever they are.

1 And so you generate from low to high the
2 possible exposure scenario for all of the
3 daily weighted exposure averages that were
4 generated.

5 **MR. GRIFFON:** By how many days? I mean, I
6 saw one study that looked like two days of
7 sampling. How many days?

8 **MR. RICH:** Could I make just a couple of
9 statements? Number one, the DWE reports which
10 are a time-weighted study that was religiously
11 done pretty much from the start of the
12 operations and carried on for a number of
13 years, so they carry a wealth of study
14 information directed specifically at defining
15 the worker exposure in the plant.

16 In the case of thorium operations, the
17 sheer volume, the sheer mass, that went
18 through the plant was orders of magnitude less
19 than the uranium so it was more campaign
20 oriented. They averaged about a metric ton of
21 thorium per day, and that's just a big can of
22 it. Sometimes it was a little more than that,
23 but sometimes less, but for this reason then,
24 you would expect the sampling to be done to
25 define the thorium exposure to be not

1 continuous as it would be in a uranium
2 operation.

3 So the granularity of your results are
4 going to be different in a thorium operation
5 than it would be in a uranium operation
6 because they were running metric tons per day
7 after day after day. Now the other point is -
8 -

9 **MR. GRIFFON:** Well, given that I assume
10 you're going to tell me a low number of days
11 or else you wouldn't have set it up this way.

12 **MR. RICH:** And the DWEs were done on the
13 days that they were processing to define the
14 exposure to people in those thorium
15 operations. So it would be directed to the
16 times when the maximum exposure would be
17 expected.

18 Now the other thing is that the DWE
19 reports that is a wealth of data that defines
20 not only thorium but uranium exposures. And
21 with the uranium we have a confirmatory
22 bioassay analysis in uranium data which, as
23 Bob indicated, demonstrates that the analysis
24 based on air sampling data is always higher
25 than you would get through bioassay.

1 So we have confidence that the
2 analysis that we would use with the thorium
3 data will provide a higher dose, and
4 particularly since we are applying the levels
5 that we get in average exposure levels for the
6 whole year as opposed to knowing that they
7 were not exposed for the whole year. So
8 there's a conservatism built in that alone so
9 we should keep these in mind.

10 We've attempted every way we can to
11 maximize, make sure, that we did not
12 underestimate the exposure to individuals and
13 particularly in the thorium. Because in the
14 early days we were limited, they were limited,
15 the industry was limited in what they could
16 determine from a bioassay data. And by the
17 way, we did recover some information related
18 to the effort that they went to to develop
19 urinalysis for thorium at Fernald and
20 stimulating at the University of Rochester and
21 elsewhere. As a matter of fact, they did some
22 thermoneutron analysis of thorium and uranium
23 in an attempt to develop a new technique.

24 **MR. CHEW:** Bryce, I think we have a couple
25 of slides which we can show John. I think

1 John --

2 **MR. GRIFFON:** Wait, wait, just one second,
3 just one second, Mel.

4 I agree with all, I mean, I don't
5 disagree with anything you said, Bryce. I
6 still haven't heard -- I just wanted a simple
7 answer. How many days and what years were
8 these studies done? Because then we can kind
9 of compare it with the thorium history at the
10 site if it hit the peak times, if it hit the,
11 you know. I mean, that's important.

12 **MR. ROLFES:** I did point out --

13 **MR. GRIFFON:** Just the facts. I'm not
14 judging them. I'm just, you know.

15 **MR. ROLFES:** I did point out that roughly
16 3,000 thorium air sample results have been
17 catalogued in a MicroSoft Excel spreadsheet.
18 Those have been provided to the Advisory Board
19 on the O drive.

20 **MR. GRIFFON:** Yeah, I have those, and one
21 question --

22 **DR. MAURO:** ^ is TWA.

23 **MR. ROLFES:** ^

24 **MR. GRIFFON:** But those aren't DWE samples,
25 are they?

1 **MR. ROLFES:** These are supporting samples
2 for the daily weighted exposure results.

3 **MR. GRIFFON:** Are they used in this
4 lognormal distribution that Jim's talking
5 about? It sounds like you're not using that.

6 **MR. ROLFES:** The daily weighted exposure
7 result reports were the basis for the
8 distribution.

9 **MR. GRIFFON:** Okay, so how many days of
10 daily weighted exposure, I mean, a simple
11 question really.

12 **MR. ROLFES:** I'm sorry?

13 **MR. GRIFFON:** How many days were these
14 studies done on?

15 **MR. ROLFES:** I would have to go back to the
16 document and count all 3,000 sample results,
17 but there's samples --

18 **DR. MAURO:** So you didn't come at it that
19 way.

20 **MR. GRIFFON:** Those 3,000 samples were only
21 associated with the time-weighted studies? I
22 don't think so.

23 **MR. ROLFES:** No, not necessarily. No, there
24 are certainly samples in this Excel
25 spreadsheet that would have been supporting

1 the daily weighted exposure reports and also
2 other air sample results likely. I haven't
3 done any --

4 **MR. GRIFFON:** Is it more than just these two
5 reports that were circulated? Are those just
6 examples or are they --

7 **MR. ROLFES:** These are examples. And if you
8 recall, on pages nine and 15 of our slides,
9 we've identified --

10 **MR. CLAWSON:** What page is it?

11 **MR. ROLFES:** This is page nine. This spans
12 from 1952 through 1969. Every time there's a
13 dot in that table, there's a daily weighted
14 exposure report from all the plants that are
15 listed there. And this is what I went through
16 for Plant 1, Plant 2, Plant 3, Plant 4, Plant
17 5, Plant 6 --

18 **DR. MAURO:** The dot is. Could you give us
19 number of days where you have daily weighted
20 average?

21 **MR. RICH:** The data is available.

22 **DR. MAURO:** And when we have that, we're
23 done.

24 **MR. CLAWSON:** Excuse me. Everybody's
25 talking over each other, and we need to be

1 able to be a little bit correct and polite to
2 each other, so please...

3 **MR. ROLFES:** Yeah, this is also the other
4 slide. Now you can cross-compare this slide
5 to the one that Mark has there. That's the
6 slide that has the daily weighted exposure
7 results documented on it for each plant by
8 year. This slide has the thorium process that
9 was conducted by each plant by year.

10 Look at the two together, John. I
11 think --

12 **MR. GRIFFON:** And then the last question and
13 then I'll be quiet. What -- I think I just
14 lost my question. I was looking at this data.

15 **DR. ZIEMER:** Well, this is partially to
16 respond to John. It's not the number of days
17 per year compared to 365 days. It's the
18 number compared to the --

19 **DR. MAURO:** Operations, operation dates.

20 **DR. ZIEMER:** But they did those like five
21 times and sampled three --

22 **MR. GRIFFON:** I've got my question now.
23 This DWE data which you're using for the
24 coworker model, I believe, is that in a
25 spreadsheet anywhere? I don't know where that

1 is.

2 **MR. ROLFES:** It probably has not been
3 entered yet.

4 **MR. GRIFFON:** Because that could easily be
5 sorted, and you can look at these, how many --

6 **UNIDENTIFIED SPEAKER:** ^

7 **MR. GRIFFON:** -- the concept, right?

8 **MR. MORRIS (by Telephone):** Mark, this is
9 Bob.

10 **MR. CLAWSON:** Excuse me. We've got somebody
11 on the phone.

12 **MR. MORRIS (by Telephone):** Mark, this is
13 Bob.

14 **MR. ROLFES:** Yes, Bob.

15 **MR. MORRIS (by Telephone):** I'd like to go
16 directly to this current, the idea that John
17 raised about trying to make this an exercise
18 and define the uncertainty.

19 I think that's what your point was,
20 wasn't it, John?

21 **DR. MAURO:** Yes.

22 **MR. MORRIS (by Telephone):** Well, let me
23 just tell you, maybe you missed when I was
24 talking about the Adams and Strom report of
25 2008 in Health Physics Journal. The title of

1 that peer reviewed report is "Uncertainty and
2 Variability in Historical Time-Weighted
3 Average Exposure Data". I think they really
4 went to the heart of exactly the question that
5 you're trying to ask.

6 **DR. MAURO:** Okay, but, I mean, you see, the
7 currency in my mind, the currency, is these
8 daily time-weighted average. There's our
9 currency. And do we have a rich currency here
10 that would allow us to do the wonderful things
11 we'd like to be able to do? And right now I'm
12 hearing that, well, I don't think you have the
13 numbers. In other words I see the dots. I
14 see the dots.

15 **MR. MORRIS (by Telephone):** Do you have in
16 your hand an example of the Plant 9, 1955
17 report?

18 **MR. CLAWSON:** Yes, we do.

19 **MR. MORRIS (by Telephone):** That's what, 50
20 or 60 pages? I don't remember exactly any
21 more. But every one of those dots represents
22 a report that's between 30 and a hundred pages
23 long, all typed.

24 **DR. MAURO:** From which we could fill a table
25 and that's ^.

1 **UNIDENTIFIED SPEAKER:** ^ DWE report.

2 **MR. GRIFFON:** It's a DWE report.

3 **MR. RICH:** ^ the report is not this single
4 page. It's a 30-page report in which
5 summaries have the information on it.

6 **DR. MAURO:** So has that been processed and
7 the numbers where the dots are, is that what
8 you're going toward?

9 **MR. MORRIS (by Telephone):** That's right.
10 We don't want to invest a large effort into
11 that until we understand that this is going to
12 be an acceptable technique.

13 **DR. MAURO:** Well, I guess, I'm just one
14 person offering my perspective. It seems to
15 me you fill those numbers in, and it's not one
16 that's in each one of those little boxes, but
17 it's --

18 **MR. MORRIS (by Telephone):** It's one report
19 in each box, John.

20 **DR. MAURO:** -- a substantial number. You've
21 got something.

22 **MR. RICH:** And then bearing in mind again if
23 you have --

24 **DR. MAKHIJANI (by Telephone):** This is
25 Arjun. Could I ask a question about these

1 samples to follow up on what Mark Griffon was
2 saying? How do we establish the relationship
3 of the air samples and the daily weighted
4 average process with the other air samples
5 that were not taken for the same purposes or
6 with the same method?

7 **MR. MORRIS (by Telephone):** Arjun, this is
8 Bob. I think we lost that line.

9 **DR. MAKHIJANI (by Telephone):** Sorry?

10 **MR. MORRIS (by Telephone):** I think we've
11 lost the line. Nobody's talking in the
12 background.

13 **MR. GRIFFON:** No, we're here.

14 **DR. ZIEMER:** We're pondering.

15 **DR. MAKHIJANI (by Telephone):** It's you and
16 me.

17 **MR. MORRIS (by Telephone):** You and I can
18 talk, but I think they're going to have to
19 dial us in again.

20 **MS. HOMOKI-TITUS (by Telephone):** Let's get
21 a message to somebody in the room to tell them
22 it sounds like they --

23 **DR. WADE:** We are here.

24 **DR. ZIEMER:** We heard you.

25 **DR. WADE:** The question is being pondered.

1 **MR. CLAWSON:** And who's going to answer that
2 one?

3 **MR. ROLFES:** There could be a mix of both
4 air samples from the daily weighted exposure
5 reports and from time periods when a daily
6 exposure report was not prepared. We feel
7 that the daily weighted exposure reports would
8 certainly have a much better idea of the true
9 exposures that were incurred by the employees
10 in that time period.

11 **MR. SHARFI:** I think he wants to walk
12 through those 3,000 samples in the
13 spreadsheet. How can you separate those out?

14 **MR. ROLFES:** Well, there are dates on the
15 air samples, so it would take a little bit to
16 compare the exposure studies to the 3,000
17 roughly air samples that are documented.

18 **DR. MAKHIJANI (by Telephone):** No, no, I
19 wasn't asking about a comparison. And I
20 understand what these daily weighted average
21 exposure studies were. It's reasonably clear
22 how they're done. They're quite well
23 documented. The other air samples which
24 appear in various kinds of Fernald documents,
25 it's not very clear why those samples were

1 done, when they were done, what their
2 relationship was to these daily weighted
3 averages.

4 So my question is not how you sort
5 them into two bins, daily weighted average
6 samples versus other samples, but whether
7 these two sets of data belong in the same
8 distribution or not. We've confronted this
9 problem before as to how do you put data
10 points in the same distribution or are they
11 two different distributions? And what's the
12 technical process of doing that?

13 **DR. WADE:** Arjun, this is Lew. You're
14 question was understood. Now we'll have
15 someone answer your question.

16 **MR. ROLFES:** I don't believe we would be
17 doing that, Arjun. These would be two
18 separate datasets. There may be some repeated
19 information in this Excel spreadsheet, but we
20 are going to be using the daily weighted
21 exposure results for the distribution that
22 we're referring to.

23 **MR. MORRIS (by Telephone):** Mark, the only
24 other data, air sample data, that I'm aware
25 of, there were three kinds of air samples

1 taken, breathing zone, general area. I think
2 the third was called process. I'm not sure if
3 that's the right term they used. But the
4 point of the third air sample was to get not
5 something that represented an exposure to a
6 worker but to represent what was inside a fume
7 hood, or what was coming right off of a
8 grinder.

9 And those are not used in daily
10 weighted exposure calculations. They were
11 really focused on process improvements. So
12 except for those process controls, the process
13 samples, I think every sample that was either
14 breathing zone or general area in my
15 experience with this data from Fernald is
16 represented in a DWE report.

17 **DR. WADE:** Did you understand that, Arjun?

18 **DR. MAKHIJANI (by Telephone):** Yeah.

19 **DR. WADE:** Okay, thank you.

20 **MR. CLAWSON:** Could I just add one question
21 then? I know this may be real simple, but one
22 of the things that I'm not understanding is,
23 as this says in this paper and what I'm going
24 from is the occupational exposure paper that
25 you had there. It says, "During the period of

1 May 12th and 13th," so that's telling me right
2 there that only on May 12th and 13th of 1954
3 these daily weighted averages were performed.
4 Is that --

5 **MR. ROLFES:** I'd have to take a look at the
6 document.

7 **MR. CLAWSON:** One of my things is, is 1954,
8 and this is in Plant 4, you get down here to
9 the bottom part, and they're only sampling 19
10 employees.

11 **MR. ROLFES:** Correct.

12 **MR. CLAWSON:** And of the 19 employees
13 studied four, 21 percent, of exposure
14 concentration greater than the acceptable
15 maximum level of concentration was over. And
16 that goes to the furnace operator and to the
17 grinder.

18 But then I go back here to the papers
19 that I pull up, and it says a survey was
20 actually done in 1953.

21 **MR. ROLFES:** Okay, I have to pull that up
22 once again.

23 **MR. CLAWSON:** I'll have you look at this,
24 but something that's interesting to me is
25 we're saying that we've got 3,000 samples, and

1 we've got basically about 14 places that we're
2 pulling samples from. To me it's looking like
3 we've got two days a year that we may pull a
4 sample.

5 And my issue is, as we've already
6 said, thorium wasn't being produced every day.
7 We need to really look at what we're getting
8 into on that because also there's, it also
9 calls out there many different facilities.
10 Ingots were rolled and fabricated in Plant 6.
11 However, countless grinding inspection slugs
12 were completed in Plant 9.

13 My synopsis on this is basically we
14 need to sit down and really look at these
15 processes of how it was done and how we're
16 trying implement it. Because, as it was
17 already put out to us, we're using this for
18 something totally different than it was
19 designed for. And we're going to have to sit
20 down and really study this, and SC&A's going
21 to have to be able to have the opportunity to
22 be able to look at that.

23 **DR. WADE:** Can I offer you just a process
24 consideration? At some point the work group
25 will say to NIOSH we would like to see certain

1 things done or certain data prepared and
2 presented. And then NIOSH can decide whether
3 it's going to do that or not. SC&A is
4 advising the work group.

5 It's happening in real time. You have
6 to consider that. And at some point the work
7 group has to offer its suggestion to NIOSH as
8 to what the work group wants to see. It
9 doesn't have to happen right now, but you need
10 to keep that in mind.

11 **MR. CLAWSON:** And we need to do that.

12 **MR. BEATTY:** If I could just make a comment.
13 This is Ray Beatty, former worker. As a
14 former worker I'd like to reiterate something
15 that Brad said there. And I heard the word
16 campaign a little earlier in someone else's
17 comments. And it sounds like data was
18 collected like during thorium processing, but
19 keep something else in mind.

20 Just because their campaign had ended,
21 residual effects were still around. There was
22 still the potential for exposure even in mixed
23 waste, even in the latter years in
24 remediation. There was no campaign per se
25 except in the silos, Number 3 Silo, when it

1 was decommissioned and dismantled thorium-
2 based product there, a campaign.

3 So they had more specific maybe
4 monitoring for the campaign. Bear in mind
5 though when all the building products and the
6 silo products came together in gross
7 contamination, mixed contamination, the
8 thorium residual was still there. So we've
9 got to take that into consideration.

10 **MR. ROLFES:** I did want to clarify a little
11 bit. Silo 3 contents were really not very
12 much Thorium-232. That was more Thorium-230
13 which was a by-product of uranium or one of
14 the progeny in the chain, decay chain, of U-
15 238. That's a little bit different. We can
16 address that in a recycled uranium and
17 raffinate white paper.

18 **MR. RICH:** It would be accommodated in the
19 fact that we're assuming a ^.

20 **MR. SHARFI:** I mean, those campaigns are
21 short, and we're assigning DWEs for these
22 shorter campaigns, but we're assigning them
23 365 days a year assuming the campaign occurred
24 every day of the year.

25 **MR. RICH:** It's an overestimate.

1 **DR. WADE:** But the campaign, maybe try and
2 put some specificity to Brad's point. Again,
3 you're looking at a physical process. Maybe
4 it involved the processing of thorium. There
5 are various parameters that define the extent
6 of that. It might be time. It might be the
7 number of buildings. It might be the type of
8 workers. It might be variability within that
9 process. So you can define this physical
10 process through n dimensions.

11 And then you're going to offer a
12 representative sampling of that. Your job is
13 to show that the sampling is indeed
14 representative as it deals with each of those
15 n dimensions. And those are the kinds of
16 numbers you need to bring to this group and
17 say here it is. And they can then judge
18 whether it's adequate.

19 You have these wild cards that I tried
20 to introduce before which are purpose and
21 bias. You need to consider them as
22 appropriate. And so that's what Brad is
23 asking for. He hasn't put parameters on it
24 yet, but that's what you're kind of asking.

25 **MR. CLAWSON:** That's what I'm kind of

1 getting --

2 **MR. MORRIS (by Telephone):** I think we have
3 done that, and I think it's in the detail of
4 the white paper.

5 **DR. WADE:** That's fine.

6 **MR. MORRIS (by Telephone):** I think we've
7 already done what you've asked, Lew, and I
8 think it's in the detail of the white paper.

9 **MR. CLAWSON:** Then we'll take that under
10 advisement.

11 Go ahead, Sandra.

12 **MS. BALDRIDGE:** Quick question, so how are
13 you addressing the exposure to thorium that
14 occurred because Fernald was the national
15 repository, and there was a document submitted
16 in the petition which suggested they had been
17 asked to be that repository back in 1959 even
18 though it may not have been made or announced
19 as the official repository until 1970, '72,
20 whatever the site profile said. I mean, you
21 had deterioration of containers, air
22 distribution, were any of these monitoring
23 sites, I think somebody said there were 14 at
24 locations where thorium was being stored.

25 **MR. ROLFES:** Well, as far as contained

1 thorium in a can that's coming in and stored
2 onsite, unless that can's opened up, there
3 really isn't a significant potential for
4 internal exposures.

5 **MS. BALDRIDGE:** But it was continuing --

6 **MR. ROLFES:** The exposure scenario that
7 would be of importance there would be external
8 exposures, penetrating radiation that escapes
9 through the seal. That would be recorded by
10 an individual's whole-body badge or dosimeter
11 that was used.

12 **MS. BALDRIDGE:** But they were talking about
13 having to re-drum and re-drum in some cases up
14 to four times because of the deterioration
15 factor in the container. So there may have
16 been exposure externally, thorium dust in the
17 air, before the damage to the container was
18 ever recognized.

19 **DR. WADE:** Okay, so let's talk about the re-
20 drumming and the potential contamination --

21 **MR. SHARFI:** The thorium has two separate
22 kind of white papers. One covered post-'68
23 which at that point then you start having
24 chest count data and other forms. I know in
25 the '90s they started doing some thorium DAC-

1 hour tracking and stuff like that, but it is
2 reported in people's files. And this DWE's
3 really only covering the pre-'70 work prior to
4 the chest counts and stuff like that.

5 So we do have two separate issues here
6 and two separate time periods and two separate
7 types of monitoring that we are discussing.
8 And I don't know if we want to be jumping back
9 and forth between these two issues. The re-
10 drumming I don't believe occurred until after
11 the chest count data I think occurred, and
12 that's a separate type of coworker analysis
13 versus --

14 **MR. RICH:** There was re-drumming done
15 periodically throughout the history of the
16 storage operation, but that is covered through
17 individual sampling and --

18 **MR. CHEW:** Plant 166 and --

19 **MR. MORRIS (by Telephone):** Plant 1 had a
20 lot of that data. Plant 1 was sort of the
21 more sampled --

22 **MR. SHARFI:** The group that we'd be
23 assigning so it would be covered under the DWE
24 for Plant 1. We'd assume they're thorium
25 workers, and then we'd be assigning thorium

1 intakes based off the building-specific --

2 **DR. BEHLING:** Let me raise another issue on
3 the issue of special issues, but this goes
4 beyond normal activities of processes, but
5 activity levels that you normally associate
6 with discrete events such as fires,
7 explosions, ^ will raise air concentrations by
8 orders of magnitude. And with rare exceptions
9 were these incidences documented or reported
10 within an individual file.

11 Obviously, the daily weighted exposure
12 tables that you have shown do not account for
13 any radiological incidents. And again, there
14 could be significant high exposures that are
15 poorly documented in behalf of individual
16 workers who would have been affected. What
17 would we do in --

18 **MR. ROLFES:** I did want to call your
19 attention on the O drive. One of the
20 documents that was provided to the Advisory
21 Board is an investigation of the thorium
22 blender incident. It was an incident that
23 occurred in 1954.

24 **DR. BEHLING:** I'm familiar with all that
25 because I used that for another purpose, but

1 that was one incident. There were many, many
2 incidents, and I talk about those in my report
3 where you, where in some instances there was
4 the fortunate presence of a hygienist who took
5 air samples. And he took air samples just
6 before the event, and then during the event.
7 And we see this monumental increase in air
8 concentrations.

9 And, of course, those are rare
10 instances where someone was there to monitor
11 the rise in air concentration. And it's
12 transient, but the fact is they're not really
13 reported in the individual files. They're not
14 necessarily part of a person's exposure
15 record, et cetera, and yet are potentially
16 significant in terms of an exposure that is
17 not captured by the daily weighted exposure
18 data.

19 **MR. ROLFES:** That's true. There could be a
20 separate report associated with that incident
21 as I pointed out. It's very possible that it
22 was an acute exposure for one day, but I feel
23 that we have a pretty strong basis that our
24 chronic exposure model and all the
25 overestimating assumptions, any uncertainties

1 that we have regarding air sampling data,
2 exposure time, particle size, respiratory use,
3 all those compounded uncertainties are to the
4 benefit of the claimant.

5 And I strongly believe that the
6 chronic exposures that we're applying based on
7 the daily weighted exposure results are going
8 to result in claimant favorable overestimates
9 of the actual internal exposures that were
10 incurred by employees at the site.

11 **DR. BEHLING:** Let me just be sure I
12 understand. If you're a person, let's just
13 say you're assigned to Plant 1 in any one
14 year. You're not going to, you're going to
15 look at that person's file and say what is
16 your job description. But then rather than
17 use the job description, you're going to
18 simply assign him to either a high, medium or
19 low category. Is that correct? And then for
20 that year you're going to look at the
21 lognormal distribution in daily weighted
22 exposures, and then you will assign the 95th
23 percentile value for the individual. Am I
24 correct?

25 **DR. NETON:** That was one of the proposals.

1 **DR. BEHLING:** So you have a lognormal
2 distribution for the entire Plant 1. You
3 categorize the worker based on job --

4 **DR. NETON:** For the year.

5 **DR. BEHLING:** For the year.

6 **DR. NETON:** For the entire facility or just
7 --

8 **DR. BEHLING:** For the entire plant.

9 **MR. ROLFES:** By plant.

10 **DR. BEHLING:** By plant. Plant by year. And
11 then you will take that job description and
12 determine whether or not he's high, medium or
13 low. And then assign him that value at the
14 95th percentile with no uncertainty if he turns
15 out to be an H classification.

16 **DR. NETON:** For the entire year.

17 **DR. BEHLING:** For the entire year. So
18 that's pretty much, and then for the next year
19 you get another lognormal distribution. And
20 if he keeps that same job, he's also H, and we
21 do the same thing. So that's basically the
22 sum total.

23 **DR. NETON:** That's an approach that was
24 described.

25 **MR. GRIFFON:** Yeah, that's an approach that

1 was described.

2 **DR. NETON:** There's other approaches
3 discussed here, but --

4 **MR. GRIFFON:** I guess I've got a couple
5 questions about the white paper. I don't know
6 that our answers, Bob said to look, it's all
7 in the white paper. I don't see descriptive
8 statistics. Sort of the thing I've been
9 looking for in the white paper.

10 **DR. NETON:** I think we need to produce some
11 --

12 **MR. GRIFFON:** Anyway, I mean, I agree
13 there's good detail in there, but the other
14 thing in the white paper it says on page five
15 I think it is, when job matching is possible,
16 a more accurate dose reconstruction with less
17 uncertainty is likely to result. What is
18 that, because that strays from the concept
19 that we've been talking about. Is that just
20 another option?

21 **DR. NETON:** In my opinion that's another
22 option that was put on the table. But I
23 suspect at the end of the day we'll, that
24 would be difficult to do.

25 **MR. GRIFFON:** It makes me a little more ^

1 and ^ job variability is what I was talking
2 about.

3 **DR. NETON:** I don't want to speak with a
4 definitive product here, but I would suspect
5 based on past history that we would end up
6 with a distribution as Hans --

7 **DR. BEHLING:** Yeah, and then we had raised
8 recently issues regarding roving maintenance
9 people, labor pool people and their
10 classification in terms of high, medium or low
11 for people who have a highly variable exposure
12 for not only in one plant but multiple plants.

13 **DR. NETON:** That's another variable detail.

14 **DR. WADE:** Let the chairman speak.

15 **MR. CLAWSON:** This is rousing, but I think
16 everybody needs to have a comfort break. If I
17 could call for a comfort break and we'll come
18 back in 15 minutes.

19 **DR. WADE:** We're going to break for 15
20 minutes. We're not going to break the phone
21 line. So we're just going to put the phone on
22 mute. Enjoy your break.

23 (Whereupon, the working group took a break
24 from 11:00 a.m. until 11:20 a.m.)

25 **DR. WADE:** This is the work group conference

1 room. Let me use Kathy as a barometer.

2 Kathy, are you with us?

3 **MS. BEHLING (by Telephone):** With you.

4 **DR. WADE:** Very good. We'll begin. I'd
5 like to just make a general comment on
6 procedure. A very productive discussion, but
7 we were getting a little sloppy in terms of
8 talking over each other and sidebars, and Brad
9 has asked if I would police that a little bit
10 so I will do that ruthlessly.

11 So it is important that we understand
12 people's question, and that we answer the
13 question. We give them the ability to react
14 once. And I know all of the rest of the stuff
15 is built upon just exuberance over the
16 discussion and the desire to participate in
17 it. And I think that's wonderful, but a
18 little bit of discipline would be in order,
19 and I'd like to do that.

20 Mel had mentioned to me that he wanted
21 to say something.

22 **MR. CHEW:** Thanks, Lew. Mark and John, I
23 think during the break we all were quite
24 excited about the amount of data we have now
25 seen on thorium for the first time as much as

1 just the kind of information that's very
2 valuable. I'd just like to publicly
3 acknowledge a team of people who spent their
4 effort and their time and the tenacity to go
5 after the information at the centers. And
6 Bill Canal*, Mark Ross* was part of that team,
7 Karen Kent behind me here, Cheryl Kirkwood,
8 Carla Fletcher. Cheryl was the one from the
9 Task 8 that set it up. Gail Jewett* and
10 Laurie Kuykendahl*. We'd just like to
11 publicly acknowledge and thank them for
12 spending the time and the effort to go after
13 the information. Thank you very much.

14 **DR. WADE:** Saying thank you is good for the
15 soul, so thank you for doing that.

16 And, Brad, you wanted to begin with
17 some charges.

18 **MR. CLAWSON:** Yes, Mark has written up some
19 charges, and I'd like him to go forth with
20 that.

21 **MR. GRIFFON:** I may have some additional
22 tasks for the group. I don't want to truncate
23 the conversation completely, but I think we do
24 want to move through our matrix. And I think
25 we're at a point where we've kind of beat this

1 one around from all sides. I'd just propose
2 that we have, the first action would be for
3 NIOSH to develop and post the spreadsheet with
4 the DWE data on it, and also along with the
5 proposed coworker model.

6 **MR. ROLFES:** The coworker model is available
7 already.

8 **MR. GRIFFON:** The coworker model using that
9 data? I mean, how do you --

10 **MR. MORRIS (by Telephone):** You're talking
11 about the chest count data.

12 **MR. ROLFES:** Yeah, the chest count data,
13 yeah.

14 **MR. GRIFFON:** So I'm talking about the
15 proposed approach for using --

16 **MR. ROLFES:** Okay, my apologies.

17 **MR. GRIFFON:** -- so the spreadsheet with the
18 data. And I think some of those, this will
19 help. I don't think we need to make a
20 secondary task of filling in that table
21 although it might be useful in summary fashion
22 to see how many days or samples, you know,
23 John wants that table filled in badly. But, I
24 mean, I think if we have a spreadsheet with
25 all the data, we can sort by plant by date and

1 it sort of falls out for us. So that's one
2 action item is the spreadsheet and the
3 coworker models posted or developed and
4 posted. I guess you'd still have to get the -
5 -

6 **MR. MORRIS (by Telephone):** Can we talk
7 about that for a second?

8 **MR. GRIFFON:** Sure.

9 **MR. MORRIS (by Telephone):** That's a
10 significant amount of work and, I mean, it's a
11 lot of work to handle all these hundred or
12 more DWE reports each with a hundred or more
13 samples, job descriptions in them. And so I
14 think that we need to know that that's going
15 to be a useful tool before we really invest a
16 great deal of effort into populating every one
17 of them.

18 **DR. BEHLING:** Could I make a suggestion here
19 in terms of maybe compromising? And that is
20 to identify each of the plants where thorium
21 was processed and then perhaps provide some
22 measure of the lognormal distribution that
23 would define what is for each year. So you
24 have Plant 1 and for the four years where you
25 have thorium processed, you would have a value

1 that would be assigned to the H, to the M, to
2 the L worker.

3 And then perhaps what I would like to
4 do is go back to the 3,000 air samples myself
5 and see to what extent do these numbers that
6 we are looking at in terms of DWE, how do they
7 match up to some of the air sampling data. It
8 would be nice for me to know what an H worker
9 in the pilot plant would be getting for a
10 given year. And then perhaps go through some
11 of the documents that are on the O drive that
12 identify air monitoring data and sort of say
13 is this reasonably the 95th percentile value
14 for a worker in that facility for that year.
15 Is that something that could be done? Simply
16 each plant by year and give values that would
17 define the air concentration for H, M and L.

18 **MR. SHARFI:** Well, I think our concern is
19 doing every plant every year in a timely
20 manner. And if we then choose not to do it,
21 we've shifted a lot of resources to something
22 we're not going to use. So maybe doing one
23 plant right now for you to look at, and if we
24 agree in this process, we can continue to work
25 the rest but if you want all plants all years,

1 we're not talking about a two week process.

2 **MR. GRIFFON:** Are you, I mean, this sounds
3 like a proposal. Are you proposing a plant-
4 specific distribution, year specific, plant
5 specific?

6 **DR. BEHLING:** Well, that's what they're
7 doing.

8 **MR. GRIFFON:** You are?

9 **MR. SHARFI:** Every, yes.

10 **MR. GRIFFON:** Well, that might be very
11 telling, but then how do I know if you're, I
12 think the plant you choose then should have
13 the least data. Then we can say, you know. I
14 mean, you have to pick the plant --

15 **MR. RICH:** Mark, just one question.

16 **MR. GRIFFON:** I guess one of the questions
17 is, is there enough data by year to sort of --

18 **MR. RICH:** There's a wide range of total
19 quantities processed by individual plants. So
20 I would suggest that we look at the plant that
21 processed more materials as opposed to the
22 least materials.

23 **MR. GRIFFON:** So if we look at the plant
24 that, so then for us to evaluate it, I have to
25 say, okay, this looks like a lot of sampling

1 by year by this plant. This looks great. And
2 then I see all the full dataset come in, and I
3 realize Plant 1 has one sample in '52, none in
4 '53, you know. I mean, I can't answer my
5 question though.

6 **MR. SHARFI:** We continue on the process, but
7 to get you something to work with in a timely
8 manner --

9 **MR. GRIFFON:** Yeah, but if you just present
10 the best picture, how do we judge whether all
11 workers in the plant can be bounded? That's
12 the problem.

13 **MR. SHARFI:** I'm not saying there's any
14 plants the better picture than the other.

15 **MR. GRIFFON:** A picture in terms of more
16 data, data robustness.

17 **MR. RICH:** And it could very well be
18 processed ^, too. For example, 1954 to '56,
19 Plant 9 daily campaign. And they had a bunch
20 of scrap left over which they then processed
21 in a muffle furnace in Plant 6 in '60, no,
22 '56. And that Plant 6 process was ^ they
23 didn't burn the material in that plant. So
24 Plant 6 will show up. That's for a very brief
25 time, and it's in a process that was

1 relatively well contained.

2 **MR. GRIFFON:** I guess we're still not right
3 at actions, but I mean, one of the problems I
4 have with this entire, you know, I'm going
5 back to sort of Jim's, some of the overarching
6 comments about the 95th and if you had certain
7 types of jobs you would probably be assigned
8 the 95th, other types of jobs probably just the
9 full distribution --

10 **DR. BEHLING:** No, 50th.

11 **MR. GRIFFON:** -- 50th percentile, right,
12 right. But now you're talking about plant
13 specific. And then you go down this path of
14 how do you know who was in and out of those,
15 you know there is, you have workers assigned
16 to one plant but they went in the other, you
17 know. It's up to you I guess.

18 **MR. RICH:** See, that's a default saying
19 we're going to use 365 day a year exposure
20 based on the maximum exposure that we see in
21 the distribution appears to be very
22 conservative in my mind.

23 **MR. GRIFFON:** But the overall distribution,
24 not just one plant.

25 **DR. WADE:** Paul has a comment.

1 **DR. ZIEMER:** Well, I just have a thought
2 here because it appears that in a sense this
3 is also preliminary for NIOSH and the
4 contractor. I kind of like the suggestion of
5 taking maybe the plant that did a lot of
6 stuff, had a lot of campaigns or whatever, and
7 looking at that. Because I think you're
8 saying let's not do the whole thing as a
9 proposal and then throw it out at the end
10 after we've done all this work. Let's start
11 with one and look at that and see if this is
12 an approach that will work. If we say, yeah,
13 it looks like it'll work, it seems to me at
14 some point, and then you're going to go
15 through other plants over a period of time.
16 But if you get to one where you yourself say
17 we can't use this. There's not enough data or
18 whatever, that will show up, and you will have
19 to do a different approach anyway. You'll say
20 it's either not representative or we do not
21 have enough samples to, or whatever it may be.
22 But you're saying let's --

23 **MR. GRIFFON:** Well, people have already
24 weighed in. That's the problem. We're
25 supposed to be evaluating whether all members

1 of the class can be bounded.

2 **DR. ZIEMER:** But we won't really in a sense
3 know the real answer to that until it's
4 already, until you get it all done.

5 **MR. GRIFFON:** But there is a proof of
6 principle component to our review.

7 **DR. ZIEMER:** Yeah, yeah.

8 **MR. GRIFFON:** If you're saying by building
9 to us now but then six months, you know, as
10 you're looking at this does it shift? So
11 okay, we're just going to include everyone
12 because we couldn't, really our data in Plant
13 1 or whatever was insufficient. So we decided
14 to roll it all together and go to the full
15 distribution now or --

16 **MR. FAUST (by Telephone):** This is Leo.

17 **MR. GRIFFON:** -- going to be evaluating I
18 guess.

19 **MR. FAUST (by Telephone):** This is Leo.
20 Can't you do that by job category and pick the
21 one or two highest exposures by job category?
22 And then you'd have it maximized anyway.

23 **MR. GRIFFON:** You're proposing. I'm not.

24 **MR. SHARFI:** I think we need to send the
25 Board something more timely. I mean, if you

1 want the entire thing processed, and we can do
2 that. It's obvious it takes more time to
3 provide you a full-blown report for every
4 building every year, the annual statistical
5 analysis, and the NBR data --

6 **MR. GRIFFON:** When I offered the action, I
7 didn't understand. I thought it was going to
8 be one distribution, not multiple
9 distributions by plant.

10 **DR. WADE:** Let me ask you a question. That
11 work will eventually be done?

12 **MR. SHARFI:** Yes, yes, it's not going to
13 stop the process.

14 **DR. WADE:** So let's talk a little bit about
15 --

16 **MR. GRIFFON:** Let's do -- I can compromise
17 to that I guess.

18 **DR. ZIEMER:** Well, for example, if they do
19 the first plant, and we say this still
20 doesn't, this is not the direction you want to
21 go anyway, then you can stop it early on.

22 **DR. WADE:** But if you say I like that, we
23 need the rest, are you proposing, Mark, that
24 the work group wouldn't be able to offer its
25 final position on the SEC until it saw the

1 rest?

2 **MR. GRIFFON:** Well, that would be, I mean, I
3 don't know until I see the first.

4 **DR. WADE:** So let's say Mark's --

5 **UNIDENTIFIED SPEAKER:** ^

6 **MR. GRIFFON:** Well, it's a catch-22.

7 **DR. ZIEMER:** I think you need to see one and
8 then say, okay, shall we keep going in this
9 direction. You may want to see second and
10 third --

11 **MR. GRIFFON:** If you present the plant with
12 the most data and stuff, which I think is
13 where you're heading, then that sort of could
14 by some be perceived as presenting a rosy
15 picture on this. But I can --

16 **DR. ZIEMER:** I'm not sure we even know --

17 **MR. SHARFI:** I'm just trying to provide you
18 a smaller snapshot as we work so we're not
19 giving you, you're not waiting on us to
20 provide everything in a --

21 **MR. GRIFFON:** Here's maybe a compromise is
22 that we do, I could agree with that. Select a
23 plant and do that, what you proposed, you
24 know, by year, the model, and along with that
25 -- and you may have this already done, Mark.

1 I don't know, but if you can post all those
2 DWE reports. Are they already up there? In
3 one location. Maybe they're up there
4 somewhere, but somewhere we can find them.

5 **MR. ROLFES:** When we scan things, those were
6 all scanned and uploaded onto an O drive to be
7 sorted out. Every one of those documents has
8 to be reviewed by a health physicist and
9 characterized correctly and renamed so that is
10 put into the site research database with a
11 reference ID number.

12 **MR. GRIFFON:** But can that be an action that
13 --

14 **MR. SHARFI:** They're in temporary files
15 right now.

16 **MR. ROLFES:** Correct, they're temporary
17 files on the ORAU server.

18 **MR. GRIFFON:** I'd like to propose that as an
19 action.

20 **MR. ROLFES:** Now if we find when we post,
21 we're going to have a mirror image of the site
22 research database essentially for Fernald
23 because you know the volume of records that
24 we've already got on the O drive for the
25 Advisory Board. Ultimately, we're going to

1 have every document from the site research
2 database on the O drive. So I'll put
3 everything that --

4 **MR. GRIFFON:** Yeah, I understand, but I also
5 think we can always eliminate those at the end
6 of the SEC review process. You know, you can
7 move them. I understand. The only thing I
8 would ask is if they can be put in, you know,
9 in the AB document under the Fernald section
10 maybe with some, in a separate folder so we
11 can easily find them.

12 **MR. ROLFES:** We can do that. I think
13 there's around 160 of those reports.

14 **MR. GRIFFON:** One hundred and sixty of them?
15 Okay. So nobody's going to read through all
16 of them, but we'll look at a sampling of
17 others maybe.

18 **DR. WADE:** Any more action items? We should
19 talk time a little bit.

20 **MR. GRIFFON:** Yeah, the only other action on
21 that I think would be for SC&A to review, once
22 this is posted, to review these, you know, for
23 SC&A to review what's posted as far as the
24 spreadsheet and proposed coworker model. And
25 I understand, for one plant at this point it

1 would be for one selected plant, but have SC&A
2 review that before we meet again.

3 **DR. WADE:** So let's put a timeline on when
4 we might expect you to deliver to the Board
5 that one plant representation.

6 **MR. SHARFI:** Can I get back to you on that?

7 **DR. WADE:** That's fine.

8 **MR. GRIFFON:** All right, so posting the
9 spreadsheet for one selected plant, the DWE
10 data and along with the coworker data, right?
11 And when I say that I mean the annual
12 distributions that you're going to use for
13 that.

14 **DR. BEHLING:** And understand what the 95th,
15 the 50th and the 16th percentile is.

16 **MR. GRIFFON:** Yeah, how they'll be assigned.
17 How they'll be used, right. And then post the
18 DWE reports on --

19 **MR. MORRIS (by Telephone):** Do you also want
20 an example dose reconstruction based on that
21 data?

22 **DR. BEHLING:** No, not --

23 **MULTIPLE SPEAKERS:** ^

24 **DR. WADE:** So after lunch you'll come back
25 with a timeline. If after lunch you want to

1 come back and say I think it would be more
2 representative for you to look at something,
3 then say that, and then they can consider.
4 Right now it's one plant all year. You could
5 think about that and...

6 **MR. MORRIS (by Telephone):** We're instructed
7 to do one year, all plants.

8 **DR. WADE:** Sense of the work group, one
9 plant, one year?

10 **MR. GRIFFON:** No, one year all plants.

11 **DR. WADE:** And you'll come back with a sense
12 of how long it will take you to do that.

13 **MR. SHARFI:** Do you want to look at the
14 process history and then give us a year?

15 **MR. GRIFFON:** Maybe we can come back after
16 lunch and do that.

17 **MR. SHARFI:** We'll talk about what kind of
18 resources we need to do this, but we'll let
19 you guys choose what year, that way --

20 **MR. GRIFFON:** Yeah, one year all plants
21 sounds like a good idea.

22 **DR. WADE:** One year, all plants. Mark will
23 speak to you about the year. You'll speak
24 about when we might expect those results, and
25 the world will be a better place.

1 **MR. CLAWSON:** Did we also cover how this is
2 going to be implemented?

3 **DR. WADE:** Someone's got to pick --

4 **DR. ZIEMER:** Well, NIOSH would have the ball
5 on the first tasking and then SC&A would not
6 be able to do anything until it got that,
7 right?

8 **MR. CLAWSON:** Well, I was wondering if we
9 were going to cover how they were going to
10 implement that because it still wasn't clear
11 to me after our discussion what process that
12 they were going to use to be able to implement
13 this information.

14 **MR. GRIFFON:** Mutty, I'm not sure if I --

15 **MR. SHARFI:** How you assign it?

16 **MR. CLAWSON:** How you assign, yeah, the dose
17 to the 95 percentile or the 50 percentile.

18 **MR. GRIFFON:** Yeah, we asked for a
19 description of that, Hans did.

20 **DR. BEHLING:** Well, it's pretty much spelled
21 out because back here in the appendix you have
22 all different buildings and --

23 **MR. GRIFFON:** Well, it's not spelled out;
24 it's contradicted in the white paper that I
25 just read from --

1 now. This was our first, given the limited
2 data we had looked at, the first good,
3 basically our first good shot at this. And as
4 we compile all the data, then it gives you a
5 much better, make final numbers at where the
6 low will be. The medium will always be the
7 50th, and usually the high is always the 95th.

8 **DR. WADE:** When you submit the one year all
9 plants, then give us your statement at that
10 point.

11 **MR. GRIFFON:** Right, right, that's fine.
12 Yeah, we'll leave it at that. I mean, I'm
13 just going back to this. The white paper, the
14 statement I read out before it said, "when job
15 matching is possible, a more accurate dose
16 reconstruction with less uncertainty is likely
17 to result." What does that mean in terms of -
18 -

19 **MR. SHARFI:** If you truly can say someone
20 was a wet worker the entire time, you would go
21 to that specific --

22 **MR. GRIFFON:** See, that's what I want to
23 know. If you're proposing that, that's fine,
24 but put that down.

25 **MR. SHARFI:** -- but I don't think that

1 that's something that we could, I don't think
2 you ever have that kind of detailed data that
3 someone was always a wet worker. They didn't
4 go around, didn't change jobs, didn't move
5 around. It would be very hard to get into the
6 very job-specific, title time that they're --

7 **MR. GRIFFON:** I mean, let us know if it's
8 even on the table. That's what I want to
9 know.

10 **DR. NETON:** We want to re-think that. We'll
11 come back to you with more --

12 **MR. GRIFFON:** Make that in the statement.
13 That's fine.

14 **DR. WADE:** Talk about that amongst
15 yourselves.

16 **MR. GRIFFON:** Yes.

17 **MR. CLAWSON:** Do you want them to talk about
18 this over lunch and so forth like that and
19 come down to definitive --

20 **MR. GRIFFON:** I think the only question is
21 if we want to pick a certain year or
22 something, right? We can get back to you
23 after lunch, but otherwise it's all plants for
24 one year.

25 **MR. SHARFI:** Yes, the year's up to you,

1 processing ^. You can look at that and you
2 can make your recommendations.

3 **MR. GRIFFON:** Obviously, there's a doubt in
4 someone's mind because they're proposing to do
5 it by building by year. So there's no doubt
6 in your mind, but there must, you know, they
7 must believe --

8 **DR. MAURO:** And it goes the other way, too.

9 **MR. GRIFFON:** Anyway, we've talked this --

10 **DR. WADE:** We've got this covered.

11 **MR. GRIFFON:** Yeah, we've got it.

12 **DR. WADE:** Mr. Chairman, what would you have
13 us do now?

14 **MR. CLAWSON:** Lunch. Why don't we break for
15 that? We will be able to come back with the
16 information. That will give us a chance to
17 sit down and discuss with SC&A and be able to
18 --

19 **MR. GRIFFON:** And maybe try to get back to
20 our matrix and see where we are.

21 **MR. CLAWSON:** Where we're at on that.

22 **DR. WADE:** Paul?

23 **DR. ZIEMER:** Brad, can you just give us some
24 indication of what will be on the agenda after
25 lunch besides finishing up this task? Are we

1 going to have a presentation on the recycled
2 uranium?

3 **MR. CLAWSON:** We've actually got to get back
4 --

5 **DR. ZIEMER:** Is that on the --

6 **MR. ROLFES:** I guess how we'd like to
7 proceed --

8 **MR. CLAWSON:** Well, what I have planned kind
9 of on the agenda was to be able to go through
10 the matrix. I didn't know about the recycled
11 uranium. But --

12 **MR. GRIFFON:** If we go back to the matrix,
13 the first item is the R-U so we can probably
14 start there.

15 **MR. ROLFES:** Yeah, at the last Board meeting
16 that we had I believe that the two outstanding
17 issues that we really had in discussion were
18 the thorium coworker model white paper and the
19 recycled uranium raffinates white paper.

20 **MR. GRIFFON:** I know and the data integrity
21 stuff. And I think you got on that, too.

22 **MR. ROLFES:** I also have a presentation on
23 that as well.

24 **MR. GRIFFON:** So start from the matrix, the
25 first item is R-U.

1 **MR. MORRIS (by Telephone):** This is Bob.
2 With regard to our fallback position when we
3 don't have data specifically good enough for a
4 plant. We already covered that in our white
5 paper. It's at the end of Section Five. And
6 it says just briefly, "in some instances it
7 may be expedient to us a facility-specific
8 exposure potential and intake rate as a site-
9 wide default value." That is an acceptable
10 practice if the default value can be
11 reasonably judged to bound exposures from
12 other facilities.

13 **DR. WADE:** Brad, about how long do you want
14 to let these people go to lunch?

15 **MR. CLAWSON:** Let's meet back here at one.

16 **DR. WADE:** Okay, we're going to now break
17 the phone line until one. That gives you an
18 hour and 15 minutes to eat and recharge your
19 batteries, and we'll be back at one. Thank
20 you.

21 (Whereupon, the work group recessed for
22 lunch from 11:45 a.m. until 1:00 p.m.)

23 **DR. WADE:** We're going to start again. I
24 would ask if there are any Board members who
25 are on the call, if you'd please identify

1 yourself.

2 **MR. FAUST (by Telephone):** Leo Faust.

3 **DR. WADE:** Okay, Leo, I'm asking for members
4 of the Advisory Board specifically.

5 **DR. ZIEMER:** Josie. Josie was on earlier.

6 **DR. WADE:** Josie Beach?

7 (no response)

8 **DR. WADE:** Robert Presley?

9 (no response)

10 **DR. WADE:** Josie, are you with us?

11 (no response)

12 **DR. WADE:** Robert?

13 (no response)

14 **DR. WADE:** Okay, I'll assume there's no
15 members of the Board on the phone so we're
16 good with quorum.

17 Brad, it's all yours.

18 **MR. CLAWSON:** Before we left for lunch we
19 were going to come back with a time period to
20 be able to have the information processed
21 through. And one of the things we wanted to
22 come across with -- and if I say this right,
23 please help me out -- but one of the things
24 you've got this paper in front of you, one of
25 the issues is, is if we're going into the

1 later years, in '66, we lost some of the
2 facilities.

3 So what we're requesting is two years,
4 all plants, but it really would equate to what
5 we previously said, but you look down here in
6 '55, you got this information here and none of
7 the other plants down there. So if we wanted
8 to fill out to be able to do '55 and '66, all
9 plants all year for those two years.

10 **MS. BALDRIDGE:** Brad, that eliminates that
11 pocket for thorium in six. It's '60 to July
12 of '63.

13 **MR. CLAWSON:** What pocket would that be?

14 **MS. BALDRIDGE:** The raffinates.

15 **UNIDENTIFIED SPEAKER (by Telephone):** Speak
16 into the mikes.

17 **DR. WADE:** Okay, I will caution people.
18 We're having a slight offline discussion at
19 the moment. We'll be back, the Chairman will
20 be back at the table in a moment. A
21 petitioner had raised a question, and the
22 Chairman is dealing with that question one-on-
23 one.

24 **DR. ZIEMER:** While they're dealing with that
25 can I ask, Mark, this table is not in the

1 white paper, is it?

2 **MR. ROLFES:** There's a separate thorium
3 processing. Let me point it out on the O
4 drive. It's out on the O drive. Let me get
5 the document title for you.

6 **DR. ZIEMER:** Was it in the list of documents
7 you sent us? I may have --

8 **MR. ROLFES:** It was identified in an e-mail.

9 **DR. WADE:** You have to watch the
10 discussions. I realize you need to have
11 discussions but maybe you can back away a
12 little bit here.

13 **DR. ZIEMER:** Is it the thorium timeline
14 paper?

15 **MR. ROLFES:** Yes, thorium timeline with A-A,
16 and it's dated 2/29/08.

17 **DR. WADE:** I believe the time the Chairman
18 is consulting with John Mauro, we'll allow
19 that to happen.

20 **MR. CLAWSON:** I apologize for that side
21 conversation, but Sandra brought up a very
22 interesting point and part of the thing is
23 that we're going to miss Plant 6 for its
24 residue process in the sludge furnace if we --

25 **UNIDENTIFIED SPEAKER (by Telephone):** We

1 really can't hear you. Could you speak up,
2 please?

3 **MR. CLAWSON:** Yes. One of the issues is, is
4 that if we go with the '55 and '66, we're
5 going to miss the thorium residue process in
6 the sludge furnaces. But that's also part of
7 the raffinate issue that we're going to talk
8 about now.

9 **RAFFINATE ISSUE**

10 Basically, for what we're trying to do
11 for get to the information of the thorium,
12 SC&A still feels that this would be the best
13 approach we'd be able to have because the
14 issue that you brought up in the Plant 6 is
15 going to probably be brought up in the
16 raffinate issues.

17 **MS. BALDRIDGE:** That's okay.

18 **MR. CLAWSON:** And if that's okay. You guys
19 --

20 **MR. RICH:** That would not be a recycled
21 uranium raffinate.

22 **MS. BALDRIDGE:** No, it's thorium residues.

23 **DR. MAURO:** I guess that's the question on
24 the table. The approach that we just outlined
25 by picking those two years, one of its

1 limitations is it misses Plant 6 because there
2 was --

3 **DR. ZIEMER:** Plant 5 I think misses.

4 **DR. MAURO:** I'm sorry. It's Plant 5. So
5 now the question becomes what do you want to
6 do about it?

7 **MR. CLAWSON:** That's a point for you guys
8 that would end up doing this process. I
9 don't, you know, we've already gone to two
10 years, if we did a third year for just that
11 plant, that's an option. But if we change any
12 of the other years I don't think it's going to
13 give us the better overall usage of this
14 information.

15 **DR. WADE:** I know, but this is just the
16 first step in a longer journey.

17 **MR. CLAWSON:** Right. So I guess the people
18 that are having to do this --

19 Go ahead, John.

20 **DR. MAURO:** All I'm saying is we right now
21 have -- think of it this way -- we have ten
22 plant years. The question is should we make
23 it 11 plant years so we can pick up Plant 5
24 for one particular year. I mean, that's the
25 question.

1 **MR. SHARFI:** Whatever you want.

2 **DR. NETON:** That's not how we looked at the
3 data. It doesn't seem to me to be an
4 inordinate extra amount of work for ten plant
5 years, ten percent difference approximately.

6 **MS. BALDRIDGE:** The point I would like to
7 bring up is part of the reason I filed the SEC
8 was because of the missed thorium processing
9 in Plant 6 from '60 through July of '63. That
10 was not included in the site profile.

11 **DR. WADE:** Point well made. Why don't you
12 take that modification, and Brad I would
13 suggest --

14 **DR. ZIEMER:** This is Plant 5 though.

15 **DR. MAURO:** There's one of our dilemmas. In
16 the table there is nothing in that, in this
17 Table 4.

18 **MR. ROLFES:** Well, there's Plant 6 does the
19 sludge. The thorium sludge furnace is in
20 Plant 6. It was uranium --

21 **DR. MAURO:** You do. You pick up Plant 6 and
22 only for 1966. Is that a problem? That's the
23 question. We do pick up Plant 6 here in 1966.
24 Does that --

25 **DR. BEHLING:** It's outside the realm of the

1 report.

2 **DR. MAURO:** It's outside, oh.

3 **DR. WADE:** I would suggest, so we can move
4 on, that we take the suggestion of the
5 petitioner and add the 11th year.

6 **DR. ZIEMER:** It doesn't cover Plant 6.
7 That's the point.

8 **DR. WADE:** Can't we add Plant 6 for those
9 particular years in question?

10 **DR. BEHLING:** Nineteen sixty-two or three or
11 something.

12 **MR. CLAWSON:** I guess here would be my
13 suggestion. I've already put out on the table
14 that we do 1955 and '66, and my further
15 suggestion would be that we do Plant 6 for
16 1960. And that would cover your issue if I'm
17 not mistaken.

18 **MS. BALDRIDGE:** All right.

19 **MR. CLAWSON:** And on the 1960 it'd be just
20 that Plant.

21 **DR. WADE:** Now how does that track with the
22 fact that there's no entry in the matrix for
23 Plant 6 for 1960?

24 **DR. ZIEMER:** No.

25 **DR. NETON:** There's none.

1 **DR. ZIEMER:** There's no entry for Plant 6.
2 That's the point I was making.

3 **MR. ROLFES:** We have no entry for Plant 6 --

4 **DR. NETON:** In 1960.

5 **MR. ROLFES:** -- in 1960. If there's a daily
6 weighted exposure --

7 **MR. SHARFI:** There's a dot in 1960.

8 **DR. WADE:** Oh, there is, okay.

9 **MR. ROLFES:** There's a dot in 1960.

10 **DR. ZIEMER:** But there isn't on the other
11 chart. There's nothing in --

12 **MR. ROLFES:** For 1960 in Plant 6 of the
13 thorium residues processed in the sludge
14 furnace we do have in this slide, it's right
15 in this area here from 1959 through 1963. It
16 shows thorium residues processed in sludge
17 furnace. It's right here.

18 **DR. BEHLING:** It's also available in Table 1
19 of the white paper.

20 **MR. CLAWSON:** Right on this one, Paul.

21 **MR. ROLFES:** And there is a dot also on the
22 daily weighted exposure reports. It's on this
23 other side as well.

24 **DR. ZIEMER:** That's Plant 5, Brad.

25 **MR. CLAWSON:** It is?

1 **DR. ZIEMER:** Yeah, that's Plant 5.

2 **DR. WADE:** In the matrix anyway that we have
3 that's Plant 5.

4 **MR. ROLFES:** There's an ANA on the side.
5 That might be --

6 **MR. GRIFFON:** Where we get off, yeah.
7 That's Plant 6.

8 **MR. ROLFES:** Let me take a look. I think
9 you're looking at the ANA.

10 **DR. ZIEMER:** Yeah, yeah, yeah, you're right.

11 **MR. GRIFFON:** Plant 6, it is, we've got it
12 here.

13 **DR. WADE:** Okay, so Brad, if you could
14 formulate your proposal then we can --

15 **MR. CLAWSON:** Okay, my proposal would be
16 that we do years 1955 and '66 and 19 --

17 **DR. WADE:** For all facilities.

18 **MR. CLAWSON:** -- all facilities, and 1960
19 for only Plant 6.

20 **DR. NETON:** Are we clear on what we're doing
21 in those years?

22 **MR. GRIFFON:** Well, I think that was my
23 proposal before, right? All the data in the
24 coworker models by year, right?

25 **MR. CLAWSON:** I just want to make sure --

1 **DR. NETON:** It's implemented.

2 **MR. GRIFFON:** Well, when I say coworker
3 model, yeah, including how it's implemented.

4 **DR. WADE:** And then a response of sense of
5 time or do you want to wait to do that?

6 **DR. NETON:** Well, we're a little reluctant
7 for us to sign up for a time because there's
8 many computing and conflicting issues out
9 there tasking ORAU. So I would propose that
10 we could get back to you within the next day
11 or two through Mark or ^ to the Chair as to
12 our proposed timeline. My gut feeling is -- I
13 don't want to say -- but we do need to check
14 it because there's a lot of things on the
15 table right now, and I don't want to preempt
16 somebody else --

17 **MR. CLAWSON:** Okay, they'll get back to --

18 **DR. NETON:** Mark, I'll work with Mark, and
19 he can get back to you, the Chair, as to our
20 proposed timeline.

21 **DR. WADE:** I'll accept it. It might be a
22 month with an R in it for example?

23 **MR. CLAWSON:** Okay, we're going to proceed
24 on with the raffinates.

25 I believe that you've got a

1 presentation, Mark, that you want to do.

2 **MR. ROLFES:** Yes. Everyone should have a
3 copy of the handouts for the Advisory Board
4 working group. This is a briefing on the
5 reconstruction of dose from recycled uranium
6 contaminants, FMPC Recycled Uranium. That was
7 uranium that was recovered and purified from
8 spent fuel and targets in a chemical
9 processing plant.

10 They were returned to uranium
11 processes within the Atomic Energy Commission
12 and Department of Energy system. The recycled
13 uranium contained trace amounts of
14 transuranics such as plutonium, neptunium and
15 fission products including strontium, yttrium,
16 technesium, cesium and ruthenium. Also,
17 activation products such as U-236.

18 So the bottom line is, are
19 contaminants a concern for dose
20 reconstruction? The presence of contaminants
21 were well known from the start and were
22 present in very low activities compared to
23 uranium. We're referring to trace quantities
24 of impurities. The limits for contaminants
25 were set for the primary production sites, for

1 chemical processing plants. Some uranium
2 processes did concentrate the contaminants
3 though. Bioassay for the contaminants was
4 typically not performed.

5 The history of recycled uranium at
6 Fernald. Fernald received the first major
7 shipment of transuranic containing material.
8 It was UF-6, which was delivered on the 13th of
9 February, 1961. This signaled the major
10 recycled uranium ramp-up. There were small
11 receipts from Hanford and some of the gaseous
12 diffusion plants as early as 1955.

13 The primary concern was plutonium
14 which was contained, and it was the plutonium
15 which was the focus of the recycled uranium
16 limits and analyses at the chemical processing
17 plants. More routine chemical analyses to
18 determine neptunium and technesium were done
19 in the 1980s. Routine gross beta and gamma
20 count limits followed by gamma spectrometry
21 was done in the early 1960s.

22 Comprehensive studies done by the
23 Department of Energy in 2000 and 2003 provided
24 the documentation of the recycled uranium mass
25 flows and contaminant levels. The Ohio Field

1 Office report, the "Recycled Uranium Project
2 Report," included a specific study for the
3 Fernald site. The reported mass flow
4 discrepancies among the nine major reports,
5 which covered 18 facilities, resulted in a
6 three-year study by the Department of Energy
7 Office of Security clarifying the primary mass
8 and contaminant flows.

9 This next slide is just to show an
10 example of the mass balance inconsistencies
11 for Fernald receipts. Some of the comments on
12 the right-hand side show that total uranium
13 was reported rather than just the recycled
14 uranium quantities. And I think maybe Bryce
15 might --

16 I don't know if you would like to add
17 anything.

18 Or if anyone has any questions or
19 would like to add anything, please stop me.

20 **MR. RICH:** Inconsistencies in mass flows
21 were a consequence of the fact that within the
22 DOE system and the accountability system there
23 was not a category for recycled uranium. And
24 as a consequence, the designation of recycled
25 uranium was a little bit different at each

1 site.

2 At Fernald, for example, the second
3 category there, taken from the mass balance
4 report for Fernald, they functionally counted
5 all of their existing inventories as recycled
6 uranium once they started receiving recycled
7 uranium from Hanford. And this was
8 fundamentally because the process involved
9 blending recycled uranium with the existing
10 inventories for a variety of reasons. It
11 blended up to a higher enrichment and for
12 other reasons.

13 In the third row in the same report,
14 they did a complex-wide RU definition rollup,
15 which was a difference in, discountability,
16 and accountability designation. And you can
17 see the difference there, 55,000 metric tons
18 as opposed to 247,000. The DOE 2003
19 definition and rollup for all of the recycled
20 uranium that came from the primary shipping
21 sites to Fernald was 18,000. As Mark goes
22 along, we'll show you how this is accommodated
23 and what we've done with these differences.

24 But functionally, the amount of
25 recycled uranium that came from the primary

1 sites was significantly lower by a factor of
2 five or more, and what was being reported as
3 recycled uranium. But again, functionally
4 everything at Fernald was being treated as
5 recycled uranium. That's point number one.

6 **MR. ROLFES:** Other recycled uranium
7 contaminants. Controls and dose impact were
8 concerned primarily on plutonium and neptunium
9 with technesium being the primary fission
10 product that was bounded in recycled uranium.
11 Other isotopes were known to be present and
12 controlled by gross gamma counting and later
13 by gamma spectroscopy.

14 Other isotopes that were associated
15 with gross counting limits just from DOE's
16 report in 2000, this goes through the various
17 isotopes the beta emitting radionuclides,
18 gamma emitting radionuclides. We've got
19 zirconium and niobium. As you can see there's
20 a limit of 15 microcuries per pound of uranium
21 which translates to 0.033 picocuries per
22 microgram of uranium. The contamination
23 levels were documented and controlled below
24 these levels.

25 Some of the radioactive contaminants

1 in recycled uranium at Hanford, we have
2 examples of the elements and isotopes that
3 were encountered and also the observed range
4 on a parts per billion or parts per million
5 basis in comparison to uranium.

6 **DR. MAKHIJANI (by Telephone):** Mark, this is
7 Arjun. Now, when we looked at Hanford, we
8 didn't find '50s data on the details of
9 neptunium and fission product content. Do we
10 have '50s data from Hanford in terms of
11 contamination controls?

12 **MR. ROLFES:** This is from a 2000 report from
13 the Department of Energy.

14 **MR. RICH:** This is the Hanford Mass Balance
15 Report.

16 **MR. ROLFES:** Okay, it's from the Hanford
17 Mass Balance Report. And as far as I haven't
18 been, I haven't reviewed some of the Hanford
19 data. I've been focusing on the Fernald data.

20 **DR. MAKHIJANI (by Telephone):** But that
21 would be derivative. I think the Fernald data
22 were also from the '80s. It's my impression
23 that the mass balance data are based primarily
24 on sampling that was done in the '80s. And if
25 that's wrong, I certainly would like that

1 impression corrected so we can get the actual
2 data from the '50s which I have not seen.

3 **MR. ROLFES:** Bryce, could you reiterate --

4 **MR. RICH:** The mass balance report was put
5 together by the Hanford study which the
6 Hanford Mass Balance Report is part of the
7 2000 report. It used data from, they've used
8 historical data.

9 **DR. MAKHIJANI (by Telephone):** Only for
10 plutonium, not for neptunium and technesium
11 and the other things. That's what I was
12 asking about.

13 **MR. RICH:** They had some data, not as much
14 data. The fundamental, primary transuranic
15 results were based on plutonium. But they
16 also did, right from the start they did gross
17 beta and gross alpha in comparison with the
18 gross beta and gamma, I should have said gross
19 beta and gross alpha survey. That's
20 associated with a comparison of that from aged
21 natural uranium. But you're right. The
22 neptunium data was not rigorously analyzed or
23 documented as the plutonium.

24 **MR. ROLFES:** The next slide just shows some
25 of the processes and activities that could

1 have potentially concentrated some of the
2 recycled uranium constituents. This is just
3 to point out we don't need to go through each
4 of the processes and steps at this time.

5 The following slide is recycled
6 uranium summary values by process subgroups.
7 Once again, I don't think we need to go
8 through the detail, but this is just to show
9 some of the levels that were encountered in
10 comparison to the uranium.

11 **MR. RICH:** Could I say just a word or two of
12 additional description or information? Well,
13 actually 13 subgroups, process subgroups of 14
14 -- no, more than that. If you count them up,
15 there's probably 15 or 20 I guess -- process
16 subgroups that they collected data for and did
17 a statistical analysis of the plutonium and
18 neptunium from historical data of these three
19 primary isotopes of Plutonium-239, neptunium
20 and technesium.

21 And this is in the Ohio, the Fernald
22 Mass Balance Report. And these were the
23 descriptions of processes bearing in mind the
24 category subgroup number 11, the bottom one,
25 of waste residues below the economic disposal

1 limit.

2 **DR. MAURO:** Mark, would it be correct to say
3 that this represents where you stand on the
4 characteristics of the various types of
5 raffinates, residues --

6 **MR. RICH:** This is not just raffinates.

7 **DR. MAURO:** It's more than that though. Out
8 of this which ones would you call a raffinate?

9 **MR. RICH:** Number 11.

10 **DR. MAURO:** The last one, so waste residue
11 is what you refer to as raffinates?

12 **MR. RICH:** Yes.

13 **MR. ROLFES:** On to the next slide, under
14 considerations for dose reconstruction we have
15 extensive uranium bioassay data for
16 essentially all workers at Fernald. As a
17 result of the reconstruction of bounding
18 levels of recycled uranium contaminants both
19 in receipts and in concentration processes, it
20 is possible to add a ratio of trace level
21 contaminants to the intake of uranium which is
22 determined from uranium bioassay. Thus, it is
23 possible to account for internal exposures
24 from unmonitored sources or unmonitored
25 exposures to raffinates and recycled uranium

1 contaminants.

2 To get more specifically into the
3 raffinates, the raffinates by design were low
4 in uranium and the trace contaminant ratios
5 were obviously elevated. Hot raffinates came
6 from higher grade pitchblende ores which
7 contained more uranium mass than the other
8 lower grade ores. But these did not contain
9 recycled uranium contaminants.

10 For this scenario dose reconstructions
11 will be performed with radon breath analyses.
12 From processed uranium oxide from uranium
13 mills -- processed uranium oxide from mills
14 was further processed at Fernald. Raffinates
15 from this source also had no recycled uranium
16 contaminants and were low in uranium daughters
17 such as radium.

18 Recycled uranium was from typically --
19 kept with typically chemically pure and was
20 blended directly with the plant feed stock
21 with a few exceptions. Recycled uranium
22 contaminated plant process scrap, materials
23 processed prior to reinsertion into plant
24 streams and represented a small percentage of
25 total recycled uranium plant flows in the

1 range of ten percent.

2 Even with the reduced uranium and
3 raffinates, the majority of activity on air
4 samples was due to uranium. That was
5 approximately 82 percent of the observed
6 activity. Plutonium accounted for
7 approximately 12 percent, and neptunium was
8 about three percent.

9 Daily weighted exposure sampling
10 indicated air activities in raffinate areas at
11 least a factor of ten lower than in other
12 plant areas. Workers were rotated in various
13 process areas. No workers were assigned
14 exclusively to the raffinate areas.
15 Documented urine sampling results indicate
16 results identified as raffinate locations
17 equivalent to other areas. Default ratios to
18 uranium bound the raffinate areas.

19 The default recycled uranium
20 contaminant values that we are currently
21 using, if you look and compare those to those
22 documented on the previous slide where Bryce
23 had pointed out the waste residues in subgroup
24 11, you'll see that our mass concentration of
25 Plutonium-239 were defaulting higher to 100

1 parts per billion, 100 parts per billion for
2 plutonium on a mass concentration -- excuse
3 me, I said that wrong.

4 Let's see here. We are defaulting to
5 a 100 parts per billion plutonium
6 concentration for every -- I just want to make
7 sure I say this right --

8 Bryce?

9 **MR. RICH:** One hundred parts per billion.

10 **MR. ROLFES:** Correct. So basically, what we
11 are doing here, we are using a higher recycled
12 uranium contaminant default value for
13 plutonium than what was documented in subgroup
14 11 from the waste residues.

15 **MR. RICH:** Other than the one category which
16 would be the Paducah Gaseous Diffusion Plant
17 ^.

18 **MR. ROLFES:** The default assumptions that
19 NIOSH is using to maximize doses. We have
20 maximized the mass flow of recycled uranium.
21 We have maximized the contaminant quantities.
22 Our default bounds all bootstrap mean process
23 values with the exception of the short-term
24 Paducah Gaseous Diffusion Plant tower
25 shipment. Contaminant levels in most of the

1 uranium exposures were two orders of magnitude
2 lower than that which NIOSH is defaulting to
3 for dose reconstructions.

4 The most hazardous isotopes are
5 assumed for the other radionuclides. And the
6 recycled uranium time period assumed, was
7 assumed to have begun in 1955 although
8 significant quantities did not start until
9 1961.

10 And that is a summarization of the
11 recycled uranium and raffinate white paper
12 that was put together. The entire white paper
13 was provided to the Advisory Board. It's on
14 the O drive as well so there's additional
15 detailed information there.

16 **DR. BEHLING:** Can you clarify, you said an
17 awful lot and I'm not sure I understood. You
18 said that raffinate workers were rotated
19 routinely, meaning that they were not always
20 there on a full-time job for any length of
21 time. And yet you say that you're going to
22 link somehow the uranium excretion data with
23 raffinates' contaminants.

24 And also you mentioned the use of
25 radon exhalation. I guess I'm somewhat

1 consequence it had hot raffinates. And as a
2 consequence they built a hot raffinate system
3 behind a cement wall shielded for external
4 radiation. None of that raffinate was
5 recycled uranium. There was no transuranics
6 in that raffinate stream. And in addition,
7 there's another raffinate stream that came
8 from processing yellowcake from uranium mills,
9 and those barrels of uranium from the mills
10 were not all uranium, 70 percent or so. And
11 so they processed that again through a liquid
12 extraction system in Plant 2 and 3.

13 And they were low in, they had no
14 recycled uranium contaminants in that stream
15 either. Now, the only recycled uranium
16 raffinate stream that came through waste
17 products that came from, for example, when
18 they brought the recycled uranium in from
19 Hanford, they blended it immediately because
20 it was from a uranium standpoint pure. The
21 trace quantities didn't provide any problem,
22 but they did have some metals and other, well,
23 they were ready to be blended.

24 So they ran them through the process
25 to convert the metal, but that wound up about

1 ten percent of that process local streams and
2 needed to be reprocessed. That went in and
3 blended and then was processed through the
4 liquid extraction system. And that produced a
5 raffinate stream that had some enhanced
6 recycled uranium in it, relatively low in
7 contaminants other than the fact that the
8 ratio of contaminants to uranium were elevated
9 to what, as indicated, to about 80 parts per
10 million plutonium, using plutonium -- pardon
11 me, or parts per billion. But we're
12 defaulting at a hundred.

13 So any time you get people working
14 with raffinates even in this stream would be,
15 especially if they got any exposure to the
16 raffinates, they would have a uranium burden
17 that went with it. So the ratioing system
18 still holds.

19 **DR. BEHLING:** I guess I just want to be sure
20 that when you get a uranium bioassay, will it
21 be earmarked that's a person who was working
22 with the raffinates where you end up applying
23 the default values?

24 **MR. RICH:** No, the only thing it would very
25 conservatively applying in saying any time you

1 get a uranium update, you're simply going to
2 apply a hundred parts per billion for thorium
3 and another part per billion neptunium and
4 another part per billion technesium and
5 strontium and all of these other recycled
6 uranium contaminants.

7 **DR. BEHLING:** And that's regardless of where
8 you worked?

9 **MR. RICH:** Regardless of where you worked.

10 **DR. BEHLING:** Okay, I missed that.

11 **MR. RICH:** That's every uranium -- and
12 that's coming from the assumption that any
13 uranium in the plant after 1955, and very
14 conservative, that it gets blended and mixed,
15 and as a consequence if you didn't know that
16 any uranium exposure did not contain recycled
17 uranium contaminants. So we're simply
18 assigning a default, and a very conservative
19 default by the way, that says anytime you get
20 a uranium update, it's associated with
21 recycled uranium contaminants, and the whole
22 list of them.

23 **DR. BEHLING:** Is it going to be confined to,
24 is there any specific time period?

25 **MR. RICH:** Nineteen fifty-five on. The

1 entire operational period of the plant from
2 the time that they began to get any recycled
3 uranium in the plant.

4 **DR. MAURO:** What about that tower ash which
5 was off the charts?

6 **MR. RICH:** That's another issue. The AEC
7 said that -- and uranium was in short supply -
8 - and so they simply said this tower ash has
9 significant amounts of uranium, and we need to
10 recover it. Now, they knew that it had high
11 levels, you know, the concentrating mechanism
12 at the gaseous diffusion plant is severe
13 because of the fact when you convert to a
14 fluoride, most of the recycled uranium is not
15 volatile in the fluoride form. So it fell out
16 of the tower ash or whatever.

17 And so that material came to the site,
18 and you'll notice the category number 10A is
19 412, is a mean calculation which is over a
20 hundred parts per billion. However, they
21 didn't want it. They knew it was high, and it
22 was processed as a short-term project. And
23 it's documented that they, in this case they
24 wore airline respirators and the whole thing.
25 And it did not stay that way.

1 Category number 10B is the uranium U-
2 03 from the tower ash, and that is again down
3 to 20 parts per billion plutonium. So this is
4 the only time I would suggest taking credit
5 for respiratory use because it was a special
6 short-term project, and documented such as
7 they did use respiratory protection because
8 they are mindful of it.

9 And now I add quickly that they did
10 set aside some containers in a storage
11 configuration that they discovered some years
12 later, and that became an incident report, but
13 that was not available in the operating system
14 at that time.

15 What we're suggesting is that this
16 default analysis covers all of the processed
17 material and is conservative probably for 99-
18 plus percent of the time or any uranium
19 exposures by an order of magnitude or more
20 conservative.

21 **DR. MAURO:** And this tower is well
22 contained.

23 **MR. RICH:** It is so well contained and
24 handled with so much awareness and concern
25 that we're saying and it was such a short-term

1 project that it went into the process and was
2 diluted and processed down to 20 parts per
3 billion.

4 **DR. MAKHIJANI (by Telephone):** Could I ask a
5 question about the tower ash concentration,
6 please? This is Arjun.

7 **MR. RICH:** Yes.

8 **DR. MAKHIJANI (by Telephone):** In our review
9 of the Fernald site profile on page 51, we had
10 noted that the 412 ppb value is not, does not
11 jive with the National Lead of Ohio highest
12 plutonium contamination in ash.

13 **MR. RICH:** It's not the highest, Arjun.

14 **DR. MAKHIJANI (by Telephone):** Sorry?

15 **MR. RICH:** That's not the highest. The
16 range for the ash that came into the plant was
17 over 4,000 and the low was something in the
18 range of less than one.

19 **DR. MAKHIJANI (by Telephone):** For ash.

20 **MR. RICH:** For ash.

21 **DR. MAKHIJANI (by Telephone):** Oh, okay. I
22 missed that. I'm sorry about that.

23 **MR. RICH:** And see, this gives just the
24 bootstrap mean calculations. This does not,
25 the range for the analyses for the ash that

1 came had a wide range. And but for all of
2 that material the bootstrap mean was at 400,
3 but there were some at 3,000 or more.

4 **DR. MAKHIJANI (by Telephone):** That's not
5 how I recall the site profile, but yeah,
6 that's fine.

7 **MR. RICH:** But that bootstrap mean is the
8 data taken when it does not fall into either a
9 normal or a lognormal distribution. In other
10 words it's more random and it doesn't fit any
11 of those curves. Then there is a, well, it's
12 a fairly, it's a statistical analysis where
13 you can just simply randomly sample that
14 database and eventually it will give you a
15 bootstrap mean and take the place of a normal
16 distribution or a lognormal distribution. I'm
17 not a statistician and can't vouch for it, but
18 it is a legitimate analytical --

19 **DR. BEHLING:** From your information would
20 you say, for instance, the waste residue
21 bootstrap value of 84 parts per billion for
22 the plutonium, is that more close to, let's
23 say, from the data close to a geometric mean
24 or an arithmetic mean as a way of gauging what
25 this bootstrap value really means?

1 **MR. RICH:** I think I can probably, I have
2 that report here. It's in an Appendix F.1 is
3 the complete statistical analysis. Be glad to
4 show that to you. But functionally, it's
5 higher than a geometric mean.

6 **DR. BEHLING:** It was less than an arithmetic
7 mean?

8 **MR. RICH:** No, it's higher. And because
9 these are, again, these samples don't fit a
10 standard distribution so it's kind of hard to
11 do a different parity.

12 **MR. CHEW:** John, I think when Mark was
13 mentioned, we also have plutonium bioassays.

14 **MR. ROLFES:** We do have plutonium bioassay
15 from Fernald. Two hundred and forty samples
16 associated with the higher, the ^ projection
17 came higher --

18 **MR. RICH:** Those were done in 1986. And
19 those samples do not, they do not indicate
20 that, they just indicate that people that were
21 operating at that time, at least they were not
22 excreting or indicate an in vivo lung count in
23 the detectable range or just barely in the
24 statistically detectable range.

25 **DR. MAURO:** Let's say the bootstrap mean,

1 it's a measure of central tendency. And what
2 I'm hearing is that's a reasonable thing to do
3 because you would not expect any one person
4 for a prolonged period of time to continually
5 and repeatedly be exposed to raffinates that
6 would be at the upper end. The reality is the
7 nature of the job was that that just won't
8 happen. This is a recurring theme there when
9 you work with the central tendency. It's
10 reasonable to do that when it's unreasonable
11 to assume, well, it's always worked with the
12 high end ^ tail. That just wouldn't happen,
13 and that's what I'm hearing.

14 **MR. RICH:** There are a couple of other
15 places, for example, the magnesium fluoride
16 during the conversion to, from UF-4 to metal
17 in the magnesium fluoride ^. Then the
18 magnesium fluoride does tend to concentrate,
19 that is a concentrating mechanism. Enriched
20 magnesium fluoride was recycled. And so as a
21 consequence, they broke it up and reprocessed
22 it to recover the enriched uranium. For the
23 lower enriched stuff they just simply disposed
24 of it. And that runs about 96, 97 parts per
25 billion --

1 **DR. MAURO:** It's no longer at 100.

2 **MR. RICH:** -- uranium. It's still below,
3 plus the fact that, again, the people that
4 handled the magnesium fluoride did not work
5 that job all the time.

6 Yes.

7 **MS. BALDRIDGE:** With the petition there was
8 a document on the bookkeeping practices, and
9 there was a survey that the Department of
10 Energy sent to Fernald to be filled out about
11 how many records they had, bioassay. And the
12 result was 2.6 per worker per year. Now, Mark
13 indicated that he had extensive bioassay, so
14 does that mean that there were a lot of people
15 who didn't have any?

16 **MR. RICH:** Pardon me, but do you mind if I
17 answer? What Mark --

18 **MS. BALDRIDGE:** That you had extensive
19 bioassay data.

20 **MR. RICH:** Starting as the contracts
21 changed, when Westinghouse took over in 1986,
22 they decided to take a look to see if they
23 could detect anything in the bioassay, either
24 in vitro or in vivo, either urine sampling or
25 lung counting. And so they took something, or

1 several hundred samples --

2 **MS. BALDRIDGE:** So the majority of the
3 bioassay that you have would be post-1984?

4 **MR. RICH:** Yes. There were very little for
5 a variety of reasons. Number one, they did
6 not anticipate that the analytical
7 capabilities to detect the trace levels that
8 were there plus the fact that they had
9 calculated that the maximum impact to the
10 workers would be less than a ten percent
11 increase in the exposure due to uranium.
12 That's the reason they did not take the --

13 **MS. BALDRIDGE:** That answers my question.

14 **MR. RICH:** And for that reason we're
15 defaulting to a maximum that would have been
16 calculated based on the modern uranium that
17 can be demonstrated they received.

18 **DR. BEHLING:** Could, question just from
19 methodological point of view, will there be a
20 workbook developed that will address all these
21 default values for uranium bioassay data that
22 will --

23 **MR. RICH:** It will be in the technical basis
24 document, yes.

25 **DR. BEHLING:** And will there be a PER that

1 may go back with some times to assess what was
2 formerly not addressed?

3 **MR. ROLFES:** Yes, that's already defaulted
4 in the site profile, the current revision.

5 **MR. SHARFI:** The only difference is
6 currently we default from 1961 forward. This
7 will draw back to '55 so any claims that were
8 processed in pre-'61, will then have to be
9 reprocessed to account for the, obviously, the
10 raffinates that weren't included in those
11 claims. Those are to be reprocessed. But the
12 mixture is no different than what's currently
13 inside the technical basis document. So for
14 '61 on this doesn't change how we've been
15 currently assessing recycled uranium.

16 **MR. RICH:** What this white paper has done is
17 simply gone back with a greater description
18 and basis for that that was for volumes basis
19 was not in the technical basis document.

20 **MR. CHEW:** Recycled didn't really show up at
21 Fernald until '61.

22 **MR. SHARFI:** And now we'll push it back to
23 '55 ^.

24 **MR. RICH:** Because there was a little bit
25 that came in starting in '55. I'm going to

1 say it's all ^.

2 **MR. GRIFFON:** Now you mentioned the tower
3 ash. This was the one instance where you
4 would advocate applying the protection factor
5 --

6 **MR. RICH:** Yes, yes.

7 **MR. GRIFFON:** -- as being --

8 **MR. RICH:** -- protection factor.

9 **MR. GRIFFON:** -- but are you applying that
10 or --

11 **MR. RICH:** -- primarily because it was a
12 special case, and because it was a short-term
13 process. And as a consequence, there is no
14 protection factor applied. We just simply
15 will say that the uranium ratios will apply
16 because there would have been no exposure to
17 that particular uranium.

18 **MR. GRIFFON:** So you're saying the default
19 still holds.

20 **MR. RICH:** The default still holds.

21 **MR. SHARFI:** It's part of the defensible
22 default ^.

23 **MR. GRIFFON:** Because they were wearing
24 respirators when they were dealing with this
25 stuff that was a little too high.

1 In the processing at Fernald, I mean,
2 the question we always come back to in the RU
3 issue is does it concentrate out anywhere? Is
4 it any kind of dry operation where it might be
5 more than these levels you've talked about,
6 more than these average values.

7 **MR. RICH:** We've identified all of the areas
8 where there could be increased ratios between
9 the contaminant and the uranium, and those are
10 listed in the 15 or so as processed category.

11 **MR. GRIFFON:** You've showed in there -- I
12 haven't reviewed, I mean, I'm just trying to
13 keep up -- but the 100 you're presenting is
14 the bounding case for all those scenarios.

15 **DR. BEHLING:** For plutonium.

16 **MR. RICH:** There are 19 process categories
17 that are listed here, and they've done a
18 fairly complete analysis of sampling in those
19 process categories, listing the statistical
20 limits on each of them. Most of them are,
21 they're very, very low.

22 **MR. CLAWSON:** I just have a question of how
23 this was going to be implemented. I'm trying
24 to figure, so you're telling me that if the
25 claimant has showed any kind of uranium that

1 we are going to tack on all these other --

2 **MR. RICH:** Yes.

3 **MR. CLAWSON:** -- isotopes?

4 **MR. RICH:** Yes.

5 **MR. SHARFI:** And that's already currently in
6 the process.

7 **MR. CLAWSON:** For '55 and on. So basically
8 they're going to -- now, is this by urinalysis
9 that they're doing this?

10 **MR. ROLFES:** The uranium is, yes.

11 **MR. CLAWSON:** I'm just trying to clarify
12 because I know earlier there were some
13 questions of not everybody had urinalysis,
14 correct?

15 **MR. ROLFES:** Yes. In excess of 90 percent
16 of the persons that were on site had at least
17 one urine sample.

18 **MR. CLAWSON:** Per year?

19 **MR. ROLFES:** Per year? I'm sorry.

20 **MR. CLAWSON:** Per year?

21 **MR. ROLFES:** Yes, an annual urine sample was
22 taken from all employees, well, it was greater
23 than 90 percent of the employees onsite. And
24 if there's an individual, for example, that
25 didn't have a urine sample, if they were only

1 onsite for a short amount of time, coworker
2 uranium urinalysis results could be used to
3 assign an intake and then the ratios would be
4 added on top of the coworker uranium intake.

5 **MR. CLAWSON:** Now this urinalysis was for
6 uranium. It wasn't a medical one, right?

7 **MR. ROLFES:** No, it was for uranium.

8 **MR. CLAWSON:** It was for uranium, okay.

9 **DR. NETON:** The annual sample was taken
10 during the medical, annual physical, but it
11 was collected separately and analyzed for
12 uranium.

13 **MR. CLAWSON:** The reason I was wondering was
14 because if I remember right, we had some
15 clothing tech people or whatever like that
16 that all of a sudden came up with a urinalysis
17 of uranium which they weren't exposed to.

18 **DR. BEHLING:** Just to clarify, there were
19 four individuals, and I included that in my
20 report that, and there was a memorandum, that
21 identified four individuals. Some had as high
22 as 547 micrograms for a 24-hour urine sample.
23 And in each of those cases there was a
24 statement of where did this come from. And we
25 were questioning who they were, and why they

1 were even assessed since apparently they were
2 not production workers and possibly may not
3 have been sampled for bioassay.

4 But you're saying as a minimum, as a
5 bear minimum regardless of your job
6 classification, every person onsite who was
7 employed at National Lead would have had at
8 least one urinalysis done per year as part of
9 their overall medical examination.

10 **MR. RICH:** That's true.

11 **DR. BEHLING:** Because we were talking about
12 that yesterday, and we were saying every
13 medical examination usually takes a urine
14 sample, but it's not necessarily linked to
15 anything that involves uranium. And I just
16 want to be sure that as a bear minimum every
17 full-time employee had at least one bioassay
18 done on an annual basis.

19 **MR. RICH:** Anyone associated with the
20 uranium operations themselves had many samples
21 done.

22 **DR. BEHLING:** Yes, but because Mark was just
23 saying over 90 percent, we were just wondering
24 if there are any people who were perhaps
25 excluded from even this annual medical,

1 therefore, for whom we have no data. Would
2 you also answer that by saying we'll go to the
3 coworker model and apply also those values so
4 --

5 **MR. RICH:** Yes.

6 **DR. BEHLING:** -- no one will be exempt from
7 being assigned some intake for uranium?

8 **MR. CLAWSON:** That's the point I was trying
9 to get to because the 90 percent -- and I
10 understand why you were saying about that --
11 but I didn't want to have a group of people
12 excluded because like these clothing techs
13 that weren't supposed to be even a part of the
14 process or anything else, but they were
15 showing up with uranium bioassays. And I just
16 wanted to make sure we were looking at that
17 process.

18 **DR. NETON:** It was also a fairly rigorous
19 process drummed into workers' heads that
20 anytime there was a suspected incident, you
21 were encouraged and required to leave urine
22 samples at the bioassay station at the end of
23 your shift. So oftentimes you'd get samples
24 from people who hit their thumb with a hammer.
25 I mean, it's an incident, and they go give a

1 urine sample. So you will see many urine
2 samples where people would not normally think
3 of having potential exposure to uranium. But
4 it was considered an incident and they
5 followed the law.

6 **MR. CLAWSON:** Is that all? Is that
7 finished?

8 **MR. ROLFES:** Yes, that was all that we had.
9 If there's any other questions, we'd be happy
10 to discuss anything.

11 **MR. RICH:** There's further details in the
12 white paper.

13 **DR. ZIEMER:** Does this require any action?

14 **MR. GRIFFON:** Yeah, I mean, I think the only
15 action that I can see is I think NIOSH has
16 provided this. I'm not sure if SC&A's
17 reviewed. I mean, we've listened.

18 **DR. BEHLING:** I've read the white paper,
19 obviously.

20 **MR. GRIFFON:** So do you have comments at
21 this point?

22 **DR. BEHLING:** No, as I said, it's basically
23 an explanation for what already existed with
24 the exception of advancing the timeframe from
25 '61 back to '55. And if everyone is going to

1 be the beneficiary of this assigning of RU
2 contaminants, I think that's pretty much an
3 all encompassing approach and inclusive the
4 tower ash would be assumed a 50-fold
5 protection factor in assuming 412, that would
6 reduce your ^ load a hundred that's a default
7 factor. So I have no comments.

8 **DR. ZIEMER:** Have you started to implement
9 this already?

10 **MR. ROLFES:** This is already in our current
11 site profile minus, we currently are assigning
12 recycled uranium intakes using the default
13 ratios that were on the second-to-last slide.
14 What we have committed to do is go, rather
15 than only use '61 forward, we're also going to
16 start now, rather than in '61, we're going to
17 start in 1955. So that would be the change
18 that would come out of this analysis and this
19 white paper. We'd be going back to 1955 and
20 extending or assigning any intakes of recycled
21 uranium contaminants based on the documented
22 ratios to any uranium intakes that were
23 assigned.

24 **MR. RICH:** I might add for the Board that
25 there is more detail in the white paper and

1 will be in the technical basis document
2 specifically in relationship to other isotopes
3 such as the fission products like Ruthenium-
4 103 and -106, zirconium ^ and even though
5 those are considered, they were analyzed as
6 fresh product as they left the plant and
7 because all except cesium and strontium of any
8 significance had 30-year half-lives. The rest
9 of them had shorter half-lives. And so again,
10 we're defaulting on a fresh fission product
11 basis, and so that won't contribute much at
12 all.

13 **DR. ZIEMER:** It seems to me that just to
14 formalize things that perhaps the work group
15 should acknowledge that they've been briefed
16 on this and perhaps recommend to the Board or
17 at least indicate that some level of
18 concurrence with this approach or if we want
19 any further review. It sounds like, I'm not
20 hearing objections from SC&A. I think it --

21 **MR. CLAWSON:** My question was is if you
22 have, you feel that you've reviewed this
23 enough and that you feel confident it's in the
24 approach or do you need time to be able to --

25 **DR. BEHLING:** As I said, I spent a

1 significant amount of time reviewing the white
2 papers. We received them several days ago,
3 and I can certainly go through it again and
4 raise additional questions. But right now I
5 don't have any. To me it looks claimant
6 favorable with the assumptions that are being
7 applied here.

8 **MR. CLAWSON:** So I guess I'm kind of
9 wondering which way to go on the direction of
10 this.

11 **MR. GRIFFON:** Well, I think clearly there's
12 no further action. I'm not -- me, as a work
13 group member -- I'm not ready to sign off only
14 because I'm a little, I want to look at some
15 of the values, and I didn't look and spend as
16 much time on the white paper. This question
17 about, I just want to review that question
18 about concentrating and whether, convincing
19 myself that this is a bounding approach.

20 **DR. ZIEMER:** I think that's appropriate.
21 I'm just --

22 **MR. GRIFFON:** But I don't think there's any
23 further action.

24 **DR. ZIEMER:** -- I don't think we need any
25 more assignments to the contractor. And at

1 some point, it may be at the next meeting or
2 whenever, I'm just saying I think we should
3 acknowledge and formalize this has occurred at
4 some appropriate point whether it's today or
5 down the road.

6 **MR. CLAWSON:** And I did have one question on
7 this. You say this is going to go into the
8 site profile and stuff. And we're going to
9 have to go back, and we're going to have,
10 we're actually going to be updating the site
11 profile, correct?

12 **MR. ROLFES:** Uh-huh.

13 **MR. CLAWSON:** And we're also going to have
14 to be going back and reevaluating several of
15 the claims.

16 **MR. ROLFES:** Yeah, right, Jim did mention
17 that we would do a program evaluation report
18 on any previously completed dose
19 reconstructions. When we receive additional
20 information, we do go back and reevaluate any
21 previously denied claims, any dose
22 reconstructions that did not meet the at least
23 as likely as not criteria. Those would be
24 reevaluated to determine if the dose
25 reconstruction findings would change.

1 **DR. BEHLING:** The only thing I would ask,
2 just again because the concept of bootstrap
3 methodology is something of a concept that's
4 not clear in my mind, it would be nice to look
5 at the data that corresponds to the bootstrap
6 of 84.8 parts per billion for plutonium and
7 just look at the data and say how did the raw
8 data translate into this particular value
9 that's applied here, 84.8 and then you would
10 default it to 100 parts per billion. It would
11 just be, I'm sure you already know where that
12 data exists, just for only personal insight
13 into the bootstrap methodology, and what it
14 really represents.

15 **MR. GRIFFON:** Maybe all of our insight,
16 yeah, not your own personal.

17 **DR. NETON:** Were these values listed in the
18 site profile at the time that SC&A had
19 reviewed the Fernald site profile originally?
20 Because I think we've already gone through
21 this.

22 **DR. BEHLING:** Arjun may be the one that --

23 **DR. NETON:** I'm having déjà vu here, but I
24 thought that this concept had been clear. The
25 only difference here is going back six years

1 or so in time to apply the same issue that I
2 thought was already reviewed in the site
3 profile.

4 **DR. BEHLING:** Arjun, are you on the line?
5 (no response)

6 **DR. BEHLING:** Because he was the person who
7 really reviewed the --

8 **MR. GRIFFON:** It was reviewed, but it was
9 left open as a finding. Wasn't that the basis
10 for --

11 **DR. NETON:** Oh, was it? Maybe that's --

12 **MR. GRIFFON:** -- I'm just guessing there.

13 **DR. NETON:** I remember. That's okay.

14 **MR. CHEW:** What does it say in the matrix?

15 **DR. NETON:** I guess it wouldn't have
16 appeared on the SEC matrix if it wasn't left
17 open at the time.

18 **MR. ROLFES:** Mrs. Baldrige has a question.

19 **MS. BALDRIDGE:** When you referred to the
20 site profile, is this the external exposure
21 part?

22 **MR. ROLFES:** This would be internal
23 exposure.

24 **MS. BALDRIDGE:** Internal exposure. I do
25 have a question concerning the external

1 exposure. When I was reviewing my father's
2 dose reconstruction, I saw where ambient doses
3 were assigned for external exposure, and that
4 those ambient doses were based on the stack
5 releases.

6 Now, at some point meetings back I was
7 under the impression that it was mentioned
8 that those stack releases would no longer be a
9 consideration because of the questionable
10 validity of the data that was presented. So
11 my question is how are you going to address
12 the issue of external exposure based on
13 ambient data when you get to that part of the
14 --

15 **MR. ROLFES:** I understand what you're
16 saying. Some of the dose reconstructions that
17 we have completed early on we were assigning,
18 in addition to any dose that was received by
19 the individual's dosimeter, we thought it was
20 possible that background radiation exposure to
21 that badge might have been subtracted from the
22 individual's whole body dosimeter.

23 So we were adding that back in to dose
24 reconstructions. However, there were, I
25 believe we have changed that now. I don't

1 believe we are adding ambient external doses
2 any more into our dose reconstructions because
3 we did not have --

4 Is that correct?

5 **MR. RICH:** I think so.

6 **MR. ROLFES:** Okay, I think we've implemented
7 that change already.

8 **MR. RICH:** Prior to '85.

9 **MR. SHARFI:** After '85 we still add it back
10 in.

11 **MR. ROLFES:** Okay, so after '85 we are
12 adding ambient external doses back in.

13 **MR. CHEW:** Brad, just to make sure, Arjun
14 wasn't here, but I think his Finding 4.1-5 in
15 the matrix, and I'll read it. And there are
16 several radionuclides, contaminants and RU
17 that were not adequately considered for
18 internal dose estimates. And most relevant to
19 this concern are the impacts of these
20 contaminants in the RU raffinate waste stream.
21 I think that's what the paper is targeting. I
22 think that's what Arjun's issue is.

23 **MR. GRIFFON:** The paper's out there, but I
24 don't know if we ever considered the
25 underlying, how the numbers were averaged and

1 all that, that the bootstrap analysis. I
2 don't know.

3 **MR. CLAWSON:** I guess kind of what I would
4 ask -- and correct me if I'm wrong -- but I
5 guess what I would suggest is that SC&A
6 evaluate this, look into the bootstrap method,
7 but also I guess I'm just kind of, I'd like to
8 kind of look at these urine samples to make
9 sure how we're going to implement that, make
10 sure we're doing that right. But I guess I'd
11 like to task SC&A to be able to look at this
12 and make sure that we're all on the same page
13 of how we're going into this.

14 This shouldn't be too much, Hans?

15 **DR. BEHLING:** No, I'm going to have to rely
16 on them to identify the documents which
17 contain the original data on which the
18 bootstrap methodology was based.

19 **MR. GRIFFON:** Or was that compiled --

20 **DR. BEHLING:** I said I'd like to look at the
21 data that gave rise to the 82.4 parts per
22 billion that ultimately would move it up to a
23 hundred parts per billion and as a default
24 value. But just look at the background data
25 to say what do those data look like that

1 represents this particular bootstrap value
2 that is now a default value to be added to the
3 urinalysis as a contaminant for uranium. It
4 may be a very simple thing to have to
5 document, but --

6 **MR. GRIFFON:** Well, I wonder if, do you have
7 it compiled in a analytical, like a
8 spreadsheet or something or is it, I mean, is
9 it something easy to --

10 **MR. RICH:** This analysis was done by the
11 work group that did the mass balance report,
12 and it's reported in that document.

13 **MR. CLAWSON:** In this white paper?

14 **MR. RICH:** Pardon me? No, it's in the mass
15 balance report, but it was done by the DOE.
16 And that was reviewed. It's in Appendix F,
17 and in fact, I have a copy on my hard drive if
18 you'd like to see it. It's lengthy.

19 **DR. MAURO:** Just as a sense of the range,
20 the 100 number for parts per billion of
21 plutonium, that is a measure of central
22 tendency toward the high end, and that's what
23 --

24 **DR. BEHLING:** I mean, we looked at the tower
25 ash because it's in the white paper, and it's

1 0.6 parts per billion up to 3,500. And the
2 bootstrap value, 412, is something that almost
3 looks like a geometric value, mean. No,
4 actually not. It would possibly be, it's
5 certainly well below the center value between
6 those two extremes, between 0.6 and 3,500.

7 **DR. NETON:** Well, you don't know unless you
8 look at the data.

9 **DR. BEHLING:** No, those are just the two
10 values that --

11 **DR. NETON:** I know, there are two values.
12 You have no idea --

13 **DR. MAURO:** Let me finish my statements.

14 **DR. BEHLING:** No, I didn't --

15 **DR. MAURO:** Tower ash is some place else.
16 In other words tower ash is something you're
17 dealing with differently because it's well
18 contained. So your default value that you're
19 using for all of these dose calculations for
20 plutonium I understand is 100 parts per
21 billion. That's what, so whenever you see
22 anything in uranium in urine, you can figure
23 out what the intake was for plutonium. Now my
24 question is in that number, that 100,
25 represents some number within some

1 distributonal values. How wide is that
2 distribution? Is it some numbers that are up
3 to 10,000 or are we talking about a relatively
4 tight distribution around that 100?

5 **MR. RICH:** It's not a tight distribution.

6 **DR. MAURO:** It's not a tight distribution.

7 **DR. NETON:** They can go pretty high.

8 **DR. MAURO:** But it can go pretty high. Then
9 again, the extent to which, one of the things
10 I would like to do, and I think is worth
11 doing, is that are there scenarios -- I said
12 this before, but I think it's important to say
13 again in light of the answer to your questions
14 -- are there scenarios where it seems
15 plausible that a person could have been
16 exposed for a protracted period of time
17 because of where he worked and when he worked.
18 Or he might have been exposed to something
19 well above the 100 parts per billion or is
20 that something that you really could rule out.
21 It just doesn't seem to be something that
22 could have happened. And the extent to which
23 we could look into that I think it would
24 benefit everyone.

25 **DR. NETON:** I think remember though that

1 you're applying this value to every urine
2 sample in ^ intakes for the entire work
3 history.

4 **DR. MAURO:** Oh, that. Do you remember
5 though, see, I keep going back. The recurring
6 theme in my mind is that every worker needs to
7 be treated and given the benefit of the doubt.
8 And when you're in a situation where you don't
9 know, there might be a worker, we're dealing
10 with a real person now.

11 **DR. NETON:** I understand what you're saying.

12 **DR. MAURO:** And so do we know that he did
13 not have this job where he was exposed for two
14 years continuously to a thousand parts per
15 billion of plutonium. Now, you could say, no,
16 that can't happen for the following reasons.

17 **DR. NETON:** I think you not only need to
18 look at the range of the values but the
19 distribution of the masses associated with
20 those values.

21 **DR. MAURO:** Yeah, that's true.

22 **DR. NETON:** It's very important.

23 **DR. MAURO:** If it's only a very small
24 fraction, then the averaging works. It's all
25 commonsense, you know?

1 **DR. NETON:** We'll take a look at it.

2 **MR. CLAWSON:** There's a, people were sampled
3 usually on their birthday, wasn't it? Wasn't
4 that when they did their medical or their
5 bioassay or, I'm trying to think. The reason
6 I say that is because --

7 **DR. NETON:** It might be more associated with
8 your start date at the plant.

9 **MR. CLAWSON:** Okay, something like that.
10 They had a process that every year you --

11 **DR. NETON:** An annual physical once a year.

12 **MR. CLAWSON:** Once a year, and you come
13 forth. Out to our place it's on our birthday,
14 and that's why I'm bringing that up. If
15 somebody came up with a uranium uptake, that
16 would stay in their systems for a year,
17 wouldn't it? Or would it --

18 **DR. NETON:** It may be below the detection
19 limit by that point. But remember, our
20 program assigns a missed dose, a missed intake
21 based on what the urine could have been and
22 not been detected. In other words we'll give
23 you credit for the fact that you may have had
24 a chronic intake, but your urine cleared just
25 below a detectable level which in itself is a

1 fairly claimant-favorable approach.

2 **MS. BALDRIDGE:** I have a comment on that. I
3 got my father's urinalysis and bioassay
4 information, and it seems to me that the
5 frequency of the testing leaves a lot of
6 questions about what exposures were received
7 when, especially in regard to the discovery of
8 the renal damage, the chronic glomular (sic)
9 nephritis or whatever that was diagnosed in
10 December that had gone, I mean, his exposure
11 to that level hadn't even been discovered
12 until they did the urinalysis.

13 And what was brought up before is what
14 effect does that, the development of a renal
15 condition have on the possible excretion rate
16 of the urine. Now, there's some reports that
17 say there's no such thing, but it doesn't
18 identify the conditions that they were
19 examining either. And that some conditions
20 are reversible. Well, if the condition only
21 involved inflammation of the certain aspects
22 in the kidneys, then that could clear.

23 But there were other conditions, the
24 chronic glomular (sic) nephritis which
25 involves scarring of certain portions of the

1 glomular (sic) whatever in the kidney that
2 would affect the ability to excrete and the
3 fact that salts, which are your soluble forms,
4 are retained in the kidney. So --

5 **DR. NETON:** I'd like to answer your
6 question, but I think that's subject of a
7 whole additional discussion in this working
8 group that it might be best taken up at that
9 time if that's okay with you because this
10 could go on for another --

11 **MS. BALDRIDGE:** Well, it kind of came up
12 before but --

13 **MR. GRIFFON:** Well, we still have it on,
14 yeah.

15 **DR. NETON:** It's going to be discussed.

16 **MS. BALDRIDGE:** Well, see, I don't have any
17 paperwork so I don't know what's on there.

18 **MR. RICH:** I just looked at some of this
19 data again. The bootstrap mean comes up
20 fairly close to the average --

21 **DR. BEHLING:** Arithmetic average?

22 **DR. MAURO:** The geometric mean, or the
23 geometric mean?

24 **MR. RICH:** Geometric, let me give you the
25 simply looking at the --

1 **MR. GRIFFON:** Can you sort of --

2 **MR. RICH:** -- this is the category for the -

3 -

4 **DR. ZIEMER:** Why don't we just have --

5 **MR. GRIFFON:** Yeah, an action to follow up.

6 **DR. ZIEMER:** -- NIOSH to supply that to SC&A
7 and let them look at it. We can't resolve
8 that.

9 **DR. WADE:** We need to get back to business.
10 There's a proposal for how to proceed.

11 **MR. CLAWSON:** Well, let's make sure we've
12 got the action --

13 **MR. GRIFFON:** Yeah, I just have an action on
14 that just so we can all see that maybe, Bryce,
15 maybe we --

16 **MR. RICH:** I think so. This is just a, this
17 is an appendix. We can take a look at it
18 afterwards.

19 **MR. GRIFFON:** I have, and maybe this is a
20 bad idea, but NIOSH to provide data --

21 **DR. WADE:** Okay, we need to stay with the --
22 hey, guys.

23 **MR. GRIFFON:** I have an action for NIOSH to
24 provide data used to devise the average values
25 presented in the white paper -parentheses- DOE

1 Mass Balance Report with appropriate
2 appendices. And then SC&A will review this
3 data. And I think that's some of what we're
4 doing on the sideline here, but I think we
5 need to do it that way.

6 **MR. CLAWSON:** Do you understand what you're
7 being tasked with, both sides?

8 **MR. GRIFFON:** Is that okay, Mark?

9 **MR. ROLFES:** Yes, the DOE reports that were
10 used in our white paper and the appendices
11 that will allow you to review the
12 bootstrapping and arithmetic mean, et cetera.

13 **MR. CLAWSON:** Okay, sounds great.

14 **MR. RICH:** I think it's on the O drive. We
15 can give them reference to --

16 **MR. CHEW:** I think you ought to mention
17 where I find it. There was an error actually
18 in the 2000 report that we actually discovered
19 because it was not peer reviewed before it was
20 published. Remember Bryce, when we did the
21 background on that, and remember it was a
22 factor of a thousand off ^.

23 **MR. GRIFFON:** Yeah, I've seen that actually.

24 **MR. CHEW:** I just wanted to make sure don't
25 get ^.

1 **DR. MAURO:** One of the things we talked
2 about that's related to that, and I would
3 suggest, is that this business we talked about
4 why measure a central tendency for it's
5 reasonable given the nature of the work at the
6 site. In other words you folks have probably
7 a pretty good idea of who handled these
8 materials and under what conditions and what
9 times and why you believe over the time period
10 any given worker might have been involved.

11 The overwhelming argument can be made
12 that he's going to experience something close
13 to the geometric mean or central tendency as
14 opposed to being chronically exposed to a
15 high-end number. I mean, I don't know the
16 degree to which that is a tractable question
17 that could be answered, but in my mind it was
18 an important question.

19 **MR. GRIFFON:** First silence we've heard in
20 the room.

21 **DR. ZIEMER:** These deep questions bring us
22 to a halt, John.

23 **MR. CLAWSON:** We've discussed the
24 raffinates. To tell you the truth I really
25 don't understand where we're at in the --

1 DR. WADE: Do you have a third?

2 "Comparison of FMPC Hardcopy Bioassay Records to the HIS-
3 20 Database"

4 MR. ROLFES: The HIS-20 comparison, that's
5 five slides, so you can just go through that.

6 MR. GRIFFON: It gets to the question of the
7 data integrity question we raised, right?

8 DR. WADE: Just one little page.

9 MR. ROLFES: Yeah, one single sheet for
10 everyone. I believe I had five slides. This
11 was just a summarization of the comparison of
12 the Fernald hard copy bioassay records to the
13 HIS-20 Database. The purpose and background
14 was to compare the available hard copy
15 bioassay records to the HIS-20 database. This
16 was discussed at the October 24th, 2007,
17 working group meeting. The paper contained
18 details about HIS-20 and its predecessor
19 databases. Actual comparison was to data
20 extracted by DOE and imported into MicroSoft
21 ACCESS. Comparison assumed that all results
22 in hard copy were intended to be in HIS-20.

23 I don't know if we have Gene Potter on
24 the phone, no, we don't have him on the phone.
25 Gene Potter was the individual who had done

1 quite a bit of the cross-comparison work for
2 us.

3 The method of comparison, we used 33
4 PDF files which were acquired for comparison.
5 There were a few already in the site research
6 database that allowed us to get a head start
7 on this. We used the method a military
8 standard 105A. It was sampling by attributes.
9 And in this method the user specifies the
10 acceptable quality level, the batch size, the
11 type of inspection, whether it's a normal or
12 reduced or a tightened analysis.

13 The standard gives the sample size,
14 the number of unacceptable results permitted
15 to meet an acceptable quality level of one
16 percent. Attachment A of the white paper has
17 the procedure in it, and this is also
18 documented in the Fernald HIS-20 Comparisons-
19 dot-X-L-S, a spreadsheet. It's an Excel
20 spreadsheet that's been put out on the O drive
21 in the AB-doc-^ view folder.

22 The results of the comparison are
23 listed here in this next slide for the decade.
24 We were asked to review a sampling of results
25 from the '50s, the '60s, the '70s and the

1 '80s. In all, we reviewed a total of 33 PDF
2 documents.

3 **MR. GRIFFON:** What does that mean, Mark?
4 What are PDF documents? What's in them?

5 **MR. ROLFES:** That would have been a series
6 of scanned hard copy urinalysis results. We
7 would have captured those in data. For
8 example, like the handwritten data cards that
9 contained the raw data, the uranium bioassay
10 data.

11 Let's see, and this gives our results
12 here. Let's see, the number of files less the
13 subcontractors and alpha/beta results. I
14 would have to default hopefully to Mel maybe
15 to explain this. And also we've got the
16 number of files that met an acceptable quality
17 level of one percent. Out of the 33 PDF files
18 minus the ones that, let's see, we had 25
19 files after removing subcontractors and
20 alpha/beta results. Out of those 25, 20 files
21 met an acceptable quality level of one
22 percent.

23 So the conclusions, eight files were
24 primarily subcontractor urinalysis data for
25 alpha/beta urinalysis results that were not in

1 HIS-20. Twenty of the 25 remaining met an
2 acceptable quality level of one percent. The
3 five files that did not meet the acceptable
4 quality level were unlikely to result in any
5 significant change to the coworker study.

6 Overall, 90 percent of the results
7 were matched, and this was 1,627 results out
8 of 1,800 total. And I said I'd like to
9 reiterate that the white paper and the files
10 are on the O drive for any more detailed
11 review.

12 **MR. GRIFFON:** You said the white paper and
13 the PDF files are on the --

14 **MR. ROLFES:** The PDF files and the Excel
15 spreadsheet as well.

16 **MR. GRIFFON:** Can you tell us anything about
17 the last item, and I guess 90 percent of the
18 results were matched, and 80 percent of the
19 files were an acceptable quality level, right?
20 Is that what you're kind of saying?

21 **MR. ROLFES:** Correct, 90 percent of the
22 results were matched and 20 out of 25 met an
23 acceptable quality level of one percent.

24 **MR. GRIFFON:** I mean, did you -- I didn't
25 look at this detailed white paper, so I don't

1 know if you, anything on the ten percent, I
2 mean, was there any kind of bias in the ones
3 that weren't published? Were they high or low
4 or there's no trend at all. I don't know if
5 you looked at that kind of detail.

6 **MR. ROLFES:** I apologize. I have not looked
7 at this.

8 **MR. GRIFFON:** And I don't know if it's in
9 the paper. I'm catching up, too.

10 **MR. ROLFES:** I do have some notes here on
11 the description of the five files that did not
12 meet the acceptable quality level. And I can
13 go ahead and read those.

14 For Reference ID 31-69, this file
15 consisted of 1952 to 1953 fluorometric
16 analyses for uranium which were conducted by
17 the New York Operations Office Health and
18 Safety Division. After failing to meet the
19 acceptable quality level, the file was given a
20 100 percent inspection. The results showed
21 that 84.2 percent of the results in the file
22 were in HIS-20. The 50th and 95th percentile
23 results for these data were identical with and
24 without the missing data.

25 **MR. GRIFFON:** So that speaks to the --

1 **MR. ROLFES:** So we went to a more detailed
2 focus. We went to a more detailed inspection
3 when they didn't meet the acceptable quality
4 level for the 100 percent.

5 Then I have additional details as well
6 for the Reference ID 40-322. This was a file
7 from 1961 through 1963 bioassay analytical
8 datasheets. Since it was obvious, based on a
9 spot check, that the acceptable quality level
10 would not be met, the file was given a 100
11 percent inspection. Only 69 percent of the
12 results were in HIS-20. Since it was a
13 relatively small file, this amounts to only 70
14 missing results.

15 Some of the results in this file seem
16 to be samples collected to monitor the
17 effectiveness of workplace controls rather
18 than as the bioassay of record for the
19 employees. Most site employees in this file
20 have other 1961 through 1963 results in HIS-
21 20. The 50th and 95th percentile results for
22 these data were very close with and without
23 the missing data. And it refers back to the
24 table within the paper in the main paper.

25 I can go through the additional next

1 two reference ID numbers that were inspected.
2 Excuse me just a second.

3 Reference ID 40-389 and Reference ID
4 40-390, these files were for the first and
5 second quarter of 1957. Neither file met an
6 acceptable quality level of one percent but
7 would have met an acceptable quality level of
8 four percent. Since the files contained
9 nearly 900 pages of results, 100 percent
10 inspection was ruled out. Instead queries of
11 the HIS-20 database for the same time periods
12 were performed. From these queries the 50th,
13 84th and 95th percentiles were calculated. The
14 eight missing or incorrect results in the two
15 files were distributed around the respective
16 50th percentiles although one result was above
17 the 84th percentile. The problem with missing
18 data seems to be confined to the first two
19 quarters of 1957.

20 The third, Reference ID 40-391, and
21 fourth, Reference ID 40-392, quarters of 1957
22 met the acceptable quality level. And the
23 fifth Reference ID, 40-399, this file was only
24 six pages long and consisted of August through
25 September of 1958 in-house uranium urine

1 samples. This file contained multiple samples
2 on only two individuals. HIS sampling is
3 typical of that following an incident.

4 The first individual had 36 samples
5 collected over a three-day period which are
6 not included in HIS-20. To determine a
7 possible effect on a coworker study a query of
8 all uranium fluorometric results in HIS-20 for
9 the same time period was performed. Of the 36
10 missing results, 11 were above the 50th
11 percentile of the data in HIS-20. Four were
12 equal to the 50th percentile, and 21 were below
13 the 50th percentile. One of the results was
14 equal to the 84th percentile.

15 The second individual had five samples
16 collected over a two-day period which were not
17 included in HIS-20. However, there is an
18 entry in HIS-20 for the first of the two days
19 that is very close to the weighted average of
20 the five results.

21 **DR. BEHLING:** May I ask you some questions
22 about, I guess I'm looking at the white paper
23 and I looked at it very carefully. Somewhat
24 at a loss to understand what an acceptable
25 quality level is. When you talk about a one

1 percent, you define here as AQL of one percent
2 consisting of ^ results and a hard copy to the
3 results of HIS-20. An AQL of one was
4 selected.

5 And I guess what constitutes something
6 that exceeds that limit? Is it the absence of
7 that particular file being incorporated into
8 the HIS-20 database? Is it an error in the
9 transcription when it is actually there? What
10 constitutes something that is a deficiency
11 because I can see the whole file not being
12 there.

13 And you already mentioned in a couple
14 instances there were files missing. In other
15 instances there is a slip in a decimal point
16 or the transcription. What constitutes this
17 value of one percent?

18 **MR. ROLFES:** Well, let's see. I don't know
19 if I'll be able to answer that. We do have
20 the procedure documented, and I might not be
21 able to provide a response to you today. So
22 in that case I can simply get back to you via
23 e-mail or a phone call.

24 **DR. BEHLING:** I mean, you can certainly
25 understand if a file is missing in its

1 entirety. That's a lot worse than having a
2 mistake of 0.01 microgram per liter having
3 some smaller value on either side of that.
4 They might both be construed as an error, but
5 one is considerably more significant than the
6 other.

7 **MR. ROLFES:** Sure, I certainly understand.
8 Let me see if I can find, I do have a
9 procedure somewhere here.

10 **DR. BEHLING:** Yeah, it's in the appendix,
11 Attachment A gives you the procedure.

12 **UNIDENTIFIED SPEAKER:** ^

13 **MR. ROLFES:** Yeah, maybe that'd be the best
14 way to resolve this is to have a discussion on
15 this specifically.

16 **DR. BEHLING:** In fact, here they seem to
17 suggest that even a misrepresentation of a
18 name on a file could constitute, but that
19 really would have no impact in your coworker
20 model which attempts to assess the 50th
21 percentile, et cetera, so while some errors
22 may have no impact, others may have
23 significant impact.

24 **MR. CHEW:** I think we're suggesting a call
25 with Gene --

1 **MR. GRIFFON:** Why don't we have a technical
2 call? It won't be a work group call, but
3 we've done this in the past meetings. I think
4 it works well to just have a technical call
5 with maybe a Board representative on it. We
6 can work that out, but set up a technical
7 call.

8 **DR. ZIEMER:** It was my impression that
9 they're not assessing the impact. It's
10 whether or not the data match.

11 **DR. BEHLING:** Yes.

12 **DR. ZIEMER:** Within certain rules because
13 one dataset was rounded or truncated I think
14 in so many places and the other was carried
15 out but they didn't match because of that,
16 that was not an error. It wasn't my
17 impression that they were assessing the impact
18 of -- what you're saying is exactly true.

19 **DR. BEHLING:** But it really does. It does,
20 for instance, in Table 3 in the white paper
21 under Reference ID 43-22. You get comparisons
22 for all the results minus the ones that are in
23 the HIS database, and you see the differences
24 between the 50th percentile and the 95th
25 percentile. They're very close, and obviously

1 if they were to match even though there are
2 files missing it wouldn't matter because, in
3 essence, the numbers are identical.

4 **DR. ZIEMER:** But that's done after the fact,
5 right? I mean the one percent is just a match
6 versus a mismatch, I believe. A mismatch
7 could be a wrong number or a missing number.

8 **DR. BEHLING:** Or a name is misspelled in
9 which case it has no impact.

10 **MR. CLAWSON:** So I guess as an action item
11 do we want to set up between SC&A and NIOSH a
12 technical call then?

13 **DR. BEHLING:** Well, you know, I have to
14 admit. I looked at this very carefully. I
15 took notes, but I did not really go to
16 Attachment A which provides you with the
17 procedure. And before we invest a lot of
18 time, let me at least look through this and
19 see if I can answer my own question.

20 **MR. GRIFFON:** Well, not only that go
21 through, but maybe SC&A can provide a written
22 review with any outstanding questions. And
23 then if we need a technical call beyond that,
24 then we do it.

25 **MR. CLAWSON:** We can do that. Would that be

1 fine by everybody?

2 (Whereupon, there was general agreement.)

3 **MR. GRIFFON:** Where was that? Oh, I'll find
4 the matrix.

5 **MR. CLAWSON:** Mark, I've got a question.
6 I'm looking at your white paper, and I'm
7 trying to understand something under the
8 exposure study. I've just got Plant 2 and 3,
9 1967, but part of my thing is I've got
10 information down, and it says that it's an
11 average for, and I've got a lot of blanks in
12 the process. And something that I find
13 interesting is the denitration (ph) operator,
14 all of a sudden I've got the 1962 is blank.
15 Nineteen sixty-five is 0.3. 'Sixty-six is
16 0.2. 'Sixty-seven's 0.5.

17 **DR. ZIEMER:** What table are we looking at?

18 **MR. CLAWSON:** We're looking at --

19 **MR. ROLFES:** -- white paper.

20 **MR. CLAWSON:** Yeah, we're looking at that
21 white paper. It's on page 23. I just picked
22 one of those. I was just wondering why
23 there'd be blanks. Because if this was an
24 average of all the operators, I didn't know
25 how they'd end up with zeros I guess. It's

1 Exposure Study for Plant 2 and 3, 1967.

2 **MR. ROLFES:** Okay, this is back to the
3 recycled uranium. We were discussing the HIS-
4 20 --

5 **MR. CLAWSON:** Right, I apologize. Let me --
6 and I apologize. I shouldn't have jumped back
7 like that. I was just wondering about the
8 zeros in there. They're not zeros. They're
9 just dashes. There's nothing there. And if
10 this was an average over everybody, everybody
11 got zero or?

12 **MR. SHARFI:** What page?

13 **MR. CLAWSON:** Page 23. I just, it's
14 throughout all these, and I was just wondering
15 how this implements into the -- because when
16 it comes down to the bottom, it has an average
17 for each one of these years. I've got a lot
18 of blank spots in numerous ones of these.

19 **MR. CHEW:** You're on page?

20 **MR. CLAWSON:** Twenty-three.

21 **MR. ROLFES:** Exposure Study for Plant 2 and
22 3.

23 **MR. CLAWSON:** Right, I'm just wondering if -
24 -

25 **MR. ROLFES:** This might be because was that

1 the time period when that operation might not
2 have been operating? Is that it?

3 **MR. RICH:** No, I think, they don't have a
4 measurement in 1962. For example, for the ^
5 operators, and your question is how did they
6 get an average?

7 **MR. CLAWSON:** Well, yeah, they're getting
8 average, and they've got one for '62 but not
9 for '65.

10 **MR. ROLFES:** Brad, the footnote down at the
11 bottom it says denotes classification did not
12 exist or was included in another job
13 classification, so that's --

14 **MR. CLAWSON:** Oh, so they --

15 **MR. ROLFES:** Job title.

16 **MR. RICH:** So it's a job title change.

17 **MR. ROLFES:** The job title didn't exist. A
18 combined raffinate operator was not the job
19 title at the time. They might have been
20 included in the digestion operator category.
21 That's simply what it is.

22 **MR. CLAWSON:** I kind of looked at that, but
23 I thought you'd always have an area foreman.
24 I've got one for '62 and one for '67, but '65
25 and '66 it's not there. That's why that kind

1 of threw me off a little bit. Of I guess, a
2 foreman went to a --

3 **MR. ROLFES:** I'm not seeing where you're
4 referring to. I see above the foremen there's
5 a denitration operator, and there's some
6 dashes in '60, '61 and '62.

7 **MR. CLAWSON:** Okay, so what I've got is area
8 foreman, one man --

9 **MR. ROLFES:** Are we on page --

10 **MR. CLAWSON:** Twenty-three.

11 **MR. ROLFES:** Twenty-three, okay.

12 **MR. CLAWSON:** I was just, it didn't quite
13 make sense to me. It's, so it could have been
14 combined back into another.

15 **MR. RICH:** Yes.

16 **MR. ROLFES:** Yeah, let's see. I see. Area
17 foreman, there's a couple of dashes, but it
18 could have been the digestion foremen or the
19 denitration foremen.

20 **MR. CLAWSON:** They could have been put into
21 that category. Okay, I was trying to --

22 **MR. ROLFES:** Just a change, a change in job
23 classification. It says it denotes
24 classification did not exist or was included
25 in another job classification.

1 **MR. CLAWSON:** Okay, I just, I know there's
2 always got to be foremen around. I was
3 wondering what it got into.

4 Do we have any other presentations,
5 Mark, that --

6 **MR. ROLFES:** I don't think we have any
7 presentations so I don't know if you'd like to
8 go back to the matrix to see if there's, I
9 mean, whatever you would like to do.

10 **MR. CLAWSON:** Well, I'd like to go back to
11 the matrix to make sure that we've captured
12 everything.

13 **MR. ROLFES:** Would we like to take a comfort
14 break before we do that?

15 **MR. GRIFFON:** Yeah, I think that's a good
16 idea.

17 **MR. CLAWSON:** Sounds like a marvelous idea.

18 **DR. WADE:** We're going to take a break.
19 Would you think maybe ten, 15 minutes?

20 **MR. GRIFFON:** Yeah.

21 **DR. WADE:** We'll just mute the phone. We'll
22 be back with you.

23 (Whereupon, the working group took a break
24 from 2:40 p.m. until 2:55 p.m.)

25 **DR. WADE:** We're back in session.

1 Brad?

2 **MATRIX DISCUSSION**

3 **MR. CLAWSON:** We're going to start from the
4 matrix. I want to make sure that we haven't
5 missed anything in Finding 4.1.1, we've gone
6 over the RU white paper in quite detail. Next
7 thing that we need to go over is this chemical
8 toxicity of the uranium. And I believe Sandra
9 brought this up a little bit sooner.

10 So which one of you would like start
11 on that one?

12 **MR. ROLFES:** I guess I can give a brief
13 update. I posted a couple of additional
14 documents that Jim Neton had come across. One
15 was a reevaluation of a case study that was
16 done in 1990 by Zau* and Zau*.

17 **DR. NETON:** This is a reference for Hans --

18 **MR. ROLFES:** Yes, correct. Hans had
19 assembled a white paper to evaluate the
20 potential for kidney toxicity from large
21 uranium exposures, and he had cited a 1990 Zau
22 and Zau Health Physics Journal article. That
23 case study was actually just recently
24 reevaluated and was documented in the Health
25 Physics Journal from 2008, February of 2008.

1 That document and another supporting reference
2 were provided to the Advisory Board. We
3 haven't done any additional work on this, but
4 we're prepared to have any discussions that
5 you'd like to have on it.

6 **DR. NETON:** I'd just like to have a few
7 comments. I missed the last meeting where
8 this was discussed. And it's a very important
9 issue, and I think it's a significant issue.
10 The uranium toxicity rating which, of course,
11 has been well established for decades.
12 Toxicity effects known of uranium.

13 But the two papers that Hans did cite
14 I looked at in some detail and neither of
15 them, at least in the eyes of the reviewers
16 that I read, consider those to be evidence of
17 acute chemical toxicity for uranium of the
18 kidney. The Zau and Zau exposure was
19 considered, at least by Ron Katherine to be
20 more related to an overwhelming of the lungs
21 with about a gram or more of exposure which is
22 what they feel the intake would have been.

23 And you see that in the beginning
24 there was a low exposure, and the excretion
25 started to increase over time. And I think

1 that was the lung just sort recouping from
2 this tremendous insult of a massive amount of
3 uranium and then reaching into the stream and
4 the kidney taking over.

5 The other paper where the person had
6 extremely low urinary outputs was considered
7 to be mostly the result of dehydration. They
8 had complete burns over a large portion of the
9 body, and the person just desiccated from
10 oozing out of the pores. It's kind of a gory
11 situation, but that was not necessarily the
12 result of kidney toxicity.

13 **DR. BEHLING:** No, and it wasn't intended to
14 even imply that. When I identified the Zau
15 and Zau paper, it was really the first case
16 that I wanted to draw attention to. And I
17 think if I can elaborate a little bit, what
18 struck me was that if you apply the ICRP
19 model, excretion model, you would expect that
20 the maximum excretion rates for any intake --
21 and they usually obviously model it on the
22 basis of a very modest intake, respiratory
23 intake.

24 And if you ^ the ICRP data, you would
25 expect the maximum excretion rate in the first

1 day or two and then exponentially with a count
2 that exponential gradually coming down. In
3 the case of the Zau and Zau this was a massive
4 intake. It did really result in some changes
5 in urinary excretion patterns or urine
6 constituency that would suggest some renal
7 damage. And what you saw from day one through
8 day 65 there was an almost a 30-fold increase
9 from something like 100 and some, whatever
10 units were, to over 3,000. Thereafter, it
11 peaked and then came back down again. And
12 that totally conflicts with the ICRP model
13 which is based on a non-damaging intake,
14 respiratory intake.

15 **DR. NETON:** But again, at least Ron
16 Katherine's take on this, I believe this is
17 borne out by the other paper, which I believe
18 was the Royal Academy or Royal Society in
19 Britain. I think they had similar conclusions
20 that this was a large intake that affected the
21 ability of the lungs to clear material. This
22 is more reflective of that than kidney damage
23 that occurred. I mean, at least the one in
24 the peer review journal article, and I tend to
25 agree with it that it really is not a

1 nephrotoxicity issue.

2 **DR. MAURO:** So there were no measures that
3 indicated that it was some type of kidney
4 dysfunction?

5 **DR. NETON:** There was, but that was later
6 on. That was way, way down the line. But
7 that did not necessarily affect the kidney.
8 That's what I want to get to. That did not
9 necessarily affect the ability of uranium to
10 be excreted and follow the normal clearance
11 path. Kidney toxicity in and of itself does
12 not necessarily invalidate the metabolic model
13 for uranium being excreted.

14 There are, I think as Mrs. Baldrige
15 pointed out, irritation, glomerulus nephritis,
16 those kind of things, plugging of the ^
17 tubule, those kind of things that we all know.
18 But they don't necessarily in themselves
19 invalidate the excretion as long as the
20 urine's coming out, being filtered at a
21 regular rate.

22 That being said though, there are
23 several things to discuss. One is how would
24 NIOSH handle a situation in which a person had
25 abnormal kidney function irrespective of their

1 exposure to uranium. They just had an
2 abnormal process or something. And that, of
3 course, would have to be handled, you'd have
4 to treat that person essentially as an
5 unmonitored worker at that point and rely on
6 coworker data or something of that effect to
7 reconstruct a dose.

8 If you have a situation though where a
9 person is exposed massively, I'd say a fairly
10 large exposure in the workplace, then one
11 would need to evaluate what possible effect it
12 could have had on the kidneys and treat it
13 that way at that point. Of course, you also
14 treat that as unmonitored. You'd have to go
15 to other means to assess exposure which would
16 either be some air sampling data that might be
17 available, source term, that sort of thing, to
18 flesh out the rest of the story.

19 I guess the crux of the question then
20 is at what point is it decided that kidney
21 damage is possible. It's mostly considered to
22 be possible only with soluble forms of
23 uranium, UF-6s and that sort of thing. And
24 that would have to be taken, you know, that
25 would be one of the triage cut points. But

1 it's pretty well documented in like the health
2 physics manual, good practice at the uranium
3 facilities what these no effect levels might
4 be.

5 Correct me -- I know, Bryce, you were
6 the author of that document. Is it one
7 microgram per gram was considered at one point
8 to be the no effect threshold level which
9 would be any time you had above -- a kidney
10 weighs about 300 grams, somewhere on the order
11 of three-tenths of a milligram of one kidney,
12 one might want to be looking for those
13 effects.

14 I've modeled this before in the past
15 and for moderately soluble, insoluble form,
16 you have to have some pretty massive intakes
17 to get to that level in the kidney even under
18 acute exposure scenario. So I'm not aware of
19 any situation documented in the literature
20 where under a routine occupational exposure
21 scenario, kidney damage has occurred to the
22 extent that it is invalidated or made not
23 useful the standard metabolic model.

24 And we see a lot of this in
25 reconstructions going on in the past, and I'm

1 not aware of any incidents under normal
2 conditions. We wouldn't have to account under
3 these acute, you know, massive, acute exposure
4 incidents. We'd have to look at this on a
5 case-by-case basis.

6 **DR. BEHLING:** And admittedly I looked
7 through the literature extensively, and this
8 was the only instance I found. But also, I
9 should mention the fact that you don't have a
10 lot of data, human data, where a single acute
11 exposure's followed up by daily excretion
12 rates either. So there isn't a wealth of
13 information that would suggest that this is an
14 artifact, and this is abnormal.

15 **DR. NETON:** I think Darryl Fisher* followed
16 up a lot of people that worked at the Kerr-
17 McGee facility that had a massive release at
18 one point. And I thought --

19 **MR. ROLFES:** First Fernald in 1966 with the
20 big UF-6 release from the pilot plant. That
21 was, I think we discussed that a little bit,
22 and I believe we provided that to the Advisory
23 Board as well on the O drive. I don't recall
24 the numbers off the top of my head, but there
25 were several hundred bioassays following that

1 incident on February 14th, 1966. I think we
2 did discuss some of the individuals had in
3 excess of ten or 15 bioassays following that
4 exposure. But that was pretty well documented
5 and tracked.

6 **DR. BEHLING:** And that study is where?

7 **MR. ROLFES:** It's on the O drive. It's the
8 1966 release of UF-6 from the pilot plant.
9 And if I can get into my documents here, I
10 will give you the exact title here.

11 **MR. RICH:** There've been a number of studies
12 associated with change in solubility from the
13 lungs giving you markedly different
14 elimination patterns ^.

15 **DR. NETON:** We just published a ^ on uranium
16 aluminide which looks very much like the
17 excretion pattern that you observed for the
18 Zau and Zau case, not quite maybe as
19 pronounced, but the urine excretion continued
20 to climb over time.

21 **MR. SHARFI:** This was at Rocketdyne.

22 **DR. NETON:** Uranium aluminide is kind of a
23 strange composite material, but it behaves
24 similar that way, and that's really a function
25 of the lung, the way the lung clears its

1 materials.

2 **MR. RICH:** It's a solubility issue.

3 **MR. BEATTY:** I think Sandy's got some very
4 important news you might want to hear on this.

5 **MS. BALDRIDGE:** When I got a copy of the
6 article, "Acute Chemical Toxicity of Uranium,"
7 there was something in it that I didn't
8 particularly like. Near one of the back pages
9 it said, "There are also no known long-term
10 chemical injuries from uranium intake that are
11 sub-lethal..." end of quote.

12 And then it goes on to say, "which
13 would seem to imply that intakes of uranium no
14 matter how large that did not cause death
15 would not result in permanent kidney damage
16 and further notes that permanent renal damage
17 has never been observed in humans according to
18 Athey*, 2007."

19 So I went online, and I called Mr.
20 Athey, and I talked to him about it. And he
21 felt that the person who wrote the paper had
22 misrepresented the intent of the quote. And
23 he further directed me to Mr. McGuire who also
24 co-authored that paper, and he gave me the
25 resource material. And it seems that the

1 determinations were based on two individual
2 cases in China and that all the research that
3 had been done was based on acute exposure and
4 not chronic exposure.

5 So I'm sure that there are some
6 aspects of this that have not ever been
7 discovered. And when I went on to tell him
8 about the 17 men in pilot plant in 1952, he
9 was very interested because he didn't know
10 that there had ever been an incident where
11 more than one or two individuals had been
12 exposed at a single time. And I said, well,
13 you said that it never caused death.

14 I realize uranium poisoning hasn't
15 caused death, I said, but do you, you know,
16 what would make it permanent, a permanent
17 condition? My father was still being tested
18 12 years later. His urinalysis was still
19 showing casts, levels of protein, so forth, to
20 the point that right before he retired, he was
21 being checked every week, every two weeks to
22 monitor the renal condition. You know, maybe
23 21 uranium urinalysis out of 60 urinalysis
24 results over a timeframe. They were looking,
25 they were watching something. So the fact

1 that when he died he still had it made it
2 permanent as far as I was concerned.

3 **DR. NETON:** I guess I don't want to get into
4 too many specifics here, but was there an
5 incident, a large exposure incident associated
6 with your father's condition?

7 **MS. BALDRIDGE:** Well, there were 17 men who
8 were exposed in the pilot plant in 1972, 100
9 percent of whom were determined to have renal
10 damage. The document is in the petition. My
11 father was not one of those 17.

12 **DR. NETON:** He was not one of the exposed.

13 **MS. BALDRIDGE:** He was not one that was
14 recognized to be exposed.

15 **DR. NETON:** So I guess the question is then
16 was there any evidence of, in the urine --
17 your father was presumably monitored for
18 uranium in urine over time.

19 **MS. BALDRIDGE:** Right.

20 **DR. NETON:** Was there any evidence in his
21 urine samples of increased excretion of
22 uranium in urine?

23 **MS. BALDRIDGE:** Not necessarily uranium.

24 **DR. NETON:** I guess the question is then how
25 does one know whether the kidney damage was

1 caused by uranium exposure or some natural --

2 **MS. BALDRIDGE:** Because he didn't have it
3 and he had it within the first year of his
4 employment.

5 **DR. NETON:** But again, I guess it's an open
6 question.

7 **MS. BALDRIDGE:** And it was diagnosed by the
8 plant --

9 **DR. NETON:** I'm not questioning if the
10 uranium and kidney damage was there, but if it
11 was --

12 **MS. BALDRIDGE:** -- and they attributed it to
13 exposure.

14 **DR. NETON:** That's been documented in the
15 file?

16 **MS. BALDRIDGE:** Yes.

17 **DR. NETON:** I'd like to see that.

18 **MR. ROLFES:** Did you bring those medical --

19 **MS. BALDRIDGE:** Yes, I did.

20 **MR. ROLFES:** To address what you had asked
21 about the exposure studies for individuals who
22 were chronically exposed, at the last working
23 group meeting we did discuss a little bit of
24 some of the autopsy data and some of the
25 studies that were done for individuals.

1 They had not found any indication that
2 individuals who were exposed to large
3 quantities of uranium had any observable
4 effects on kidney function or the physiology
5 of the kidney. The case study that was quoted
6 by SC&A in their review was, in fact, an acute
7 exposure scenario, and it's been reevaluated
8 in this current journal.

9 **MS. BALDRIDGE:** There's also a document that
10 talks about the effect that the uranium has on
11 the proteins and the glucose and how the cell
12 damage causes, when the cell ruptures, it
13 releases the proteins and so forth from the
14 cytoplasm which all are evidenced in the
15 urine.

16 **DR. NETON:** That's fairly well established.
17 I understand that. But as I mentioned before,
18 there are at least reference studies that
19 demonstrate or at least indicate that it takes
20 a certain amount of uranium exposure to
21 initiate any observable damage, and those
22 levels have been fairly well documented. And
23 they would be fairly large exposures that
24 would result in urinary excretion of uranium.
25 I don't know where to go other than one can

1 calculate the level of exposure necessary to
2 start to have these changes in the kidney.
3 And I think it would have to have some fairly
4 high level of exposure to result in those --

5 **MS. BALDRIDGE:** And if that's the case, he
6 was not, you know that wasn't documented for
7 him, the exposure rate --

8 **DR. NETON:** Well, if there was uranium in
9 the urine samples, but --

10 **MS. BALDRIDGE:** --especially since the
11 exposure, the incident that involved the 17
12 men was estimated to be in August. His
13 urinalysis was done the end of December.

14 **MR. ROLFES:** The 17 individuals, I did look
15 back in the HIS-20 database and took a look
16 through some of the urinalyses that were
17 documented in there for the 17 individuals
18 that were involved in the pilot plant work. I
19 don't believe it was one small release that
20 occurred in the pilot plant in 1952. It was a
21 series of chronic exposures that occurred in
22 August and September of 1952. The individuals
23 that were working in the pilot plant, there
24 are some high exposures that certainly are,
25 there are a couple of exposures that were in

1 excess of one milligram per liter, but there
2 are data there.

3 Getting back to what we were referring
4 to before, I had mentioned the urinalysis
5 results for the individuals who were involved
6 in the 1966 release of UF-6. We have a
7 National Lead of Ohio document indicating
8 urinalysis results for the AEC employees who
9 were involved. And there are individuals who
10 had, let's see, for one of the AEC employees
11 following the 1966 release on February 14th,
12 he'd provided four separate urine samples on
13 that, on the 14th, three urine samples on the
14 15th, a urine sample on the 16th, another on
15 the 17th, another on the 18th, and his final
16 one that's documented in this report was on
17 the 21st, so one week after. But I haven't
18 gone into HIS-20 to see if they were monitored
19 beyond this time period. But there were some
20 pretty close, if there was an incident that
21 occurred, they did track these urine samples
22 to make sure that --

23 **DR. ZIEMER:** What about the excretion
24 patterns on this group of 17? Do they look
25 like the normal models or do they --

1 **MR. ROLFES:** Yes, they, all of them start
2 off from UF-6 which is fairly soluble, gets
3 into the bloodstream pretty quickly. It's
4 excreted pretty rapidly. And all these
5 individuals, I think all of them listed on
6 this page, their highest results appear to be
7 on the first day, on the 14th, so on the day of
8 the release.

9 **DR. NETON:** One of the issues with exposure
10 to UF-6 is it's also usually accompanied by
11 exposure to hydrochloric acid because UF-6
12 oxidizes in air immediately and forms UO₂F₂
13 and hydrochloric acid. And that definitely
14 can influence your lung clearance and make
15 patterns look somewhat different, but it's not
16 related to chemical issues with the kidney;
17 it's lung clearance issues.

18 **MS. BALDRIDGE:** Since you bring up lung
19 clearance, another, you know, looking through
20 my father's case, another thing he was exposed
21 to is nitric oxide. And they didn't discover
22 until 1986 that it causes vasodilation in the
23 lung and increases the capacity of the lung.
24 Now it seems to me that it's a possibility
25 that if the lung tissue is dilated, it allows

1 a greater absorption. But when the exposure
2 to NO is diminished, it would present a
3 situation where there could be folds in which
4 particulates could have been captured because
5 those portions of the lung aren't normally
6 expanded.

7 **MR. ROLFES:** That's an interesting, there
8 are some agents that are given, it almost
9 sounds as if you're saying that this could be
10 like a kelating agent. If you have uranium
11 that's deposited in your lung tissues, if
12 vasodilation occurred, that would seem that it
13 would expedite the clearance of uranium from
14 the lung tissue and speed up the amount of --
15 excuse me, speed up the amount excreted. So
16 by doing that it would impart less dose to the
17 organs because the uranium wasn't residing in
18 the tissues quite as long.

19 **DR. BEHLING:** Well, it would be the
20 opposite. You would transfer much more
21 rapidly the uranium from the ^ to the blood
22 meaning that it's more likely to ^ in the
23 kidney, and therefore, do the damage in the
24 kidneys.

25 **DR. NETON:** But you'd also get a much higher

1 uranium output which would overestimate your
2 intake.

3 **DR. BEHLING:** Well, but the kidney damage
4 only occurs when you have blood-borne uranium
5 that is now either ^ the kidney or goes to --

6 **DR. NETON:** I understand, but you do need to
7 have a certain level of uranium where you
8 start to see kidney damage. And we can do
9 those calculations if you want to go through
10 this in a working group. But it takes a
11 considerable amount of intake to get the
12 kidney damage.

13 **DR. BEHLING:** But for a given, let's say a
14 large intake, you have an intake, the addition
15 of bronchodilation and increase of blood flow
16 would obviously imply one thing. There is a
17 much more rapid clearance by transfer --

18 **DR. NETON:** That's all speculation, Hans,
19 and I don't know. I mean, we're speculating
20 in biology and none of us can prove theory.

21 **MS. BALDRIDGE:** But what it does present is
22 an unknown factor.

23 **DR. NETON:** True, but this is one of the
24 reasons we have a GSD, a geometric standard
25 deviation, associated with our defined dose

1 estimates because we don't know all these
2 factors. It's also another reason why the 99th
3 percentile is used for a compensation decision
4 in this program. So there's a number of
5 safety nets built into the program to account
6 for some of this variability in the biology.

7 (no response)

8 **DR. NETON:** Boy, I must have answered
9 everything.

10 **MR. GRIFFON:** I don't know where to take it.
11 I've actually brought up the HF issue from way
12 back in Mallinckrodt, and ICRP-66 does a lot
13 of U-2 to use modifying factors, and I don't
14 know if anybody has a sense of what, in
15 looking at that we could do a couple things.
16 I mean, I wasn't clear exactly what it would
17 do on lung doses or other doses so this could
18 be another one of those things that fits in
19 that category. Maybe it's something should be
20 deferred to our science issues, that's your
21 category, right?

22 **DR. NETON:** It's something that --

23 **MR. GRIFFON:** Have to give you something to
24 do here.

25 **DR. NETON:** --I don't know if we're going to

1 address it with the known information as it
2 stands.

3 **DR. ZIEMER:** I'll just add a comment which
4 won't really enlighten us that much more, but
5 Sandra makes a good point about the fact that
6 there are many chemicals, in fact, that we
7 know can alter the metabolism. And the only
8 way we can currently account for these is the
9 way Jim described, and that is by assuming a
10 big enough distribution and going up at the
11 end of the distribution to in a way take care
12 of those. But in principle, if we knew the
13 concentration of the other chemicals and, in
14 fact, had biological data that we could go to,
15 which in most cases we don't with the
16 chemicals, we might be able to say how much a
17 model was altered.

18 **MR. GRIFFON:** See, that's, ICRP-66 does have
19 some --

20 **DR. ZIEMER:** Allows you to do that. But I'm
21 saying you still need to know what the
22 exposure to the other chemical was, number
23 one, and, two, what the effects of that were.
24 By and large for most chemicals we all know
25 that.

1 I know that the industrial hygienists
2 sort of had that information most of which is
3 based on animal data, like the uranium is
4 mostly based on animal data, and extrapolated
5 with usually a factor of ten thrown in to be
6 on the safe side. So I mean, in principal we
7 want to be able to do that, but in practice it
8 is going to become very, very difficult even
9 in individual cases unless you knew precisely
10 what the other exposure was.

11 **MR. GRIFFON:** And I mean, it's also -- I
12 would agree generally, Paul, but I think that
13 there's some, the reason I brought up HF was
14 as Jim said, usually if you get exposure to
15 UF-6, you, you know, once it's in there you
16 get UO₂F₂, and you've got HF. They're always
17 together. So that was a unique situation
18 where you always have the chemical exposure
19 with the radionuclide exposure.

20 **DR. ZIEMER:** But what do you do with that,
21 see.

22 **MR. GRIFFON:** Well, and ICRP does have some
23 guidance.

24 **DR. ZIEMER:** Yeah, and in fact, you could
25 take that group of people, and if you could

1 show that their excretion rate was different
2 from the ICRP model, you could say, okay,
3 here's what you should do if you've had that
4 kind of exposure.

5 **DR. NETON:** I suspect in the long-run
6 though, you're talking about second, third
7 order corrections here on something that we
8 don't really know that --

9 **MR. GRIFFON:** You may be right --

10 **DR. NETON:** I just made a list here --

11 **MR. GRIFFON:** -- you might do the analysis
12 and see that your --

13 **DR. NETON:** We don't know the ventilation
14 rate for sure. We're assuming 20 liters per
15 minute. We don't know the lung size. We're
16 assuming a thousand gram lung. Oronasal
17 breathing has been brought up before as an
18 issue. Mucociliar clearance rates that are
19 affected by cigarette smoking are not
20 considered.

21 So there's a number of factors such as
22 this that are in there, and I'll go back to my
23 initial point what Dr. Ziemer mentioned is,
24 that's why we have uncertainties built into
25 these models because in a program such as

1 this, you just cannot possibly account for all
2 these factors on an individual basis, I don't
3 think. I don't disagree that it's not
4 something that NIOSH shouldn't be aware of and
5 consider to the extent we can, but I'm not
6 real optimistic that we're going to be able to
7 do anything in this area although we certainly
8 want to keep our eyes open for areas where we
9 --

10 **MR. GRIFFON:** I think at least where there
11 is -- I mean, we always say current ICRP
12 guidelines, where there is guidance out there
13 on certain modifying factors, we should
14 consider that.

15 **DR. NETON:** Yes, and where we have HF
16 exposure, maybe we ought to take a look at
17 that. I'm not saying we wouldn't. At Fernald
18 in my recollection there were very few HF
19 exposures. I mean, we pulled out a couple
20 here, but at least to most of my knowledge and
21 the operating history of the plant, HF was not
22 a big player, I mean UF-6 was not a big
23 player. There's limited, but unfortunately
24 what they did do, they had a few unfortunate
25 encounters with screwing valves on tanks and

1 stuff.

2 **DR. ZIEMER:** Could I ask one other question?
3 And maybe Bryce or maybe Mark can answer this
4 or Jim, but do any of you recall in the
5 Uranium Transuranic Registry I know they have
6 autopsies for some of these where they can
7 relate to body burdens. What's in the
8 registry on those with heavy uranium burdens
9 as far as the damage to the organ is
10 concerned?

11 **MR. ROLFES:** Yeah, we did have some, let's
12 see, I've got a paper here in front of me
13 that's titled "The Histological Kidney Study
14 of Uranium and Non-uranium Workers". And --

15 **DR. ZIEMER:** Is this from the registry?

16 **MR. ROLFES:** Yeah, there's comparison of
17 case studies from the United States
18 Transuranium and Uranium registries, and
19 there's specific cases in here that are
20 compared. Their findings essentially said
21 that there was no observable effects in the
22 kidneys that were inspected from the exposed
23 population versus the non-exposed population.

24 **DR. ZIEMER:** Even in the heavy uranium
25 cases?

1 **MR. ROLFES:** Correct. They had considered -
2 - I will get back to, there's some specific
3 USTUR Case Number 10-40. He was a chemical
4 operator and fuel operator who was employed
5 for 31 years. He passed away in 1982 and was
6 71 at the time of death. His estimated
7 occupational exposure was tens of milligrams
8 of uranium.

9 **DR. NETON:** Just so Emily understands, this
10 is peer-reviewed literature we're working
11 from.

12 **MR. ROLFES:** Yeah, yeah.

13 **DR. ZIEMER:** Open literature.

14 **MR. ROLFES:** There was a second chemical
15 operator who had worked for approximately 26
16 years, passed away in 1978 and was 49 at the
17 age of death. He was exposed to hundreds of
18 milligrams of uranium. A millwright who was
19 exposed to tens of milligrams, and then on
20 down to -- and then about seven specific USTUR
21 cases, and then six individuals who had no
22 occupational exposure to uranium.

23 **DR. NETON:** They were in the USTUR?

24 **MR. ROLFES:** Yeah, these were --

25 **DR. NETON:** They were controls.

1 **MR. ROLFES:** These were controls and there
2 are discussions of the microscopic kidney
3 diagnostic scores that were conducted for the
4 various cases. There were, let's see, four
5 abnormal findings in the unexposed population
6 and three abnormal findings out of the seven
7 in the exposed population. I believe this has
8 been provided to -- let me verify that.

9 I apologize. I've got many documents
10 on my disk here. The title of this, it is a
11 Health Physics Journal article, and it's
12 titled "Histological Kidney Study of Uranium
13 and Non-Uranium Workers". And it's from
14 Health Physics 70-bracket-4, pages 466 through
15 472. Let me see if I've got it in an
16 electronic form here.

17 There were some other studies as well
18 also that were conducted at Fernald early on.
19 One of the individuals that was involved in
20 industrial hygiene and health and safety had
21 prepared some tissue samples for the Hamilton
22 County coroner, I believe, for the coroner in
23 the area to examine also.

24 And this was certainly one of the
25 things that they were concerned about is early

1 exposures. They didn't have human information
2 to confirm their bioassay results. And so
3 there were certainly concerns early on and
4 studies done early on. And I do have
5 documentation of that. I apologize. I've got
6 a box of records here in front of me, and I
7 could dig through there and look to see what
8 we have in there. I don't have the titles of
9 those documents. But those are documented on
10 the site research database as well in addition
11 to this Health Physics Journal article. I can
12 certainly --

13 **DR. NETON:** I think this pretty much bears
14 out what we've been saying is that as far as
15 the routine occupational exposures, we're not
16 aware of any permanent damage to the kidneys
17 that I'm aware of in the open literature.

18 **MR. GRIFFON:** I mean, is there any instance
19 from their annual physical data that you would
20 say, clearly we've got, this person had a
21 problem identified in their annual physical?

22 **DR. NETON:** I think there's a difference
23 between a test that has an end point that
24 determines there's something awry with the
25 kidney versus damage that would affect the

1 kidney's ability to clear uranium. Those are,
2 because as the tests get more and more
3 sensitive, some of these enzyme tests and
4 stuff, you can measure changes of people
5 drinking uranium in well water.

6 I mean, you can start to measure
7 changes in the kidney. What does that mean on
8 a practical basis? I don't know. Just
9 because you can measure an effect doesn't mean
10 that it does any, has an impairment to the
11 person's function. I'm sure in the medical
12 files of people there are tests that have
13 demonstrated protein albumin urea increases
14 and such based on exposures to uranium. But
15 I'm not certain that they've done anything to
16 impair the ability of a person to excrete
17 uranium normally. I guess that's sort of the
18 bottom line.

19 **MR. BEATTY:** Jim, a question for you there
20 as far as this is much more problematic or
21 even legislative in nature, but you're saying
22 some cancers are more radiogenic than others.
23 But why would the certain types of cancers
24 when you try to do dose reconstruction on them
25 are so complex but yet they're on the 22

1 covered cancers under an SEC? This doesn't
2 make sense to me. Pancreatic's another one
3 that I have trouble with.

4 **DR. NETON:** I can only say that NIOSH was
5 not responsible for establishing that list and
6 so I couldn't comment on the rationale behind
7 those 22 cancers.

8 **MR. BEATTY:** Okay, thank you anyway.

9 **MR. GRIFFON:** Well, I'm just not sure where
10 to go with this action item. We've got a
11 response. I don't know if there's any follow
12 up needed.

13 Hans, have you had your questions
14 answered?

15 **DR. BEHLING:** I mean it's just an aberration
16 of sorts that defines in Zau and Zau. It may
17 very well be to more a damage to the lungs in
18 transferring the material into the bloodstream
19 as opposed to kidney damage. We don't know.
20 I mean, it's an open-ended question that can't
21 be answered by us.

22 **MR. GRIFFON:** Do you want a chance to at
23 least look at the Katherine paper?

24 **DR. BEHLING:** Well, I looked at --

25 **MR. GRIFFON:** I don't think there's any

1 further action --

2 **DR. BEHLING:** I looked at the other papers.
3 I mean, Katherine offers very little other
4 than this speculation that it might be due to
5 lung damage in the transfer rate from the
6 lungs to the bloodstream that is the key
7 factor for this aberrant excretion.

8 **DR. NETON:** We recognize the fact that this
9 was over a gram of exposure if you believe Ron
10 Katherine's dose reconstruction --

11 **DR. BEHLING:** Well, it is a Katherine that
12 the 82-point-some milligrams excreted total is
13 only a fraction of the total intake.

14 **DR. NETON:** I think that we would agree that
15 any time we had a situation where a person's
16 exposed to a gram of uranium or something, we
17 would take special precautions to make sure
18 that our dose reconstruction, that the
19 person's excretion patterns follow the normal
20 metabolic parameter.

21 So maybe that's the outcome of this is
22 we need to document that we would do that. I
23 think that we would normally do that, but if
24 we need to put that in writing that we need to
25 exercise caution for extreme exposure

1 incidents or something.

2 **MR. GRIFFON:** Now how do you know? Oh, just
3 from an incident database or from the personal
4 records that it's in there or how do you --

5 **DR. NETON:** See, my feeling is that these
6 type of incidents would be virtually and
7 possibly undetected. I mean, they would be
8 these massive, a person just enveloped in a
9 cloud and they go to Medical or something like
10 that.

11 **DR. MAURO:** This almost goes to the question
12 that I have, and maybe it's more academic, is
13 that the models we have are the standard man,
14 reference man, given the uncertainties both
15 individuals realize no one is a reference man.
16 Everyone is an individual, variabilities
17 large. But at some point the variability for
18 a given person may be due to some pathological
19 condition, perhaps some kind of kidney
20 dysfunction unrelated to work.

21 It brings you to a point where perhaps
22 these models don't work for that person. And
23 I guess the question becomes is there any
24 provision to deal with that, for example, in
25 the CATI. When you interview or you find out

1 from a person's medical records that this
2 person had a certain type of dysfunction, a
3 medical condition, which would invalidate our
4 models and maybe we should deal with them a
5 little differently or that would be something
6 that we would look into.

7 **DR. NETON:** Well, we've done that, and
8 there's cases where people had their thyroid
9 removed and they were exposed to iodine, and
10 we're certainly not going to use a standard
11 metabolic model for iodine.

12 **DR. MAURO:** I'm sure.

13 **DR. NETON:** But I don't know how we would do
14 that. We're not medical people to begin with,
15 and so we do get the medical files on these
16 folks, but unless it was pointed out to us,
17 I'm not sure what we would do about that.

18 **DR. ZIEMER:** But if you had an incident
19 where you followed the excretion -- I mean,
20 I've had this -- and the individual's
21 excretion rate is a little different than the
22 ICRP model, maybe not a great amount, and you
23 can calculate using the actual data, the
24 actual dose to the person. And it will be a
25 little different than the model. The model,

1 if you just have a couple points, the model
2 helps you, but if you've got a bunch of
3 points, you can do it.

4 **DR. NETON:** I'm certain that we've done some
5 of that, and Super-S is a good example of how
6 we've taken real data and come up with our own
7 interpretation of uranium aluminide that just
8 came out of another good example. So to the
9 extent that we do find these things and can
10 quantify them, we do. Some of these more
11 subtle changes that we discuss here though I
12 think are subtle, subtle. By definition
13 they'd be difficult for us to deal with except
14 to say that they're covered by the uncertainty
15 in the distribution.

16 **MR. GRIFFON:** Well, I guess that's the
17 question on the table. Is that the final
18 answer? Is it covered by uncertainty or are
19 you going to propose that you'll -- and I'm
20 not sure when you say find, that's the
21 question I have. How do you find them?

22 **DR. NETON:** I hate to offer this up because
23 we're swamped, but I do think that this is not
24 necessarily a Fernald issue, just a Fernald
25 issue. It is a more overarching issue, and if

1 we want to keep it on the table, we can move
2 it to the overarching science issues.

3 **MR. GRIFFON:** Yeah, but we've got an SEC at
4 hand here.

5 **DR. NETON:** But I don't know that this issue
6 is necessarily, would affect the SEC.

7 **MR. GRIFFON:** ^ from bounding an --

8 **DR. NETON:** Yeah, from bounding and --

9 **DR. MAURO:** You basically made your case,
10 when I say made your case, you've presented
11 your case that says that we don't believe our
12 ability to reconstruct doses with sufficient
13 accuracy could be affected by the fact that
14 some workers may have had quite high intakes.
15 And as a result of that we, our models don't
16 really work very well for a large portion of
17 the population to such an extent that it
18 affects your ability to reconstruct doses.
19 And that's what I'm hearing. That's your
20 position. And I heard, and you cited the
21 various papers, and that's your position. So
22 your argument is, no, it does not affect our
23 ability.

24 **DR. NETON:** But we do acknowledge that
25 people with abnormal kidney function or people

1 involved in extremely high-level exposures
2 from incidents need to be treated special on a
3 case-by-case basis.

4 **MR. GRIFFON:** Well, I guess that's the
5 question is how do you find abnormal kidney,
6 you know, and that's why I was asking a
7 medical question. Do you look back at the
8 annual physicals --

9 **DR. NETON:** I don't know. There was just no
10 way that would be --

11 **MR. GRIFFON:** I'm not trying to put you on
12 the spot.

13 **DR. NETON:** No, I understand, but --

14 **MR. CLAWSON:** I'm just wondering how the
15 dose reconstructor develops, be able to go
16 through this.

17 **DR. NETON:** Right, like I said, we're not
18 medical people. I mean, we're health
19 physicists. We do have access to medical
20 personnel, but --

21 **UNIDENTIFIED SPEAKER:** ^

22 **DR. NETON:** Well, it can lead to a massive
23 intake. I mean, we can certainly deal with
24 that.

25 **MR. GRIFFON:** So one criteria you have is if

1 you can red flag --

2 **DR. NETON:** Yeah, there's --

3 **MR. GRIFFON:** -- maybe you can just define
4 that for us. Give us over whatever, whatever
5 it is.

6 **DR. NETON:** An intake that would result in
7 something over 200, 2000 millirem, something
8 like that. That would be, we could document
9 that. But the case where you have abnormal
10 kidney function, which at least to my
11 knowledge is not uncommon. High blood
12 pressure can cause kidney dysfunction, a
13 number of things can do it other than uranium.

14 **DR. ZIEMER:** Coffee does pretty well.

15 **DR. NETON:** I don't know how we would be
16 able to flag that other than it would have to
17 come ^. But that's not just uranium in the
18 kidney. It has to do with liver function and
19 cirrhosis of the liver and all the metabolic
20 parameters. ^ the thyroid.

21 **MR. GRIFFON:** I don't know that there's any
22 more actions on that, but let's go ahead with
23 the next one.

24 **MR. CLAWSON:** The next one we need to come
25 up to, we kind of covered because we were

1 talking about the thorium model and so forth
2 of how you guys were going to cover it, but
3 part of the process is after 1969, how are we
4 going to, yeah, 1968, how are we going to be
5 able to deal with the thorium issue. This is
6 one that portable in vivo came on line.

7 **DR. BEHLING:** And let me, I can answer
8 specific questions because it's a more focused
9 response. I guess this morning's discussion
10 regarding the coworker model in context with
11 all the workers who may have been exposed to
12 thorium prior to 1968. And the use of that
13 coworker modeling involves identifying the
14 worker by either being an H, M or L worker,
15 the years of exposure, the location of the
16 exposure, and you apply the specific coworker
17 model I take it.

18 Now we're into 1968 where there's the
19 beginning of chest counting, in vivo chest
20 counting using the mobile in vivo radiation
21 monitoring laboratory that, at least for the
22 most exposed individuals would perhaps assess
23 them once a year, sometimes twice a year, et
24 cetera. And I guess one of the concerns I had
25 up front is that between '68 and '78, the data

1 was recorded as thorium in milligrams. And
2 I'm not sure we have a firm handle on how the
3 thorium milligram quantity was obtained.

4 Obviously, the system relied on
5 Actinium-228 and Lead-212, and we all know
6 what the problems are regarding the
7 disequilibrium between Thorium-232 and 228 and
8 the surrogate radionuclides used. Obviously,
9 it's times zero if you were to assess a person
10 with a high thorium intake, but you're looking
11 at that intake by way of Actinium-228, you'd
12 end up with zero dose because you wouldn't see
13 any Actinium-228 at times zero because you
14 remove the Radium-228, and therefore, there
15 wouldn't be any Actinium-228 to look at.

16 You would obviously have to rely on an
17 in-growth of the shorter-lived daughters for
18 Thorium-228 which turns out to be Lead-212.
19 But again, as a function of time, Thorium-228
20 has a 1.9 year half-life and it's a function
21 of time after that chemical separation which
22 you find. And we've all seen the curve which
23 says that the dose ratio between Thorium-232
24 and Thorium-228 is about 0.42 or 42 percent.
25 So the question I have is not knowing what the

1 chemical ratio is or the ratio between
2 Thorium-232 and -228, and the indicator
3 radionuclides for each of those two
4 radionuclides, and how do you validate the
5 milligram thorium quantity? What was the
6 basis of it?

7 Because if you relied on Lead-212, you
8 could be off by approximately a factor of two
9 and a half if the maximum disequilibrium
10 between the two radionuclides occurred. In
11 other words for every microcurie of Thorium-
12 228, you would expect to have approximately
13 (telephonic interruption) Thorium-232.
14 Conversely, if you relied on Actinium-228
15 because that's the surrogate for Thorium-232,
16 you might end up with a very low value based
17 on the fact that Radium-228 has a 6.7 year
18 half-life and will take 30 years for in-
19 growth.

20 So that could be full equilibrium
21 again. So that you would have to wait 30
22 years in order to look at Actinium-228 to give
23 you a true indication of Thorium-232. So
24 those are the problems. I know I've used an
25 awful lot of numbers, but you can look at that

1 table and understand the difficulty by which
2 the milligram of thorium quantities for the
3 years '68 through '78 is translated into real
4 numbers involving Thorium-232 and Thorium-228.
5 And I think that's my principal concern and
6 question.

7 **MR. ROLFES:** I don't know if Bob Morris is
8 still on the line. Bob?

9 **MR. MORRIS:** I missed the last part because
10 I was trying to unmute, and I turned myself
11 off instead. But what I would say based on
12 what I heard up until 45 seconds ago was we
13 explained our assumptions pretty clearly in
14 the coworker paper. Have you seen that paper
15 yet?

16 **DR. BEHLING:** Yes, I have, and I do have
17 some problems on that.

18 **MR. MORRIS:** Well, I think that that's fair
19 then. You should provide them in writing, and
20 we'll address them.

21 **DR. BEHLING:** Well, then I think we can
22 address them here because somewhere is the
23 assumptions. It says on your white paper --

24 **DR. ZIEMER:** Which paper are we looking at
25 now?

1 **MR. ROLFES:** This is the Fernald Thorium In
2 Vivo Coworker Study final draft.

3 **MR. GRIFFON:** And, Mark, I don't know if
4 you're following this on the matrix. What
5 finding is this?

6 **DR. NETON:** Are you suggesting that radium
7 precedes actinium in the decay chain of
8 thorium? I don't think so.

9 **DR. BEHLING:** Yeah, Radium-220, it precedes
10 Actinium-220.

11 **DR. NETON:** Refresh my memory again, the
12 half-life's, the half-life of radium is around
13 six years?

14 **DR. BEHLING:** Six years, yes.

15 **DR. NETON:** The thorium is, actinium is --

16 **DR. BEHLING:** A few hours --

17 **DR. NETON:** I haven't looked at -- I used to
18 have this committed to memory.

19 **DR. BEHLING:** In that paper, it's on page
20 seven of 19, there are --

21 **DR. ZIEMER:** This is the white paper on --

22 **DR. BEHLING:** Yeah, the white paper and
23 that's -- and here's some of the assumptions.
24 It obviously makes reference to this potential
25 problem that says, "for the thorium data

1 reported in milligrams, the master activity
2 conversion assumed that all of the mass of
3 natural thorium is associated with Thorium-
4 232." And that's, of course, correct because
5 of the long half-life of Thorium-232. The
6 mass is driven by the long half-life of
7 Thorium-232 as opposed to the shorter one of
8 Thorium-228 which is only 1.9 year half-life.

9 And then goes on further, "The
10 specific activity factor used for this
11 conversion was 0.11 nanocuries of Thorium-232
12 per milligram of natural thorium." And so I
13 assume what you're doing is trying to convert
14 some value that you observed either from
15 actinium and took a Lead-212 or both into this
16 conversion of milligrams.

17 And then I'm not sure I know what
18 measurements were taken at the time because
19 it's clear that they probably -- and I've
20 looked at a host of values that are shown in
21 one of the documents that are empirical
22 values, and you realize that the ratio is
23 hardly ever one where the Lead-212 and the
24 Actinium-228 are there in concentrations of
25 activity values that would suggest a second ^

1 between the two thoriums. And so the question
2 I have is how was this milligram quantity
3 reported for the full duration of ten years?

4 And I might also add that the time
5 period of '68 to '78 is the time period during
6 which thorium was really processed. It was
7 only thereafter that we see reporting of
8 thorium in units of Lead-212 and Actinium-228
9 but that in 1978 post-dates the processing of
10 thorium. So I think it's a critical issue
11 here to understand how milligrams of thorium
12 reported in those days are converted into real
13 values of what do they really represent.

14 **DR. NETON:** I think you raise a good point.
15 I mean, I don't know off the top of my head
16 how Fernald --

17 **MR. RICH:** Number one, there's an assumption
18 made about the time since separation.

19 **DR. BEHLING:** Yes, that's a critical part.

20 **MR. RICH:** And once you know that then, of
21 course, you can, the Thorium-228 is fairly
22 easy because that's a short-lived daughter
23 build-up which then can give you a fairly good
24 handle on the Thorium-228. And then with the
25 knowledge of the time since separation of the

1 daughters either through metallurgical or
2 chemical separation, so there is a respondent
3 for some knowledge of the time separation of
4 the, or the purification of the thorium.

5 **DR. NETON:** I think that's what Hans is
6 asking. What we've used in this calculation.
7 And I don't really know what Fernald did at
8 that point in time.

9 **DR. ZIEMER:** Is what's on page 17 applicable
10 here? It gives conversion factors there.

11 **DR. NETON:** Yeah, the conversion factors, I
12 have to go through them and calculate and
13 probably estimate based on the conversion
14 factor what they used. I don't know.

15 **MR. RICH:** Different ^ materials that ^ to
16 Fernald's in the one or so year post initial
17 separation. And ^ it probably would be in ^.

18 **DR. ZIEMER:** They also give the assumed
19 ratio as the midpoint between the lowest and
20 the highest value. It's a 0.711 ratio.

21 **MR. RICH:** And that gives you about a 0.7
22 ratio.

23 **DR. NETON:** I mean, the numbers are there.
24 Clearly, they understood what they were doing.

25 **DR. BEHLING:** They understood.

1 **DR. NETON:** How they actually did that to
2 come up with those values I really can't tell.

3 **MR. RICH:** Like I say, you start with an
4 assumption or a knowledge of the time since
5 separation which gives you a ratio of the
6 Thorium-228 --

7 **DR. BEHLING:** But that, was that a constant
8 value? I mean, the thorium was processed over
9 many, many years, and I don't think the -- at
10 times zero, one can reasonably start out in
11 assuming that the two thoriums are in
12 equilibrium. That's not unreasonable because
13 it's a natural product, and they ^ . And at
14 that point you'd say one-on-one, but as a
15 function of time you will see disequilibrium
16 which is maximum at about four years, five
17 years after separation where you have --

18 **MR. RICH:** Hans, Hans, immediately after
19 purification, you have a ratio of one-to-one.

20 **DR. BEHLING:** Yes, uh-huh.

21 **MR. RICH:** And then after 30 years, you have
22 --

23 **DR. BEHLING:** You go back to one-to-one.

24 **MR. RICH:** Right. And in the interim
25 between immediate and zero, you wind up with

1 something in the range of --

2 **DR. BEHLING:** Up to two and a half whole
3 difference.

4 **MR. RICH:** Seventy percent equilibrium.

5 **DR. BEHLING:** Well, I think in [^] 40 percent.
6 The [^] is about 40 percent.

7 **MR. RICH:** Nonetheless, I think that's
8 right. But typically the material was in the
9 range where you would get between 60 and 70
10 percent. And I think that from a knowledge of
11 the operational history of the material in the
12 plant, they made an assumption like that to
13 arrive at a ratio to apply that would allow
14 you to go the actinium and Lead-212. Those
15 are the major ones that they made in the in
16 vivo counter to --

17 **DR. NETON:** Was it either or though? I
18 mean, did they --

19 **MR. RICH:** They measured both, Actinium-228
20 and Lead-212, and that gave you an arrangement
21 that allowed you to fundamentally determine
22 the mass of Thorium-232. And then you add to
23 that the equilibrium ratio of the 228.

24 **DR. ZIEMER:** Is the concern here the
25 magnitude of the potential error? I missed

1 that.

2 **DR. BEHLING:** Yeah, I mean, it's a question
3 of how this conversion was done when you have
4 milligrams reported. What were they measuring
5 to arrive at that conclusion? And again, and
6 I also want to throw in there's the issue of
7 the detector itself. I mean, it is not the
8 most efficient way of doing this analysis when
9 you're dealing with fairly low energy photons.
10 And we've commented on this before, and I even
11 brought in one of the documents that was a DOE
12 document that criticized the use of the three-
13 by-three crystal for doing this kind of
14 analysis.

15 **DR. NETON:** What three-by-three crystal?
16 The whole body count was not a three-by-three
17 inch crystal.

18 **DR. BEHLING:** Yes, it was a large crystal.

19 **DR. NETON:** It was a three-by-14 or
20 something like that. It was a very large --

21 **DR. BEHLING:** Well, a very thick, large
22 crystal.

23 **DR. NETON:** It was bigger than three-by-
24 three. It covered the whole lung area. As a
25 matter of fact, it was a sandwich between

1 those two detectors, one on the top and one on
2 the back. And you laid a sort of a mesh, a
3 webbed top to --

4 **DR. BEHLING:** It's a nine inch by four inch
5 crystal, ^ crystal.

6 **DR. NETON:** Yeah, and there are two of them.

7 **DR. ZIEMER:** Well, we've had this discussion
8 before. The background is a little higher
9 because it's thick, and you do better --

10 **DR. BEHLING:** And it's ^ sensitive.

11 **DR. ZIEMER:** -- well, let's see. I'll make
12 the argument I made before. Usually the
13 figure of merit is sample squared to
14 background. So you can atolerate (ph) a high
15 background if you can run your sample count up
16 higher. So thin crystals often give you
17 better sort of resolution because they get rid
18 of background noise. But --

19 **MR. RICH:** In the area that would give you
20 ^.

21 **DR. ZIEMER:** But generally, you compensate
22 for that. You end up counting longer or
23 something, but I mean, I think people can
24 calibrate for this. You're right. It
25 probably wasn't the optimum. If you had the

1 money and started over, you'd get a different
2 setup, but that doesn't mean you can't do the
3 counting.

4 **MR. RICH:** They didn't get thin crystal
5 technology until --

6 **DR. ZIEMER:** At that point, yeah.

7 But I think Hans is right that that's
8 probably not the best way to use for this type
9 --

10 **MR. RICH:** That's not how they're doing it
11 now. You would use a jelly detector, an array
12 of jelly detectors. But at that time we were
13 using sodium iodide and there was stripping
14 techniques that allowed you to do the analysis
15 in the range of permissible body burden range.

16 **DR. ZIEMER:** The conversion from mass to
17 activity may be more critical, Hans, in terms
18 of potential errors I would think. Wouldn't
19 you?

20 **MR. RICH:** The conversion to mass was only
21 for Thorium-232 because Thorium-228
22 contributed to ^ mass.

23 **DR. MAURO:** Am I hearing that the concern is
24 that you could be off by a factor of two if
25 you don't --

1 **DR. BEHLING:** Up to two and a half depending
2 upon if they didn't make some very, very
3 precise corrections that suggest the ratio
4 disequilibrium as opposed to final separation.
5 I mean, if you wanted to be extremely
6 conservative, you would take the Lead-212
7 data, derive your Thorium-228 value and then
8 multiply that times two and a half to get your
9 Thorium-232. It couldn't get any worse than
10 that.

11 **DR. NETON:** I'm not really convinced that
12 they can't do that knowing Actinium-228 and
13 Lead-212 separately.

14 **DR. BEHLING:** Well, of course, yes.

15 **DR. NETON:** It's like where are you going
16 equilibrium --

17 **DR. BEHLING:** You don't know where that is,
18 if they used that data or not.

19 **MR. RICH:** See, the only issue -- yes, they
20 did.

21 **DR. NETON:** See, that's what I'm thinking,
22 they did.

23 **MR. RICH:** And after about '70 or so they no
24 longer recorded in the formal dosimeter files
25 the amount of, they didn't make the milligrams

1 version. They just simply gave the Actinium-
2 228 and the Lead-212, and then the conversion
3 was made at the specific ^.

4 **DR. ZIEMER:** And so they actually did
5 determine the ratio. Is that what you're
6 saying? Can we confirm that?

7 **MR. RICH:** The only issue is that 212 is a
8 little bit better gamma to make a
9 determination by --

10 **DR. NETON:** Yeah.

11 **MR. RICH:** -- your sensitivity for 228 is
12 not as good, but that was a check to validate
13 your assumptions ^ the ratio.

14 **DR. NETON:** I'm sure the MDA was fairly
15 large. It wasn't small.

16 **DR. ZIEMER:** What item are we at?
17 (Whereupon, multiple speakers spoke
18 simultaneously.)

19 **DR. WADE:** Well, you should but we're
20 degenerating.

21 **MR. RICH:** And six milligram, and that is ^.

22 **DR. BEHLING:** Well, I guess the question I
23 have when we have, let's say, we all start out
24 with the assumption that when a milligram
25 quantity is reported, it's basically a hundred

1 percent, 99.999 percent Thorium-232. And now
2 what do we do when we convert that into the
3 radionuclides? Do we assume that they are in
4 ^ equilibrium? In other words, if from the
5 specific activity of Thorium-232 convert the
6 milligrams that we have available to us as the
7 only documented data, convert that into what
8 is the proven activity for Thorium-232, and
9 now what do we do with regard to Thorium-228?
10 That's the question.

11 **MR. RICH:** ^.

12 **DR. NETON:** Two-twenty's measured directly
13 almost via Lead-212.

14 **DR. BEHLING:** Yeah, but you don't have that
15 data. I'm giving you the data for 1969 for ^.

16 **MR. SHARFI:** The earlier ^ report of the
17 total mass.

18 **DR. BEHLING:** The total mass. And let me
19 give you the milligram data. What are you
20 going to do with it and --

21 **DR. NETON:** We need to look at that
22 conversion factor.

23 **MR. GRIFFON:** We can talk about this and
24 speculate for another hour, but --

25 **MR. RICH:** Let me just make one statement.

1 The technology is there, and it's an accepted
2 technology. And it does require some
3 assumptions which is not unusual for any
4 technology. And it's just a matter of, it's
5 not a matter whether we can or we can't do it.
6 We can do it. It's a matter of deciding do we
7 need to add some additional, a factor to, for
8 that purpose.

9 **DR. BEHLING:** The problem is not so much how
10 did they do it. How are we going to translate
11 milligrams into --

12 **DR. NETON:** And it sounds like we're in
13 agreement that we can do something that would
14 be bounding, correct?

15 **DR. BEHLING:** Yeah, I mean the bounding
16 value would be to --

17 **DR. NETON:** Maybe this issue is that we
18 should stop here.

19 **DR. BEHLING:** The bounding value would
20 assume that the milligram -- here's a
21 bounding, my approach to a bounding value.
22 Convert the milligram into, from the activity
23 of 232 into activity and assume that two are
24 from \wedge equilibrium which means the activity's
25 twice that.

1 **MR. RICH:** We can do it. It's a matter of -
2 -

3 **DR. NETON:** Is that reasonable given that
4 most of the uranium and thorium at Fernald was
5 more than one year old?

6 **DR. MAURO:** This is not an SEC issue.

7 **DR. NETON:** No.

8 **DR. BEHLING:** But it is an issue that needs
9 to be resolved because you could have ten
10 years of data where the only thing you have is
11 milligrams, and you have to make a decision as
12 to how you apportion that value into Thorium-
13 228.

14 **DR. NETON:** That's a site profile issue in
15 my mind, not an SEC issue.

16 **DR. BEHLING:** Well, I'm looking at the white
17 paper here, and I'm not sure I'm in agreement
18 with you.

19 **DR. NETON:** But you don't think it's --

20 **DR. BEHLING:** Well, they use a value of 0.77
21 as a central value between 0.42 and 1.0.

22 **DR. NETON:** Well, whether you agree with
23 that or not is irrelevant. It's an SEC issue
24 or a site profile issue. There you go. Let's
25 move on.

1 I do think we need to investigate it.
2 I do have some academic interest in this area
3 obviously. I'd like to figure out --

4 **MR. RICH:** There are some published reports.
5 It is an Oak Ridge technology that was used at
6 Fernald up until '80-something.

7 **DR. NETON:** I'm conflicted here, of course,
8 but I was involved in the reprogramming of
9 that Y-12 counter when I worked at Nuclear
10 Data, and we wrote the algorithm that did the
11 calculation. I just don't remember what was
12 done. And so I would take it upon myself to -
13 -

14 **MR. GRIFFON:** The only other thing before we
15 dismiss this, I mean, it gets late in the day
16 and we tend to go through items quicker, but -
17 - not that we're going through this one quick,
18 that's for sure. But the question of that
19 particular part of the finding, I think you're
20 right, is a site profile type issue. I think
21 the other part of this whole question of the
22 coworker model for that time period was the
23 representativeness, did we, are we going to
24 still bound, were the right people monitored,
25 that sort of question I think was still on the

1 table. I'm trying to --

2 **DR. NETON:** I wasn't trying to --

3 **MR. GRIFFON:** Yeah, so that piece of it I
4 agree. But before we dismiss the whole
5 finding number I just want to make sure it's -
6 -

7 **DR. MAURO:** I have one question, and it was
8 really related to this thick protector issue
9 and the sensitivity issues. Now, could a
10 circumstance arise where you're doing a ^
11 person and you don't see anything because
12 there's not enough, whether it's Lead-210 or
13 actinium there to give you a signal that's
14 detectable above background. And that's a
15 very weak photon that ^ a lot of activity.
16 What I'm concerned about is that it may be
17 important. If you're in a situation where you
18 can't really see unless you have lots of Lead-
19 210 or actinium, is that taken into
20 consideration when you report what you believe
21 to be the Thorium-232? The fact that, I mean,
22 I'm not --

23 **DR. NETON:** There's an MDA calculation.

24 **DR. MAURO:** I mean, it goes toward the very

25 --

1 **MR. RICH:** During this period of time that
2 we're talking about in the early days, the MDA
3 was quoted as six milligrams, and they
4 reported down to one. So there are values,
5 nothing below one milligram for Thorium-232.
6 But the MDA is recorded as a six.

7 **DR. MAURO:** So they took that into
8 consideration.

9 **DR. ZIEMER:** Well, there is another factor,
10 and that is that there's attenuation in the
11 body, and you get different ratios of the two
12 energies out depending on the size of the
13 person. But if you calibrate properly, I
14 think they're doing a lung scan, and you can
15 calibrate for that. And if I've got a 250-
16 pound guy, and I look at those ratios and this
17 represents disequilibrium; I got 130-pound
18 guy/gal, then that same ratio represents
19 something very different equilibrium-wise, and
20 you can calibrate for that.

21 **MR. RICH:** That was taken into account.

22 **MR. MORRIS:** With regard to our question
23 about did we monitor the right people, were
24 the right people monitored. That is clearly
25 addressed in the white paper. There was a

1 memo to all employees at the time when the
2 lung counter was first introduced in 1968, and
3 it explained who was going to be monitored,
4 why they were going to be monitored, and how
5 often they were going to be monitored.

6 And we also were able to track back
7 through the dataset and find that there was a
8 set of people who were identified as thorium
9 workers, and they were given priority first
10 monitoring. So I think that we can answer
11 that question pretty clearly that the right
12 people were monitored.

13 **MR. GRIFFON:** Okay, I haven't looked at that
14 but I just wanted to keep it on the table at
15 least for us to consider. We'll look at that
16 white paper. I would point out in 4.3-4
17 there's a -- I know nobody's looking at the
18 matrix -- but there's a sentence here that
19 caught my eye. It says, "DOE files of
20 claimants who are known to be thorium
21 workers," I think that's what you're talking
22 about.

23 **MR. MORRIS:** Right.

24 **MR. GRIFFON:** "Based on their in vivo
25 counting notations will be examined to see if

1 activation analysis for thorium
2 determinations.

3 **MR. GRIFFON:** Did you tabulate that anywhere
4 in, is it in part of that white paper?
5 Because I've got to admit I'm not, you know.
6 Is it tabulated in any way? I mean this
7 suggests that you would review claimants'
8 files.

9 **MR. ROLFES:** Yes, and --

10 **MR. GRIFFON:** On a number of or, you know.

11 **MR. ROLFES:** It may not be in a consolidated
12 place, but all the supporting references and
13 documents have been provided to the Advisory
14 Board on the O drive I believe.

15 **MR. GRIFFON:** The supporting documents, but
16 I mean the conclusion. Is the conclusion
17 anywhere? We reviewed X number of claimants'
18 files and --

19 **MR. ROLFES:** There's no white paper separate
20 for that.

21 **MR. GRIFFON:** Well, I didn't, okay, but I
22 mean, it was an action on here. I'm just
23 going back to some old things I didn't want to
24 overlook.

25 **DR. MAURO:** Is the time-weighted average

1 going back continuing to '68, '69, '70? In
2 other words, remember we talked about doing it
3 pre-'68, we're going to be basing all the
4 thorium exposures --

5 **MR. RICH:** I think it ended about the '70s.

6 **DR. MAURO:** That's very interesting in
7 relating, I would predict in using time-
8 weighted average, and I wasn't going to use
9 the chest count. And then, see, this was a
10 way of validating it.

11 **MR. RICH:** We talked about that.

12 **DR. MAURO:** We did talk about that, yeah.

13 **MR. RICH:** And by the way, a lot of counting
14 was not done near as frequently as urine, but
15 for thorium it's okay because it stays in the
16 lung a long time. That's the reason that
17 bioassay urine sampling was so difficult. It
18 simply wasn't eliminated there.

19 **DR. BEHLING:** Yeah, I'm looking at a few
20 datasheets that I have for select individuals
21 and it tracks them. And this particular
22 individual I'm looking at here, he was given a
23 chest count only every other year, '71, '72,
24 four, six, eight and '80 and '82. So he was
25 given every other year, chest counting.

1 **MR. GRIFFON:** I think that's still -- just
2 to get back to the matrix, if you can follow
3 up on that action. It's just an outstanding
4 action. I'm not saying it's a -- it's under
5 4.3-4. The middle comment appears in red
6 still on your version, Mark, on the bottom
7 paragraph there. And I don't think this was
8 just a way to cross-check whether these people
9 on the list --

10 **MR. ROLFES:** You said 4.3.4?

11 **MR. GRIFFON:** 4.3-4. Whatever, yeah.

12 **DR. BEHLING:** What happens to people who
13 might have been exposed but for whom there's
14 no, some how or other they worked there for a
15 period of time but the mobile lab just left?
16 They start to work. They quit their job
17 before the next go around --

18 **MR. GRIFFON:** That's where the coworker
19 model comes into play. As long as we can
20 determine the highest exposed were monitored,
21 it's a site profile issue.

22 **MR. ROLFES:** And there were employees that
23 were brought back to the site for follow-up
24 counting as well.

25 **MR. GRIFFON:** So that's the only action item

1 I have remaining if we can just follow up on
2 that.

3 **MR. CLAWSON:** There's a few other action
4 items. We started to lose --

5 **MR. GRIFFON:** I mean on that issue.

6 **MR. CLAWSON:** On that issue. We've lost
7 several members, but I'd like to review, Mark
8 sent out a paper for us, but I'd like to tell
9 NIOSH how much I appreciate, we've got an
10 awful lot of information on the O drive, and I
11 have been trying to go through a lot of it and
12 so forth like that, but there's a lot there.
13 But I just want to make sure that we have
14 covered a lot of these.

15 In 4.3.1, NIOSH will provide a white
16 paper detailing approach for thorium, which I
17 believe that we have covered pretty good. I
18 want to make sure that's covered.

19 SC&A will develop an outline of a
20 sample plan to SS personnel dosimetry data
21 composition and regard to internal dosimetry,
22 and this is a post-1968.

23 **MR. GRIFFON:** This is the data integrity and
24 completeness question. And we asked SC&A --
25 if you remember from the last meeting -- to

1 come back with us with a sampling approach.
2 You know, there was, as there always is, a
3 question of, you know, how much is enough. So
4 before we just task them with doing that, we
5 said give us a sampling plan first on how
6 you're going to do that. That kind of got
7 lost in the fray, I think it's fair to say. I
8 didn't update the matrix until like last week,
9 so --

10 **DR. MAURO:** Well, it might have been this
11 conversation where now we have a much better
12 understanding of the data you're using and how
13 you're using it such as the chest count and
14 the time-weighted average air sampling because
15 this is all related now to thorium. Now,
16 given that, and we've covered a lot of
17 territory here, is there anything about that
18 sampling plan that changes or --

19 **MR. GRIFFON:** I think it's still, I mean,
20 think we might want to discuss some of the
21 defining parameters like we did in the --

22 And you may have talked to others
23 already, Mark, but I, maybe refresh my memory.
24 How often do we use the coworker models? I
25 mean, I think it's fair to say external dose,

1 there's no coworker model being considered,
2 right? They all have their own dosimetry data
3 that will be relied upon. But then on the
4 internal dose side you have basically two
5 different thorium models, right? Post-'68 and
6 Pre-'68. And then you have the potential of
7 using a uranium coworker model.

8 But my sense is that most people have
9 enough of their own uranium data. So then, I
10 mean, the path we went down, this is part of
11 the Board's procedures. The path we went down
12 is just to make, to assure that we say that
13 people have a lot of uranium data. Well, if,
14 you know, where we ended up at Rocky Flats is
15 if you had data, especially toward the end of
16 your tenure there, then certainly you can use
17 personal data in your file.

18 If it turns out that we review on data
19 completeness and find out that actually it's
20 pretty -- I don't have any reason to believe
21 this -- but if it's spotty, in other words
22 some people had some urine samples, but then
23 they went ten years, and then they left. If
24 it happens a lot and we see that, then we may
25 say we better, we have to pay more attention

1 on this coworker model because it's going to
2 be applied more often. So I guess that's in
3 the context that I bring this in. From the
4 external standpoint we haven't cross-checked
5 any data from the external side I don't
6 believe, and I don't know if we can quite
7 frankly. I don't if we have any TLD like raw
8 data to examine versus HIS-20.

9 **MR. ROLFES:** We didn't go and pursue that.
10 We hadn't been asked to.

11 **MR. GRIFFON:** Well, that's kind of the
12 context this is brought up in.

13 **DR. MAURO:** With regard to internal, if in
14 fact, we're going to be preparing this time-
15 weighted average, a number of daily time-
16 weighted average, you have by category by
17 year. Then we talked about that sample ^ in
18 effect --

19 **MR. GRIFFON:** By plant by year.

20 **DR. MAURO:** Yeah, by plant by year. In
21 effect, you're going to do that. In other
22 words you're going to pull out --

23 **MR. GRIFFON:** That's for one. That's pre-
24 '68 thorium.

25 **DR. MAURO:** That's all I'm talking about.

1 Yeah, I'm trying to just get my mind around
2 what's needed here. Now once that --

3 **MR. GRIFFON:** When we say data completeness
4 for pre-'68 thorium, I can tell you, I'll do
5 your job. There's no data.

6 **DR. MAURO:** There was some air sampling
7 data, but you do --

8 **MR. GRIFFON:** There are some, right. But
9 you're probably not going to use that.
10 Anyway, that's why we defined it as uranium
11 post-'68 thorium.

12 **DR. MAURO:** Okay, I didn't understand.

13 **MR. GRIFFON:** And then external, so we have,
14 I have to merge, I updated a matrix, but so
15 did Mark, and now I'm doing my edits on yours,
16 but I'll make sure all those, the ones that
17 Brad's reading now get included. But that's
18 one that got overlooked. And I think, I mean,
19 Arjun was at the last meeting when we were
20 discussing this, and I think the --

21 **DR. MAKHIJANI:** Could I say something?
22 Mark, could I say something about this?
23 Sorry, I didn't pick up the whole conversation
24 because a lot of the voices are quite faint.
25 But regarding the uranium piece, there are two

1 different issues that I think need to be
2 addressed in setting up the completeness
3 check.

4 One is how many people were monitored
5 in different periods. And the second would be
6 of the people who do have some bioassay data,
7 how complete or incomplete is that data. How
8 spotty is it or is it pretty regular? Is it
9 once in six months and so on? Because my
10 impression from looking at some of the records
11 is that it's quite variable. Some people have
12 lots and lots of data, and some people have
13 quite spotty data, and it probably is time
14 dependent.

15 **MR. GRIFFON:** And/or job dependent. It
16 could be job dependent.

17 **DR. MAKHIJANI:** And job dependent, yes, both
18 period and job dependent. I agree.

19 **MR. GRIFFON:** So I'm not asking you to
20 propose any kind of plan now, Arjun, but
21 that's good points. Maybe you can come back
22 and SC&A can work on that sample strategy, and
23 we should, you know, before you go anywhere
24 with it, I think we want to run it by the work
25 group. That's what we all committed to.

1 **DR. MAKHIJANI:** Yes, I remember the same as
2 you. I think, you know, I guess a number of
3 things were put on hold. I have not been
4 working on this, but I think that we did say
5 that we would at some point go to Harry, our
6 statistician, and ask him to come up with a
7 sampling plan on these various categories so
8 that we would have an adequate sample for the
9 various period job categories radionuclides
10 that we were looking at.

11 **MR. GRIFFON:** That's fine.

12 **MR. CLAWSON:** Okay, we've got another item
13 here, and I believe this has been taken care
14 of. NIOSH to outline approach to address an
15 ingestion dose for thorium exposure white
16 paper. Now, the reason I'm running through
17 this, make sure we covered it. Because we
18 kind of, we kind of jumped all kind of around.

19 **MR. GRIFFON:** That's 4.3-9. I mean, that
20 was, we talked about the thorium model. We
21 didn't specifically talk about ingestion, but
22 it was --

23 **DR. NETON:** It was in Mark's presentation.

24 **MR. GRIFFON:** Yeah, yeah, oh, okay.

25 **DR. NETON:** TIB-0009.

1 **MR. GRIFFON:** We have some follow-up actions
2 on that anyway so I think we're okay with
3 that.

4 **MR. CLAWSON:** And that was done on a white
5 paper, and I believe we got the follow up on
6 that.

7 NIOSH to, conducted interviews with
8 former industrial hygienists and will post
9 them on the O drive. Did they make it on
10 there, the interviews with the --

11 **MR. ROLFES:** Yes, all the interviews that
12 NIOSH has conducted have been placed on the O
13 drive for the Advisory Board's review.
14 There's an interview folder.

15 **MR. CLAWSON:** Yeah, I just went in, and I
16 tried finding it earlier.

17 **MR. GRIFFON:** I mean, just to put that one
18 in context, I think to go back -- what finding
19 number was it?

20 **MR. CLAWSON:** 4.3-10.

21 **MR. GRIFFON:** I mean, I think it is worth
22 mentioning. This came because of the air
23 sampling, right? The concerns that one
24 industrial hygienist raised about his memory
25 of air sampling. I'm looking at 4.3-10, yeah,

1 the number two, I think, on previous actions.

2 And I mean, I noted that you said your
3 response, Mark, was that some of the DWE
4 reports cited in the white paper were authored
5 by the IH in question. And I put below it,
6 so. I mean, I don't know, yeah, he authored
7 some of those. I'm not sure that answers the
8 root finding, you know, the root concern.

9 And Hans, step in here, we're just,
10 we're on 4.3-10, this air sampling and
11 industrial hygienist.

12 **DR. BEHLING:** Oh, yeah, yeah, I guess we
13 still have some concerns about his accusations
14 about falsifying air monitoring data. And I
15 think it was stated that given the fact that
16 he was a hygienist and he had years of
17 experience, and even with Larry's testimony
18 that he was a respectable person, one has to
19 question to what extent his accusations may
20 have wider implications about the quality of
21 air monitoring data.

22 **MR. GRIFFON:** And the other thing is this
23 response, if he authored some of these DWE
24 reports, and we seem to be willing to accept
25 those and model those as a coworker model, on

1 one hand you're accepting his data as
2 credible, and on the other hand his statements
3 are being refuted.

4 **MR. ROLFES:** The individual wasn't asked to
5 falsify data, but it was his impression that
6 he was being asked to falsify data. He had
7 indicated that he had collected seven samples
8 because his supervisor didn't approve of the
9 high air sample results. He wanted him to re-
10 sample because it was a high sample result.
11 He said go back and sample again, go back and
12 sample again.

13 There was no indication that those
14 data were destroyed. So we don't have any
15 indication other than this individual's
16 affidavit. That was just an interpretation of
17 the affidavit. I don't see any indication
18 that those data were destroyed and don't
19 exist.

20 **MR. GRIFFON:** Well, implicit in his
21 affidavit, I think, was that it was a concern,
22 right? I mean, otherwise he wouldn't have
23 written that kind of statement.

24 **MR. CLAWSON:** I believe the concern come
25 back that he was told to go back and re-sample

1 and re-sample until it was below the limit.

2 **MR. GRIFFON:** That may not be in --

3 **MR. ROLFES:** We can go back to the affidavit
4 and look at it again. But ultimately, we're
5 not going to be any further along than what we
6 have already come to. I mean, we've been
7 discussing this, this issue has been presented
8 to the Advisory Board since a year ago, since
9 February. And we presented what we found.

10 **MR. GRIFFON:** And I think, so there was an
11 attempt to recover this IH's logbooks. Have
12 there been any progress in any of that?

13 **MR. ROLFES:** We do certainly have some of
14 his air sampling data. That is available, and
15 I think we referred to at the last Advisory
16 Board working group meeting. We did indicate
17 that we had posted some of his air monitoring
18 data --

19 **MR. GRIFFON:** I'm sorry. Some of this is,
20 it's just that I don't remember.

21 **MR. ROLFES:** No problem. I just want to
22 make sure --

23 **MR. GRIFFON:** So you have some of the
24 logbook data. And did you crosswalk that in
25 any way to see if the, I don't know where that

1 air sampling data would be in terms of in, you
2 said there's no indication that it wasn't
3 recorded. Where would it have been recorded?

4 **MR. ROLFES:** It would have been recorded on
5 an air sampling datasheet, on an air
6 monitoring sheet. And we have air monitoring
7 datasheets.

8 **MR. GRIFFON:** You have a spreadsheet with
9 air monitoring data?

10 **MR. ROLFES:** We have lots of air monitoring
11 data. We did not pull out the individuals'
12 air sampling data specifically. There's
13 multiple results. I don't believe we were
14 asked to go and recover all of his air
15 sampling data specifically and pull that out.
16 But we did post some sampling for his or some
17 of the samples that he had collected we did
18 post onto the O drive.

19 **MR. GRIFFON:** Yeah, I think that's all we
20 asked was the logbook stuff first. I'm just
21 asking follow up.

22 **DR. BEHLING:** The implication, however, is
23 that this may be one person who stepped
24 forward and was a whistle blower. To what
25 extent were there other people who did

1 something very similar for whom we have no
2 documentation, that they may have cooked the
3 books a bit here in their air sampling
4 methods. The issue is not necessarily looking
5 at logbooks; the question is to what extent
6 was this a prevalent practice that affected
7 not only this individual but others as well
8 over periods of time.

9 And I think we talked about some of
10 the issues. Obviously, when I look at some of
11 the documents, they did routine air monitoring
12 data and then realized that they were either
13 faced with shutting down the system at a time
14 when they couldn't afford to do so.

15 And they contracted engineering people
16 to look at modifications of the plant, very
17 costly, and of course, in this case you can
18 speculate -- I'm not saying I know -- but you
19 can speculate that maybe he was asked to look
20 at a facility that had been subject to
21 significant modification, engineering
22 modification, to see what impacts those
23 modifications may have made.

24 And the people there said, oh my God,
25 this didn't do anything. And now you go back

1 and get the sample we're looking for so as to
2 not get in trouble with the boss because we
3 blew large sums of money.

4 I mean, it's one of those situations
5 where you don't know what the driving force
6 was behind this individual's claim that he was
7 asked to go back many times or several times
8 in order to get a lower value that would now
9 support a boss in saying, well, the
10 modification worked. I think it's just all
11 speculation.

12 **MR. ROLFES:** Correct. It is all speculation
13 and that's really all we have at the moment.

14 **MR. FAUST (by Telephone):** This is Leo
15 again. Let's not forget that good health
16 protection practice, if you got a high air
17 sample, you probably will go back and re-
18 sample just because it's high to verify it.
19 And that's common practice.

20 **DR. BEHLING:** Well, if you read the
21 affidavit you'll come to a different
22 conclusion. I don't think he was referring to
23 multiple samples to get a better statistic.

24 **MR. CLAWSON:** So we have put these
25 interviews though on the O drive, correct?

1 **DR. NETON:** Correct.

2 **MR. GRIFFON:** The question I have, and this
3 is really a refresher, but the air sampling in
4 question here, is this air sampling data being
5 used in any way for dose reconstruction?

6 **MR. ROLFES:** The uranium intakes --

7 **MR. GRIFFON:** Is it uranium air sampling
8 that he was doing?

9 **MR. ROLFES:** That's correct. He was in
10 Plant 5 is where the supposed data was
11 collected. And for Plant 5 everything would
12 be based on uranium, or excuse me, on uranium
13 urinalyses and uranium intakes would be based
14 on.

15 **DR. MAURO:** Oh, so this doesn't go toward
16 thorium daily weighted average.

17 **MR. GRIFFON:** I mean, it doesn't take away
18 from the concern about --

19 **DR. BEHLING:** No, but this is more a generic
20 problem. If the issue involved uranium air
21 monitoring then they're the same problem.

22 **MR. GRIFFON:** Could it also --

23 **DR. BEHLING:** Could it also translate into
24 thorium air monitoring? It's a broader issue.

25 **MR. CLAWSON:** So we're kind of still ongoing

1 on that to a point.

2 **MR. GRIFFON:** But I'm not sure there's any
3 way to track the question of, you know, you
4 said we had no indication that these were not
5 recorded, and I don't know if there's any way
6 to check that. I mean, you said we don't have
7 any indication, but is there any way to
8 crosswalk that. You found logbooks, I mean,
9 you have logbooks from this time period in
10 question?

11 **MR. ROLFES:** The individual was one of the
12 individuals that took air samplings. We have
13 air sampling data from him. Ultimately, I
14 don't know how far it would get us along to
15 compare any intakes derived from air
16 monitoring data versus intakes based on
17 urinalysis data.

18 **MR. GRIFFON:** No, no, no. That's not the
19 point. I mean, you're dismissing the claim,
20 the claim that he's making, you're dismissing
21 it. But if we can look, and we see it. In
22 fact, he was asked to go back seven times and
23 you know this seventh one was recorded from
24 his logbook into --

25 **MR. ROLFES:** I don't think there's enough

1 data. I don't think he elaborated enough on
2 what operation he was sampling and time period

3 --

4 **MR. GRIFFON:** So we couldn't, that's my
5 question.

6 **MR. ROLFES:** -- we'd be guessing -- I don't
7 know -- a 40-year time period roughly as to
8 where he had collected the samples.

9 **MR. GRIFFON:** Is the individual still
10 available for --

11 **MR. ROLFES:** No, he's unfortunately
12 unavailable to obtain any further information
13 from.

14 **MR. CLAWSON:** Have we come to a conclusion
15 on that or --

16 **MR. CHEW:** The industrial hygienist?

17 **MR. ROLFES:** Correct.

18 **MR. CHEW:** Just that thing about it's not
19 systemic or not?

20 **MR. ROLFES:** That's correct. We did discuss
21 this issue, and it's documented in our
22 interviews with other industrial hygienists to
23 see if this was, in fact, a systemic issue or
24 if it was a widespread issue. And they had no
25 knowledge that it ever was. They certainly --

1 and it's documented in our interview notes
2 that the purpose of industrial hygiene, the
3 purpose of the air sampling program was to
4 find the highest air concentrations to which
5 an individual --

6 **MR. GRIFFON:** Yeah, I understand, but you've
7 also, I mean, you've just strengthened the
8 affidavit in my opinion. You note that he's
9 an author of these DWE reports. It wasn't
10 just someone that showed up at Fernald for a
11 few years and then was disgruntled and had, so
12 for him to make these statements I think that,
13 to me we have to at least try. Maybe we can't
14 track it, but try.

15 **DR. NETON:** ^ interviewing the other
16 hygienists or not and getting a feeling if
17 it's pervasive. But it certainly looks ^ that
18 issue. I don't know what else you can do.

19 **MR. GRIFFON:** Yeah, I know.

20 **DR. NETON:** You do what you can do here.

21 **MR. GRIFFON:** I think at this point there's
22 no action on it. I'd like to look at some of
23 the logbook data, and you posted it already.

24 **MR. ROLFES:** There's plenty of air sampling
25 data to review.

1 **MR. CLAWSON:** Okay, we've got two more items
2 to try to get through real quick. Number five
3 is, and this is part of 4.4-2. NIOSH will
4 post a model on underlying assumptions on the
5 O drive. SC&A will review the model along
6 with the underlying assumptions. And my
7 understanding on 4.2, this comes back to the
8 thorium in vivo model.

9 **MR. GRIFFON:** Which we just discussed.

10 **MR. CLAWSON:** Which we've just discussed.
11 And we've got that so that's completed.

12 Okay, and then item six which is 4.5-
13 1. NIOSH will attempt to identify procedures
14 the quality assurance reports from the early
15 time periods, 1953 to 1985 and make them
16 available on the O drive. This goes to
17 Finding 4.5-1, the Parker Report dated 1945 to
18 give NIOSH the follow up. The Parker Report
19 shows that three dosimeters performed were
20 very well in measurements and exposure to.
21 This is your follow up on it. That's page 21.

22 **MR. ROLFES:** Oh, I'm sorry. Are you waiting
23 for me?

24 **MR. CLAWSON:** Well, I was just reading the
25 response. NIOSH will attempt to identify

1 these procedures. Have they been posted onto
2 the O drive? It says in your response here,
3 the Parker Report, SRD-433, shows that the
4 three dosimeters performed very well in the
5 measurements of exposure to uranium. The OR
6 dosimeters were used for Fernald for several
7 years and modified. Modifications were made
8 to them.

9 **MR. ROLFES:** Leo Faust I believe is on the
10 phone, and could you repeat? It was 4.5-1.

11 **MR. CLAWSON:** Dash-two, dash-one. I'm
12 sorry. It's the bottom of page 21.

13 **MR. ROLFES:** I was looking through and every
14 time I touched my keyboard the wrong way, it
15 jumps back up to the top of the matrix. So
16 just trying to --

17 Leo?

18 **MR. FAUST (by Telephone):** Yes.

19 **MR. ROLFES:** We're on 4.5-1, and this was in
20 regards to the Parker Report. I think the
21 question was, was the Parker Report provided?
22 Is that the question? Was the Parker Report
23 provided?

24 **MR. CLAWSON:** Well, it says NIOSH will
25 attempt to identify procedures in quality

1 assurance, reports from the early time period,
2 1953 to '85, and make them available on the O
3 drive. And the response back that I got was
4 you'd posted the Parker Report to dosimetry.

5 **MR. FAUST (by Telephone):** Yeah, this
6 report, what they did was they took the three
7 different laboratories' dosimeters and exposed
8 them in a round robin, so to speak, and
9 compared the results. The results all were
10 recorded as very favorable, and that dosimeter
11 was the one that was used at the Oak Ridge
12 dosimeter, was the one that was used at
13 Fernald for up until the early '80s. And, of
14 course, it had been modified from time to
15 time, but the workings of it were basically
16 the same. There were other inter-comparisons
17 done, but as far as I know, they weren't
18 reported per se.

19 **MR. CLAWSON:** So was this posted onto the O
20 drive?

21 **MR. FAUST (by Telephone):** The report is on
22 the O drive, yes.

23 **MR. CLAWSON:** Do you know what it's listed
24 under?

25 **MR. ROLFES:** It's site research database

1 433. I'm sorry. We had two different, I was
2 looking at two different versions of the
3 matrix, I guess, and I had a little bit of
4 difference.

5 **MR. FAUST (by Telephone):** It's 433.

6 **MR. CHEW:** It's in the matrix I sent you,
7 Brad.

8 **DR. BEHLING:** Now you have to really go back
9 to the findings. Sometimes I think we lose
10 track of what the findings try to say. If you
11 go back to my finding which was identified on
12 page 112 under 4.5-1, I cite certain things
13 that come out of the report, that I quote
14 directly from the report that says, "There
15 were no procedures available for the
16 processing evaluation of personal dosimeters
17 for these various periods of time."

18 Also, there was the issue of a person
19 who was in charge of this program who had no
20 formal training, no formal qualification and
21 so forth and so forth. And that fact that you
22 tested a dosimeter under controlled conditions
23 in a round robin has very little to do with
24 the questions that are raised under Finding
25 4.5-1.

1 **MR. FAUST (by Telephone):** That's not
2 correct, Arjun.

3 **DR. BEHLING:** No, it's Hans.

4 **MR. GRIFFON:** Hello?

5 **MR. FAUST (by Telephone):** Yes?

6 **DR. BEHLING:** Yeah, I'm quoting for instance
7 in my write up on that particular finding, I'm
8 quoting from a report, and I've done this
9 routinely here. I'm not making these things
10 up. These are not opinions. But in one of
11 the progress reports, a health physics report,
12 it states that, quote, "Test dosimeters are
13 not routinely processed; however, five to ten
14 gamma of six or 11 beta and gamma calibrations
15 films were processed" --

16 Okay, that's not the issue that I
17 wanted to talk about, but the qualification
18 and the failure to provide quality assurance.
19 Again, I'm scanning through my own write up.
20 But I had really tried to get in this
21 particular finding was the limited
22 qualification of the people in charge of the
23 program, the limited quality assurance and
24 programs that were in place to make sure that
25 the instruments were calibrated properly, et

1 cetera, et cetera.

2 And as I said, you have to read
3 through the attachment that I quote from that
4 raises the issue about the quality of personal
5 dosimetry. And it has nothing to do with the
6 dosimeter itself. I'm not questioning that.
7 There are statements here about people leaving
8 their film in the car and it heated up and the
9 dashboard and those kinds of things. And as I
10 said they have very little to do with what
11 you're talking about here about a round robin
12 test.

13 **MR. FAUST (by Telephone):** But there are
14 several reports from the inspections that were
15 done by the Oak Ridge Operations Office
16 personnel. And their results or their
17 appraisal write ups all indicate that the
18 external dosimetry program was more than
19 adequate.

20 **MR. GRIFFON:** Do you have, I mean, have
21 those been provided to us, these several
22 reports you mentioned?

23 **MR. FAUST (by Telephone):** They're there.
24 Just one of them that I'm familiar with is the
25 one that relates to the 1983 inspection and

1 the answers back to it as the corrections that
2 the Fernald people performed. And I do know
3 that there is another similar, earlier one, or
4 two actually. One's dated for 1961, and I
5 believe the other one is that I'm aware of is
6 1963. And those numbers are on the SRDB.
7 Mark probably has that actual number.

8 **DR. BEHLING:** Let me just briefly --

9 **MR. FAUST (by Telephone):** I'm not at home
10 right now. I'm sitting in Las Vegas so I'm
11 kind of at a loss for --

12 **DR. BEHLING:** This reference was made as a
13 snapshot, but I'm quoting directly from a
14 September 11, 1981, in response to dosimetry
15 assessment fact sheet, and these are the
16 statements of --

17 **MR. FAUST (by Telephone):** I understand. I
18 know what you're talking about. That was a
19 fact sheet that was filled out one afternoon
20 by someone that really wasn't involved with
21 the whole program.

22 **MR. GRIFFON:** Well, I think one thing that's
23 helpful is, because if I remember right, one
24 of our questions was looking at some of the
25 quality assurance and/or procedures from the

1 time periods in question, and I think one of
2 the earlier items we had was only a real
3 recent report. And this sounds like you have
4 at least something from the '61, '63. Maybe
5 you should try to find these and look at them
6 and see, you know. Maybe they don't get back
7 to the root finding, but at least that's a
8 pathway --

9 **DR. BEHLING:** Well, this was a statement
10 that caught my attention, statement number
11 five. There were no specific training
12 requirements for the film badge technician
13 when this program began in 1951. The
14 technician received on-the-job training. The
15 technician has now --

16 **MR. FAUST (by Telephone):** I have to -- the
17 early days, the whole external dosimetry
18 program was actually administered by the HASL
19 Laboratory for the first 18, at least the
20 first 18 months of operation. And we have
21 obtained on an O drive a complete set of their
22 laboratory procedures including the
23 calibration and evaluation of the film badges.
24 Now that is on the O drive.

25 **DR. BEHLING:** Well, maybe we should strike

1 this particular evaluation or fact sheet
2 because he states here no procedures available
3 for the processing-slash-evaluation of
4 personal dosimeters. And he talks about this
5 technician, the same technician has done this
6 work since 1951 through the present time in
7 1981 who has no official training, et cetera,
8 et cetera.

9 So we're not talking about a snapshot,
10 but this particular document seems to imply
11 that this has been a long-term issue. I'm not
12 sure if this is an error here on somebody's
13 part in filling out the fact sheet or what it
14 is. But I identified it as a finding.

15 **MR. FAUST (by Telephone):** Now the health
16 protection reviews that I was talking about,
17 the 1961 has got a number on it of 1-1-1-8
18 which I think is some kind of a legal review.
19 The one for 1963 is 1-1-2-1. The one for 1964
20 is 1-1-2-2.

21 **MR. ROLFES:** Leo, I believe you're referring
22 to some of the plaintiff's exhibit files.

23 **MR. FAUST (by Telephone):** Right, yeah.

24 **MR. CLAWSON:** I don't see any of these on
25 the O drive.

1 **MR. FAUST (by Telephone):** They're all part
2 of one large package, Mark.

3 **MR. ROLFES:** Yeah, okay. Once again, we can
4 copy everything that we have on the site
5 research database to put it onto the O drive
6 if that's what you would like to do. I mean,
7 we're certainly it's going to complicate your
8 ability to find a document. And, you know,
9 the timeliness --

10 **MS. BALDRIDGE:** I have the cross-reference.
11 If he tells me the documents, I can tell you
12 what petition page it's on.

13 **MR. ROLFES:** It is, the document number's
14 the plaintiff's exhibit files were 1-1-1-8 and
15 1-1-2-2.

16 **DR. MAKHIJANI:** This is Arjun. Could I make
17 a request regarding site research database
18 documents being posted on the O drive? It's a
19 suggestion I don't know that others may or may
20 not like. I find it hard to know what the
21 document is if it just has the site research
22 database document number. And when there are
23 like 50 documents, it's very difficult to know
24 without going through every one of them and
25 find what you're looking for.

1 **MR. FAUST (by Telephone):** Correct. I
2 agree.

3 **DR. MAKHIJANI:** And it would be helpful if
4 the SRDB title were also copied into the O
5 drive and then the research becomes much
6 faster and more efficient.

7 **MR. ROLFES:** But still you need to open up
8 every document in order to determine what the
9 contents of that document are.

10 **DR. MAKHIJANI:** No, if the title could be
11 posted next to the number on the O drive, it's
12 very helpful.

13 **MR. ROLFES:** The way the files are named
14 typically in our site research database their
15 named with the reference ID number followed by
16 the title of the document.

17 **DR. MAKHIJANI:** Right. And in the O drive
18 the title of the document is not given
19 usually, and it's quite hampering.

20 **MR. ROLFES:** Okay, there may have been some
21 documents that were posted on there because as
22 soon as we got them, we wanted to make them
23 available to the Advisory Board. So there
24 could have been an initial data capture series
25 of documents that were put in an expeditious

1 manner onto the O drive for your review. We
2 can go back and remove those and replace those
3 with the appropriate reference ID format
4 followed by the title of the document.

5 **DR. MAKHIJANI:** Oh, thank you so much, Mark.
6 That would make life very easy.

7 **MR. CLAWSON:** I guess once we get this
8 information I'd like SC&A to be able to bring
9 closure to this one for them, review.

10 **MR. GRIFFON:** And I think it is worth SC&A
11 at least looking at those reports and seeing
12 if that's in any way helpful to resolving the
13 finding. I guess that's the, you know.

14 **MR. CLAWSON:** That completes this paper. I
15 don't think by any means this does everything
16 but... So now, do we have any questions with
17 what everybody has been tasked to do? Do we
18 need to run through that?

19 **MR. GRIFFON:** I'm not in a real good
20 position to do that. But I mean, I have been
21 taking notes real time so I should be able to
22 get an updated matrix out fairly quickly, like
23 early next week is fairly quickly I think
24 because I have to merge the one I developed
25 and this one.

1 **DR. WADE:** More than reasonable.

2 **MR. GRIFFON:** Then you'll have in that last
3 column that you created, Mark, I added, and
4 what I might, I'll probably just keep it in
5 track changes mode so people can see the new
6 stuff, right.

7 **DR. WADE:** Shall we get that from Mark? If
8 you have any questions concerning the
9 assignments, check with what Mark has. If
10 that doesn't work, then give Brad a call.

11 **MR. ROLFES:** I think it would be a good idea
12 for the Advisory Board working group to send
13 what they specifically would like so that
14 we're on the same page. That way we'll have
15 any outstanding issues that we need to address
16 documented so that we can address them fully.
17 I know we've been re-discussing some of these
18 issues over and over, and we've just been
19 going in circles.

20 And I would like to move forward on
21 these issues. I would like to resolve them
22 rather than continue to discuss what has been
23 done. I mean, a lot of what we're covering,
24 you know, we need to provide updates on
25 things, but much of what we've been discussing

1 is just rehashing what's on the matrix and
2 what has and hasn't been done.

3 And I feel that we have addressed what
4 the Advisory Board working group has asked us
5 to address. If there are some things that we
6 haven't fully addressed, we'll be happy to go
7 back and look into those, but I want to make
8 sure that we do have a well-defined series of
9 action items that are outstanding.

10 **MR. GRIFFON:** Yes. I mean, I think there's
11 a couple large ones.

12 **MR. CLAWSON:** I'll follow up with that, and
13 I'll correlate with SC&A and NIOSH and the
14 rest of the Advisory Board for the Fernald
15 group and make sure that we're all on the same
16 page in where we're going if that's all right.

17 Okay, I think we're ready to adjourn.

18 **DR. WADE:** You ready to be done?

19 **MR. CLAWSON:** Yeah.

20 **DR. WADE:** Okay, well, we're done. I think
21 we've reached a point of diminishing returns,
22 certainly. Those of you on the line we wish
23 you well in how you spend the rest of your
24 day. Thank you for spending the time with us,
25 and we should do this again real soon some

1
2
3
4
5
6

time.

(Whereupon, the work group meeting adjourned
at 4:45 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of March 26, 2008; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 30th day of December, 2008.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**