

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON

RADIATION AND WORKER HEALTH

BLOCKSON CHEMICAL

The verbatim transcript of the Working Group Meeting of the Advisory Board on Radiation and Worker Health held in Cincinnati, Ohio, on June 5, 2008.

*STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING
404/733-6070*

C O N T E N T S
June 5, 2008

WELCOME AND OPENING COMMENTS DR. CHRISTINE BRANCHE, NIOSH	6
INTRODUCTION BY CHAIR MS. WANDA MUNN	10
RADON ISSUES	12
ACTION ITEMS	83
WORK GROUP'S GOAL	87
SUFFICIENCY OF DATA	113
THE PATH FORWARD	153
COURT REPORTER'S CERTIFICATE	171

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^"/(inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

DESIGNATED FEDERAL OFFICIAL

BRANCHE, Christine, Ph.D.
Principal Associate Director
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

1

BOARD MEMBERS

2

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

3

4

MELIUS, James Malcom, M.D., Ph.D.
Director
New York State Laborers' Health and Safety Trust Fund
Albany, New York

5

6

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

ROESSLER, Genevieve S., Ph.D.

Professor Emeritus
University of Florida
Elyrian, Minnesota

IDENTIFIED PARTICIPANTS

ADAMS, NANCY, NIOSH
BURGOS, ZAIDA, NIOSH
CHMELYNSKI, HARRY, SC&A
ELLIOTT, LARRY, NIOSH
GRIFFON, MARK, ABRWH
HOWELL, EMILY, HHS
KOTSCH, JEFF, DOL
MAURO, JOHN, SC&A
NETON, JIM, NIOSH
PHILLIPS, CHICK, SC&A
STANCESCU, DANIEL, OCAS
TOMES, TOM, NIOSH

JUNE 5, 2008

P R O C E E D I N G S

1 (9:30 a.m.)

WELCOME AND OPENING COMMENTS

2 DR. BRANCHE: Ms. Munn, are you ready?

3 MS. MUNN: I believe I'm ready. I'm
4 concerned about the lack of two of our crucial
5 members here.

6 DR. BRANCHE: Would you like to wait?

7 MS. MUNN: I think it would be a wise idea
8 for us to wait for about five minutes.

9 DR. BRANCHE: We'll wait a few more minutes.
10 If you can please mute the line.

11 (Whereupon, the working group recessed until
12 9:35 a.m.)

13 DR. BRANCHE: Good morning and welcome to
14 the Blockson work group. I am Dr. Christine
15 Branche, and I have the pleasure of being your
16 Designated Federal Official this morning. If
17 the Board members who are in the room could
18 please announce their names, I'd appreciate
19 it.

20 MS. MUNN: Wanda Munn, Chair of the working
21 group, member of the Board.

22 MR. GIBSON: Mike Gibson.

23 DR. ROESSLER: Gen Roessler, working group

1 and member of the Board.

2 **DR. MELIUS:** Jim Melius.

3 **MR. GRIFFON:** Mark Griffon, member of the
4 Board, not member of the working group.

5 **DR. BRANCHE:** Are there any other Board
6 members who are participating by phone?

7 (no response)

8 **DR. BRANCHE:** We do not have a quorum so we
9 can move forward.

10 Would the NIOSH staff who are in the
11 room please announce your names and whether or
12 not you have a conflict with Blockson.

13 **MR. ELLIOTT:** Larry Elliott, Office of
14 Compensation Analysis and Support, NIOSH, and
15 I have no conflict with this site.

16 **MR. TOMES:** Tom Tomes, I am with NIOSH also,
17 and I have no conflict with Blockson.

18 **DR. STANCESCU:** Daniel Stancescu, I also
19 work in OCAS. I don't have any conflict with
20 Blockson.

21 **DR. NETON:** Jim Neton, OCAS, no conflict.

22 **DR. BRANCHE:** Are there any NIOSH staff
23 participating by phone? And if so, will you
24 please announce your names and say if you have
25 a conflict with Blockson?

1 **MS. ADAMS (by Telephone):** Nancy Adams, no
2 conflict.

3 **MS. BURGOS (by Telephone):** Zaida Burgos, no
4 conflict.

5 **DR. BRANCHE:** ORAU staff who are in the room
6 would you please announce your names?

7 (no response)

8 **DR. BRANCHE:** None.

9 ORAU staff, by phone, would you please
10 announce your names and say if you have a
11 conflict with Blockson?

12 (no response)

13 **DR. BRANCHE:** SC&A staff who are in the room
14 could you please announce your names and say
15 if you have a conflict with Blockson?

16 **DR. MAURO:** John Mauro, SC&A, no conflict.

17 **MR. PHILLIPS:** Chick Phillips, SC&A, no
18 conflict.

19 **DR. BRANCHE:** SC&A staff who are
20 participating by phone, would you please
21 announce your names and say if you have a
22 conflict?

23 **DR. CHMELYNSKI (by Telephone):** Harry
24 Chmelynksi, no conflict.

25 **DR. BRANCHE:** Other federal agency staff in

1 the room or by phone, would you please
2 announce your names?

3 **MS. HOWELL:** Emily Howell, HHS, no conflict.

4 **MR. KOTSCH (by Telephone):** Jeff Kotsch,
5 Department of Labor.

6 **DR. BRANCHE:** Any petitioners or their
7 representatives who would like to announce
8 their names please?

9 (no response)

10 **DR. BRANCHE:** Workers or their
11 representatives who are participating who
12 would like to announce their names please?

13 (no response)

14 **DR. BRANCHE:** Members of Congress or their
15 representatives who are participating by phone
16 please?

17 (no response)

18 **DR. BRANCHE:** Are there any others who would
19 like to mention their names?

20 (no response)

21 **DR. BRANCHE:** Before we get started I would
22 simply ask that those of you who are
23 participating by phone if you would please
24 mute your phones it will add tremendously to
25 the quality of the phone participation so that

everyone who is on the phone can hear. If you do not have a mute button, then please dial star six to mute your phones, and then use that same star six to unmute your line. If those of you who are in the room would please mute your phones, that would also enhance the quality of our court reporter.

And Ms. Munn, it's all yours.

INTRODUCTION BY CHAIR

MS. MUNN: Thank you.

For those of you in the room we are planning to work right through to the end of wherever we get to today. We hope to be able to bring this to resolution. We have two items and only two items before us. If you are not aware of the fact that we plan a working lunch, please be aware of the fact that's the case.

And in front of you, you should find a menu for your use. Please put your name, indicate your choice and send it to the head of the table to Dr. Branche here. They'll pick those up in about an hour, and we will be served lunch here at 12:00 o'clock. We don't intend to take much of a break other than

1 that.

2 As a bit of background the original
3 report from our technical contractor had seven
4 findings on it. This work group worked
5 through those findings one at a time and
6 reached the point where either the suggestions
7 had been adopted or we had agreement from the
8 contractor that the position that had been
9 taken by the agency was acceptable. When that
10 was reported at our Board meeting, there were
11 two objections. One that the radon data had
12 some outstanding questions, and two, that the
13 data themselves were inadequate. We have
14 convened this meeting for the express purpose
15 of addressing those two items and those items
16 only. If there are any other items that are
17 outstanding or that we need to address, would
18 someone please bring that to my attention
19 right now?

20 (no response)

21 **MS. MUNN:** Otherwise, we are going to
22 respond to the questions that were asked at
23 the Board meeting. Both Dr. Melius and Mark
24 Griffon have been good enough to provide us
25 with their written questions so that we know

1 precisely what their concerns are. Because
2 the most complex one from an overview
3 standpoint appears to be the radon issues
4 because there are more of them involved, it
5 would be wise for us to begin with that.

6 **RADON ISSUES**

7 I understand our contractor has been
8 working since our last meeting in an attempt
9 to try to respond to the specific questions
10 that Mark brought for us. Am I correct?

11 **DR. MAURO:** Yes.

12 **MS. MUNN:** Are you, John and Chick, are you
13 ready to talk about that now? Shall we
14 address those, first thing?

15 And before we do, Mark, that's your
16 understanding. We're all on the same page?

17 **MR. GRIFFON:** Those are my questions. I'm
18 not sure if they're -- SC&A did look at these
19 issues. I'm not sure if these questions might
20 be better directed to NIOSH.

21 **MS. MUNN:** Do you want to review your
22 question specifically before we start? Would
23 that be appropriate?

24 **MR. GRIFFON:** No, that's fine. I don't even
25 have them in front of me so if you have them,

1 you can read them.

2 **MS. MUNN:** I think all of us have received
3 them, have we not?

4 (affirmative responses)

5 **MS. MUNN:** We all do. All right, fine. And
6 I think if we do not, if your questions are
7 not addressed by the information that the
8 contractor is now going to provide, then I'm
9 assuming that our NIOSH folks also have
10 information that they can help respond, too,
11 if that's meaningful to everybody we'll pursue
12 that.

13 John, would you please?

14 **DR. MAURO:** I'd be happy to open it up and
15 sort of what I say set the table, get
16 everybody on the same page. And then from
17 there I believe Chick and Harry Chmelynksi,
18 who's on the line as our statistician, will be
19 able to dive more deeply into these issues as
20 required.

21 **MS. MUNN:** Thank you.

22 **DR. MAURO:** With regard to radon the
23 strategy adopted by NIOSH effectively used --
24 in order to reconstruct exposures to workers
25 at Blockson from radon, NIOSH took advantage

1 of data available from facilities in Florida.
2 There were data at Blockson itself regarding
3 airborne radon levels and radon progeny were
4 insufficient to reconstruct doses or exposures
5 from radon.

6 So they drew upon the extensive
7 dataset that was compiled from phosphate
8 industry in Florida. There's a great deal of
9 information on the subject put out by the
10 Phosphate Institute of Florida. I'm sorry,
11 Florida Institute.

12 **DR. NETON:** Florida Institute, FIPR.

13 **DR. MAURO:** Florida Institute, okay, FIPR.
14 And that data was extracted from the
15 publication, major publication, from FIPR, and
16 incorporated and used into an OTIB, 0043, I
17 believe the number is. And the basis of that
18 data NIOSH has opted a radon concentration
19 that they feel is bounding for exposures at
20 Blockson. And the number is approximately 2.3
21 picocuries per liter airborne radon.

22 And that number was selected because
23 it represented an upper-end value of the
24 observed levels in the Florida facilities for
25 locations at Florida facilities other than

1 mines and other facilities in Florida that
2 really were not applicable to Blockson. We
3 were asked to look into that and take a look
4 at the data and to see if in fact we come out
5 in the same place.

6 And so what happened is that Chick and
7 Harry Chmelynksi together did a little data
8 diving so to speak going into the original
9 reports and records, writing the numbers,
10 doing some statistical analysis to see if we
11 come out about in the same place that NIOSH
12 did. Because in principle the idea of picking
13 off let's say the upper 95th percentile from
14 relevant data would be at first blush a very
15 claimant favorable approach.

16 But there are questions. The data set
17 that was used, is that applicable to Blockson?
18 And if so, and if it meets what one would say
19 a reasonable criteria for the use of surrogate
20 data and was used appropriately, which, of
21 course, is a subject of great concern to the
22 Board, one could argue that, well, we have a
23 strategy that seems to work. That would be
24 the way that we look at it.

25 And so we looked at it from first of

1 all can we duplicate the numbers that NIOSH
2 generated. Second, do we agree that they used
3 those numbers correctly and that the numbers
4 themselves represented the source of the
5 information, were reasonable as applied to
6 Blockson.

7 And with that as sort of setting the
8 table, I'd like to pass it off to Chick and to
9 Harry to go into a little more detail on where
10 we come out with regard to our investigations,
11 which, by the way, were ongoing right up until
12 yesterday to get more and more information.

13 So we're about to hear some materials
14 much of which everyone has seen because as
15 Chick and Harry produced their, what I would
16 call, let's call them white papers, we fired
17 them out. But that work didn't end. It
18 continued right up until I guess you got on
19 the plane. So with that I'd like to pass this
20 off to Chick.

21 **MS. MUNN:** Would you like me to distribute
22 these?

23 **MR. PHILLIPS:** Yes, if you would, and those
24 were revised on the plane yesterday. And the
25 information that's different from what you had

1 in the previous version of this should be
2 highlighted so that you can go directly to it.
3 Most of it's just clarification. I believe
4 what we tried to do is address the three, I
5 believe you had four listed, but I think there
6 were really three basic issues marked that we
7 had to deal with, what we dealt with on the
8 radon.

9 The first one which John was referring
10 to is the appropriate usage of the radon data
11 which was in OTIB-0043 extracted from the FIPR
12 1998 report that John referred to. I think
13 that may be what we need to address first.
14 And I believe Harry would be better addressing
15 that than me, just say what he did and what he
16 concluded from that. And then we'll address
17 the, I will address the other remaining, I
18 believe, one issue really. There may be two.

19 So, Harry.

20 **DR. CHMELYNISKI (by Telephone):** This is
21 Harry Chmelyniski working with SC&A. I looked
22 at the values in the Appendix B to the OTIB-
23 0043 and looked in particular at the ones that
24 were not grayed out because NIOSH had marked a
25 lot of entries that were not appropriate.

1 And basically what I did was try to
2 recreate their analysis first which was to
3 treat each of the values -- there are about
4 130 of them or 128 is what I found -- to treat
5 the values as individual measurements even
6 though some of the measurements were reported
7 as means of groups of samples. And when I did
8 that I essentially arrived at the same
9 lognormal distribution that NIOSH had derived.
10 So I didn't have much concern that the
11 lognormal distribution was estimated correctly
12 given their assumptions of each data point
13 should be considered as an individual value
14 and all of them given equal weight.

15 Most of the entries in the appendix
16 all we know is the value that's reported. If
17 it's a mean, they don't tell you usually a
18 whole lot more about what the other statistics
19 were. But there is one table, which was Table
20 B-3, which covered quite a few in terms of
21 sample sizes, quite a lot of the numbers that
22 are in the Appendix B.

23 And this table did report not only the
24 sample mean but where they collected
25 measurements, but also the sample variance and

1 the number of measurements and the standard
2 deviation, and there's a bunch of other
3 statistics. So this gave me a sort of a shoe
4 horn into looking at what the data that
5 underlied (sic) all these mean values would
6 look like.

7 And even though only Table B-3
8 provided the variances, what we tried to do
9 was to recreate what the sample variance for
10 all the Appendix B data would be if indeed we
11 had the individual measurements that were
12 simply reported as means in that appendix.
13 And in order to do that you need to have some
14 information on the variances. When you only
15 use the mean, you don't consider the
16 variability around the mean, and in some cases
17 this variability is quite large. And by
18 leaving that variability out you end up with a
19 biased low estimate perhaps of what the actual
20 doses were.

21 So we reconstructed the variances for
22 each of the entries in Table B-3 and added up
23 the sum of squares treating the remaining
24 entries in Appendix B still as individual
25 values and came up with a variance and a mean

1 for the entire Appendix B data. What you
2 would call a weighted mean analysis and
3 samples in the Appendix B-3 Table anyway had
4 been expanded.

5 When I did that I came up with a
6 different lognormal distribution. And I
7 computed the 95th percentile of that
8 distribution, and it ended up being quite a
9 bit higher than the one that was calculated
10 using just the unweighted individual mean
11 values. That was up near about seven
12 picocuries per liter.

13 But that was an example of one thing
14 you can do with the tables that are presented
15 there. And even that was an incomplete
16 attempt because only Table B-3 tells you
17 anything about the variances.

18 And I guess that's it. If anybody has
19 any questions, I could go further into the
20 calculations, but they're written up in a
21 document I sent to Mark.

22 **MS. MUNN:** Is that quite acceptable? Anyone
23 have any concerns with Harry's description of
24 that particular point?

25 **MR. GRIFFON:** They're not concerns. I just

1 think NIOSH needs to respond.

2 **DR. NETON:** I'd like to say a few words --

3 **MS. MUNN:** Please.

4 **DR. NETON:** -- if it's appropriate at this
5 point.

6 **MS. MUNN:** It is.

7 **DR. NETON:** I don't have anything in
8 writing. There's been so many documents going
9 around here it's just been difficult to keep
10 up with it. So I apologize for just verbally
11 discussing this right now.

12 But we looked at the analysis that
13 SC&A did and at face value, Dr. Daniel
14 Stancescu, who's our statistician on our
15 staff, looked through it for me. And
16 computationally we agree with it. The
17 calculation is done correctly. There's no
18 errors in there or anything like that.

19 But where we do feel there's a little
20 bit of a disconnect is in the application, in
21 looking at the application of what we're
22 trying to establish here. If we were trying
23 to determine what the highest 95th percentile
24 sample ever taken at the phosphate plant was,
25 then the calculation done by SC&A is correct.

1 What we're really trying to establish
2 though is what the 95th percentile of the work,
3 95th percentile work station is. Because if
4 you think about it, we use these data to
5 establish chronic exposures over the entire
6 year. We establish a single value to assign
7 to that worker for an entire year. And we
8 believe that the mean values of the work
9 locations are actually more representative,
10 the 95th percentile of the work location
11 itself, not the variability of the individual
12 data.

13 In fact, it's somewhat flawed in the
14 sense that the 95th percentile could be
15 anything you want depending on the number of
16 samples that a facility arbitrarily chose to
17 take at a given location. You could weight
18 the values extremely high because maybe you're
19 concerned about a station that's high. You'll
20 take ten times more samples at that location.
21 Now when you rank these, you're going to get
22 an artificially high 95th percentile because of
23 that construct.

24 And a second point I'd like to make is
25 that there are many more mean values included

1 here. If you look at the data, Table B-4 also
2 has the variability data associated with it.
3 One could use a similar analysis. But also,
4 many of the other values are six month terrace
5 cut measurements.

6 And since they are integrated six-
7 month values which are in a sense weighted
8 means in themselves. There are picocurie per
9 liter days divided by days exposed, and you
10 get picocuries per liter. That's how those
11 work. So in a sense almost all of these data
12 represent integrated mean values at the
13 various work locations.

14 So I think one needs to think about
15 this maybe a little more, but that's at least
16 our current position that we believe that the
17 95th percentile work location is more
18 appropriately representative of the exposure
19 than the 95th percentile of the highest sample
20 ever taken at the facility.

21 **DR. MELIUS:** But, Jim, and this comes up in
22 the uranium issue also, we're supposed to be
23 doing individual dose reconstruction, correct?

24 **DR. NETON:** True.

25 **DR. MELIUS:** So why are we not interested in

someone was at the high exposure work station?

DR. NETON: We are. That's what I'm saying.

DR. MELIUS: Yeah, but why are we ignoring the, why are we using an average --

DR. NETON: Because he was not --

DR. MELIUS: -- of the work stations as the

DR. NETON: -- because the highest exposure didn't exist the entire 200 workdays in the year. That's why. The sample, the mean value of all the samples times the end, the days that he worked, is actually his integrated exposure at that work station. That's why we're saying that. It would be inappropriate to take one sample that was high for one day and assume he breathed that sample at that work location for all 200 days of the work year.

MR. GRIFFON: Let me step back one further though. Do you have this raw data or do you just have the means from these final reports and that's why you're kind of stuck with using that anyway? I mean, do you have the raw data?

DR. NETON: No, we do not have the raw data.

1 Daniel has actually gone back, Dr. Stancescu
2 has gone back and actually reconstructed the
3 data points based on all the nice statistical
4 summaries that they provided us. And we've
5 gone back and remodeled it and essentially got
6 exactly the same number SC&A did. So we're
7 comfortable with the SC&A analysis if we had
8 the real data. So it's a valid --

9 **MR. GRIFFON:** That one table doesn't have
10 statistics to be able to do that, does it? Or
11 B-4 I think it is.

12 **DR. NETON:** B-4 does have statistics. In
13 fact, if you include -- it's in the FIPR,
14 Florida Institute of Phosphate Research
15 report; it wasn't included in the NIOSH
16 report. If you go back and actually include
17 the variability associated with Table B-4, you
18 even get a somewhat, slightly higher value
19 than what SC&A calculated.

20 But again, I think if we think about
21 what we're really doing, we're establishing
22 the workers' exposure at the 95th percentile
23 work location, not the workers' exposure to
24 the highest sample ever taken or the 95th
25 percentile sample ever taken at the facility.

1 I think that's appropriate. But that's our
2 position.

3 **DR. MAURO:** Yeah, but we've been in this
4 situation before, and I think as a ground rule
5 that I think we all agree to is that when we
6 have a circumstance where we have a range of
7 values, and individual samples taken at
8 different locations at different times at a
9 facility. And let's say we know -- and it has
10 a very broad distribution, these are actual
11 spot samples, could vary over orders of
12 magnitude.

13 You say to yourself, but what do we do
14 when we have that data now. One would argue
15 that, well, if we know the workers that worked
16 in that facility, spent a little time here, a
17 little time there, a little time there; and
18 therefore, no one worker spent all this time
19 at one location where we saw the highest value
20 over some short period of time. I agree with
21 that a hundred percent. I mean, that's not
22 plausible; it's not reality.

23 But on the other hand but we do agree
24 that in a given facility there may be
25 locations where the levels are relatively high

1 on the distribution, chronically, and there
2 might have been job categories where the
3 person's job category would place him at that
4 location for relatively long periods of time.

5 So on the former case where the person
6 is in a lot of different places, under those
7 circumstances you would use the upper 95th
8 percentile on the mean, which is basically
9 what you ^. And I would agree with that
10 because there's good reason to believe that
11 the kinds of exposures that people would get
12 over a long period of time, over a year, two
13 years or three years, reflect an integration
14 of the activity in the building.

15 But it was plausible that a person
16 might have had a job where it placed him where
17 he was at the high end, then all of a sudden
18 things get, well, you know, maybe the upper
19 95th percentile of the mean really is not the
20 best number unless we know better. And I
21 guess that's where we are right now.

22 I think in principle we agree in
23 philosophy. The question is in this
24 particular application do we work off the
25 upper 95th percentile mean or do we say, well,

1 you know, there might have been locations or
2 job categories where a person may have been
3 chronically exposed to some of the higher end
4 values that were observed.

5 **DR. NETON:** Which higher end values? The
6 ones that we have the means for?

7 **DR. MAURO:** Well, I mean, the distribution --
8 in other words --

9 **DR. NETON:** If the person was at that
10 location for the entire year, the mean has a
11 number of workdays. Would you disagree with a
12 representative of this --

13 **DR. MAURO:** For that location.

14 **DR. NETON:** That's equal to his picocurie
15 per liter days' exposure.

16 **DR. MAURO:** So what I'm hearing is that the
17 data and our understanding of the practice
18 that took place there was that at one location
19 you may have a large exposure. You have high-
20 end locations.

21 **DR. NETON:** We do, and I can speak to that.

22 **DR. MAURO:** At those high-end locations
23 where, say, that would be, let's say, our
24 critical person. And we don't know who those
25 people are perhaps, but let's assume then if

1 we don't know who those people are, we'll give
2 the benefit of the doubt and assign that
3 category where that high-end location is.

4 **DR. NETON:** Yes, exactly, that's what we've
5 done.

6 **DR. MAURO:** And it would be the mean for
7 that high-end location, and you're saying
8 that's what was done.

9 **DR. NETON:** That's what we've done.

10 Let me point out one more thing before
11 we go further. If you look in the Florida
12 Institute for Phosphate Research report -- and
13 I assume people don't have it. It's a 300
14 page document, but I happen to have it in
15 front of me -- on page 20 there's a sentence
16 in here that I think is important. It says,
17 "One company supplied radon measurements taken
18 from 1989 through 1996."

19 Now if you look in the data, that's
20 clearly the data that are in Tables B-3 and B-
21 4 that we have. B-3 goes through like '92 or
22 '86, and then the other one goes, so those two
23 tables are from one company. "The locations
24 that exceeded four picocuries per liter are
25 listed in Table 7, although the levels were

1 extremely variable. All of these locations
2 were low or negligible occupancy areas."

3 Now the thing I'd like to emphasize
4 here is all of the locations that exceeded
5 four picocuries per liter are listed in Table
6 7. Table 7 lists the locations that are in
7 Table B-3. So in other words it seems clear
8 to me that they have extracted and only
9 reported what's in Table B-3 are the high-end
10 values that they found.

11 In fact, the means aren't exceeding
12 four picocuries per liter in most cases, it's
13 the maximum value. If you look on that column
14 in Table B-3, the maximum value exceeded ^
15 picocuries per liter. So it appears what we
16 have here are the extracted high-end samples.
17 There were many more sampling locations that
18 weren't reported. They just merely reported
19 the high end ones. So that kind of also helps
20 to, I think, emphasize that we were bounding
21 these high end, because those were clearly the
22 highest values contributing to the high-end
23 bounds.

24 **DR. ROESSLER:** In looking at all these
25 numbers and talking about taking the very high

1 values and so on, I wanted to evaluate just
2 what is the impact of these numbers. And
3 we're used to thinking in terms of dose. And
4 according to my calculations if we take the
5 7.7 -- which was in the report -- picocuries
6 per liter, and we take that into working level
7 months per year, which is what we think of in
8 terms of occupational limits and doses, I come
9 out with that even using all of this, top
10 numbers and everything else, everything being
11 very, very claimant friendly, it's still below
12 the occupational limit for a year. And I
13 think we need to think about that. It's even
14 with all this conservatism, it's still below
15 the occupational limit.

16 **DR. NETON:** It's well below that.

17 **DR. ROESSLER:** Well below it. So I think we
18 need to keep this perspective in mind. We
19 still need to talk about what we're talking
20 about, but think in terms of the very, well,
21 think in terms of comparing it to the
22 occupational limit.

23 **DR. NETON:** Well, you raise a good point,
24 Gen. This contribution of the dose, first of
25 all, is only going to be relevant at these

1 levels for lung cancers. Radon causes lung
2 cancer. It's well established. It does
3 migrate throughout the body, and there's a
4 very small percentage that would be
5 contributed to the other organs, but it's a
6 lung cancer issue.

7 If you look at the doses that we are
8 assigning to the workers in the drumming
9 operation in Building B55, in Building 55, the
10 doses are quite large from the inhalation of
11 all the uranium and the thorium and all those
12 other products. So the fact that whether
13 we're talking two picocuries per liter or
14 seven picocuries per liter is a very small
15 component of the overall internal dose we're
16 assigning.

17 That doesn't mean we don't need to
18 nail this down, but I'm just saying that it is
19 a very small component of the overall dose
20 assigned to the workers.

21 **MS. MUNN:** And ultimately, that really and
22 truly is what we need to be concerned with as
23 we look at the individual worker. How
24 significant is the dose that this particular
25 item contributes.

1 **DR. NETON:** And the other issue is --

2 **MR. GRIFFON:** We need to look at whether we
3 can reconstruct dose. ^ disease cohort ^.

4 **DR. NETON:** No, I know.

5 **MR. GRIFFON:** I understand ^.

6 **DR. NETON:** Yeah, I was not raising this
7 other than just to point out, put in
8 perspective what we're looking at.

9 **DR. MELIUS:** We've discussed this before.

10 **DR. NETON:** The other thing to consider is
11 that these radon levels are considered to be
12 uniformly distributed throughout the plant.
13 And, in fact, we are reconstructing doses in
14 Building 55, the drumming station, giving a
15 fairly large exposure at the drumming station.

16 It's unlikely that the highest radon
17 level that occurred in the 95th percentile
18 existed at the drumming station, Building 55,
19 but we are assigning that as such because we
20 can't forget, you know, where it may have
21 concentrated. So that's another issue I think
22 that we kind of give them double dose here
23 almost. These just all sort of add to the
24 claimant favorability, I think, of this entire
25 calculation.

1 **MR. PHILLIPS:** Harry, did you have any
2 comment on that?

3 **DR. CHMELYNSKI (by Telephone):** Well, there
4 was the one issue that was raised way back at
5 the beginning that perhaps they measured more
6 often in the high ^. I don't see that as
7 being true since Table B-3, for example, has
8 the highest numbers in it than the gypsum
9 stack is the high one, and they only made 24
10 measurements there which happens to be the
11 smallest number they made at any of the
12 locations.

13 **DR. NETON:** I wasn't suggesting that it was
14 true in this case. What I'm suggesting is
15 that if one takes any dataset at face value
16 and that were the case, the type of analysis
17 that was done by SC&A would be biased high if
18 someone did that.

19 I mean, if you're looking for the
20 highest sample taken, your analysis is
21 absolutely correct. But if you're looking for
22 the highest work location then it's subject to
23 some bias depending on how they chose to do
24 their sampling at the various locations.

25 **DR. CHMELYNSKI (by Telephone):** And that is

1 a relatively large issue here. Even when I
2 went back to the FIPR study and tried to find
3 out how this data was collected, you find out
4 that, well, they just took a table and put it
5 back in the appendix. And that one sentence
6 that you quoted is about all they say about
7 it.

8 **DR. NETON:** Which to me indicates --

9 **DR. CHMELYNISKI (by Telephone):** This whole
10 table is very hard to trace.

11 **DR. NETON:** Well, it's the highest values of
12 the ones that were provided by this company is
13 the way I read that.

14 **DR. CHMELYNISKI (by Telephone):** Well, I
15 don't know if that's what it is or not. It's
16 hard to say what it is.

17 **DR. NETON:** Well, that's the way I read it.
18 It says there are only four, the only sites
19 that exceeded four picocuries per liter of all
20 the data supplied are included in the table.
21 That seems pretty clear to me.

22 **DR. CHMELYNISKI (by Telephone):** Well, that's
23 possible. But again, whether they were
24 measuring work locations even here, I'm not
25 sure what they were measuring.

1 **MR. GRIFFON:** Is this data from one
2 facility? I --

3 **DR. NETON:** Yes. Well, Tables B-3 and B-4
4 are from one facility. There are other
5 facilities represented. And, in fact, I did
6 point out the other values are six-month
7 integrated cup measurements. So those are
8 also weighted samples by nature.

9 I think I guess with this particular
10 issue it seems to me that this is, we might
11 have some disagreement on how to handle the
12 data, but I don't hear anyone at this
13 particular issue is saying that the data can't
14 be used right now.

15 I mean, that might come up later, but
16 right now this is the difference between an
17 analytical computation which at this point
18 would not appear to me to be an SEC issue. I
19 mean, further discussions may arise, but on
20 this particular issue I don't view this as a
21 somewhat relevant to the ability to
22 reconstruct dose.

23 **MR. GRIFFON:** I mean, I'm just not sure, I
24 mean, right now you're sticking with the TIB-
25 0043 as it stands.

1 **DR. NETON:** Right now I'd say that we --

2 **MR. GRIFFON:** I haven't seen -- I just got
3 the e-mail from SC&A with how they unfolded
4 this. My question, which I brought into this,
5 was do we have the raw data to see -- but
6 you're saying it's an issue anyway. I know.

7 **DR. NETON:** I'm confident if we had the raw
8 data we would get very close to what SC&A --

9 **MR. GRIFFON:** I didn't realize you had the
10 information for that other table because I
11 thought well how are you handling this other
12 table --

13 **DR. NETON:** We can do that. It can be done.

14 **MR. GRIFFON:** -- so I didn't look at all the
15 source documents.

16 **DR. NETON:** But Daniel has gone through and
17 actually statistically picked data points
18 based on all of the information provided.
19 There's kurtosis information, all kinds of
20 stuff, so we have a very good feel for what
21 the data distribution looked like. And then
22 he picked new values and generated
23 distribution and got extremely close, not
24 surprisingly, to what SC&A did using the
25 squares of the means without using the

variances. And I'm confident that that analysis would be the same if we had the raw data, or very close.

MR. GRIFFON: And from what I understand the only data excluded -- because I looked at the numbers for the 2.3 ^ that number from the data in your report. But I think that the only data that was excluded is the tunnel data.

DR. NETON: Uh-huh.

MR. GRIFFON: And I don't disagree with that, but there was no other data that was not included in the distribution analysis report?

DR. NETON: Correct.

DR. MAURO: In effect what we have here is we're really talking about the Florida data we have here and which is okay. We're sort of compartmentalizing our discussion. So what I'm hearing is if we were doing a dose reconstruction for Florida, what I'm hearing is that there's a philosophy here. There are different buildings, different locations, different job categories at that period of time where we have airborne radon measurements or radon progeny measurements taken over

1 varying time periods.

2 Sometimes they're relatively short
3 periods in these individual measurements, and
4 sometimes taken over longer periods of time.

5 Some of the numbers represent the mean of a
6 number of measurements taken at that location,
7 some are individual values.

8 **DR. NETON:** None of them are individual
9 snapshots, no ^ samples. They're all cups.

10 **DR. MAURO:** And in the end I think we'd all
11 agree that our objective is to say that given
12 the array of data characterizing
13 concentrations of radon at the various
14 locations in buildings at one or more
15 facilities in Florida, your argument is that
16 2.3 picocuries per liter would probably place
17 a bound on what the chronic exposure of any
18 given year that any worker at that facility
19 might have experienced.

20 And because even though there may be a
21 great deal of variability, that variability
22 changes over time. So that over a long time
23 period it's going to, the average is going to
24 come down to something less than 2.33.
25 Certainly over any one day or maybe an hour in

1 a given location it could be a hundred times
2 higher. And since over time it flattens out,
3 and if that in fact is the case, I think that
4 what you've just described is the right way to
5 come at and place a plausible upper bound on
6 what people who worked in Florida might have
7 experienced.

8 Now, I have to say that in reading the
9 material it's -- and because I haven't read as
10 closely as others though -- but that's an
11 important story to tell. That is, in the end
12 you basically, 2.3, my reaction to that is
13 surprise. Two point three is kind of low. My
14 house, my basement is 2.3.

15 **DR. NETON:** Your basement's a lot more
16 enclosed than these chemical factories.

17 **DR. MAURO:** These were open and closed.

18 **DR. NETON:** We need to talk about that.
19 That's another issue.

20 **DR. MAURO:** I'd like to say I think that in
21 principle, the concept and the philosophical
22 approach to the problem I completely agree
23 with. And with that story, the way you've
24 presented it, this is what you tried to do,
25 and if that's in fact what was done, I mean,

1 we would agree and come to the same place,
2 that 2.33. And I would agree that the fact
3 that perhaps there's a number in there that's
4 a hundred times higher, I don't know if there
5 are any numbers higher.

6 But if that was just a relatively
7 short period of time or for a given location
8 then it really would be inappropriate and
9 plausible for a person to have spent a long
10 period of time in that setting. And we could
11 make a pretty good case for that. And I would
12 say, okay.

13 But we have had other locations where
14 the variability was very large, but it was a
15 function of location where one particular
16 location was always high. And we found out,
17 yeah, there was a guy that worked there all
18 the time. And under those circumstances we
19 had to work with the high-end numbers.

20 **DR. NETON:** Yeah, that's true.

21 **DR. MAURO:** You see where I'm going? Right
22 now I guess we don't have that, that story.

23 **DR. NETON:** I've looked at this a lot more
24 closely maybe than others because Tom and I
25 looked at this. And you have to look at sort

1 of what the process sample values were. And
2 they're much lower. They jive with what was
3 measured at Blockson itself in terms of
4 working levels in 1976. So we have some high-
5 end values that we believe are high end from
6 the Florida Phosphate Industry that are,
7 they're like vent stack, you know, stack
8 values and such. Those are not relevant when
9 constructing dose at Blockson, but we put them
10 in there. We believe that they are high-ended
11 values. If we were to take those values out
12 and just use the ^ values that were measured
13 at the various process locations that are more
14 similar to the wet phosphate process, we would
15 come up with a much lower number. But we felt
16 comfortable saying, well, given the
17 uncertainty in all of this that we will go
18 with the higher value to make sure that we
19 bounded it. And I think that's what we've
20 done. We can get into the Blockson data
21 later.

22 **MR. GRIFFON:** You're already at outdoor
23 background levels. I'm not sure how much
24 further ^, I mean 0.75 ^.

25 **DR. NETON:** Two picocuries per liter is not

1 background levels. I don't know where you --

2 **MR. GRIFFON:** Point seven five isn't?

3 **DR. NETON:** I'm not assigning 0.75
4 picocuries per liter.

5 **MR. GRIFFON:** I mean your mean value of your
6 distribution is 0.75. I know you're assigning
7 2.33. The average value that you're getting
8 from all this study from this plant suggests
9 that the outside was --

10 **DR. NETON:** Well, let's talk about the
11 measurements that were taken at Blockson
12 Chemical. I mean, they're actually working
13 level values in 1976 that were taken, and
14 those values are all below what we're
15 assigning as well by a factor of two. The
16 highest value measured in the plant, I think,
17 is a factor of two lower than what we're
18 assigning. So we've looked at a lot of data.
19 We're not making this up.

20 **MR. GRIFFON:** Yeah, yeah.

21 **DR. NETON:** We looked at the Blockson data
22 when we were developing TIB-0043 and when we
23 developed the Blockson site profile, and we
24 felt, well, there were not a lot of samples.
25 I think actually five or six. I've forgotten

1 how many. So again, we felt more comfortable
2 using the two picocurie per liter bounding
3 value that we got out of the FIPR data.

4 If you look at the Blockson data
5 during production, this was not a shutdown
6 facility, the values are smaller than what
7 we're assigning. It's actual working levels.
8 We don't have to worry about equilibrium
9 ratios or anything. So if you look at the
10 whole story of all the values we've looked at,
11 I think it's a pretty good story that we've
12 bounded the exposure.

13 **MR. PHILLIPS:** I guess from our standpoint
14 what we did in this particular instance is we
15 went back and made as much use of the data
16 that had been used in OTIB-0043 and
17 regenerated the numbers. And so we used
18 exactly the same data that you did in your
19 analysis. We just extracted more of the
20 individual measurements out, so that's what we
21 did.

22 **DR. NETON:** I think to talk about the
23 Blockson data is probably the next place to
24 go. That's Florida as John has correctly
25 stated. If we're trying to reconstruct dose

1 for workers in the Florida phosphate industry
2 maybe we've got a good story and a good
3 approach.

4 **DR. BRANCHE:** Before you go there, before
5 you continue rather. Those of you
6 participating by phone if you could please
7 mute your phones. Everyone please. And also
8 the information that Chick distributed is not
9 Privacy Act reviewed just to remind you all of
10 that. Thank you.

11 I'm sorry. Please continue.

12 **MR. GRIFFON:** I was just going to say before
13 you go into the Blockson data, I thought the
14 reason for TIB-0043 was that there wasn't, the
15 Blockson data wasn't sufficient or there's
16 some for concern.

17 **DR. NETON:** There are ten samples at
18 Blockson that we have. They weren't mentioned
19 in TIB-0043 by the way. They are mentioned in
20 the Blockson TIB.

21 **MR. PHILLIPS:** Which really leads us to the
22 second point, and that is how representative
23 are these data of the Blockson situation. So
24 if you want to, in the '50s, I guess --

25 **DR. MAURO:** In that time period.

1 **MR. PHILLIPS:** -- in the time period and
2 under the same operating conditions.

3 **DR. NETON:** We have ten samples or ten
4 locations where samples were taken. This was
5 when Herman Cember was under contract to help
6 them do this analysis. I think he did most of
7 the calculations, but ten samples were taken,
8 very low samples. Chick has gone and
9 established what --

10 **MR. PHILLIPS:** That's the table on page two
11 of the handout you just received.

12 **DR. NETON:** But in general, I mean, the
13 samples are fairly low if you use the
14 conversion factors. I think Chick's done this
15 properly. You end up with some pretty low,
16 low values that indicate that our use of 0.1
17 working level month per year is bounding based
18 on the data taken at Blockson in 1976 when the
19 plant -- this was not shut down. This was not
20 a FUSREP analysis. This was actually the
21 plant in production of phosphate products.

22 **MR. PHILLIPS:** It was called an industrial
23 hygiene survey and was done by Olin.

24 **DR. NETON:** So we don't see any large values
25 in the plant.

1 DR. ROESSLER: What does S-T-P-P stand for?

MR. PHILLIPS: Super triple phosphate.

3 DR. ROESSLER: I'm having a hard time
4 visualizing those locations with regard to
5 where people are working. Maybe you have
6 looked at the report more closely and why you
7 chose the number eight which says 40. That
8 must mean Building 40, Filtration. I'm trying
9 to picture what the worker is doing at that
10 location, workers.

14 **MR. PHILLIPS:** And presumably from what we
15 can gather, the grinding operation was also,
16 pulverizing I think they call it, was done in
17 Building 40 as well as the production of the
18 phosphoric acid.

19 DR. ROESSLER: So you're taking that value
20 then as representative of probably the high
21 value that someone could have received in that
22 operation.

1 them they got no counts. One grinder
2 operation which I assume was close to the
3 pulverizing or ball mill or rod mill or
4 whatever they used --

5 **DR. ROESSLER:** You'd think that would have
6 been, I would have visualized that without
7 seeing the numbers as being the one that would
8 be high as far as radon released.

9 **MR. PHILLIPS:** One would think so.

10 **DR. NETON:** It depends. I mean, this is, if
11 there's a matrix, a rock-type matrix,
12 emanation fractures. This is not a lot of
13 radium in the material. I mean, it's elevated
14 above background by what, a factor of two or
15 three? I mean, these are not Belgian Congo
16 ores that were processed at Mallinckrodt. I
17 mean, they're orders of magnitude lower in
18 radium.

19 **MR. PHILLIPS:** And radon is not as freely
20 released from solid material as you'd think it
21 would be even for grinding operations.

22 **DR. ROESSLER:** So it's more in the calcining
23 step that you'd expect the releases?

24 **DR. NETON:** No, I think the filtration makes
25 sense to me where you actually had more of it

1 in solution and it's available for --

2 **MR. PHILLIPS:** It's after you put the
3 sulfuric acid and the phosphate rock together,
4 and then you filter out the gypsum. That's
5 the point where that would be --

6 **DR. MAURO:** That's wet.

7 **DR. NETON:** It's a wet process.

8 **DR. MAURO:** There's a trade-off there.

9 Okay, you've grounded up your, but now it's
10 wet and as opposed to before with the ^ where
11 it's dry. So you've got these trade-offs
12 going.

13 **DR. NETON:** They're already in solution and
14 then precipitated out what ^ ^ radium
15 followed the sulfuric acid precipitate.

16 **DR. ROESSLER:** I just want to establish that
17 this particular location is one that is valid
18 for doing this calculation.

19 **MR. PHILLIPS:** What we were trying to do is
20 look at the radon values in Building 40,
21 whatever we had. And those are the three
22 measurements that we included that we could
23 identify in Building 40 from this set of ten
24 measurements.

25 **DR. ROESSLER:** So the one in number seven,

1 the STPP would have been in 55, Building 55,
2 probably.

3 **MR. PHILLIPS:** Wherever the final products
4 were stored. No, not in 55.

5 **DR. MAURO:** No, that would --

6 **MR. PHILLIPS:** Fifty-five was, I believe 55
7 was torn down at this time.

8 **DR. NETON:** Well, not before --

9 **MR. PHILLIPS:** Used for storage; is that
10 correct?

11 **DR. NETON:** Yeah, it was not in use.

12 **MR. PHILLIPS:** But not product storage.

13 **DR. MAURO:** You see, what we're looking at
14 as I understand it is that the phosphate
15 operation continued, and it's no different in
16 principle than the phosphate operation took
17 place --

18 **DR. NETON:** Workers were exposed to this
19 radon before, during and after AEC operations
20 which is another issue.

21 **DR. MAURO:** So in concept, in simplest terms
22 one could say, well, listen, whatever the
23 radon levels are that they measured in the
24 '70s as they were doing their phosphate
25 operation, is there any reason to believe that

1 the radon levels were any different in the
2 1950s when they also had this kidney unit
3 going on where they were --

4 **MS. MUNN:** Just because I had one separate
5 separation.

6 **DR. MAURO:** -- now the only thing --

7 **MR. PHILLIPS:** The only thing we don't know
8 was what the production rate was at the two
9 various --

10 **DR. MAURO:** -- and whether or not, there may
11 have been some design changes, so building
12 ventilation changes, things like that, which,
13 of course, are questions that are reasonably
14 asked, and I guess I don't know whether we
15 have an answer to that. It sounds like a
16 weight of evidence thing now.

17 So where we really are is, okay,
18 listen, we have the Florida stuff, transfer
19 the Florida information, which given
20 everything we talked about and given your
21 argument, the story you told, certainly I
22 think that you present a very compelling
23 argument that the numbers for Florida are good
24 for Florida.

25 Now we're saying, all right, now,

1 let's use those numbers over here. And say,
2 well, how do we judge whether or not you can
3 transfer that information and use it at
4 Blockson. What I'm hearing -- I sort of like
5 -- well, one way to crack the problem is, oh,
6 we do have some radon measurements at
7 Blockson, but they're not in the '50s.
8 They're in the '70s. And when you look at
9 them, and you try to pick the area where you
10 think it might be elevated, you find out that
11 the numbers that they actually measured are
12 lower than the transferred values.

13 **DR. NETON:** By a factor of five.

14 **DR. MAURO:** By a factor of five. So and now
15 we say, but wait a minute, we still want to
16 test it and say wait a minute, what might be
17 wrong with this story. I mean, all of a
18 sudden the weight of evidence is building in
19 favor of this process. But then you have to
20 say, but hold the presses. Was there anything
21 about what was going on in the '50s at
22 Blockson by way of design, throughput,
23 operations that might have been substantially
24 different than what was going on in the '70s
25 when these measurements were made.

1 And that's a reasonable question, and
2 right now I guess I don't know if there is an
3 answer to that. Whether or not is there any
4 reason to believe there might have been a
5 difference or maybe reason to believe there
6 might not have been a difference.

7 **DR. NETON:** No, we don't have any definitive
8 proof although we did ask this question of
9 Brian Burke (ph) who was the author of the
10 FIPR report, one of the authors of the FIPR
11 report. And in -0043 we have some
12 communication with him where we ask were there
13 any significant changes in phosphate plant
14 processes between the '50s and -- we were
15 asking for FIPR in the '90s, but in the last
16 40 years or so.

17 And his opinion was there were no
18 significant changes in the construction of wet
19 process plants between 1950s and even the
20 '90s. The process remained essentially the
21 same. The chemistry remained the same.

22 He did go on to further say that while
23 environmental regulations led to decreased
24 overall emissions from the plants which is
25 true, the controls had little or not effect on

1 the occupational radon levels in his opinion.
2 So we have that little piece. We've not gone
3 back because heretofore it's not been brought
4 up in issues what the plant looked like in
5 1950 versus 1976. I mean, we certainly have
6 workers who worked there during those periods.

7 **MR. PHILLIPS:** But to be fair, his
8 experience would be in Florida.

9 **DR. NETON:** Well, yeah.

10 **MR. PHILLIPS:** As far as the process itself,
11 I expect that's true. But whether they were
12 different ventilation situations in that
13 building from the '50s to the '70s, we don't
14 know.

15 **DR. NETON:** Not with certainty.

16 **DR. MELIUS:** How did they control emissions,
17 environmental emissions?

18 **MR. ELLIOTT:** They probably didn't.

19 **DR. MELIUS:** Well, he said they lowered
20 them, that's why I was --

21 **MR. ELLIOTT:** Scrubbers.

22 **DR. NETON:** Charcoal.

23 **MR. ELLIOTT:** Charcoal in the beds. But
24 that probably didn't come on until the '70s or
25 so.

1 **MS. MUNN:** I don't recall any comment from
2 the worker groups about significant change in
3 process that would have, I mean, additional
4 buildings, additional ventilation, additional,
5 any kind of change of process. I don't recall
6 that anything --

7 **DR. NETON:** Did we ask them, yeah.

8 **DR. MELIUS:** The '80s, I don't think it was
9 the focus --

10 **DR. NETON:** It was not an issue, I mean --

11 **DR. MELIUS:** Yeah, I know --

12 **DR. NETON:** -- this whole ^ had been blessed
13 off about six months ago and now it's back on
14 the table.

15 **MR. PHILLIPS:** It was asked about Building
16 55.

17 **DR. NETON:** Yeah, 55. We never really --

18 **MR. PHILLIPS:** And they described that as
19 having large fans in the upper part which ran
20 continuously. But I'm not sure that I ever
21 saw anything relative to Building 40.

22 **DR. NETON:** No, we never --

23 **MR. TOMES:** We have asked workers who worked
24 in 40, locations about ventilation. And all
25 of them that had commented on it said that the

1 facility, any place had dusty operations ^
2 ventilation back in that era. So that's about
3 all I know from the details.

4 **MS. MUNN:** Yeah, but, Tom, you and Chick
5 both were at one of or more of those worker
6 outreach meetings, weren't you?

7 **MR. TOMES:** Uh-huh.

8 **MS. MUNN:** And I don't recall any indication
9 that there was a significant change. They
10 didn't say anything about changes in building
11 structure or anything.

12 **MR. PHILLIPS:** Well, the problem is most of
13 the focus of that was on Building 55 and
14 relatively little on Building 40. But we were
15 focused on Building 55 at that time.

16 Is that correct? Is that basically
17 correct?

18 **MR. TOMES:** I think it's correct. I have
19 had conversations other than meeting with some
20 workers, and it's basically the same. I did
21 ask some details with one of the workers
22 specifically about Building 40 just to get a
23 better idea of how the process, material
24 flowed through the facility. But none of the
25 conversations indicated, like you said,

1 indicated that there was --

2 **MS. MUNN:** No, change.

3 **MR. TOMES:** -- substantial change other than
4 when in the '50s when they built Building 55
5 and made some changes.

6 **MS. MUNN:** Well, we know about that. That
7 was incorporated in the original site profile.

8 **MR. TOMES:** Excess capacity, things like
9 that.

10 **MS. MUNN:** Correct.

11 **MR. GRIFFON:** This report that we were just
12 discussing, this is 1976. ^ '83.

13 **DR. NETON:** Was it '83? I'm sorry. I was
14 thinking that there's another EPA report that
15 was in '76.

16 **MR. GRIFFON:** And I'm sure we have this
17 reference on our, I mean, this source
18 document, right?

19 **DR. NETON:** Yes.

20 **MR. GRIFFON:** Because I'm just looking at
21 these calculations. So they only reported one
22 working level, and then you just did ratios to
23 convert for the other --

24 **MR. PHILLIPS:** If you look at the references
25 here --

1 **DR. NETON:** Yes, it's been out on the O
2 drive for a long time.

3 **MR. TOMES:** And there was that ^ in Building
4 55 in 1970 done by the FUSREP program, and
5 they were all in the lower ranges we've been
6 discussing.

7 **DR. NETON:** We wouldn't expect the radon
8 levels to be high in '55 because the radium
9 was gone by the time it got here. We've
10 established that. So again, I'll point out
11 we're giving people these radon levels and
12 working in Building 55 at the same time which
13 one could argue is double dipping. We can't
14 predict where radon would, our theory was we
15 can't predict where radon was sort of diffused
16 throughout the plant.

17 **MR. GRIFFON:** Well, especially since you
18 could look at this data. I mean, your work
19 location study there. Some of your higher
20 values are in the auto shop and the admin
21 trailer. That's what makes me just raise the
22 question about any of this data. It could
23 well be, but that's, you know.

24 **DR. NETON:** I think 40 is relevant here.
25 That's part of the phosphoric acid production.

1 You've got to look at what the definition of
2 Blockson Chemical is, right? I mean, it's the
3 Building 55, and I think it says related
4 activities. So we can't start going out onto
5 the vent stack on the phospho-gypsum pile and
6 taking samples and saying that that's relevant
7 to this reconstruction I don't think.

8 **MR. GRIFFON:** But my point, I mean, you're
9 making points that like these stack samples
10 are some of the highest ones in your
11 distribution. I'm going back to TIB-0043.
12 But in fact, some of the other higher means
13 are actually in places that I wouldn't have
14 expected to be on the high side of the mean.

15 **DR. NETON:** Right, which could be right next
16 to the vent stack.

17 **MR. GRIFFON:** It could be, yeah.

18 **DR. NETON:** I don't know. I really don't
19 know.

20 **MR. PHILLIPS:** The highest source of radon
21 is the gypsum stacks, gypsum piles. So I
22 don't know the relative location to the gyp
23 pile that you're referring to.

24 **DR. NETON:** I guess that's what I'm saying
25 is the process, the samples that were taken

1 near process equipment tend to be on the low
2 end of the distribution from everything that
3 I've looked at. You don't go into a
4 filtration area or a digester tank area and
5 start to see huge levels of radon. I think
6 it's primarily because the concentration of
7 radium in the source term is pretty low, and
8 it doesn't emanate --

9 **MR. GRIFFON:** I just expected it to be
10 higher than the auto shop or the admin
11 trailers, but they could be next --

12 **DR. NETON:** I don't know. That's why I feel
13 those were the highest, in my opinion they
14 were the highest samples that were identified
15 at that plant that were provided. That's what
16 the document says.

17 **MR. PHILLIPS:** And all of this relates to
18 the outdoor versus indoor operations which is
19 also part of this. And for the time period
20 that I had I just tried to verify to the
21 extent that I could whether the, in general,
22 the Florida phosphate plants were a more open,
23 well-ventilated situation than would have been
24 Building 40 based on what we know.

25 We believe that Building 40 was fairly

1 enclosed based on the information that I could
2 find as opposed to the Florida situation which
3 -- and I think most of you got that PowerPoint
4 presentation if we could look at it -- and I
5 think that's pretty typical of the Florida
6 operations to the best of my knowledge based
7 on my conversations with the people who would
8 know that. And the fact that the grinding
9 operation was within Building 40 came from one
10 of the workers, I guess in a telephone
11 interview.

12 Is that correct, Tom?

13 **MR. TOMES:** Yes.

14 **MR. PHILLIPS:** So I think it's fairly clear
15 from that that there was a difference relative
16 to the potential ventilation situation in
17 Building 40 as opposed to generally the
18 Florida phosphate plants. Now, we don't know
19 from the FIPR report exactly -- well, I guess
20 you can discern a couple of them -- exactly
21 what plants were included in that dataset. So
22 you can't say that those were representative
23 of the general industry in that it was a
24 fairly open operation, but we believe that to
25 be the case. I'm not sure that there's any

1 argument in that.

2 **DR. NETON:** Right.

3 **DR. ROESSLER:** Chick, what is your
4 conclusion then the numbers that have been
5 proposed for the Florida operation, which we
6 agree was probably much more open, compared to
7 what you have here, the actual numbers from
8 Blockson in 19 -- I think -- 83? To me, when
9 I look at the numbers, the projected or the
10 proposed Florida numbers are much higher than
11 what your data from Blockson actually shows.

12 **MR. PHILLIPS:** A factor of four or five
13 based on those measurements.

14 **DR. ROESSLER:** I'd let you make the
15 conclusion from that.

16 **MR. PHILLIPS:** Well, I don't know that I can
17 draw any other conclusion than this is the
18 data that we have for Building 40 under
19 conditions which we presume to be fairly
20 consistent with what the operation was during
21 the covered period. So those are the numbers.
22 And then we know we can compare those to the
23 bounding numbers that were generated in OTIB-
24 0043. We may argue which the bounding number
25 might be, and that's still an open question.

1 But they're well within that bounding number.

2 **DR. MAURO:** The way I look at that when I
3 was thinking about it I said, hmm, if the
4 Florida data that we're hanging our hat on is
5 fundamentally more or less an open area and
6 then we're going to transfer that over to the
7 Blockson which sounds like was more or less
8 closed areas, we've got a problem.

9 But then you say, but we do have data
10 for Blockson a little later, and that sort of
11 offsets that concern. And again, we'll get to
12 that point where we've got a weight of
13 evidence. So I would say without that -- I
14 guess 1970 Blockson data?

15 **DR. NETON:** 'Eighty-three.

16 **DR. MAURO:** 'Eighty-three data for Blockson,
17 the open versus closed could have been a
18 pretty serious conversion problem; how do we
19 go from here to here. But that sort of
20 offsets it. It sort of says, wait a minute,
21 yeah, that difference might very well have
22 existed. The difference is open versus
23 closed. But obviously it could not have had a
24 profound impact because we wouldn't have seen
25 such low values. So that ameliorated a little

1 bit my concern of the open versus closed.

2 **MS. MUNN:** So the bottom line now is, has
3 this discussion been focused enough to respond
4 to items A, B, C and D that marks our
5 concerns. A, distribution includes not only
6 individual data points but also means. SC&A
7 recently identified this. That's been
8 addressed. I don't know if it's been put to
9 bed.

10 B, Table B-3, some of the data seems a
11 bit strange. Auto shop, gypsum stack, office,
12 all have 95 percent CLs less than the medians.

13 **MR. GRIFFON:** I think we didn't really talk
14 about that one, but I think Harry looked at
15 the source report and gave me an explanation
16 of that one. So --

17 **MR. PHILLIPS:** That's not what it seems.

18 **MR. GRIFFON:** -- I was misinterpreting that
19 I don't think that's a 95th percentile. I was
20 misreading that table. I didn't go to the
21 source document. That's sort of off the table
22 as a question.

23 **MS. MUNN:** C, measurements for Florida study
24 were down in the '90s. Blockson operated in
25 the '50s. Is it possible to demonstrate basic

1 ^ improvements especially ventilation wouldn't
2 have drastically lowered the airborne levels
3 of all contaminants in the '90s. And we did
4 discuss that.

5 **MR. GRIFFON:** I mean, we have a new piece
6 for me anyway, I knew it was referenced, but I
7 didn't think we were, but it's in the '80s
8 again. It's not in the '50s, but there's some
9 evidence at least Blockson-specific so pretty
10 close to a '50s.

11 **DR. MELIUS:** Yeah, it's getting closer.

12 **DR. NETON:** It's at the facility, and it's
13 within, you know --

14 **DR. MELIUS:** But I think we have open
15 questions on were there changes in the
16 facility --

17 **DR. ROESSLER:** But we also have that one
18 remark from, we have the comment by FIPR that
19 you just read that he doesn't have any
20 evidence that things really changed over time
21 with regard to ventilation.

22 **DR. NETON:** In his opinion.

23 **DR. ROESSLER:** Yeah, in his opinion. So we
24 have that.

25 **DR. NETON:** There's that piece.

1 **DR. ROESSLER:** But I agree, it would be --

2 **MR. GRIFFON:** It might be process focused
3 rather than -- yeah, I don't know.

4 **DR. NETON:** And the conservatism built in as
5 a factor of five different is also there, I
6 mean, so even if there were some changes, one
7 has to wonder would the changes be sufficient
8 to reduce the levels by a factor of five. I
9 mean, there's ways one can get about that I
10 suppose.

11 **MR. GIBSON:** That's putting an awful lot of
12 weight into what one man says about one issue
13 that's completely away in another state. I
14 mean, you know, we don't put that kind of
15 weight in a worker's statement so --

16 **DR. ROESSLER:** That's only one supporting --

17 **DR. NETON:** It's just one piece of a -- like
18 John's argument, weight of the evidence kind
19 of situation. The weight of the evidence is
20 we have no evidence that the radon exposures
21 in the phosphate industry have been much
22 higher than what we're presenting here.

23 **MR. PHILLIPS:** I think the way that I would
24 look at that is his statement I think is
25 correct in that the processes have not changed

1 over that time period. Now, again, his
2 experience is in Florida, and you would not
3 expect a ventilation situation to change
4 because that's mostly outdoors. I mean, not
5 outdoors. It has a top over the facility.

6 So you wouldn't expect anything to
7 happen relative to ventilation, but I don't
8 know that you can directly apply that
9 statement to Building 40 because we don't know
10 in Building 40 if any of the, anything was
11 done to improve or the ventilation in Building
12 40 so that the radon levels were less. So I
13 think that we don't know.

14 **MS. MUNN:** But, Mike, as we said earlier, we
15 have discussed these issues in both broad
16 stroke and detailed with the workers at
17 Blockson, and the two meetings that we had
18 there, none of the three people who are here
19 who attended those meetings recall any comment
20 about changes to the process.

21 **MR. GRIFFON:** And you weren't talking about
22 Building 40. I think everybody said that,
23 too.

24 **MS. MUNN:** Yeah.

25 **DR. MELIUS:** One, you weren't talking about

Building 40. Number two, you weren't talking about the 1980s I don't believe.

MS. MUNN: The overall process.

DR. MELIUS: And I think it would be helpful to go back and, I mean, the way I look at it is let's find out, you know, which we should be able to, were there changes between the '50s and 1980s in Building 40's ventilation, production rate and so forth. Is that doable?

DR. NETON: It's attemptable. I mean, if that's what's the desire of the working group, we can certainly --

MR. GRIFFON: The other question I had asked John -- I realize it was sort of misdirected. I should have been asking NIOSH -- was did you have the numbers -- and maybe this would be a quick no on this one -- but did you have anything, enough information about source term or production levels to actually go back and do a sort of from the source term calculation of what sort of radon levels could have existed in the process buildings, you know, using conservative factors like building size and ventilation rates, air exchange rates, whatever. I don't know if you had enough

1 source term information to even attempt that.

2 **DR. NETON:** We have production numbers
3 through '61, I guess, but I don't think we
4 have production levels through, but yeah, we
5 would have production numbers for '53 and '61
6 and based on building --

7 **MR. GRIFFON:** The reason I say that is just
8 that that smell test that I'm asking about.
9 Like these levels are upper background levels,
10 and if you've got a big source production --

11 **DR. NETON:** When you start ventilating
12 building one air change per hour, you're going
13 to reduce considerably. There are, I mean, we
14 didn't go to this level, and I'm not promising
15 to do this, but there are red rad build
16 incorporates radon contamination, but then you
17 get into other contamination fractions and all
18 that kind of stuff and it's --

19 **MR. GRIFFON:** And the parameters are key.
20 The air exchange is key so we don't know any
21 more information about that.

22 **DR. NETON:** I think what one could establish
23 possibly is what increase in ventilation would
24 be required to reduce a building -- I think
25 Building 40 might still be there actually.

1 What ventilation would be required to reduce
2 it by a factor of five, for example, over what
3 was measured in '76. And does that seem --

4 **DR. MELIUS:** 'Eighty-three.

5 **DR. NETON:** 'Eighty-three, I'm sorry. I've
6 got this '76 FUSREP report in my brain. So
7 there are some things that could be done. I
8 mean, if that's the desire of the working
9 group, we could certainly ascertain that. I
10 don't know how quickly we could do that
11 though.

12 **MS. MUNN:** Would that satisfy the concerns?
13 That's the only real question is would that
14 kind of calculation, would that kind of --

15 **MR. GRIFFON:** Well, again, in my opinion
16 that would add to the weight of the evidence.
17 If you do that it's just another piece.

18 **DR. MELIUS:** If not, I'd need some further
19 information or understanding on overall on
20 this issue of sort of northern operations
21 versus southern operations. Because we know
22 ventilation's a key factor, and we have these
23 open-sited facilities down in Florida that
24 we're using as data.

25 **DR. NETON:** But I think Chick pointed out,

1 well, if the FIPR data represents the high end
2 of their facilities, and then the FIPR data
3 bounds the high-end value that we measured in
4 Building 40, I think that sort of that open-
5 ended building kind of goes away. The
6 question is --

7 **MR. GRIFFON:** Is that the high end?

8 **DR. MELIUS:** That's the last question. What
9 I'm saying is this question. 'Eighty-three,
10 looking at what data we have is the first
11 priority. If we can't get further
12 information, then I'd like to better
13 understand if the potential for any other data
14 that might exist from other facilities that
15 might address this issue. Now maybe it's so
16 variable and so facility-specific once you
17 enclose because then it becomes an issue more
18 of what your ventilation rates are and how
19 those might have changed over time that that's
20 --

21 **DR. NETON:** I agree.

22 **MR. PHILLIPS:** There is another piece of
23 evidence that I tried to get literally as I
24 was coming up here, but there was a study
25 done, I think it was in '77, of a phosphate

1 plant in Idaho. I suspect it represented more
2 of a closed building situation. We have the
3 radon numbers in there. I just can't get to
4 the right person to find out whether that was
5 an open or a closed operation. But I have
6 phone calls to that, so that may be -- and
7 those were relatively low, too. They were
8 like 0.22 picocuries.

9 **DR. MELIUS:** Larry, didn't NIOSH, they had
10 that phosphate study. I remember most of it
11 being in Florida, but I remember --

12 **MR. ELLIOTT:** I don't know if that came out
13 of Idaho or how many northern sites, if any,
14 that they looked at.

15 **DR. MELIUS:** Someone look back and see --

16 **MR. PHILLIPS:** But there is that study, and
17 the radon value is available in that building
18 where the grinding operation took place. If I
19 can get to the right person to confirm whether
20 that was an enclosed or an open situation,
21 that would be another piece of data to add to
22 this.

23 **MR. TOMES:** That was the EPA report.

24 **MR. PHILLIPS:** Correct.

25 **DR. NETON:** We used that for some of our

1 other stuff. We used it for the airborne.

2 But we didn't look at the --

3 **MR. PHILLIPS:** I called the author and got
4 him in a national park somewhere, but he only
5 wrote the report. He didn't do the field
6 study so he wasn't --

7 **MR. ELLIOTT:** Have you talked to Tom Bloom?

8 **DR. NETON:** No, we have not.

9 **MR. ELLIOTT:** We need Tom Bloom who's a
10 NIOSH investigator on this phosphate study,
11 and he's retired now, but we ought to call him
12 and get his take on what the data contains.

13 **DR. NETON:** He's already working for us on
14 the RECA.

15 **MR. ELLIOTT:** We may have to go look at the
16 data.

17 **DR. NETON:** I think the first thing though
18 is maybe to talk to some of these workers who
19 worked in the buildings and say what were the
20 changes between the '50s and 1970s. And if
21 they say nothing happened, then maybe --

22 **MR. GRIFFON:** Especially as OSHA came in. I
23 think you want to...

24 **DR. NETON:** That's unlikely to be the case.
25 Somebody can remember some change. But we can

1 sort of say what effect would that have and
2 then couple that with an analysis saying,
3 okay, we feel like we're a factor of five
4 above what we think is reasonable, even a
5 highest value, and if those changes that we've
6 discovered, what would it take to make it so
7 much higher, sort of a bounding based on
8 ventilation changes. If you know the size of
9 the building, and you know -- then you put the
10 radon in there, and you know the ventilation -
11 -

12 **MR. GRIFFON:** Actually on parameter
13 basically.

14 **DR. NETON:** You can actually come up with
15 the effect I think. It shouldn't be that
16 difficult.

17 **MR. ELLIOTT:** Didn't we take a set of
18 questions to Blockson workers from the focus
19 group? But we didn't talk about 40.

20 **DR. NETON:** Yeah, but we never asked them.

21 **MR. TOMES:** There was some mention in
22 passing but later on outside the public
23 meeting we interviewed five people at one
24 point, and then I called another person back.
25 So I talked to at least six people by phone,

1 and one of those gentlemen worked the Calciner
2 which was right next to Building 40 so he
3 should know if there was any major structural
4 changes during that time period. It won't
5 answer air change ratio or anything like that,
6 but he would be aware of any major changes.
7 And there are also a couple of other people
8 that we talked to who worked in that building
9 that --

10 **DR. NETON:** Well, we could get approximate
11 dimensions of the building, the closedness of
12 it, you know, was it completely, any sort of
13 parameter that we could use to --

14 **MR. ELLIOTT:** To expedite this might I
15 suggest that Chick and Tom, you guys get on
16 the phone together with your list of contacts
17 including Tom Bloom and at one time both of
18 you hear what they have to say.

19 **MS. MUNN:** It would appear to be very
20 helpful --

21 **MR. GRIFFON:** It might be useful to have a
22 work group member on there, too.

23 **MR. ELLIOTT:** If you want, Mark, that's
24 fine. I'm just saying --

25 **MR. GRIFFON:** I mean since --

1 **MR. ELLIOTT:** -- let's not have too many
2 different efforts going out to touch these
3 people. Let's do it one time and hear the
4 answers at once.

5 **DR. NETON:** You're honorary work group.

6 **MR. GRIFFON:** I'm honorary work group
7 member. I wouldn't mind being on that call.

8 **DR. BRANCHE:** If that's okay with you,
9 Wanda, I could have a work group member there,
10 too.

11 **MR. GRIFFON:** Can I ask one -- I think we're
12 kind of leaving this subject with some
13 actions. But on page 13 in the TIB-0043
14 there's a reference to this Virginia-Carolina,
15 Chick mentioned this 0.2. But my point on
16 this one is, this is a reality check for me,
17 this last sentence.

18 Basically, they conclude that the
19 levels are between 0.6 and 0.9 picocuries per
20 liter at this facility. And the last sentence
21 says, "However, the measurements occurred
22 before remediation and after the uranium
23 extraction facility ceased operation and was
24 torn down, only a concrete pad remained." I
25 don't know that there was much more

1 ventilation than that. I mean, the building
2 didn't exist, right?

3 **DR. NETON:** But we didn't use this for
4 anything.

5 **MR. GRIFFON:** But -- you didn't use it for
6 anything, right. But your mean and your
7 distribution falls right in the middle of
8 that. So when we're saying, you know, when
9 we're looking to some data for use in dose
10 reconstructions, all I'm saying is, wait a
11 second, 0.75 is the mean.

12 I know we're using 2.3, right? But
13 the average that we're measuring in these
14 operating facilities supposedly in Florida
15 that are supposed to be representing exposures
16 in the '50s fall right in the middle of an old
17 concrete pad from a facility that was torn
18 down. I think if people look at this they
19 say, wait a second.

20 **DR. NETON:** I don't know, Mark.

21 **MR. GRIFFON:** Am I misinterpreting this?

22 **DR. ROESSLER:** Are you talking about, this
23 is picocuries per liter. What was the number
24 that you referred to? Is that working
25 numbers?

1 **MR. GRIFFON:** I thought 0.75 was picocuries
2 per liter. Am I wrong? 2.33 is picocuries
3 per liter.

4 **DR. NETON:** I think it is somewhere in that
5 vicinity.

6 **DR. ROESSLER:** Yeah, 2.33.

7 **MR. GRIFFON:** Well, that's the 95th and the
8 mean was 0.75.

9 So again, I'm saying not that it
10 couldn't happen, but --

11 **DR. NETON:** Well, what it strikes me as
12 being if these things were sufficiently open,
13 if they were almost equivalent to outdoor
14 operations --

15 **MR. GRIFFON:** Well, and that's the question
16 of going back either --

17 **DR. NETON:** But then we've got the Blockson
18 data to suggest that that's not inappropriate.
19 So I think to me the key thing is to take the
20 '80 Blockson data and try to give people some
21 assurance that it's appropriately bounded for
22 the '50s given what we know about the building
23 size, ventilation rates or changes that may or
24 may not have happened.

25 **MR. ELLIOTT:** I was just about to ask for

1 the record could somebody succinctly and
2 concisely state what it is that is at issue
3 here so that we can pursue it to ground. I'm
4 wandering back and forth in my mind thinking
5 this is below any occupational limit, the data
6 that we're working with. So what is at risk
7 here? What's the problem? I really want to
8 hear that on the record so that we can make
9 sure we pursue this to ground. I mean, are we
10 losing a lot of dose here? Is that what's
11 being speculated?

12 **DR. MAURO:** Along those lines I know you're
13 making reference to the occupational, but if I
14 recall the lung dose of picocurie per liter is
15 on the order of rems for the year. Is that
16 correct? In other words the effect of whole
17 body dose from one picocurie per liter is on
18 the order of 200 millirem per year. That's
19 the effect of whole body dose. Then lung dose
20 has got to be a factor of ten higher than
21 that. So we're not, even though we're within
22 the occupational limit, even one picocurie per
23 liter is going ^ with its associated progeny
24 is going to deliver a pretty high dose.

25 **DR. NETON:** Be careful. IREP doesn't use

1 dose at all. We go directly from working
2 levels to risk --

3 **DR. MAURO:** Right, and that's fine. But I'm
4 saying assuming that the dose is somehow a
5 surrogate for risk, I do think it doesn't take
6 very much --

7 **MR. ELLIOTT:** Well, I agree --

8 **DR. MAURO:** -- for radon to give you a nice
9 dose is all I'm saying.

10 **MR. ELLIOTT:** I think we're all in agreement
11 on that, but the point still remains. We need
12 to be very succinct and concise for the record
13 here so that we pursue this to ground.

14 **MR. GIBSON:** Larry, this isn't going to be
15 for this working group, but just for the
16 record from my point of view, the whole thing
17 is not going to be satisfied until we get to
18 the bottom line of this whole surrogate data
19 issue. You don't have data for Blockson, and
20 --

21 **MS. MUNN:** Yes, we do.

22 **MR. ELLIOTT:** We do have data for Blockson.

23 **MR. GIBSON:** But you're using surrogate data
24 to try to recreate doses, and it just --

25 **MR. ELLIOTT:** And it's our position that

1 we're allowed to do that in our regulation.

2 **MR. GIBSON:** I understand that. But it's my
3 position that until I understand it better,
4 I'm just not comfortable with the use of
5 surrogate data. It's not the data that
6 actually took place at the site. I know that
7 the scientific people can establish why it's
8 justified. I know that's your position that
9 you're allowed to do that. But for the record
10 it's my opinion I'm not comfortable with it at
11 this point.

12 **MR. ELLIOTT:** And I respect that, and I
13 understand that. It's just that in the
14 balance here we have a number of claims that
15 we need to move forward.

16 **MR. GIBSON:** I just want to put my
17 overarching --

18 **MR. PHILLIPS:** Can I go back and comment
19 just briefly on this Virginia-Carolina issue?
20 What you have to remember with the Florida
21 plant is you have additional sources of
22 outdoor radon. You have the lines which are
23 in proximity, and you also have large rock
24 piles with the tunnels in close proximity to
25 these plants, whereas you don't have that

situation at Blockson. So those are large sources of out --

MR. GRIFFON: Would you have those in the
Virginia, you were saying --

MR. PHILLIPS: This is the Florida plant.

DR. BRANCHE: It's Virginia-Carolina, but it's in Florida. Is that right?

MR. ELLIOTT: Is it a mine or a quarry?

DR. BRANCHE: That's a revelation. It's called Virginia-Carolina, but it's in Florida?

MR. ELLIOTT: When you say mine, are you --

DR. BRANCHE: Is that correct?

MR. ELLIOTT: -- is it an actual mine or is it a quarry?

MR. PHILLIPS: Well, they call them mines, but they're open pit mines.

MR. ELLIOTT: Open pit. The majority of these, in Pennsylvania there's one mine, underground facility, that I know of that they took. Generally, it's an open pit quarry.

MR. PHILLIPS: I don't know if they showed it in that slide presentation, but you see these tunnels. What that is are when they mined the phosphate ore, and they put it in large piles of phosphate ore, and it has

1 varying amounts of phosphate in it. And they
2 would do tunnels under these in order to blend
3 that. And that's where the tunnels, that's
4 the radon in the tunnels. So you have two
5 additional sources of outdoor radon at the
6 Florida plant that they're in close proximity
7 to the mine and large piles of rock.

8 ACTION ITEMS

9 **MS. MUNN:** Before we go any further let me
10 go down, I have five items that I have
11 recorded that we've discussed as possibilities
12 for further action. One can't help but be
13 concerned over the continuing question of how
14 relevant this is to dose reconstruction and
15 where we really need to be going. I'm going
16 to go through these five items.

17 First, I have there's going to be any
18 changes in the building process or the process
19 ventilations in Buildings 40 or 25. Talk to
20 workers and find out if there is any
21 additional information we've missed.

22 Two, what kind of ventilation could
23 have resulted in a factor of five reduction
24 from the '50s to the '80s.

25 Three, Chick's going to check on data

1 from the western regions to see of the author
2 and the folks who worked on that have specific
3 data that would be helpful.

4 Four, NIOSH is going to involve Tom
5 Bloom in what we're doing here.

6 And, five, there's going to be a
7 technical call with Tom, Chick, myself, Mark
8 to discuss pulling all of this together and my
9 sixth item is the one that Larry brings up. I
10 still don't have a concise specific about what
11 we're trying to achieve here. What exactly do
12 we want all of this activity to end up with?
13 If we are not going to accept surrogate data
14 for any reason, then we need to get that out
15 on the table.

16 **DR. MELIUS:** Can I make one --

17 **MS. MUNN:** You were out when that was
18 brought up.

19 **DR. MELIUS:** I know, but I have one minor
20 correction to your first point which was
21 looking at Building 40 and 55. It's not just
22 worker interviews. There may be
23 documentation, too. I don't know what's
24 available, and so let's investigate that in
25 some way. I'm not saying generate new reports

1 or anything, but let's see what would be
2 available. Because I'm just not sure the
3 question's ever been asked, and it may be
4 available in some of the other histories of
5 the -- other documentation that's been done.

6 **DR. BRANCHE:** Is it Building -- I know
7 Building 40, but is it Building 25 or 55?

8 **MS. MUNN:** Fifty.

9 **DR. MELIUS:** Fifty-five.

10 **MR. TOMES:** Twenty-five is another name
11 you'll hear called for Building 40. At one
12 time it was called 25. They changed the name
13 to Building 40.

14 **DR. BRANCHE:** Okay, so 40 is 25 and
15 Virginia-Carolina is in Florida.

16 **DR. NETON:** We're all juggling a lot of
17 data.

18 **MS. MUNN:** My concern about these five
19 issues still is, and what does this bring us
20 to. And if we are not going to accept
21 surrogate data at the outset, then there's no
22 need in doing any of this because if you will
23 not, one, accept the Blockson data that we
24 have as being adequate for what we have to do,
25 and two, will not accept the surrogate data as

1 being referenceable and a reasonable standard,
2 then we're wasting our time and spinning our
3 wheels by going further.

4 So if we can get that -- I suggest
5 that we take a ten-minute comfort break and
6 have everybody give some thought to what are
7 we trying to achieve, the bottom line, and
8 what we're going to do here, and is it going
9 to get us any further down the road. So let's
10 all sign off for ten minutes, well actually,
11 back here at 11:15.

12 **DR. BRANCHE:** Back here at 11:15. We'll
13 mute until then.

14 (Whereupon, the working group recessed from
15 11:05 a.m. until 11:15 a.m.)

16 **DR. BRANCHE:** The Blockson meeting is
17 beginning again.

18 Ms. Munn.

19 Oh, excuse me. Those of you who are
20 participating by phone I really risk sounding
21 like the phone police, but you'd be amazed how
22 difficult it is for people who are
23 participating by phone to hear if a person
24 leaves their line open. If someone who's on
25 the line could please acknowledge that you can

1 hear me, I'd appreciate it.

2 **UNIDENTIFIED SPEAKER (by Telephone):** Yes.

3 **DR. BRANCHE:** Okay, thank you.

4 And again, if everyone who's
5 participating by phone could please mute your
6 phones, we would appreciate that. If you
7 don't have a mute button on your phone, then
8 please dial star six, and then when you're
9 ready to speak, then use that same star six.
10 It's important for everyone participating by
11 phone to mute your lines so that everyone on
12 the phone can hear the conversation here in
13 the room.

14 Ms. Munn.

15 WORK GROUP'S GOAL

16 **MS. MUNN:** Has anyone given any considered
17 thought to my request that you give us a
18 bottom line? What do we have as a bottom line
19 for this work group? What are we trying to
20 accomplish by these five things we've
21 indicated we will try to attempt to do?

22 This is a little disconcerting because
23 if we have these five additional actions to
24 take care of between now and the time that
25 we've tentatively committed to have a comment

1 for the Board with regard to our efforts, then
2 we have a lot of work to do in the next two
3 weeks and there's a lot of work being done on
4 other things as well.

5 So bottom line? Anyone's bottom line?
6 Are we going to be able to accept surrogate
7 data at all or are we going to be able to come
8 to some conclusion with respect to the
9 completeness of the data that we do have? Can
10 we do that here before we leave or not?

11 **DR. MELIUS:** Well, I can tell you that where
12 my bottom line is that I am quite skeptical of
13 using, relying on Florida data for a site in
14 Illinois. But I think that the information
15 that we are going to be collecting -- and this
16 is for radon obviously -- is the information
17 that these actions will help. And I agree
18 that, as John and Jim have put it, it's a
19 weight of the evidence issue, and let's see
20 what the evidence shows. And I think we've
21 outlined issues and we'll weigh the evidence.

22 **MS. MUNN:** So what I think I'm hearing then
23 is go forward with these five items as quickly
24 as we can. I'll summarize them by e-mail and
25 send them to everyone to make sure that I

1 have, we have them reasonably agreeably.

2 **MR. GRIFFON:** The only other item, Wanda, I
3 just keep on the table, I don't think there's
4 any action, but the statistical analysis. I
5 just got those files. I'd like to look at
6 them. And it may end up, if that's like the
7 final thing, I think it may end up as that's a
8 non-SEC issue, but I still want to have an
9 opportunity to look at that data, you know,
10 the proposed ^ by SC&A at least.

11 **MS. MUNN:** And, Mark, I'll rely on you to
12 relay to both John and Chick and Tom what
13 those specific points are that you want to
14 make as you're going through that, and I'll --

15 **DR. BRANCHE:** With copies to you, right?

16 **MS. MUNN:** -- with copies to me. And please
17 let me know when we can have that
18 teleconference, hopefully sooner than later.

19 **DR. BRANCHE:** I'd like to use this
20 opportunity given that request. It's come to
21 my attention that there have been a number of,
22 at least a few requests that have happened for
23 this work group, assignments as it were, to
24 SC&A, that were not necessarily copied to
25 Wanda and certainly didn't copy me. And I'll

1 be sending out a general announcement to all
2 the Board members, but that we ought not to
3 have that happen.

4 So when you make your requests,
5 specifically for requests for SC&A to do their
6 work. It's important that Wanda as the work
7 group Chair be copied so that it really is
8 under her, under the aegis of her leadership
9 for this work group. But it's also important
10 that you copy me. Thank you.

11 **MR. ELLIOTT:** Can I take a stab here?

12 **MS. MUNN:** Yes, please.

13 **MR. ELLIOTT:** I would offer that what these
14 items, these action items are staged to do is
15 to inform the working group as to whether or
16 not the radon dose modeling for Blockson based
17 upon data from similar facilities is
18 appropriate to use or not. Does that get it?

19 **MR. GRIFFON:** Or is sufficient to bound
20 dose.

21 **DR. NETON:** Have we bounded the dose.

22 **MR. ELLIOTT:** I'm just trying to get a
23 clear, concise, for the record what we're
24 trying to do.

25 **DR. MELIUS:** You reached a conclusion in

1 doing the site profile and so forth that the
2 radon data that you had from Blockson was not
3 sufficient by itself so you relied on the
4 Florida data for the most part and so forth.
5 And so the question is is that appropriate.
6 And I think we're looking for what's the
7 evidence that would support that, supporting
8 the Blockson data, and so we have some
9 evaluation of that. Supporting that may be
10 more general stuff related to the OTIB but as
11 applicable to the Blockson site and northern
12 sites and close types of information.

13 **DR. NETON:** I think I've got a pretty good
14 handle. I do have one question though. In
15 the first item you mention process ventilation
16 changes in 40, and I think you also said 55.
17 Are we, I'm not sure we need to look at
18 Building 55. It's not really, 40 is the
19 relevant building that we're concerned.

20 **MS. MUNN:** Forty is the relevant building
21 for me, but I was hearing concerns expressed
22 about when 55 came into this.

23 **MR. GRIFFON:** I think I might have said
24 1955.

25 **DR. MELIUS:** I was quoting Wanda.

1 **DR. NETON:** Fifty-five I think we all agree
2 would be low potential for radon because the
3 radium source term had been removed before the
4 material got there.

5 **MS. MUNN:** Well, that was my understanding,
6 but I had thought I heard concerns expressed
7 but do we know whether there was an increase
8 or a decrease in production and something that
9 had gone on in 55 that would affect us. If
10 that's --

11 **MR. GRIFFON:** I thought I said in the '50s.
12 I don't know.

13 **DR. BRANCHE:** I thought you were talking
14 about the time period as opposed to a
15 building.

16 **MR. GRIFFON:** Yeah, the time period that I
17 was talking about, but maybe someone else said
18 Building 55.

19 **MS. MUNN:** Okay, that's wonderful. I would
20 be more than happy to take Building 55 off
21 the, we're just talking about Building 40.

22 Yes, Gen.

23 **DR. ROESSLER:** I have one additional thing
24 that was brought up and I want to point it
25 out. That as you talk to people and analyze

1 all of this, the difference between the
2 Florida plant and the Blockson plant, of
3 course, general operation is important. But
4 keep in mind what was said about the
5 difference between Blockson and Florida is not
6 only the open ventilation that didn't occur in
7 Building 40, but the background levels which
8 it was pointed out that in the Florida
9 situation this was in an environment probably
10 enhanced radioactivity with it being in a
11 mining area and with it being in the vicinity
12 of other levels. I think that was an
13 important point that we have to keep in mind.

14 **MS. MUNN:** Which would increase the
15 background.

16 **DR. ROESSLER:** Which would increase the
17 levels, and it would I think answer perhaps
18 Mark's comment about how come the levels were
19 high in the auto shop and other places.
20 There's probably a high background there which
21 wouldn't have occurred at Blockson.

22 **DR. MELIUS:** This is a quantitative
23 comparison so it's going to be, it's not going
24 to be ventilation yes, ventilation changes no
25 or something. It's going to be we'll have to

1 look at it overall.

2 **DR. ROESSLER:** Yeah, but it's something to
3 keep in mind.

4 **MR. GRIFFON:** And I don't know if there's
5 any more information on the source data, or
6 I'm sure you guys have exhausted that
7 possibility that there might be results, raw
8 data, from the phosphate study, the Florida,
9 whatever it's called, FIPR.

10 **DR. NETON:** We can get the raw data. Well,
11 the raw data are probably there. I mean, I
12 don't know if we can; I'm in contact with the
13 person, Brian Burke's still in the system, and
14 he's still in the Florida Institute of
15 Phosphate Research. In fact, I've got an e-
16 mail in to him now regarding some other
17 questions. But I'm not sure the raw data
18 would be meaningful though. I guess I'm not
19 clear, I think we believe the statistical
20 analysis that SC&A has done to reconstruct
21 the, to use the variants to reconstruct the
22 95th percentile if we had the individual data
23 points, I'm fairly confident that that number
24 is correct if we're given their --

25 **MR. GRIFFON:** I haven't looked at it the way

1 you have, and I do want to ^ that. But I was
2 thinking while we're at the meeting if it's
3 not difficult to get your hands on that, you
4 know, it would just, it might be nice to have
5 it there, you know, just wondering how less
6 than technical things were treated, were they
7 -- I haven't looked at the data the way you
8 have but the raw data might clear up some of
9 those questions.

10 **DR. ROESSLER:** When you talk about raw data,
11 and you talked about source, in this report,
12 the surrogate data report that came out on
13 March 29th, there's a page talking about the
14 amount of ore processed at each of the
15 facilities. And I think that's sort of the
16 foundation for this source term calculation.

17 **MR. GRIFFON:** I mean more of the radon
18 measurement results.

19 **DR. ROESSLER:** Yeah, but I think this is
20 another. When you speak about source
21 apparently the data exists for the amount
22 processed.

23 **DR. NETON:** When you -- I'm sorry, Gen.

24 **DR. ROESSLER:** No, that's it.

25 **DR. NETON:** When you have the mean and the

1 variants and n, you have basically what you
2 need to come up with how that would expand out
3 in an analysis. I can ask to see if we can
4 get the raw data. I mean, that's certainly
5 doable. I don't know whether we can get --

6 **MR. GRIFFON:** That's the easy thing. I
7 think you're right especially if that Table B-
8 4, you said that you have the variants and
9 other information for that table as well?

10 **DR. NETON:** Yeah, and --

11 **MR. GRIFFON:** It's not in your report. It
12 was in the --

13 **DR. NETON:** -- it's in the source document,
14 and in fact, if you add that set of data it
15 increases, essentially the medium value stays
16 pretty much the same. And what happens is you
17 increase the geometric standard deviation
18 because of the variability that's not been
19 included. And that makes sense.

20 **MR. GRIFFON:** In these values there was no
21 effort to subtract out a background radon
22 level, was there?

23 **DR. NETON:** Not to my knowledge.

24 **MR. GRIFFON:** I didn't think so. That was
25 the other reason for ^.

1 DR. NETON: I think one of those values that
2 you see southwest of the plant may be one of
3 those kind of attempts to establish
4 background. You see there's one column that
5 you questioned; it's southwest. It's the only
6 one that didn't exceed four picocuries per
7 liter in that column, and that was put there
8 sort of as a, what is baseline in this area,
9 and I think it was about two, three-tenths of
10 a picocurie per liter.

11 **MS. MUNN:** So do I have another action item
12 here regarding exchange of data?

13 DR. NETON: Well, I can request the
14 information. I mean, that's easy. Whether we
15 get it or not is beyond our control.

16 | MR. ELLIOTT: And how quickly --

17 DR. NETON: How quickly. I may or may not
18 be successful. I can at least try.

19 **MS. MUNN:** All right, I'll try to get this
20 out to you tomorrow when I'm back in harness,
21 and we need to then establish the earliest
22 possible date for us to have that technical
23 call that we were talking about.

24 DR. BRANCHE: Excuse me.

25 There are some people participating by

1 phone. Could you please mute your line? If
2 you don't have a mute button, then please use
3 star six. Thank you.

4 Sorry, Wanda.

5 **MS. MUNN:** That's quite all right.

6 I'm a little concerned because our
7 schedule in St. Louis does not have us meeting
8 any time before things pick up, and there's --

9 **DR. BRANCHE:** If you dare, there's Monday
10 evening.

11 **MS. MUNN:** Yeah, there is Monday evening.
12 That's the only time that I see it would be
13 possible at all for us to get together to see
14 if we've been able to resolve these questions
15 reasonably enough. We have essentially a week
16 and a half in which to do that.

17 So I'll get the information out to
18 you. I will hope any of you who have action
19 items here will keep me posted especially.
20 Dr. Branche and I need to know whether we're
21 progressing to the point where we're going to
22 be able to provide any kind of report at the
23 St. Louis meeting or not.

24 **DR. ROESSLER:** Should we take an
25 availability for Monday evening of the group?

1 **MS. MUNN:** It probably would be a good idea.
2 I don't see that we can possibly have anything
3 prior to that time. And personally, I would
4 be loathe to make any kind of recommendation
5 to the Board without our having cleared up
6 these issues that we're talking about here
7 today. So let's do the best we can with the
8 time.

9 **DR. MELIUS:** I mean, I'll make it easy in
10 terms of what Gen was asking. I'm not
11 available Monday evening. I'm not coming out
12 until some time on Tuesday.

13 **MS. MUNN:** Okay. By telephone are you
14 available?

15 **DR. MELIUS:** No, I have a commitment.

16 **DR. ROESSLER:** Review for us what's going on
17 on Monday again, Christine.

18 **DR. BRANCHE:** There's a Nevada Test Site
19 meeting the morning of the 23rd. Then our site
20 visit to Weldon Springs, the Mallinckrodt
21 Interpretive Center, and then you have a free
22 evening.

23 **DR. ROESSLER:** But we're tied up all
24 afternoon.

25 **DR. BRANCHE:** No. I would say that my

1 understanding is that the tour, et cetera,
2 would take about an hour. It's going to take
3 about 45 minutes at the most to get from the
4 hotel to the location. We're leaving the
5 hotel at 12:15, sorry, 12:30 arriving around -
6 - I'd say we'd be finished at the site by
7 three o'clock at the latest and probably back
8 at the hotel by four o'clock at the absolute
9 latest. I mean, that's if we just really take
10 our, just really drag our feet.

11 **DR. ROESSLER:** So we would have a four
12 o'clock time available for those of us who are
13 there and for participation by phone.

14 **MS. MUNN:** For a five o'clock. The other
15 question then becomes, Jim, if you're coming
16 in on Tuesday --

17 **DR. BRANCHE:** You've got the Procedures
18 meeting, and I believe you're taking us right
19 up to lunch --

20 **MS. MUNN:** Oh, I am.

21 **DR. BRANCHE:** -- Ms. Munn.

22 **MS. MUNN:** Absolutely. Yeah, we'll go right
23 to lunch with Procedures. And I don't
24 remember what the agenda --

25 **DR. BRANCHE:** That's because you haven't

1 seen it.

2 **MS. MUNN:** We don't have public hearings
3 Monday night, do we?

4 **DR. BRANCHE:** Yes, we do. The evening
5 period that is after the dinner hour is on
6 that Wednesday. Currently, I have scheduled -
7 - I haven't set it up because I haven't
8 finished my discussion with Dr. Ziemer about
9 the agenda. But at this juncture the public
10 comment period is scheduled from 4:00 p.m. to
11 5:00 p.m. which is a little earlier than what
12 you're accustomed to.

13 **DR. ROESSLER:** On Tuesday?

14 **DR. BRANCHE:** On Tuesday, so the afternoon,
15 the public comment period that immediately
16 follows the Board meeting is currently
17 scheduled from four to five. That could
18 change before I send it out. But we're not
19 starting on that Tuesday until 1:00 p.m.

20 **DR. ROESSLER:** So we're back to Monday at
21 maybe four o'clock.

22 **MS. MUNN:** Well, but if we do --

23 **DR. BRANCHE:** But Dr. Melius is not going to
24 be there.

25 **DR. ROESSLER:** He said he wasn't available

that night.

MS. MUNN: Yeah, he says he's not going to be there, not be available until Tuesday.

DR. MELIUS: Yeah, I've got to be in New York City Monday night, and I'm going to be most likely not available even by phone because I'll drive down to the city late, and the New York State Thruway does not have cell phone service.

DR. BRANCHE: Especially if you're driving.

DR. MELIUS: I have a hands-free.

DR. BRANCHE: I'll remind you guys I come from an injury prevention background.

DR. MELIUS: Hands-free, Bluetooth, whatever it's called. And I'm sure Wanda would not distract me during the call.

MS. MUNN: I certainly would be as distracting as possible during the call so it's not a wise idea. If you're going to be in Tuesday, and public comment is early in the day, is there any possibility that we can schedule a one-hour meeting late Tuesday like seven to eight or something of that sort on Tuesday? Can we do that? Because we're certainly not going to have the kinds of

1 discussions we're having here. It's going to
2 be fairly straightforward I think. We will or
3 will not have --

4 **MR. GRIFFON:** You're talking like 30 to 45
5 minutes, right?

6 **MS. MUNN:** Yeah, right.

7 **DR. MELIUS:** Excuse me. I was distracted.
8 What time does the meeting end on Tuesday?

9 **DR. BRANCHE:** Currently I have the public
10 comment period scheduled from four to five on
11 that first day.

12 **DR. MELIUS:** Why don't we just meet at five
13 o'clock?

14 **MS. MUNN:** Or at the end of the public
15 comment period, whichever comes first.

16 **DR. MELIUS:** We're all there.

17 **MS. MUNN:** Good, fine. Then one hour for us
18 at the close of public comments.

19 **DR. BRANCHE:** I'll write this down because
20 I've got to get this to Zaida. So the
21 Blockson work group is going to meet on
22 Tuesday, June 24th --

23 **MS. MUNN:** At the close of public comment.

24 **DR. BRANCHE:** Shall I say ten minutes after?
25 Fifteen minutes after the close?

1 **MS. MUNN:** Yes, fifteen minutes after close
2 for one hour.

3 **DR. BRANCHE:** All right, we'll send this in.
4 For one hour.

5 **MS. MUNN:** And I'm going to --

6 **DR. BRANCHE:** Excuse me. For one hour or --

7 **MS. MUNN:** For one hour.

8 **DR. BRANCHE:** Thank you.

9 **MS. MUNN:** You bet.

10 And I hesitate to leave here without
11 establishing a time for our next telephone
12 call.

13 **DR. BRANCHE:** The technical call?

14 **MS. MUNN:** The technical call, but we need
15 to accomplish some of these other things I
16 think before we can do that. So all I can ask
17 at this moment is if you'll send me your
18 availability for phone calls.

19 **DR. BRANCHE:** But don't you need to include
20 people who are workers on that technical call
21 and Mr. ^?

22 **MS. MUNN:** On that technical call, no, I
23 think the NIOSH attorney talked to Mr. Borum*
24 separately. And if we need any input from
25 that, then we'll include that in the technical

1 call. But the week of the 16th, 17th, 18th,
2 19th, 20th that's obviously the week that we're
3 going to have to have that call, preferably
4 mid-week.

5 **DR. NETON:** I'm out of town the whole week,
6 but I think Tom's available. Tom is
7 available.

8 **MS. MUNN:** Okay.

9 **MR. TOMES:** Are you referring to -- excuse
10 me. Are you referring to the calling the
11 workers?

12 **MR. ELLIOTT:** I think she's referring to a
13 working group technical call which may not
14 comprise the whole working group.

15 **MS. MUNN:** No, it doesn't comprise the whole
16 group. It's a technical call.

17 **DR. ROESSLER:** You're talking about NIOSH,
18 SC&A, as many of the work group as can be --

19 **MS. MUNN:** Mark, me.

20 **MR. ELLIOTT:** So you want to have your work
21 done before, as much as you can, before that,
22 I guess.

23 **MR. GRIFFON:** I thought the original concept
24 was actually what Larry was saying was we're
25 going to talk to these individuals who might

1 know something about process history to have
2 SC&A and NIOSH on the phone at the same time,
3 and I said maybe the work group also. I
4 thought that was what we were, you know, when
5 it was initially brought up I thought we were
6 going to have these people, experts or worker
7 experts, you know, whoever, on the phone with
8 us.

9 **DR. NETON:** Yeah, I thought that was part of
10 item number one which is determine the process
11 ventilation documentation interviews.

12 **MR. GRIFFON:** That's fine. I thought I
13 heard Larry suggest that maybe we could get --

14 **DR. NETON:** No, that's true. I think that's
15 all part of number one.

16 **MR. GRIFFON:** I'm not sure what we're going
17 to talk about on a technical call.

18 **DR. NETON:** Yeah, I don't know. Wanda added
19 that. I'm not sure --

20 **DR. ROESSLER:** What we want to see is if
21 NIOSH and SC&A sorting out with the work group
22 being there to ask questions and sorting out
23 what they concluded.

24 **DR. NETON:** As a kind of status?

25 **DR. ROESSLER:** Yeah, just where are we at

1 this point before we get into the work group
2 meeting.

3 **MR. GRIFFON:** So it should be as close to
4 the Board meeting as possible probably, right,
5 toward the end of that week then.

6 **DR. NETON:** See, that'd be better for me.
7 I'm coming back I think Thursday that week.

8 **MS. MUNN:** I guess now I'm confused. And
9 one of the reasons I'm confused is because I
10 know how difficult it is to arrange a time
11 when you can get together with workers and
12 trying to arrange a time for the workers,
13 Chick, Tom --

14 **DR. ROESSLER:** No, this isn't including the
15 workers. It was my understanding. I thought
16 that --

17 **DR. BRANCHE:** There's two different
18 understandings about what this technical -- I
19 thought that what Mark said reflects my notes.

20 **MR. GRIFFON:** Originally that's what I
21 heard, but if it's a different construct,
22 that's fine.

23 **DR. BRANCHE:** But it's up to you, Wanda,
24 what you want.

25 **MS. MUNN:** Well, it's my understanding that

1 these contacts, the individual contacts, were
2 going to go on from the various individuals
3 involved. And then Tom, Chick, you and I were
4 going to discuss that and try to relay the
5 core of the information or any new information
6 that was gathered to the entire group. I was
7 seeing these action items as a separate thing
8 entirely, as individual action items. If I'm
9 mistaken and misunderstanding what the desire
10 of the group is, please let me know.

11 **MR. ELLIOTT:** It just seems to me and the
12 suggestion that I made if Tom Tomes is going
13 to talk to Tom Bloom, he ought to have Chick
14 and anybody else that wants to be privy to
15 that conversation on the line. If Chick's
16 going to call a prior worker, contact his, or
17 Tom's going to call the prior worker contacts
18 that we have, then we ought to do that jointly
19 with whoever wants to be engaged.

20 And then I think your paradigm could
21 still play out where you still have a
22 technical call with all the members of the
23 work group that you want or those that can be
24 in participation to cover the bases of what
25 you learned in those other contacts. That's,

1 I think, where I saw this going, but it's only
2 a suggestion I'm offering.

3 **MS. MUNN:** I think that's appropriate
4 because my thought when I said earlier as we
5 go through each of these steps, please keep
6 Christine and me involved in what you're doing
7 so that as you're going along, as we can join
8 in, we will if it's possible. But you're not
9 going to get very many members of this group I
10 think sitting in on many of these calls
11 because we're all busy doing other things.

12 **MR. GRIFFON:** So you're suggesting -- I
13 think this makes sense, Wanda, that as you
14 make these contacts, maybe by e-mail you can,
15 Tom or John or Chick, can say, can let the
16 work group know.

17 **MS. MUNN:** Advising us.

18 **MR. GRIFFON:** Yeah, I'm going to interview
19 by phone this individual on whatever. Because
20 you've got to be, you've got to go by their
21 schedule.

22 **MS. MUNN:** We have to do that, absolutely.

23 **MR. GRIFFON:** And if you're available and
24 want to join us, here's the 1-800 number or
25 whatever, you know.

1 **MS. MUNN:** Yes, that's exactly --

2 **MR. GRIFFON:** -- that's fine.

3 **MS. MUNN:** Yeah, that's what I have in mind

4 --

5 **MR. GRIFFON:** And have the technical call to
6 sort of pull it all together.

7 **MS. MUNN:** Is just pull it all together.

8 That's my grand plan because I don't see how
9 we can do anything else in coming to the next
10 ten days. All right, I'll get that out to
11 you.

12 **MR. ELLIOTT:** John, I'm sorry. I didn't
13 know that -- I didn't want to commit. Who do
14 you want, Chick or -- I want to know who Tom
15 can coordinate with on this.

16 **DR. MAURO:** Why don't you contact me. I'll
17 make sure everybody that needs to be involved
18 ^.

19 **MR. ELLIOTT:** Okay, thank you.

20 I'm sorry.

21 **MS. MUNN:** That's quite all right.

22 Are we where we need to be with
23 respect to the radon issues then?

24 **DR. MELIUS:** Can I make one more comment? I
25 would just remind everybody that there's also

1 a petitioner and other people from the site
2 and a congressional interest in this case.
3 And I think we need to be operating as much as
4 a -- is the information available and as open
5 a fashion as possible on this. And the
6 tighter we get with timetables and so forth,
7 the more difficult that gets to be. And let's
8 see where we are, but in terms of the types of
9 information and so forth.

10 **MS. MUNN:** Who do you want us to have on
11 copy, Jim?

12 **DR. MELIUS:** I don't think there's anything
13 to copy on right now because I haven't heard
14 anything being developed or whatever.

15 **MS. MUNN:** No, but as these individual
16 contacts are put together, if you feel that we
17 need to have other individuals other than this
18 working group aware of what we're attempting
19 to do in the next ten days, please let me
20 know, and then I'll try to make sure that
21 they're on copy.

22 **MR. GRIFFON:** And then it also may be wise
23 to contact the petitioner and say we're
24 looking to interview some people that have
25 particular knowledge of, and do you have any

1 suggestions. I don't know if that's, you
2 know.

3 **DR. BRANCHE:** Who are you suggesting would
4 contact the petitioner?

5 **MR. GRIFFON:** NIOSH.

6 **DR. BRANCHE:** Okay.

7 **MR. ELLIOTT:** Well, we have our lists of
8 contacts, I think, and certainly we try to
9 keep these petitioners apprised of all our
10 activities on a petition. So that doesn't
11 typically go to inviting them or -- it's
12 mainly notifying them. It doesn't include in
13 all regards an invitation. We'll welcome if
14 they want --

15 **DR. NETON:** We may get a list of some --

16 **MR. ELLIOTT:** -- we don't want to overwhelm
17 one individual with 15 people on the phone.

18 **DR. MELIUS:** No, no, no, I'm not suggesting
19 that. I think it's, just make sure they're
20 kept informed.

21 **MS. MUNN:** Just let them know what we're
22 doing.

23 **MR. ELLIOTT:** Yeah, yeah, they have a --

24 **DR. MELIUS:** We have a staff person who's
25 been very involved in this who just, you know,

1 keep everybody up to date on process and what
2 reports have been, that we have reports here
3 that have, clearly have not been Privacy Act
4 cleared yet.

5 **SUFFICIENCY OF DATA**

6 **MS. MUNN:** Very good. I think we know where
7 we're going with radon. The only other item
8 that we have on the table is the question of
9 sufficiency of data. There have been concerns
10 expressed that the data that we have is not
11 sufficient for us to come to conclusions. I'm
12 not sure exactly how to begin to address that,
13 and exactly what needs to be said or how we
14 can address it. I'm open totally to any
15 suggestions.

16 **DR. MELIUS:** I have a number of questions,
17 one of which I raised earlier which is more of
18 a general question about the approach used.
19 And that is that as I understand it, NIOSH has
20 taken the uranium monitoring data and
21 calculated uranium intakes based on that data
22 or based on what was available for, well, a
23 number of people that were in these
24 operations. It doesn't cover their complete
25 years of operations. There's two or three

1 years that are missing unusually at the end,
2 not the beginning. Usually we have the
3 opposite issue.

4 And as best I can tell without trying
5 to go in and match up all the information and
6 so forth, we have limited information about
7 the individuals that are covered by that
8 monitoring data. And my concern is what I
9 expressed earlier when we started talking
10 about the radon, is we are treating this as a
11 single distribution and a value was taken from
12 that, in this case, 95th percentile.

13 And that has been applied to anybody
14 who, as I understand it, that would apply for
15 compensation, be a claimant, and for whom
16 there was not monitoring data available or
17 some limitation to that monitoring data. And
18 my concern is that we're taking a single
19 distribution based on everybody that was
20 monitored, and then applying that to people
21 that worked in different job tasks who would
22 have different exposures.

23 And that's explored a little bit in
24 like Chick's report dated March 27th, 2008. I
25 doubt that's been Privacy cleared, and I'm not

1 sure that matters in terms of this discussion.
2 It would seem to me that it would be, that
3 that approach is not appropriate for
4 individuals in high risk, in higher exposed
5 populations, people handling the material and
6 so forth. Because they, in fact, would have a
7 different distribution.

8 We have enough information to believe
9 that these people would have higher exposures
10 than they would actually have a different
11 distribution of exposure. So that when we
12 have an unknown from that group, then one
13 should be applying their distribution in some
14 estimate based on their distribution, not
15 based on the distribution of everybody that
16 was sampled at the facility.

17 **MR. TOMES:** Well, the data that we have we
18 believe it to be for the workers who were
19 mainly working in Building 55. And the basis
20 for our assumption that is favorable, that
21 those workers in Building 55 received the
22 highest exposures. And we have on some of
23 those workers we know what they did, and we
24 have data for people who actually handled the
25 materials they were trimming up after it was

1 dried and the operators in that building.

2 So it's our belief that we have
3 captured the data for those workers who were
4 most highly exposed in... And even though
5 there is a small amount of data, it's in line
6 with the amount of workers who actually worked
7 in the building.

8 **DR. MELIUS:** When capturing that, you are
9 mixing those with people that have much lower
10 exposures. In fact, the people get the
11 detailed information there are people in job
12 categories that are not comparable to people
13 that would be in process operators or whatever
14 within that building. And the question is, my
15 question is, is the distribution you're using
16 that mixes everybody together, everybody
17 that's sampled together, are the appropriate
18 distribution to be using for people that
19 apply, individuals that apply.

20 **DR. NETON:** I think there's maybe a slight
21 misunderstanding, and maybe I'm misunderstood.
22 We actually do two separate analyses, do we
23 not? I mean, we do an intake based on what we
24 believe to be the highest exposure in Building
25 55. But then do we not also look at the

exposure in the balance of the plant and the worker would get the highest dose. So we picked the highest exposure that was out in essentially the calcining area I think, the calcining area where we thought is the other highest operation in the plant. And we would pick the highest dose of those two to apply to the workers. So it's not just a single distribution.

DR. MELIUS: Albeit, it's still, you know, it doesn't reflect the distribution for people that are working in that building.

DR. NETON: It doesn't. It's hot. It's the 95th percentile. So are you suggesting that we can't use coworker data then and pick a 95th percentile? I mean, that's what we've done. It's a coworker study.

DR. MELIUS: What I'm actually questioning is your basic coworker model, which is that --

DR. NETON: You don't think it's high enough?

DR. MELIUS: -- that you're not, what I'm saying is that you're not actually using coworkers. What should be the definition of coworker? Is a security guard a coworker for,

you know, the chemical plant operator?

DR. NETON: We've done that substantially on almost every site, and you're saying that it's not -- we believe that that's a bounding analysis for that worker. It's high. It's certainly on the high end, but it's bounding, plausibly bounding.

DR. MELIUS: Is it bounding is my question.

DR. NETON: I don't know why it wouldn't be. Can you posit a scenario that's higher in lockson than what we've assigned? It's all documented in the site profile, why we believe that that value is sufficiently bounding. There's no one that could have gotten a higher exposure than that or 95th percentile. I'd be interested to hear why you think that that's not plausibly bounding.

DR. MELIUS: I don't think that that's the appropriate methodology to be used to develop a bound, in particular to develop a bound, but then doing two things. One, applying it to a person -- two steps -- one, applying it to a person that's within the time period when there was monitoring. Secondly, you're then applying it to a person that worked during a

time period when there was not monitoring,
which is a separate --

DR. NETON: I'm confused as to what your argument is. I don't see it.

DR. MELIUS: My argument is that the basis for your 95th percentile distribution is the wrong basis.

DR. NETON: We have reconstruction exposures to uranium in Building 55 that is covered under the facility. We've taken urine samples from workers who were exposed to the uranium and taken a 95th percentile intake and assigned that to all workers and saying that that is a bounding value for all workers who were exposed in the plant. I don't know where else

DR. MELIUS: What I'm saying is you should be only taking the distribution for, if I'm a chemical operator in that plant, then you should be using the, apply to me the 95th percentile for the distribution for chemical operators who worked in the plant, the available monitoring data for them.

DR. NETON: When we have no monitoring data, we are allowed to use coworker data, and

1 that's what we've done. And we defined
2 coworker data as a bounding analysis. We've
3 done this at Bethlehem Steel. This is not
4 just a Blockson issue. You're raising a much
5 larger issue.

6 **MR. TOMES:** I would like to mention this
7 distribution on this particular set of data.
8 I've analyzed this numerous ways just to make
9 sure that I'm faithful for the specific issue
10 that you're referring to. The 95th percentile
11 value of this distribution is actually higher
12 than the highest individual exposed data we
13 have. And so basically we're saying that this
14 data covers the operators because we know a
15 few operators who were in the upper end
16 distribution. But when we fit the data and
17 the way we ranked it, fit it, that we are
18 actually exceeding that value. So we are
19 saying that there is, that this covers the
20 highest exposed person. So that we --

21 **DR. MELIUS:** Yeah, but that's
22 misunderstanding the statistics. You're now
23 modeling -- the question is how are you
24 applying it to people that haven't been
25 monitored. And you don't know if the people

1 that haven't been monitored would have a
2 higher or not. I mean, using the 95th
3 percentile is what it is. And simply one
4 would expect it to be higher. Some of it
5 depends on your sample size and the basic
6 distribution of your raw data. It's a
7 statistical analysis.

8 **MR. TOMES:** Well, it's based on assumption
9 that we do have data on those operators in
10 Building 55 that is based on the assumption,
11 and we do have --

12 **DR. MELIUS:** You're mixing them in with
13 other people. I'm saying that I don't think
14 it's appropriate. This is what the individual
15 dose reconstruction, that if I have somebody
16 that's a chemical operator, I ought to be
17 looking at the distribution -- an unknown
18 exposure chemical operator -- that I should be
19 using the distribution for chemical operators
20 in some point on that distribution.

21 **MR. TOMES:** It actually lowers the 95th
22 percentile value if you exclude the lower
23 values because --

24 **DR. NETON:** We're confident that all those
25 exposures are lower than what we're assigning.

1 **DR. MELIUS:** Why are you confident?

2 **DR. NETON:** Because it's the 95th percentile
3 of the plausible exposure scenario that
4 generated the highest dose in the building.

5 **DR. MELIUS:** You don't know that.

6 **DR. NETON:** Yes, we do.

7 **DR. MELIUS:** No, you don't, Jim. You know
8 it based on what you, what samples you have.
9 You don't know it based on what people that
10 weren't sampled.

11 **DR. NETON:** We've looked throughout the
12 balance of the plant and picked out the
13 calcining operation at the highest airborne
14 area in the plant in Building 40 and are using
15 that in Building 40. And we're using the
16 uranium drumming operation in Building 55 as
17 bounding. I can guarantee you that no one
18 received a plausible higher dose than that in
19 those two facilities. I think it's well
20 described in our site profile.

21 **DR. MELIUS:** Well, I guess we'll just
22 disagree.

23 **DR. ROESSLER:** Well, Jim, are you bringing
24 this up -- I don't quite follow this unless
25 you're bringing it up as a fairness criteria

1 which I read the surrogate data criteria, and
2 there's one that was brought up but not really
3 listed in there. And that was the fairness.
4 Are you saying that because the doses would be
5 calculated so high that that's not fair to use
6 this?

7 **DR. MELIUS:** No, no.

8 **DR. ROESSLER:** I just wanted to make sure.

9 **DR. MELIUS:** What I'm basically questioning
10 is the approach NIOSH is using in their
11 coworker model that lumps everybody together
12 in terms of all those people within the
13 facility together or within parts of a
14 facility together. And the people actually
15 have, we know that those are the sum of a
16 number of different distributions. There are
17 operators. There are whatever. I don't want
18 to violate Privacy stuff. But there's people
19 with lesser exposures. They're all thrown
20 into that.

21 **MS. MUNN:** So let me see if I can restate
22 the position. As I am hearing it, the
23 position is you find unacceptable any coworker
24 data that is not based on workers with similar
25 job titles and similar job experience.

1 **DR. MELIUS:** Correct.

2 **MS. MUNN:** So that any aggregate which looks
3 only at the highest numbers although we've
4 determined that that would be more than
5 claimant favorable and would, in fact, result
6 in a much larger number of people being
7 potentially compensated than otherwise.

8 **DR. MELIUS:** It's not a question of that it
9 may be claimant favorable for the person in
10 the low exposed group. The question is what's
11 an appropriate and claimant favorable for the
12 person in the higher exposed population.

13 **MS. MUNN:** Well, what I think --
14 Go ahead, Jim.

15 **DR. NETON:** That's what we've done. We
16 picked the highest exposure scenarios and
17 modeled them and picked the 95th percentile. I
18 would challenge someone to show us an exposure
19 scenario that is potentially higher than what
20 we've modeled in the plant. We've looked very
21 closely at this operation, and this is it. I
22 don't --

23 **DR. MELIUS:** Well then we just disagree.
24 That's all I, okay.

25 **MS. MUNN:** But if we disagree, then this

1 brings our entire process to a screeching halt
2 because if we disagree on the ability to use
3 appropriate 95th percentile coworker data as it
4 has been used. And if we disagree on the
5 adequacy of data that is presented, then I do
6 not believe that it's possible for us to come
7 to any conclusion other than it can't be done.

8 **DR. MELIUS:** What can't be done?

9 **MS. MUNN:** What this program is attempting
10 to do can't be done.

11 **DR. BRANCHE:** That's not what I heard Jim
12 say.

13 **MS. MUNN:** Well, try to rephrase it for me.

14 **DR. BRANCHE:** Certainly. I understand that
15 Jim has a contention, and his contention is --
16 and you'll correct me if I've misunderstood
17 you -- it's not that the coworker model is
18 invalid, but rather that there should be
19 categories for the coworkers for which doses
20 apply.

21 So as you said, workers with similar
22 experiences, should their dose if unavailable
23 for a particular individual, the individual
24 for whom a dose is not available, the coworker
25 information that's used to reconstruct their

1 dose should be of a similar work experience or
2 a similar job title.

3 Is that correct? You're asking for a
4 categorization.

5 **DR. MELIUS:** Yeah, it should be their
6 coworkers.

7 **DR. BRANCHE:** However, now, given that
8 that's what you're saying --

9 Did you want to say something, Emily?

10 **MS. HOWELL:** I actually have a question. I
11 usually refrain from asking questions during
12 these meetings, but I just want to be clear.
13 Is it proper -- maybe this is a factual,
14 scientific question -- would it be proper to
15 be categorizing workers if we were to do so by
16 their job title? Because I would assume that
17 a person could have a job title, but one
18 production engineer could work in Building 40,
19 another could work in some other building.

20 And would it be proper then to just
21 lump all of those production engineers
22 together? Would it be more proper if you're
23 going to need a categorization to categorize
24 them based on the buildings that they were in?
25 Because couldn't a security guard in Building

1 40 have more, have a more close exposure rate
2 to the production engineer in Building 40 than
3 two different production engineers?

4 **DR. MELIUS:** You're absolutely right, but
5 and I think we're using chemical operator as a
6 hypothetical or a factor that would impact
7 exposure. The mean exposure for a chemical
8 operator -- I was actually keeping within a
9 single building, would be a certain. Now if
10 you had chemical operators that roamed from
11 building to building, moved from building to
12 building, had multiple buildings, then there'd
13 be other ways at looking of how to take into
14 account their characterization.

15 My concern is lumping everybody into
16 one large coworker model and assuming that
17 that is claimant favorable taking the 95th
18 percentile, that is claimant favorable. And
19 to apply it to everybody even though the
20 individual claimant that's applying would be
21 someone that is, you know based on your CATI
22 interview or whatever, that that person is a
23 chemical operator.

24 **DR. BRANCHE:** I can't imagine that your
25 question, this is the first time that your

1 question has come before this group. So how
2 have you responded to that in the past?

3 **DR. NETON:** It's not been an issue until
4 this point.

5 **DR. BRANCHE:** Oh, it's not?

6 **DR. NETON:** No.

7 **MS. MUNN:** This is one of the things that we
8 have heard repeatedly though in site after
9 site after site in worker group after worker
10 group after worker group. We don't do the
11 same job all the time. We don't work in the
12 same place all the time. And so the final
13 concern then is since you can't identify where
14 I was at any given time, and you can't tell
15 from my job title what my actual work or where
16 my actual work position was, how can you
17 possibly tell me what my dose has been.

18 And the approach that has been taken
19 as being the most favorable for all claimants
20 is our 95th percentile approach based on the
21 record that we have. The highest exposed
22 individuals form the basis for that. If we
23 cannot identify where each of these people
24 were, and that's the argument we hear all the
25 time, then if we take the position that I

1 think I'm hearing presented here, that leads
2 me to the conclusion that we cannot do what
3 we're charged with doing, and what we have
4 done successfully for a number of years.

5 **DR. MELIUS:** Some of us would argue whether
6 it's been done successfully, but I think the
7 point is that, I mean, the fact that
8 Christine's question is, the point is the way
9 we've approached reviewing these --

10 **DR. BRANCHE:** It's not my question. I was
11 simply restating your --

12 **DR. MELIUS:** Well, no, your observation was
13 that we have, the way we've reviewed these
14 we've tended not to ask these questions. We
15 review procedures in a very general fashion.
16 We don't apply them to particular sites.

17 We do dose reconstructions and
18 reviews, and we don't look at the procedures
19 behind those reviews. And we do SEC
20 evaluation reviews, and we tend to focus on
21 certain issues, and this has not been one of
22 the issues that's been focused on for some
23 reason, usually because some other issue
24 becomes more important.

25 **MR. GRIFFON:** But I mean where it has come

1 up -- I'm sorry. I had a phone call, but
2 where it has come up is that we have delved
3 into the question of representativeness. And
4 again, I haven't looked at this. I mainly
5 came in for the radon thing. But we have
6 asked the question of with the data you have
7 do you, does it adequately represent, and I
8 think SC&A might have explored this already --

9 **DR. NETON:** I think they have.

10 **MR. GRIFFON:** -- adequately represent, and
11 does it adequately represent the higher
12 exposures.

13 **DR. NETON:** Well, I'd like to speak to that
14 because we actually have two distributions at
15 Blockson Chemical. We have the uranium urine
16 samples that were used to bound the exposures
17 and dust concentrations that existed in
18 Building 55. And then in this Table 2, we
19 have a list of 15 or so upper loaded dust
20 concentrations in the phosphate industry in
21 milligrams per cubic meter. And by a factor
22 of ten the highest value is 50.4 milligrams
23 per cubic meter in the phosphate industry; we
24 applied that to workers.

25 And so we would take the highest dose

1 from either of those two and assign it to the
2 case. So I think we have covered the balance
3 of the plant. I don't see where there's a
4 situation where there are subpopulations of
5 workers out there that are receiving lower
6 dose than they could have received.

7 Now, if the issue is though that we
8 should use the coworker exactly for the type
9 of worker that they, a model for the type of
10 job they did, that is not practical in this
11 program because 50 year old data workers
12 oftentimes survivors don't know the job title
13 of their spouse or whatever. They've
14 forgotten. They were on temporary work
15 assignments for two years, and it doesn't show
16 up in the personnel record. It's just not
17 practical to develop, even if we could,
18 individual models for job categories. It's
19 just not possible. And so without this
20 approach, we try to bound given the
21 distributions we can and pick the highest of
22 the two. That's what we're doing, and I guess
23 I'm at a loss --

24 **DR. BRANCHE:** I'm looking at the law.

25 **DR. NETON:** -- as to why that's not

1 appropriate.

2 **MR. ELLIOTT:** I don't think the law says
3 anything about coworker distribution.

4 **DR. BRANCHE:** Not the distribution, just
5 that you can use data.

6 **DR. NETON:** And this is clearly not
7 surrogate data in the sense that it's data
8 from the facility, in my opinion.

9 **MS. HOWELL:** Well, we've always defined
10 coworker and surrogate data distinctly.
11 They're not the same thing.

12 **DR. NETON:** So now whether the data within
13 the plant can be applied to all workers in the
14 plant and bound that, and I think is what's
15 being brought to question here.

16 **DR. MELIUS:** Does that ^ give you ^ dose
17 reconstructions with sufficient accuracy?

18 **DR. NETON:** And I'd submit that we've done
19 that for virtually every site.

20 **DR. MELIUS:** And I think you've made an
21 assumption that doing -- again, for the sake
22 of argument -- there's not adequate data to do
23 it by job title, and I don't think you've ever
24 tried.

25 **DR. NETON:** Yes, we have. We have done that

1 in the past, and Mark remembers very well. At
2 Y-12 we tried to do job title analysis at Y-
3 12, and we could not.

4 **MS. MUNN:** And there were good records at Y-
5 12.

6 **DR. NETON:** Oh, yes.

7 **MS. MUNN:** A lot of good records.

8 **DR. NETON:** It gets down to 50 year old data
9 -- and I forget the number now, but 50 percent
10 of our cases are survivors who know very
11 little about their spouses' job duties. Work
12 history's always a problem.

13 **MS. MUNN:** We'll be on mute for five or ten
14 minutes and be right back.

15 (Whereupon, the working group recessed from
16 12:10 p.m. until 12:20 p.m.)

17 **DR. BRANCHE:** We're back. If someone who's
18 on the line could indicate that they can hear
19 me, I'd appreciate that.

20 **UNIDENTIFIED SPEAKER (by Telephone):** I can
21 hear you.

22 **DR. BRANCHE:** Wonderful, thank you.

23 An issue's come up and I just wanted
24 to make certain that everyone understands that
25 according to the regulations and the rules in

1 the Federal Register, NIOSH can use coworker
2 data. Now legally NIOSH is fully functioning
3 within authorized territory. The question
4 here is really scientific issues. I don't
5 want anybody on the phone to be concerned that
6 we've been spending all these years doing
7 something illegal.

8 **MS. MUNN:** I'm at a bit of a loss to know
9 where to proceed from here. The agreement to
10 disagree doesn't quite seem to get us to where
11 we need to be.

12 **DR. MELIUS:** I will look at the points that
13 Jim made and review the situation again and
14 see where I am on this.

15 **MS. MUNN:** This is a crucial issue since it
16 is a potential showstopper.

17 **DR. MAURO:** I might want to just put some
18 factual information that sort of enriches
19 without drawing any conclusions.

20 **MS. MUNN:** It would be welcome, John.

21 **DR. MAURO:** We've looked at the number of
22 people that worked in Building 55 each year
23 while they were doing uranium production.
24 There weren't very many in any given year,
25 between ten and 15 people. So we're talking

1 about a relatively limited number of people in
2 Building 55. This is the building that was
3 under control, access control, because of
4 security issues and radiation protection
5 issues.

6 I think Jim's point is well taken in
7 terms of when we're dealing with a site where
8 we have thousands of workers, we may only have
9 bioassay data for a small group of people.
10 Let's say ten percent. And then all of a
11 sudden you could ask yourself how are we going
12 to take data, ten percent of a population of
13 thousands of people, and convince ourselves
14 that the upper bound or the upper-end value
15 from that small population of workers is going
16 to be representative of such a large group of
17 people with such diverse activities.

18 And we run into this problem all the
19 time, and we're struggling with it right now
20 at Nevada Test Site where we have 1,500
21 claimants and the number of bioassay samples
22 we have are relatively limited. So we need to
23 revisit this issue again. It's going to come
24 up again and again.

25 As you know in our report we looked at

1 this issue very carefully, and I think that in
2 this case though we have a situation where we
3 have in any given year about ten, 15 people
4 and bioassays about 125 bioassay samples were
5 collected from 25 people that worked at the
6 facility over a period of a number of years.
7 So now we're talking about sampling the urine,
8 grab samples of urine, from the working
9 population.

10 Now all of them didn't get the same
11 number; some may not have gotten any. But by
12 and large what we're saying is that most of
13 the workers that were operating, working in
14 this facility, it's almost as if we were
15 working -- right around this table -- it's
16 about the right number of people.

17 Let's say we were all working in
18 Building 55, all of us, back between 1953 and
19 '57. And we all were in that building, and
20 some of us may have worked for different
21 operations. And every so often we collect a
22 urine sample from you, from you, from you,
23 from you. And then six months pass.

24 We grab another one. And we collect
25 them all. And we say, okay, we've got 120

1 urine samples collected from different people,
2 different times. And then we say to
3 ourselves, all right, now remember that any
4 given urine sample just reflects the intake
5 you may have accumulated up to that point in
6 time. And it may have been taken shortly
7 after a large intake or a long period of time
8 after a chronic intake. We really don't know.

9 And in any given person you don't
10 really know whether that person was being
11 exposed to relatively high levels for a long
12 period of time or a short period of time. So
13 you're sort of at a loss. But then you say,
14 but if I collect 122 samples, in effect, I
15 feel as I spot sample from everybody, most of
16 the people. And I say I'm going to down that
17 list and pick off the highest 95th percentile
18 value.

19 That, in my mind, the way I look at
20 it, that says, that's one of the highest
21 concentrations in a uranium in urine that was
22 seen, and now I'm going to say we're going to
23 assign that value at that point in time -- and
24 it may only be a short-term thing. That high
25 concentration does not necessarily mean that

1 person experienced that concentration in his
2 urine always.

3 But we have to pick one, and we're
4 picking a high one. And we're going to say,
5 you know what we're going to do, we're going
6 to assign to everyone an intake rate that
7 would cause that urine concentration as if he
8 was exposed continuously at a level that would
9 give him that urine concentration all the
10 time.

11 When we looked at that from that, I
12 would say, commonsense perspective, and
13 there's a lot of statistical work up and Chick
14 could go into the analysis, and there's a lot
15 of analysis we did. But when I look at it I
16 say to myself do I feel convinced that by
17 assigning that number, that intake, to all
18 workers for all years that were in Building
19 55, do I feel as if it's unlikely that anyone
20 could have gotten more than that.

21 And I've go to say that SC&A looked at
22 this very, very carefully, and it's a thought
23 problem, you know? What's the likelihood that
24 everyone would have been exposed at that upper
25 95th percentile level day after day after day,

1 and in my mind it's probably highly unlikely.

2 So we walked away, and, you know, in
3 this particular application because we have
4 the number of workers was limited and in a
5 number of workers where the urine was sampled
6 was largely -- I'm not saying they were all
7 sampled, but a large fraction were sampled.

8 In this case this surrogate model
9 seemed to pass our test of robustness. As
10 being, yeah, we can talk about the upper 95th
11 percentile from this population of workers and
12 then apply it to all workers at all times,
13 you've placed a plausible upper bound.

14 Under other circumstances I would say
15 there are a thousand workers here, and you
16 only had samples from 25 workers, I would say,
17 yeah, Jim. I would agree with you a hundred
18 percent. We've got a problem, and we've got
19 to make sure that those 25 workers sure as
20 hell better have been the upper end subgroup
21 within that thousand workers.

22 But in this case we've got them all,
23 well, most of them. So I'm trying to keep as
24 looking at this story, we do walk away feeling
25 that NIOSH did place a plausible, SC&A's upper

1 bound. This approach and the data that was
2 available seemed to be, place a reasonable
3 upper bound.

4 And I understand Jim's concern, and I
5 think in this particular application though I
6 think that NIOSH is on pretty sound ground.
7 That's where SC&A comes out.

8 **MS. MUNN:** Thank you, John.

9 **MR. GRIFFON:** I mean, I'm just listening and
10 wondering if, because I had some of those
11 baseline questions, but I don't want to go
12 backwards but I'm just here for a guest by
13 Wanda's invitation. But if, John, you just
14 said they have a high percentage or they got
15 them all, as you said, if they got them all,
16 why are they using a coworker model at all.
17 Obviously, they don't have them all.

18 **DR. MAURO:** They don't. No, they don't.

19 **MR. GRIFFON:** Something's missing.

20 **DR. MAURO:** In a perfect world --

21 **MR. GRIFFON:** But what are the numbers?

22 What are the --

23 **DR. MAURO:** Yeah, but in a perfect world
24 every worker that worked, in other words,
25 every year there were a different ^. And if

1 we had monthly bioassay samples from every
2 worker every year, then we'd have everything.
3 We wouldn't need a coworker model. But we
4 don't have that. There's a time period where
5 we don't have data for workers. There are
6 workers that we don't have data for. So
7 that's the reason why you go to the 95th
8 percentile.

9 **MR. PHILLIPS:** You don't know that there are
10 no workers --

11 **DR. NETON:** Here's the problem. We have the
12 workers who are actually working on the
13 uranium drumming operation mostly. I think
14 John's right. The problem is that a number of
15 people walked through these areas. You go to
16 these town hall meetings, and there are
17 security guards. There's porter-type folks.
18 They say I spent a lot of time in there. I
19 spent a majority of my time walking through
20 there because I was attached to that
21 operation.

22 There's no way to demonstrate that's
23 true or not. We used the 95th percentile
24 bounding and say, well, we don't know what
25 your exposure was, but we know that it's less

1 than x and we're assigning that value to those
2 folks. That's what we've traditionally done
3 at all of the sites.

4 **MR. ELLIOTT:** What the law does say on this
5 is that we are to provide reasonable estimates
6 of dose understanding full well that the
7 records may not be full and complete in all
8 regards. And I think that's where this goes
9 to have we provided a reasonable estimate.

10 **DR. MELIUS:** No, it goes to whether you can
11 do a dose reconstruction with sufficient
12 accuracy, not whether it can be done, whether
13 it's a reasonable estimate. And no one's
14 arguing that you can't use estimates. The
15 question is, are those estimates appropriate
16 to be able to do individual dose
17 reconstruction with sufficient accuracy. As
18 we all know there's a hole in the regulations.
19 We have a disconnect between our SEC
20 evaluation criteria and our sufficient
21 accuracy criteria. Makes it difficult, and
22 this is one of those difficult situations.

23 **MR. ELLIOTT:** I don't know that we do.

24 **DR. ROESSLER:** I'm reading from the rule
25 here I think, because I had this question

about sufficient accuracy. And it says radiation doses can be estimated with sufficient accuracy if NIOSH has established that it has access to sufficient information to estimate the maximum radiation dose.

MS. HOWELL: Sufficient accuracy is established when a plausible upper bound can be reached.

MR. GRIFFON: But it goes on, it's important, too, Gen, maximum dose for all members of the class, plausible circumstances, something like that. Maximum plausible.

DR. ROESSLER: For every type of cancer for which radiation doses are reconstructed that could have then occurred in plausible circumstances by any member of the class or if NIOSH has established that it has access to sufficient information to establish the radiation doses, all members of the class more precisely than estimate of the maximum radiation dose. That was a long sentence, but

MR. GRIFFON: Part of that definition, too, sort of competes against the plausible circumstances to me. It tells us that we

1 can't just throw a high number at it and then
2 for all members of the class says you've got
3 to make sure you can bound it for everyone
4 even the most exposed person. It's sort of
5 competing there.

6 **DR. NETON:** We went down this path before,
7 and I don't know.

8 **MR. GRIFFON:** We have discussed it. It's
9 the question of, to me it's the question of
10 does this issue reach an adequately --

11 **DR. NETON:** Well, this is a generic issue
12 that is not just relevant to this discussion.
13 I mean, virtually every SEC petition that's
14 pending right now has this issue because they
15 all have coworker models, and they all assign
16 95th percentile under certain circumstances,
17 the Rocky Flats, all of them. I mean, the 95th
18 percentile the external data has been used
19 throughout this program from its inception.
20 I've never heard anyone object to that until
21 this point.

22 **MR. ELLIOTT:** They may object to how we
23 arrived at it.

24 **DR. NETON:** They may object to what the 95th
25 percentile is, but no one has objected to that

1 approach. I've not heard any objection until
2 this meeting today.

3 **MS. MUNN:** Quite to the contrary. It's been
4 widely accepted. Well, if you're going to use
5 the 95th percentile, that's acceptable. If
6 we're going to change the way we look at that
7 now, then in my view it's a showstopper. And
8 it's a showstopper not just for Blockson, but,
9 and not just for other phosphate plants, but
10 for the entire program.

11 **DR. MELIUS:** The Board has never had a
12 discussion of the coworker model in general,
13 and the general applicability and the approach
14 used to it and something that's been dealt
15 with it in, as far as I recall, only within
16 the Procedures work group, never been brought
17 to the Board.

18 **MR. ELLIOTT:** Well, it's dealt with in the
19 review of the dose reconstructions that are
20 conducted using that approach, and to date
21 I've not seen one instance in any of those --

22 **DR. MELIUS:** And in the --

23 **MR. ELLIOTT:** Let me finish, Dr. Melius.
24 I've not seen any indication that that has
25 been an issue in any of the dose

1 reconstruction reviews.

2 **DR. MELIUS:** Because when I brought it up,
3 I've talked to John. I've talked to the other
4 people and Bob. They say, well, no, we just
5 make an exception procedure if that's involved
6 and utilize the procedure. We don't, they
7 don't review the procedure as far as doing
8 individual dose reconstructions. That's what
9 I was referring to earlier in terms of sort of
10 the disconnect in our approach to doing ^. We
11 keep sort of circling around issues.

12 **MR. GRIFFON:** In the DR review it is the
13 application of -- appropriately apply what
14 they were supposed to do.

15 **DR. NETON:** It's also covered in the
16 implementation guide which was presented at
17 the Board, one of the very first meetings.
18 The concept is --

19 **MS. HOWELL:** And the dose reconstruction
20 rules.

21 **MR. ELLIOTT:** It's in the dose
22 reconstruction rules.

23 **DR. BRANCHE:** That was my question. Has it
24 come up in the Subcommittee?

25 **MR. GRIFFON:** It's come up in the, like I

1 said, in the DR, in the subcommittee of DRs it
2 really has been pushed back to the ^. But in
3 other cases like Rocky Flats we did discuss
4 it.

5 **DR. NETON:** But I was thinking early on this
6 came up with Bethlehem Steel where the Board
7 was tremendously involved with many, many,
8 many meetings at the Board level, and no one
9 ever questioned the 95th percentile air
10 concentrations. They asked the question what
11 that value was. I never heard anyone bring up
12 the issue that the 95th percentile applied to
13 all workers, all claimants at Bethlehem Steel
14 was inappropriate. And that's exactly what
15 we're talking about here.

16 **MS. MUNN:** It is.

17 **MR. GRIFFON:** I feel we've discussed it, but
18 we haven't questioned whether you could
19 actually not use --

20 **DR. NETON:** Well, I know. One would think
21 that would be the time to bring it up.

22 **MR. GRIFFON:** But it does get to the
23 individual. I agree. It's sort of the site
24 specific stuff we discuss that that mean, but
25 can you use it ever, I don't think we've

1 questioned that.

2 **DR. NETON:** Well, that would have been the
3 point to bring it up I would think.

4 **MR. GRIFFON:** Right.

5 **DR. MAURO:** There's no doubt that this, I
6 guess we've never had this conversation before
7 in a global sense. That is, whenever we came
8 to this problem, and we encountered data
9 adequacy, that's what we're talking about,
10 data inadequacy.

11 **MR. GRIFFON:** And representativeness.

12 **DR. MAURO:** Adequacy and representativeness,
13 we always sort of dealt with it when we came
14 across it at Bethlehem Steel we talked about
15 it. We talked about it at Rocky. We're
16 talking about it right now in spades on Nevada
17 Test Site. And it all goes to the heart of
18 the concern that Jim brought up about. But we
19 really never talked about what was ^.

20 In some cases we did have a
21 conversation, roundtable discussion about
22 what's the philosophy here. When would you
23 use upper 95th percentile as your criteria.
24 And we've had some disagreements on those
25 conditions. But I think in general when we

1 came across this it's almost like it was
2 general agreement on each individual's cases
3 that if you don't have complete datasets, then
4 you go to, you build a surrogate model that
5 blocks off some percentile from the dataset.

6 But you have to feel convinced that
7 that dataset is representative of in general
8 the population of workers you're working with.
9 And that becomes a tough question. That's
10 exactly the question that Jim is asking. To
11 what degree is the dataset that we have before
12 us, those 122 urine samples for those 25
13 workers, did that dataset capture the full
14 distribution of possible exposures the workers
15 may have experienced in Building 55 and by
16 plucking off the upper 95th percentile of that
17 dataset that we have a degree of confidence
18 that we placed an upper bound on all those
19 workers that were not completely modeled or
20 weren't monitored or weren't. That's really
21 the question. And we come down all the time -
22 -

23 **DR. NETON:** But I think Dr. Melius' point,
24 if I understand it correctly, is that that 95th
25 percentile cannot be applied to all workers

1 because there are people with lower exposures
2 who are going to get much higher exposures
3 than they would have gotten. It's not
4 sufficiently accurate. I think that's what
5 I'm hearing is it's a sufficient accuracy
6 issue meaning you haven't done an individual
7 dose reconstruction sufficiently accurate for
8 that individual.

9 **DR. MELIUS:** I think the question is you
10 have one question is for the unknown person
11 that has worked in Building 55, unknown
12 background. The spouse giving you information
13 has no idea. Somehow you have an inkling that
14 person may have spent significant time in
15 building, in that building. Then I think
16 using the overall distribution may be
17 appropriate. I think that the question is
18 when you have somebody that's the chemical
19 operator there, what you know, and I think the
20 SC&A report provides supporting evidence, not
21 conclusive, but supporting evidence, that has
22 a different mean and they have a higher
23 exposure than average. The question is is it
24 appropriate to use the overall distribution
25 for all workers in Building 55 to apply to

1 that person that you know is in a category
2 that would have a higher exposure. Then --

3 **MR. GRIFFON:** Are you, in effect, lowering
4 that person's -- I mean, if you look in the
5 example in here there's a certain individual
6 in these urine datasheets who is always number
7 one ranked on all these sheets that I'm
8 looking through. Now if his twin is out
9 there, if you don't have data for him but his,
10 the guy that did the same job every day and
11 got the same exposure, the 95th could almost be
12 lower because there's a lot of --

13 **DR. NETON:** That gets into the issue of --

14 **MR. GRIFFON:** That's the question.

15 **DR. NETON:** -- we've bounced about quite a
16 bit which is if the population you have
17 represented the highest exposed workers.

18 **MR. GRIFFON:** Exactly, yeah, yeah.

19 **DR. NETON:** Now, I would agree that if we
20 knew for some reason that a person was in the
21 highest end of the high category, we would
22 accommodate that fact. But the fact is
23 oftentimes we don't know.

24 **MR. GRIFFON:** I mean, just glancing at this
25 for two minutes I would question like this one

1 guy or woman has urine levels that are like
2 five to six times higher than everyone listed
3 here on a regular basis. Now is that some
4 unique, you know, what did this person do or
5 was that --

6 **DR. NETON:** Right, that gets to the point
7 though.

8 **MR. GRIFFON:** Are we by putting all this
9 data in are we skewing and lowering the
10 exposures for that one job? That's the level
11 that we've explored before in other places.
12 And we've had the... I mean, even with Rocky
13 Flats we ended up pushing and being convinced
14 that if we used the 95th for all workers we
15 were satisfied that we'd bound. But the
16 original proposal wasn't to use the 95th. It
17 was proposed to use the full distribution or
18 the 50th.

19 **DR. NETON:** ^.

20 **MR. GRIFFON:** So I think we've answered this
21 question before. I mean, I'm coming into this
22 --

23 **DR. NETON:** Well, that's a little different
24 issue than what I think we were talking about
25 before.

1 **MS. MUNN:** A slightly different issue.

2 **MR. GRIFFON:** That would be my issue at any
3 rate.

4 **THE PATH FORWARD**

5 **MS. MUNN:** I'd just like to ask. James,
6 what do you see as a path forward?

7 **DR. MELIUS:** I don't know. I'm going to --
8 I've listened to Jim Neton. I will go back
9 and re-look at the site profile again and see.
10 But I will tell you right now that I don't
11 believe that what John Mauro has said, I don't
12 believe that SC&A has done an adequate
13 exploration of that. I question whether all
14 of the chemical operators actually were
15 sampled are included in the dataset. We
16 certainly know based on the little information
17 we have, and it's limited, that it appears
18 that the chemical operators, that there are
19 categories of people that had job titles that
20 had higher exposures in that dataset, appear
21 to be. And again, it's a few people.

22 **DR. NETON:** You would expect that.

23 **DR. MELIUS:** Yeah, I know. But it would
24 match up with their job descriptions. I want
25 to be careful what I say here. And that there

1 are other people that are certainly included
2 in the dataset that have more peripheral
3 association with Building 55, would not
4 necessarily be expected to be in there.
5 Certainly, they're included on that basis.

6 So I question whether we really have
7 captured all of the people that worked full
8 time, and what percentage of those that worked
9 full time in that building in the sampling.
10 And to what extent that's knowable based on
11 other information I don't know at this point.
12 But I'm just going back through all the
13 detailed individual information that's
14 available.

15 Secondly, I remind you that it's not
16 just a question of applying these data to
17 people working there in the years that there
18 was sampling done. There are, I believe,
19 roughly three years of production for which
20 there's no sampling data available in that.
21 So we're not only taking and applying this
22 distribution of 95th percentile this
23 distribution of people within that time
24 period, we're also applying to a group for
25 which maybe the same individuals, maybe other

1 individuals.

2 I don't know what happens with changes
3 that were in the facility going forward.
4 There's certainly some variations in
5 production over that later time, that later
6 period but for which there are no data.

7 **DR. NETON:** No production data.

8 **DR. MELIUS:** I meant no sampling data. You
9 know, we have production data.

10 **DR. NETON:** But you can use that.

11 **DR. MELIUS:** Well, is that the factor that,
12 you're assuming that that's the major factor
13 that affected production. I'm not even rating
14 the statistical analysis by SC&A, and given
15 the questions about who was sampled when, the
16 years and so forth, I would, I'm not convinced
17 that that is the major factor affecting
18 exposure.

19 **MS. MUNN:** The concern is twofold. First
20 with respect to Blockson, whether we can get
21 any further down the road in resolving the
22 differences of opinion. And secondly, the way
23 the decision here will affect the remainder of
24 the program. How we proceed here is not clear
25 to me.

1 **DR. MELIUS:** Well, I'm not proposing we try
2 to settle this for the rest of the program,
3 here today or in our next Board meeting. I
4 think what I said I would do is I would go
5 back and listen to Jim's arguments that he's
6 presented, and I'll go back and re-review it
7 in that context.

8 I would also ask SC&A to re-review
9 what they've done in the context of the issues
10 that have been raised. I don't think they
11 disagree with John in what he stated. I don't
12 think he's fully addressing this. And then
13 we'll, I guess we'll talk in St. Louis.

14 **DR. BRANCHE:** Ms. Munn, are you okay with
15 asking SC&A to take another look at these
16 data?

17 **MS. MUNN:** I would ask of SC&A whether they
18 feel there's anything further in this data
19 that can be provided for us.

20 **DR. MAURO:** I guess the answer to that is
21 no. Right now, I mean, it's a tough, you
22 know, to say there's really nothing more. But
23 we have hit this with everything we had.
24 Looked at it upside-down and sideways. The
25 number of reports you've seen, reports, Harry

1 Chmelyniski is on the line asking questions
2 such as why was the process, we know that the
3 bioassay samples were taken over a certain
4 time period. Were they taken during the time
5 period when the production was at its highest?
6 And the answer was yeah. It looks that way.
7 It looks like that at least was up there. So
8 even though we don't have bioassay data for
9 let's say later years, look at the production
10 data, you would expect that the bioassay data
11 that we do have captured the years where
12 there's the highest potential for exposure.
13 Then we ask ourselves the question, well, did
14 we get enough data from different job
15 categories. And the answer is, well, it would
16 have been great to have more data from certain
17 job categories. Would have liked to have had
18 that. And if we had that we'd be in a
19 stronger position, but is that a fatal flaw?
20 And I'm talking right now in almost like
21 commonsense discussion, the analysis was done,
22 lab analysis was done statistically on the
23 data. And the way it comes out is that we
24 feel that it would be, the 95th percentile
25 number from the sample, and especially since

1 the sample represents a large number of
2 workers -- I'll say it again. It's not that
3 we're talking about a thousand workers and we
4 only have samples from 25 workers. We have
5 samples from 25 workers, and I don't know the
6 total number of different workers that were
7 there in any given year was something on the
8 order of ten to 20 working in that building.
9 So we do have a lot of data capturing a lot of
10 the different workers. It would have been
11 great if the worst worker -- for example,
12 let's say right now we're presuming that the
13 worker's category was the guy that ^. And it
14 would be great if we had a complete dataset
15 for all the workers every month that did that
16 job. But I say to myself, but wait a minute,
17 but I do have 122 urine samples for workers
18 that were in that building some of whom did
19 that. And I say -- and remember, that's one
20 sample taken. I'm going to take that as the
21 upper-end value. I'm going to assign that to
22 everyone as if they were exposed at that level
23 for six years, five years. I walk away saying
24 that my guess is, if anything, it's a
25 plausible scenario. So in my mind it could

1 have happened but probably not likely.

2 **MR. GRIFFON:** That's not quite the way you
3 described it

4 **DR. MAURO:** Help me out because if I'm going
5 to get it wrong --

6 **MR. GRIFFON:** You're saying as if you did
7 this for five or six years. That's not true.
8 You have a urine sample for that individual
9 that did that occasionally.

10 **DR. MAURO:** Yeah, right.

11 **DR. NETON:** That anybody did.

12 **MR. GRIFFON:** I mean, the urine in many ways
13 is better than the air sampling because the
14 air sampling raises all kinds of questions.

15 **DR. MAURO:** I really like the urine samples.
16 I like that you've got 122 urine samples for
17 25 workers and the total number of workers
18 that worked in Building 55 is limited to about
19 that number.

20 **MR. GRIFFON:** You understand it's not quite
21 as conservative as --

22 **DR. MAURO:** It could be more conservative.

23 **MR. GRIFFON:** -- you might have --

24 **DR. MAURO:** Right.

25 **MR. GRIFFON:** -- that might be the worst

1 job.

2 **DR. MAURO:** I would be the first to admit if
3 there was a guy that was doing this eight
4 hours a day, seven days a week.

5 **MR. GRIFFON:** They didn't do it though.

6 **DR. MAURO:** But it wasn't like that.

7 **DR. NETON:** But that's the point. Who did
8 the worst job that was there for whatever
9 length or duration it was, we think we have a
10 sample for.

11 **DR. MAURO:** See, within that 122 samples
12 that upper-end value, and then assuming that
13 he's at that point for five years, we walk
14 away saying I don't know what more you can do.
15 This is almost like -- the way I look at it is
16 this is a place where the coworker approach
17 works, in our opinion, much better than what
18 we've seen in other locations. There's always
19 going to be this challenge on a coworker model
20 whether or not it's of adequate
21 representativeness, but this is one of the
22 places where it's at its strongest.

23 **MR. GRIFFON:** Just a couple background
24 because I think we're going to, some of you
25 want to look at this more, but the 25 workers

1 that are mentioned out of how many? I don't
2 know the context.

3 **DR. MAURO:** We had all the --

4 **MR. GRIFFON:** Is it in the site profile?

5 **DR. MAURO:** Yes, we were able to estimate
6 that.

7 Do you remember actually the total
8 number of workers that worked in Building 55
9 in a given year?

10 **MR. PHILLIPS:** Well, it depends.

11 Tom, you can help me with this.

12 Up front when they started talking
13 about forward looking, they were estimating
14 like 20 workers.

15 **MR. TOMES:** Well, not actually working in
16 Building 55 but on the project.

17 **MR. PHILLIPS:** In the worker interviews what
18 I gleaned from that it was more like 12 or 13.

19 **MR. TOMES:** There was two operators on the
20 back shifts, and there was two operators on
21 the day shifts with two extra day men to
22 handle because they dumped material in the day
23 shift.

24 **MR. GRIFFON:** And then we're talking
25 Building 55 but nobody's mentioned Building 40

1 if you're pretty sure that 55 --

2 **DR. NETON:** No, we have a different model
3 for Building 40.

4 **MR. PHILLIPS:** And if you look on the report
5 in there, it plots the number of bioassay
6 samples for a month, and it comes out to be
7 about 12 or 13. So there's a good, some
8 probability that everybody in that building
9 was sampled except for the people who
10 occasionally --

11 **MR. GRIFFON:** And some years have been
12 logged, but there's no sense of why this --
13 and AEC did this all, right? Blockson didn't
14 do it themselves.

15 **MR. PHILLIPS:** HASL.

16 **DR. MELIUS:** You're missing the last three
17 here.

18 **DR. MAURO:** But see, we were concerned about
19 that, and we plotted the throughput. And I'm
20 sorry, you can't see this. In one of our
21 handouts, but one of the things we looked at
22 was, is it possible that the time period
23 during the latter years, starting let's say
24 around '58, all this was in a throughput of
25 uranium, increase substantially. But it

1 didn't. It was, in fact if anything, it was a
2 little lower in the aggregate in the later
3 years than it was in the earlier years. And
4 it's in the earlier years when we got the
5 bioassay data. So there's no guarantee.

6 **MR. GRIFFON:** That's better than the
7 reverse.

8 **DR. MAURO:** It's better than the reverse,
9 yes.

10 **MR. PHILLIPS:** And just logically if indeed
11 the highest exposed worker was the one loading
12 the end product, then the throughput should be
13 proportional to the exposures for that
14 individual. So definitely in proportion to --

15 **MS. MUNN:** So the answer to the question
16 that we studied, we're debating here is that
17 probably there is no more to be said between
18 SC&A and Dr. Melius. If there's no issue,
19 cannot add anything that we have not already
20 seen, and therefore, the possibility of
21 discussing this further either offline or here
22 is not likely to come to any change of
23 position.

24 **DR. MAURO:** I mean, I answered the question.
25 I thought ^ might add value.

1 Harry, are you still on the line?

2 (no response)

3 **DR. MAURO:** Harry Chmelynksi?

4 **DR. CHMELYNSKI (by Telephone):** Yes, I'm
5 still here.

6 **DR. MAURO:** Is there anything, after looking
7 at all these data in the analysis that we've
8 done to date, is there any other things that
9 you think might add value by doing some more
10 digging or do you have in mind now for example
11 as you worked through the problem were there
12 other things that you would have liked to have
13 done that you didn't do?

14 **DR. CHMELYNSKI (by Telephone):** To be honest
15 I spent a lot more time on the radon data than
16 I did on the urine samples. My impression of
17 the urine sample data compared to the other
18 sites I've looked at on this project, this one
19 seemed relatively complete in terms of the
20 coverage of sampling. I'm not sure we got
21 everybody but -- and we probably didn't -- but
22 seems like they had a goal of doing pretty
23 much complete testing and that made me feel
24 pretty comfortable with the 95th percentile.

25 Now in terms of what else I would look

1 at I think the question of are these, should
2 there be some matching done in terms of job
3 category. Yes, that's always one that should
4 be done.

5 **MR. GRIFFON:** Can that be done? We tried
6 for a few right, with the worker interview,
7 CATIs.

8 **DR. MAURO:** In other words in the original
9 records we have, in fact, we have the --

10 **MR. PHILLIPS:** We only have a few.

11 **DR. MAURO:** Right.

12 **MR. PHILLIPS:** That's the only thing that
13 could add clarification if you have other
14 people who came forward who you identified who
15 you could associate their job categories with.
16 That's the only thing that I know that could
17 expand our knowledge on this.

18 **MS. MUNN:** That's not practical.

19 **DR. MAURO:** There's a little bit more to
20 this though. My understanding was that the,
21 for example, the guy that filled up the cans,
22 that that wasn't a full-time job. So what
23 happens is that though he may have a title for
24 a job because of the nature of the work, I
25 think that people, these folks wore a lot of

hats.

Let's say we found out I always called it this; I always called it that, we're still going to be confronted with the dilemma. You know, even though you were given that title, one could say, well, because of that title your potential for exposure is lower. But at the same time we also know that when we looked at this it sounds like that there were people doing multiple different jobs because it wasn't a full-time operation where they were continually filling up this.

So I like the idea that you pick an upper end, especially since you don't know exactly what the job categories were. What you effectively have done here is to assume the worst. That is, since we don't know what the job categories were for everybody, you can't be that definitive, you have to be claimant favorable and assign the 95th percentile to everyone for all time. That's the big one, for all time. So I go back to say, I guess if we got some more information on job category that can't hurt.

MR. GRIFFON: Has anyone asked -- I'm sure

1 you've done this interview, group interviews
2 at the sites, you've asked about urinalysis.

3 **DR. MAURO:** Yes.

4 **MR. GRIFFON:** And everyone, did they all
5 undergo urinalysis or was it kind of --

6 **DR. BRANCHE:** Do what, Mark? Would you
7 repeat, did they do all what?

8 **MR. GRIFFON:** Did they all undergo
9 urinalysis?

10 **MS. MUNN:** No, not everybody who worked at
11 Blockson in one of the buildings underwent
12 urinalysis. But most, there's a large enough
13 percentage that it's pretty high.

14 **DR. NETON:** It's confusing among the
15 workers. We have a worker who insists he
16 never left a sample. We have a complete
17 monitoring record for him. I mean, so it's 50
18 years old. You're not going to get very clear
19 information from workers.

20 **MS. MUNN:** But in response to the question,
21 Jim, it doesn't seem that there's any future
22 in your discussing this further with SC&A.

23 **MR. GRIFFON:** But I think if we have
24 specific questions --

25 **DR. MELIUS:** I mean, I may come back with

1 specific questions.

2 **MR. GRIFFON:** You can e-mail it to Wanda and
3 C-C NIOSH and SC&A and go forward that way.

4 **MS. MUNN:** I have to ask the same question
5 of NIOSH. Do you see any additional
6 information other than with respect to this
7 particular item that is likely to be developed
8 or that we could develop as a result of
9 further conversations with Jim?

10 **DR. NETON:** None based on what I've heard so
11 far today, but we're open to additional
12 inquiries if people have questions to be
13 answered.

14 **MR. GRIFFON:** Can I ask one last thing?

15 The packet you gave me, Jim, is that
16 all the 120 -- I didn't count -- but is that
17 all the --

18 **DR. NETON:** I don't know. I just gave you
19 what was e-mailed by John.

20 Did you mail all 120 urine samples?

21 **DR. MAURO:** I mailed all the files that Tom
22 --

23 **MR. TOMES:** It may be ^ that's how we
24 received them.

25 **DR. NETON:** But if you look under the A-B ^

(Whereupon, multiple speakers spoke simultaneously.)

DR. BRANCHE: And for the record, as John and I talked in a long conversation yesterday, such information will be mailed because it's got -- we're not going to use electronic means to convey such information in the future.

MS. MUNN: That's true. We need to keep very close tabs on that.

I attempted to say is there anything else we need to bring to the table, but I asked that question when we began, and there was nothing else at that time. As I see it right now we have action items to pursue with respect to the radon question, but we will not have, unless Dr. Melius presents additional questions to either SC&A or NIOSH or both --

DR. BRANCHE: Or you.

MS. MUNN: -- or me, we do not have, we're at a stalemate there and have no answers that we can give one way or the other. We'll try to resolve that radon issues before our meeting in St. Louis.

Does anyone else see any further action that we can take with respect to the

1 disagreement relative to data?

2 (no response)

3 **MS. MUNN:** If not, I declare this meeting
4 adjourned. We will be in contact with you by
5 e-mail and telephone regarding our next
6 communications.

7 **DR. BRANCHE:** Thank you. Ms. Munn has
8 called the meeting to a close and so if the
9 person closest to the phone can turn it off.
10 We're not leaving it on. We're turning it off
11 altogether. Thank you.

12 (Whereupon, the working group adjourned at
13 1:00 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of June 5, 2008; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 15th day of November, 2008.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**