

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

LINDE SITE PROFILE

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held telephonically on June 6, 2008.

*STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTERS
404/733-6070*

C O N T E N T S

June 06, 2008

WELCOME AND OPENING COMMENTS DR. CHRISTINE BRANCHE, DFO	6
INTRODUCTION BY CHAIR	9
BURLAP BAG ISSUE	12
COURT REPORTER'S CERTIFICATE	36

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

EXECUTIVE SECRETARY

BRANCHE, Christine, Ph.D.

Principal Associate Director

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

MEMBERSHIP

BEACH, Josie

Nuclear Chemical Operator

Hanford Reservation

Richland, Washington

GIBSON, Michael H.

President

Paper, Allied-Industrial, Chemical, and Energy Union

Local 5-4200

Miamisburg, Ohio

1 LOCKEY, James, M.D.

2 Professor, Department of Environmental Health

3 College of Medicine, University of Cincinnati

ROESSLER, Genevieve S., Ph.D.

Professor Emeritus

University of Florida

Elysian, Minnesota

IDENTIFIED PARTICIPANTS

ANIGSTEIN, BOB, SC&A
BONSIGNORE, ANTOINETTE, CLAIMANT
CHANG, CHIA-CHIA, NIOSH
CRAWFORD, CHRIS, NIOSH
ELLIOTT, LARRY, NIOSH
GUIDO, JOE, ORAU
HOWELL, EMILY, HHS
KOTSCH, JEFF, DOL
NETON, JIM, NIOSH
OSTROW, STEVE, SC&A

P R O C E E D I N G S

(10:00 a.m.)

1

2

WELCOME AND OPENING COMMENTSDR. CHRISTINE BRANCHE, DFO

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

DR. BRANCHE: Welcome to the Linde Ceramic site profile working group. This is Friday, June 6th. I'm Dr. Christine Branche. For the moment I'm going to be the DFO, and then Ms. Chia-Chia Chang will be the designated federal official from NIOSH. Would anyone who's on the working group, please state your name?

DR. ROESSLER: Gen Roessler.

MR. GIBSON: Mike Gibson.

MS. BEACH: Josie Beach.

DR. BRANCHE: Dr. Lockey, are you on the line?

(no response)

DR. BRANCHE: Are there any other Board members who are on the line?

(no response)

DR. BRANCHE: Okay, we do not have a quorum so we can proceed.

Would the participants from NIOSH

1 please state your name and say if you have a
2 conflict with Linde?

3 **MR. CRAWFORD:** Chris Crawford, no conflict.

4 **DR. BRANCHE:** Thank you, Mr. Crawford.

5 Any other NIOSH staff members on the
6 line?

7 **DR. NETON:** This is Jim Neton, no conflict.

8 **DR. BRANCHE:** Would the staff from OCAS
9 please state your name and say whether or not
10 you have a conflict?

11 (no response)

12 **DR. BRANCHE:** Sorry for the background noise
13 here.

14 SC&A staff would you please state your
15 name and say if you have a conflict for Linde?

16 **DR. OSTROW:** This is Steve Ostrow, no
17 conflict.

18 **DR. ANIGSTEIN:** Bob Anigstein, no conflict.

19 **DR. BRANCHE:** Thank you.

20 **DR. LOCKEY:** Jim Lockey, no conflict.

21 **DR. BRANCHE:** Dr. Lockey, I'm glad you could
22 join us. Thank you.

23 **DR. LOCKEY:** Can I make one comment? One of
24 my staff people unexpectedly passed on, and I
25 have a funeral at 11 o'clock. So I'm driving

1 on the way to that funeral --

2 **DR. BRANCHE:** Please be careful.

3 **DR. LOCKEY:** I will. If I have to cut out,
4 that's the reason.

5 **DR. ROESSLER:** Thank you for participating,
6 Jim.

7 **DR. BRANCHE:** And we're sorry for your loss.
8 Are there other federal agency staff who are
9 on the line?

10 **MR. ELLIOTT:** This is Larry Elliott joining
11 the line. I have no conflict on Linde.

12 **MS. HOWELL:** Emily Howell with HHS.

13 **MR. KOTSCH:** Jeff Kotsch, Department of
14 Labor.

15 **DR. BRANCHE:** Thank you.

16 Are there petitioners or their
17 representatives who are on the line?

18 **MS. BONSIGNORE:** This is Antoinette
19 Bonsignore.

20 **DR. BRANCHE:** Are there workers or their
21 representatives who are on the line?

22 (no response)

23 **DR. BRANCHE:** Are there members of Congress
24 or their representatives who are on the line,
25 please?

1 (no response)

2 **DR. BRANCHE:** Are there any others on the
3 line who would like to state their names?

4 **MR. GUIDO:** This is Joe Guido with ORAU.

5 **DR. BRANCHE:** Joe Guido?

6 **MR. GUIDO:** Yes.

7 **DR. BRANCHE:** Thank you so much.

8 Dr. Roessler's about to begin her
9 meeting, and I think we ask that if you're
10 participating by phone it's important that we
11 mute our lines including me. If you would
12 please mute your lines until you're ready to
13 speak. If you do not have a mute button, then
14 use star six to mute your phone for everyone
15 to be able to hear and so that for the call to
16 proceed well it is important that everyone
17 who's not speaking mute their line.

18 With that I hand it over to Dr.
19 Roessler. And Dr. Roessler, Ms. Chia-Chia
20 Chang will be the DFO. Thank you so much.

21 **INTRODUCTION BY CHAIR**

22 **DR. ROESSLER:** Thank you, Dr. Branche.

23 I want to remind everybody that we're
24 scheduled for one hour today. I think that
25 will be ample, but we all need to keep our

1 comments as brief as possible.

2 The first thing I want to verify is
3 that NIOSH has the report that was sent out
4 earlier this week. It came out on Wednesday.
5 This is Steve Ostrow's and Bob Anigstein's
6 report. Now the report was dated March 29th --

7 **DR. OSTROW:** This is Steve. I apologize
8 humbly, and the pages are also numbered
9 incorrectly. We just discovered that about
10 ten minutes ago. I apologize. The correct
11 date of the report should be June 4th, and
12 we'll correct the report in a day or so and
13 just make sure there are no more typos in it.

14 **DR. ROESSLER:** Okay, I just wanted to make
15 sure we have the right one, and I thought we
16 did.

17 **DR. OSTROW:** Yeah, it says June 4th on the
18 footer inside the report, but just the cover
19 somehow got the wrong date.

20 **DR. ROESSLER:** Yeah, I see it on the footer
21 that it's June 4th.

22 **DR. OSTROW:** That should be the correct
23 date.

24 **DR. ROESSLER:** And I want to verify that
25 Chris Crawford and Joe Guido have it and are

1 prepared to respond a bit later.

2 **MR. CRAWFORD:** Yes, I received it. This is
3 Chris Crawford.

4 **MR. GUIDO:** And this is Joe. We received
5 it, and we've reviewed it. We can make
6 comments in an in-depth analysis, and we just
7 got it a couple days ago so I don't think
8 we'll need any more time before we can talk
9 about it.

10 **DR. ROESSLER:** I want to remind everybody
11 that the working group's assignment here is a
12 site profile review. And as Steve states in
13 his report, and I'm going to read from it,
14 this issue, popularly referred to as the
15 burlap bag issue, is the last remaining Linde
16 site profile review issue identified by SC&A
17 requiring resolution.

18 But my plan then today and since we
19 have only an hour I asked Steve if he would,
20 instead of going through the report
21 thoroughly, to briefly summarize the pertinent
22 points then we'll have NIOSH respond. And if
23 we need to go into more detail on the report
24 we can do that then. But if it's okay with
25 everybody then I'd like to have Steve begin

1 his summarization.

2 **BURLAP BAG ISSUE**

3 DR. OSTROW: This is Steve. I'd be happy to
4 do that. I'll give it quickly. First of all,
5 apologies for two things: One, getting the
6 report out so late, as I mentioned. It's one
7 of those things we were going to issue like a
8 week earlier. Every time we got the issue we
9 found one more thing which took another day to
10 resolve. It just kept going on. We just have
11 to apologize for the typos.

12 That said, I'll just go through
13 briefly what happened. We had our original
14 site profile review back in July of '06. We
15 identified a bunch of issues. Subsequently,
16 after meetings and so forth, we narrowed it
17 down to just one issue. This was on the
18 burlap bag issue, burlap bag issue. That's
19 what we've been focusing on.

20 We had a meeting on January 8th of this
21 year, a working group meeting in Las Vegas,
22 where we all met together, and we couldn't
23 reach a consensus on how to treat this issue.
24 On a subsequent technical call on February 13th
25 with us and NIOSH and the Board and at that

1 time the resolution -- and one of the former
2 workers was on that call, too. They did have
3 a recollection of what happened.

4 NIOSH at that time was tasked to do a
5 white paper basically to evaluate what the
6 effect would be of a worker in the 1950s
7 standing near -- a coffee break -- a pile of
8 empty burlap bags every day for the year while
9 he's having lunch. What's the dose effect of
10 that.

11 And NIOSH produced its report then on
12 March 29th. And the SC&A's -- It was March
13 18th, the NIOSH report. And SC&A then went
14 ahead and took a look at that. We assessed
15 that. We did some more calculations, and we
16 produced this report we were just talking
17 about, the June 4th report. That's our
18 findings on the NIOSH report. That's a very
19 brief introduction.

20 The NIOSH report basically looked at
21 the dose to a person one foot away from the
22 pile of African ore containing bags for one
23 hour per day. This was supposedly on their
24 lunch hour. And they relied primarily on a
25 set of measurements that were made in 1944.

1 So African ore bags, and this is referred to
2 as ^ . This reference is either in the NIOSH
3 report or the SC&A report in the 1944
4 timeframe.

5 And just doing a little simple
6 multiplication, dose rates times time, NIOSH
7 came out with an annual exposure of 1.5
8 Roentgens per year. That's just the gamma
9 exposure. And NIOSH concluded in this report
10 that the, right now their current dose model
11 is an assigned dose of 1.85 Roentgens per year
12 for workers in this 1950 time period.

13 So going back to NIOSH, the report
14 concluded that right now they have an assigned
15 dose rate of 1.85 Roentgens per year gamma
16 with a geometric standard deviation of 4.04,
17 and the 95th percentile value then is 18.5
18 Roentgens per year. So NIOSH concluded that
19 their current assigned distribution
20 encompasses the case if somebody were standing
21 near the burlap bags on a lunch break.

22 SC&A took the report and we extended a
23 little bit. Based on the teleconference, so
24 called, that we had on February 13th, the
25 particular worker had mentioned that he

1 thought they might have been sitting on the
2 bags, too. So we looked at the case what
3 would happen if the worker instead of being a
4 foot away, was actually sitting on the bags.

5 And we went back. We looked at the
6 Skinner* report again, which is a measurement,
7 and we just did the simple multiplication also
8 because they give contact doses based on top
9 of the bag also, and we came up with 4.75
10 Roentgens per year gamma exposure which is
11 higher than the NIOSH assigned dose rate but
12 within their 95th percentile value. But so far
13 we're just using measurements.

14 Then the other thought, well, if
15 somebody is near the bags or sitting on the
16 bags how about the beta exposure. So far
17 they've just talked about gamma, but what
18 happened to the beta exposure. And there was
19 no measurements on that. We decided to do a
20 calculation, and we used the MCNP Monte Carlo
21 approach for both beta and gamma so we'd have
22 a consistent calculation by using one code to
23 calculate both of them. And the results
24 appear in our report.

25 Appendix A of our report has the

1 average -- Bob Anigstein did -- has the actual
2 calculation and the results of that. And the
3 short of it is that we determined that the
4 possible beta dose to a person, at least to
5 his lower organs, could be significant. That
6 it's around the same order as the gamma dose
7 which has a conversion factor. Anyway, we
8 thought that it was something that should be
9 taken into consideration, the beta dose.

10 And the other thing is our calculated
11 gamma dose rates came out significantly higher
12 than the measured dose rates. And you might
13 say offhand, well, a measurement is better
14 than a calculation, but as our Appendix A
15 discusses at the very end there are some
16 reasons why we think the measurements might
17 not have been that accurate. That's the basic
18 summary, and that's where we are right now.

19 **DR. ROESSLER:** Thank you, Steve. That's a
20 very good summary, and I think it's now
21 appropriate for Chris or anyone at NIOSH to
22 respond.

23 **MR. CRAWFORD:** Again, we've only had about a
24 day and a half to look this over. We noticed
25 a few things. First of all I'd like to go

1 back a little bit and remind everyone of the
2 degrees of uncertainty we're dealing with
3 here. We have a witness, a credible witness
4 I'd say, who saw some burlap bags in Building
5 30, the warehouse, in August of 1951.

6 He was told but didn't know of his own
7 knowledge that there was uranium ore in the
8 bags. Now the last uranium ore received at
9 Linde was 1946. They were through with
10 uranium ore processing at approximately that
11 time, and then they went into phase three
12 which was uranium oxide processing. Uranium
13 oxide was delivered in drums, but in different
14 packaging.

15 So one basic question we have is, was
16 it really ore in Building 30 in 1951. One of
17 the reasons we question that besides the fact
18 that it would have to be five year old ore
19 that somehow wasn't processed at a time when
20 the government was very interested in
21 inventory control for uranium. Another factor
22 is in 1950 there was a thorough, there was a
23 report of an inventory of the building of
24 sources. And Joe reviewed this in detail.

25 **MR. GUIDO:** It was a thorough survey of the

1 facilities of all the buildings, and it
2 included that warehouse building. So I'll let
3 you continue.

4 **MR. CRAWFORD:** And at that time in 1950 it's
5 not very credible that they would have
6 surveyed the whole building and failed to
7 survey an obvious source like a pallet of
8 uranium ore bags. There was no entry at that
9 time for this. So there's a mystery of where
10 the bags came from, what they contained and
11 how long they were there.

12 We know again from the witness that by
13 the time he returned from his Army tour in
14 1954 they were gone. So that's just one
15 source of uncertainty. What was in the bags?
16 When were they there and so forth.

17 And then we have the other questions
18 of how many people actually sat on the bags
19 for how long. The witness that we have wasn't
20 actually stationed in Building 30. He was
21 there for an inventory at least on one
22 occasion. He put his coffee on the bags. He
23 said that he saw other people sit on the bags
24 but not for long periods of time. It's hard
25 to quantify that.

1 Then to turn to a more technical
2 aspect, the one thing we did notice in the
3 SC&A report is they assumed a 70 percent
4 African ore, 70 percent uranium content. And
5 we know that the highest African ore grade
6 that was received at Linde was 17.7 percent.
7 Even at that level only one-third of one
8 percent of all the ore received at Linde was
9 that high a grade.

10 **DR. ANIGSTEIN:** This is Bob Anigstein. I
11 got the 70 percent from the Mallinckrodt site
12 profile, and it appeared that these were the
13 same ores that were coming from the Belgian
14 Congo. And they said that it was up to 70
15 percent. That was a quotation I believe from
16 Eisenbud in 1954.

17 **MR. GUIDO:** This is Joe Guido. I believe
18 there was a concerted effort to segregate
19 where the very high grade ore went to because
20 if you look at very early memos, I mean, they
21 were very aware of the difference between an
22 eight percent ore and a 70 percent ore as far
23 as radiation exposure. And the TBD at the
24 Mallinckrodt facility did handle that very
25 high grade ore. So I guess I understand where

1 you got that from, but I would question, you
2 know, we have no record of any of the stuff at
3 Linde approaching that high a concentration.

4 **DR. ANIGSTEIN:** Okay, well that would
5 certainly account for the difference. That
6 would go a long way towards accounting for the
7 difference between the calculated rates and
8 the measured rates. I just went with the
9 highest, to be claimant favorable, I just went
10 with the highest rate that I had a record of.

11 **MR. GUIDO:** I would say once you --

12 **DR. ANIGSTEIN:** I took the highest
13 concentration.

14 **MR. GUIDO:** Once you back that out, I would
15 say that you basically have demonstrated that
16 you can do a whole lot of sophisticated
17 calculations to, you're in the same ballpark
18 now.

19 **DR. ROESSLER:** Is that Joe speaking?

20 **MR. GUIDO:** Yeah, I'm sorry. I have to
21 identify myself. I'm sorry.

22 **DR. ROESSLER:** Okay, thanks, Joe.

23 **MR. GUIDO:** Yeah, once you account for the
24 change in the concentration I think we're
25 basically talking now about the same thing.

1 **DR. ANIGSTEIN:** I agree.

2 **MR. GUIDO:** And as far as the beta dose
3 rates get, we don't have the measurements, but
4 those would scale down. But I think the
5 important factor there is that the beta
6 exposure rate is lower than the gamma. And
7 the same methodology that we proposed to
8 account for this scenario which is the GSC
9 assigned in the Linde TBD, the same thing
10 would go to cover any beta exposure for that
11 point. Because the beta assignment is more
12 than the gamma assignment, like 2.5. I'd have
13 to look at the TBD. And it has the same GSC,
14 so I'll let Chris proceed.

15 **MR. CRAWFORD:** Right, well, that comes close
16 to wrapping it up. So our position basically
17 is if there was ore present in those bags, if
18 people sat on the bags, and if it was the
19 most, the richest African ore that was
20 actually at the Linde site, we still believe
21 that the allowance that we've already made in
22 the TBD more than covers the possible dose
23 from this source.

24 **DR. ROESSLER:** Would that include then the
25 beta dose that SC&A is discussing?

1 **MR. CRAWFORD:** As Joe has just said, yes, it
2 would include the beta dose.

3 **DR. ROESSLER:** Are you redoing your
4 calculations to include the beta dose or you
5 feel that what you had before is a wide enough
6 range to include it?

7 **MR. CRAWFORD:** We basically think we had a
8 wide enough range with the geometric standard
9 deviation as large as it was. That made sure
10 that in the IREP calculations it would be
11 taken into account at the 95th percentile
12 level.

13 **DR. ROESSLER:** And, Steve and Bob, how do
14 you feel about that?

15 **DR. OSTROW:** Bob, do you have some comments
16 on this?

17 **DR. ANIGSTEIN:** This is Bob. I would go
18 along, I would probably, I haven't actually
19 dug up that particular reference on the Linde
20 ore concentrations, but I have to admit it is
21 substantiated because there was something else
22 about the yield in one of the reports I did
23 look at. I think about the yield and the
24 yield from the ore was certainly much lower
25 than 70 percent.

1 As far as the IREP input, SC&A -- and
2 I shouldn't really speak for SC&A, but my
3 understanding is our position was that it is
4 more claimant favorable usually to use the 95th
5 percentile value as a fixed IREP input rather
6 than putting in the entire distribution.
7 Because for any given worker, we don't know
8 that he could be at the, near the top. I know
9 we'd raised this issue some years ago, and I
10 thought that that was a common practice now to
11 use the 95th percentile as a fixed value.

12 **DR. ROESSLER:** Maybe Jim can answer that.

13 **DR. NETON:** Bob's right. I mean, the 95th
14 percentile given the known, given that there's
15 a known exposure scenario. But I think as
16 Chris has pointed out here these are sort of
17 ifs on top of ifs on top of ifs. So no one is
18 really certain at all that these exposures
19 actually even occurred. But I think to sort
20 of assume that they occurred in the absence of
21 any positive evidence, I think it's
22 sufficiently favorable to use the distribution
23 in this case.

24 **DR. ROESSLER:** Well, I guess it's at the
25 plan then in doing the dosimetry is that for

1 any worker who was present during that time
2 that you assume a certain time sitting on the
3 bags and do the calculations then as the 95th
4 percentile?

5 **DR. NETON:** Well, I think that would be
6 SC&A's opinion. But I think -- correct me if
7 I'm wrong, Chris -- but I think that's not
8 what we're suggesting.

9 **MR. CRAWFORD:** That's right. We believe
10 that the existing TBD makes quite an adequate
11 representation of the possible dose received
12 by the workers during the latter period.

13 **MR. GUIDO:** This is Joe Guido. I want to
14 make one comment, too. There's two issues
15 here. One is what is the site profile
16 guidance for dose reconstruction. And then
17 the other issue is how is a dose
18 reconstruction actually done by a DR. And one
19 comment I want to make is if in a DR report
20 there is evidence that exposure scenarios that
21 were abnormal, were not in the upper tier of
22 some kind of scenario, not just this one but
23 any scenario, you know, that information is
24 looked at by the dose reconstructor and is
25 addressed in the report.

1 So the technical basis document
2 provides guidance and scenarios on the more
3 general scenario and is geared towards being
4 claimant favorable and covering in general.
5 And then if there is specific information
6 about a specific DR that's being
7 reconstructed, that information is considered.

8 And so I guess what I say is we really
9 wouldn't want to treat every single Linde
10 employee as if they spent their lunch hour in
11 that building, which was a warehouse, sitting
12 on that pallet of bags. But if there's that
13 information was specifically put forward, it
14 would be addressed in the dose reconstruction
15 report.

16 And I have not seen a CATI that has
17 said that, but I just want to make sure I
18 remind everyone that that is a two-step
19 process. This is really getting to very
20 specific scenarios that should not be assigned
21 to every worker, I would think.

22 **DR. ROESSLER:** It seems where we're at is
23 that SC&A has made some suggestions which it
24 appeared to me might ask NIOSH to revise the
25 site profile. And I think what Chris and Joe

1 are saying is that the site profile guidance
2 and the language as to how the dose
3 reconstruction would be done does cover all
4 SC&A's concerns. Am I getting that right?

5 **DR. OSTROW:** This is Steve. It sounds like
6 it. I don't think we disagree now. We
7 haven't done the recalculations with rescaling
8 of our calculations for lower concentrations
9 of uranium which we could probably do fairly
10 quickly. But assuming that we do the
11 rescaling it sounds like we don't disagree
12 technically with NIOSH about the actual dose
13 rates. We're in the same ballpark on
14 calculated values and their measured values.

15 This turns out to be not so much a
16 technical issue as a procedural issue. And
17 this I don't know if we make the call or NIOSH
18 makes the call or the Board makes the call on
19 this. Which scenario do we take? Do you
20 consider that the situation is a hypothetical
21 exposure from maybe sitting on top of the bags
22 for a whole year?

23 Is that credible enough that you would
24 take the 95th percentile value? Or is it
25 incredible enough that you may just want to go

1 with the mean. It's not really that much of a
2 scientific issue now.

3 **MR. GUIDO:** Hey, Steve, this is Joe Guido.
4 I want to just clarify because for the meeting
5 notes here if we were to say that someone did
6 spend an hour a day on those bags, when we
7 talk about the 95th percentile, what we're
8 talking about is the default. The technical
9 basis document provides an external dose
10 assignment of the 1.85 rem with a GSD of 4.04.
11 That assignment covers all exposures at Linde.

12 So if we say that input of that
13 parameter into IREP as a distribution, which
14 is currently a practice, does not cover this
15 scenario, we would not, I don't think we would
16 want to assign the 95th percentile of that
17 distribution. What we would do is we would
18 add on top of it an assignment just for the
19 bags which would be -- and I'm not saying we
20 should do that.

21 I'm just saying, I just want to
22 caution that what the alternatives here are
23 not do what we're doing now or assign the 95th
24 percentile. It's really do what we're doing
25 now and assign an additional exposure which

1 NIOSH believes is already accounted for in the
2 distribution.

3 **DR. OSTROW:** Okay, thanks for the
4 clarification.

5 **DR. ROESSLER:** I'm a little bit unclear as
6 to where we stand. I think what I'm hearing
7 from Steve is that SC&A is accepting the site
8 profile.

9 **DR. OSTROW:** Subject to a little bit ^.
10 We're doing this sort of in our heads now. If
11 we have the lower African ore concentrations,
12 we think that we end up in the same ballpark,
13 but that would require just a little bit using
14 a calculator to make sure.

15 **DR. ANIGSTEIN:** This is Bob Anigstein.
16 Would the working group like us to reissue --
17 this would be a very small amount of work --
18 reissue this report correcting or scaling down
19 the concentration? I see we also have a
20 couple of typos that we wanted to fix anyway
21 so while we're at it we can scale down the
22 concentration. And if Joe can give me,
23 perhaps by e-mail, that exact location of the
24 concentrations for Linde, I had missed that.
25 Is that in the Linde site profile?

1 **MR. GUIDO:** Table 20.

2 **DR. ANIGSTEIN:** Pardon?

3 **MR. GUIDO:** That's Table 20.

4 **DR. ANIGSTEIN:** Oh, okay, great. I will
5 look at that and also take into account so if
6 this is what the working group would like SC&A
7 to do, I would say by tomorrow we could
8 probably have a new revised report out for
9 you.

10 **DR. ROESSLER:** I think that would be the
11 approach, and I'm going to ask for a response
12 from the other members of the working group.
13 But it would seem that this could be
14 accomplished and we could have a resolution on
15 it by the time the Board meets in St. Louis.

16 **DR. ANIGSTEIN:** Excuse me, tomorrow,
17 tomorrow's Saturday. I meant Monday.

18 **DR. ROESSLER:** Yeah, by Monday. I know
19 Josie and Mike and I hope Jim are still on the
20 line. Does any one of you have any reaction
21 to this approach?

22 **DR. LOCKEY:** Jim Lockey, I concur. It
23 sounds like a reasonable approach to me. We
24 can wrap this up.

25 **MS. BEACH:** This is Josie. I also agree

1 with that approach.

2 **MR. GIBSON:** This is Mike. I agree.

3 **DR. ROESSLER:** What about let's hear a
4 response from NIOSH as to what the timing and
5 the approach on this.

6 **MR. ELLIOTT:** This is Larry Elliott. I
7 think you've taken the right approach. We
8 would appreciate seeing SC&A's report revised
9 to show their agreement or whatever aspect
10 they disagree with us on and hopefully we'll
11 be all in one place.

12 **DR. ROESSLER:** So on the timing if we all
13 get the revised report on Monday -- I'm
14 thinking ahead to the St. Louis meeting -- I
15 would like to be able to bring a final
16 conclusion to the Board at that time.

17 Do we, Larry or Steve and Bob, do you
18 think that we're going to have to have the
19 working group get together before that time?
20 I'm not quite sure what the proper approach
21 is.

22 **DR. OSTROW:** I'm trying to think it through.
23 Let's assume that our report technically
24 agrees with NIOSH's measurements, and we're in
25 the same ballpark. Then we still have this

1 little bit difference of opinion of exactly
2 how to treat the potential exposures.

3 I'm not quite sure how to resolve
4 that, you know, for the bag scenario. Whether
5 NIOSH's approach as I understand it would be
6 that let's keep it the way it is now and any
7 possible bag scenario would be subsumed in
8 their current guidance. The other approach
9 would be to have a special case for the bag
10 exposure.

11 Joe, did I state that right?

12 **MR. GUIDO:** Yes, yes. I mean, if you make
13 the opinion that the current distribution does
14 not cover this event, then you would have a
15 separate line item for that.

16 **DR. ANIGSTEIN:** This is Bob. I think maybe
17 that we need to confer internally in SC&A
18 before we make a conclusion on this.

19 **MR. GUIDO:** Hey, Gen, a point of order.
20 When is the St. Louis meeting? I don't keep
21 track of those very closely, just for my own
22 schedule.

23 **DR. ROESSLER:** But I think it's June 22nd.

24 **MR. ELLIOTT:** The meeting in -- oh, go
25 ahead, Chia-Chia.

1 **MS. CHANG:** The meeting is on the 24th, 25th
2 and 26th of June.

3 **MR. ELLIOTT:** As far as NIOSH is concerned,
4 our position is that our site profile
5 currently addresses this kind of special,
6 unique exposure scenario. And so if SC&A
7 comes forward with an alternative suggestion
8 to that, we would consider it. But at this
9 juncture we are not in a position to say we
10 feel we should change our dose reconstruction
11 approach.

12 **DR. ROESSLER:** But it seems at this point
13 then we need to allow Bob and Steve and SC&A
14 to think about this a bit. And I think it
15 would be appropriate to include your
16 evaluation of it when you send in your revised
17 report.

18 **DR. OSTROW:** Okay, we can do that. We can
19 do our revised report, the technical part and
20 then we'll have a recommendation at the end of
21 it. We'll recommend what we think what the
22 course of action should be.

23 **DR. ROESSLER:** Okay. It would seem that one
24 approach that would be simple if you agree
25 with it is that SC&A's site profile and their

1 approach to the dose reconstruction is
2 acceptable. The other alternative would be,
3 if not, what you would suggest, and then we'll
4 have to go back to NIOSH and get their
5 response.

6 **DR. OSTROW:** Okay, that sounds right.

7 **DR. ANIGSTEIN:** This is Bob. I'm just
8 looking at the calendar. I would just like to
9 revise the commitment to having it by early
10 Tuesday because this gives us time for
11 internal review, if that's okay.

12 **DR. ROESSLER:** That sounds good because
13 Tuesday is still, we still have quite a bit of
14 time. So let's take the next step, look for
15 your report on Tuesday, and you'll be sending
16 it to NIOSH as well as to the working group.

17 **DR. ANIGSTEIN:** Yes.

18 **DR. OSTROW:** Right.

19 **DR. ROESSLER:** And after that happens then I
20 think we'll have to decide where to go from
21 there. If it looks like we need to have
22 another meeting like this, we'll have to call
23 one at the soon as possible time.

24 **DR. OSTROW:** Okay, if we decide that after
25 our conclusion that NIOSH's approach is

1 acceptable, I think that closes the issue.

2 **DR. ROESSLER:** Then it closes the issue.
3 And then I'm assuming from what I've heard
4 from the working group then they agree that
5 everything is acceptable, and we'll report
6 that to the Board in St. Louis.

7 **DR. OSTROW:** Right.

8 **DR. ROESSLER:** Does anyone have any, have we
9 missed anything here or does this plan look
10 appropriate?

11 **DR. LOCKEY:** Jim Lockey, I think it sounds
12 very appropriate.

13 **DR. ROESSLER:** Josie and Mike, any comments?

14 **MS. BEACH:** I agree.

15 **DR. ROESSLER:** Larry --

16 **MR. GIBSON:** That's fine.

17 **DR. ROESSLER:** -- NIOSH people, does this
18 look like the right approach?

19 **DR. NETON:** Sounds good to me.

20 **MR. ELLIOTT:** Is it okay with you, Chris?

21 **MR. CRAWFORD:** Yes, fine with me.

22 **MR. ELLIOTT:** I think we're fine with it,
23 Madam Chair.

24 **DR. ROESSLER:** So it looks like we have
25 finished our meeting for today. Jim can now

1 drive safely, and we'll wait for the report to
2 come through on Tuesday and decide where to go
3 from there.

4 **DR. OSTROW:** Okay, very good, SC&A is happy.

5 **DR. ROESSLER:** Thank you for your good work,
6 Steve and Bob --

7 **MR. ELLIOTT:** Thank you all.

8 **DR. ROESSLER:** -- and we'll talk later then.

9 (Whereupon, the working group meeting
10 concluded at 10:40 a.m.)

11

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of June 06, 2008; I, Steven Ray Green, then transcribed the proceedings, and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 27th day of July, 2008.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**