

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY
AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION
AND WORKER HEALTH

+ + + + +

WORK GROUP ON NEVADA TEST SITE

+ + + + +

WEDNESDAY,
OCTOBER 29, 2008

+ + + + +

The work group meeting convened in the Frankfurt Board Room at the Cincinnati Airport Marriot, 2395 Progress Drive, Hebron, Kentucky at 9:00 a.m., Robert Presley, Chair, presiding.

MEMBERS PRESENT:

ROBERT W. PRESLEY, Chair
GENEVIEVE S. ROESSLER
BRADLEY P. CLAWSON
PHILLIP SCHOFIELD
WANDA I. MUNN

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
JENNIFER HOFF, ORAU
JIM NETON, NIOSH
LARRY ELLIOTT, NIOSH
MARK ROLFES, NIOSH
MEL CHU, ORAU
ROBERT MORRIS, ORAU
GENE ROLLINS, ORAU
JOHN MAURO, SC&A
BOB BARTON, SC&A
LYNN ANSPAUGH, SC&A
NICOLE BRIGGS, SC&A
HARRY CHMELYSKI, SC&A
JOYCE LIPSZTEIN, SC&A
LOUISE PRESLEY
JOHN FUNK, Petitioner
EMILY HOWELL, HHS
NANCY ADAMS, NIOSH contractor
LIZ HOMOKI-TITUS, HHS
JEFF COACH, DOL
HANS BEHLING, Sanford Cohen and Associates
BRYCE RICH, ORAU
BILLY SMITH, ORAU
ARJUN MAKHAJANI
KATHY ROBERTSON-DEMERS
KATE OH, Office of Senator Reid
ISAF AL-NABUSI

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:57 a.m.)

3 MR. KATZ: Good morning, this is
4 Ted Katz. The DFO of Advise ment Board on
5 Radiation and Warfare Health and we are about
6 to begin the work group meeting, the NTS Work
7 Group Meeting about a test site.

8 First things first is we're going
9 to do roll call. Starting roll call, in a
10 conflict of interest starting with board
11 members in the room. CHAIR PRESLEY: I am
12 Robert Presley, Chairman of the Nevada Test
13 Site Working Group, no conflict.

14 MEMBER ROESSLER: I'm Gen Ressler,
15 member of the Board, member of the Nevada Test
16 Site Working Group, no conflict.

17 MEMBER CLAWSON: I am Brad Clawson,
18 member of the working group at the Nevada Test
19 Site, member of the Advisory Board, no
20 conflict.

21 MR. KATZ: And on the telephone do
22 we have either Wanda, either or both Wanda and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Phil?

2 MEMBER SCHOFIELD: This is Phil.
3 I'm a member of the Board on the NTS Work
4 Group. No conflict.

5 MR. KATZ: Hi Phil, thank for
6 joining us.

7 MEMBER SCHOFIELD: Thanks.

8 MR. KATZ: And Wanda?

9 (No response.)

10 MR. KATZ: Okay, the next Board
11 members. Now we start with the NIOSH ORAU
12 Team in the room please.

13 MS. HOFF: Jennifer Hoff, ORAU
14 Team, no conflict with NTS.

15 MR. NETON: Jim Neton, NIOSH, no
16 conflict.

17 MR. ELLIOTT: Larry Elliott, NIOSH,
18 no conflict.

19 MR. ROLFES: Mark Rolfes, NIOSH,
20 health physicist, no conflicts.

21 MR. CHU: Mel Chu, ORAU Team, no
22 conflict.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MORRIS: Robert Morris, ORAU
2 Team, no conflict.

3 MR. KATZ: And on the telephone?

4 MR. ROLLINS: Gene Rollins, ORAU
5 Team, no conflict.

6 MR. KATZ: That's it, okay, SC&A in
7 the room?

8 MR. MAURO: John Mauro, SC&A, no
9 conflict.

10 MR. BARTON: Bob Barton, SC&A, no
11 conflict.

12 MR. ANSPAUGH: Lynn Anspaugh, SC&A,
13 conflicted.

14 MR. KATZ: And on the telephone
15 SC&A?

16 MS. BRIGGS: Nicole Briggs, no
17 conflict.

18 MR. CHMELYNISKI: Harry Chmelynski,
19 no conflict.

20 MR. KATZ: Harry Chmelynski.
21 That's it for --

22 MS. LIPSZTEIN:: Joyce Lypstein, no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conflict.

2 MR. KATZ: I'm sorry, say again.

3 MS. LIPSZTEIN: Joyce Lypstein, no
4 conflict.

5 MR. MAURO: Arjun has gone on --

6 MR. KATZ: Arjun, okay, not yet.
7 Okay, then the members of the public in the
8 room.

9 MS. PRESLEY: Louise Presley, no
10 conflict.

11 MR. KATZ: Louise Presley, no
12 conflict. and then on the telephone starting
13 with Congressional representatives and SE --
14 Congressional representatives, any?

15 (No response.)

16 MR. KATZ: Okay, how about
17 petitioners?

18 (No response.)

19 MR. KATZ: John Funk are you out
20 there yet?

21 MR. FUNK: Yes I am, non-
22 conflicted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Welcome John.

2 MR. FUNK: Thank you sir.

3 MR. KATZ: And other members of the
4 public?

5 (No response.)

6 MR. KATZ: Okay, and then finally
7 in the room other Federal employees or
8 contractors?

9 MS. HOWELL: Emily Howell, HHS, no
10 conflict.

11 MS. ADAMS: Nancy Adams, NIOSH
12 contractor, no conflict.

13 MR. KATZ: And on the telephone,
14 any other Federal employees?

15 MS. HOMOKI-TITUS: Liz Homoki-
16 Titus, HHS, no conflict.

17 MR. COACH: Jeff Coach, Department
18 of Labor.

19 MR. KATZ: Welcome Jeff, welcome
20 Liz. Anyone else?

21 MR. BEHLING: Hans Behling,
22 Sandford, Cohen and Associates, no conflict.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. RICH: Bryce Rich, ORAU Team,
2 conflicted.

3 MR. SMITH: Billy Smith, ORAU Team,
4 conflicted.

5 MR. KATZ: Okay, then that goes --
6 that completes the roll call issue. Let me
7 also just say --

8 MR. MAKHAJANI: Ted, this is Arjun
9 I just joined.

10 MR. KATZ: Arjun welcome.

11 MR. MAKHAJANI: I am not
12 conflicted.

13 MR. KATZ: Not conflicted.

14 MS. HARRISON: And this is Monica
15 Harrison-Maples, I just joined but I am not
16 conflicted, ORAU Team.

17 MR. KATZ: Welcome Monica.

18 MS. HARRISON: Thank you.

19 MS. AL-NABUSI: Isaf Al-Nabusi from
20 the OE, just joined, no conflict.

21 MR. KATZ: Welcome. Any others on
22 the line?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Yes, good morning,
2 this is Wanda.

3 MR. KATZ: Wanda welcome.

4 MEMBER MUNN: Thank you, I'm not
5 sure how much I'm going to be on this morning.

6 I am in extremis in Seattle and my spouse has
7 had a severe blow to the head in a fall
8 yesterday, the day before rather and is
9 probably going to have to have some sort of
10 extreme surgery done to his face here.

11 And so we're -- I'm not sure how
12 much I'm going to be on. I'll be on and off.

13 MR. KATZ: I'm very sorry Wanda. I
14 think a lot of people here are worried now.

15 MEMBER MUNN: Thank you.

16 MR. KATZ: But welcome, and you are
17 not conflicted?

18 MEMBER MUNN: I am not.

19 MR. KATZ: Okay, and anyone else on
20 the line?

21 (No response.)

22 MR. KATZ: Okay, and then I also,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just before we get started, wanted to say
2 there are three documents that we'll be
3 discussing today. Of those three documents
4 only one has been PA-cleared and really very
5 late yesterday evening, it was cleared.

6 It's been released since this
7 morning and I ask that it be sent to the
8 Congressional office, Senator Reid's office,
9 but I'm not sure if that's happened yet.

10 And also to you John, John Funk,
11 I've asked that it be sent to you from Laurie
12 Breyer, and I think she has done that this
13 morning.

14 The other two -- has a large
15 document and it went through a lot of work and
16 then redaction. But there's changes that need
17 to be made related to redaction that just
18 simply couldn't be done.

19 I just want to say that people
20 worked through the weekend and into their
21 evenings trying to get that done and I'm sorry
22 that that wasn't possible.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The third document is a brief
2 document full of personal identifiers that
3 needed a lot of work but didn't get in the
4 system to get PA-cleared.

5 MR. RICH: Ted, which document was
6 cleared and which wasn't?

7 MR. KATZ: So, the document that
8 was cleared is the -- is Lynne Anspaugh's
9 environmental desk document.

10 The sample doses -- dose
11 information, the symmetry information was not
12 cleared although it's been through most of
13 it's work, there's still redaction changes
14 that need to be made.

15 So, that will be released probably
16 in a day or two, but it has more work to be
17 done. And the badging document has not PA-
18 cleared.

19 Okay, last point just for all of
20 you who are listening on the phone when you
21 are not participating please mute your phones.

22 I mean we can hear someone and we could hear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 someone breathing for a while.

2 So, star six or mute button either
3 that you might have will work for that.

4 MS. HOMOKI-TITUS: Ted, this is
5 Liz-Homoki-Titus. We don't have that third
6 document for clearance. But if somebody -- if
7 it's short and someone wants to get it to us
8 we can certainly get started on it.

9 MR. KATZ: Right, Liz I know you
10 don't have that document. It wasn't put in
11 the system. It is relatively short but it's
12 full of, it's full of Privacy Act information.

13 And it will take a lot of redacting to make
14 it a releasable document.

15 MS. HOMOKI-TITUS: Okay, I didn't -
16 - I knew you put in short, but I thought we
17 had a period of time.

18 MR. KATZ: Yes, but nothing that
19 would get done before today.

20 MS. HOMOKI-TITUS: Okay.

21 MR. KATZ: Okay, thank you and I
22 will turn it over to Bob.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR PRESLEY: Thank you very much
2 Ted. Wanda?

3 MEMBER MUNN: Yes.

4 CHAIR PRESLEY: I want to let you
5 know that we're all thinking about you very
6 much.

7 MEMBER MUNN: Well, thank you. I
8 really appreciate that Bob. I am sorry to
9 have to weave in and out like this, but I'm
10 afraid that's what's going to happen.

11 CHAIR PRESLEY: No problem, thank
12 you. At this time we're going to start off
13 with SC&A presentation on the discussion on
14 the badging issue.

15 And again, I remind you that this
16 has not been redacted. So, be really careful
17 about what you say.

18 MR. MAURO: I guess I -- this is
19 John Mauro. I'll sort of kick it off and then
20 hand it off to Arjun. Arjun has led and has
21 been leading all our efforts related to all
22 the NTS matters. But by way of --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: I'm sorry to interrupt.
2 But someone is listening and breathing into
3 the phone. It's very disruptive. Can you
4 please mute your phone, star six if you don't
5 have a mute button. Much thanks.

6 MR. MAURO: For the purpose of
7 getting this started I'm assuming everyone has
8 either a hard copy or an electronic copy of
9 the document called SC&A Review of NTS
10 Petition, SEC00084 Defeat of the Universal
11 Badging Policy.

12 As long as everyone has that in
13 front of them and it's probably a good thing
14 and we'll start to flash our way through.

15 By way of introduction you may
16 recall that this has been one of the highest-
17 concerned issues that we've dealt with. The
18 issue being that a number of workers have
19 claimed and in their petitions and affidavits
20 that it was standard --

21 MR. NETON: We don't have this
22 document.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ROLFES: John, what date was it
2 sent?

3 MR. KATZ: It was the end of
4 September.

5 MR. MAURO: The date on it is
6 September 25, 2008.

7 MR. ROLFES: I'm going to look for
8 it in my email and see if I can send it to
9 everyone else that doesn't have it. Does'
10 everyone else got it?

11 CHAIR PRESLEY: I have got it.

12 MR. ROLFES: Okay.

13 MR. NETON: Did it come from Nancy
14 Johnson?

15 MR. MAURO: Yes.

16 MR. ROLFES: I think I've got it
17 here. Yes, I do have it. I'll send it to --

18 MR. NETON: Go ahead.

19 MR. MAURO: Okay, a lot of this
20 will be familiar to -- the issue is that a
21 number of workers had indicated that it was
22 standard practice for them to leave their film

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 badges behind for a variety of reasons.

2 One of which was the concern that
3 they may exceed their quarterly limits for
4 external exposure and as a result be taken
5 off-line so to speak from doing their jobs.

6 You may also recall that during one
7 of the meetings that we had at the test site
8 Senator Harry Reid was present and he
9 introduced a number of individuals in the
10 audience who stood up and indicated yes, that
11 was something that was standard that often
12 occurred.

13 The reason this is an important
14 issue has to do with an SEC issue, has to do
15 with if that was in fact a widespread
16 practice. It puts into question the ability
17 to do dose reconstruction. So, it's one of
18 the core issues.

19 NIOSH had addressed this subject
20 originally and in fact in terms of trying to
21 get a handle on the extent to which this
22 practice may have taken place -- and if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scroll down in your report to table one, the
2 very first table, I believe this was taken
3 from a NIOSH report, the evaluation report
4 which effectively states that well, based on
5 our investigation, your investigation that is,
6 we're talking about perhaps 1.1 percent of the
7 total number of workers may have engaged in
8 that practice. And the judgement was made
9 that at that level it's a manageable problem.

10 We, SC&A were then asked to
11 investigate this matter further. Those
12 investigations consisted of two separate lines
13 of inquiry.

14 One line of inquiry was interviews
15 whereby we would interview a number of
16 individuals who had indicated that yes in fact
17 they had participated in such a practice and
18 gathered information regarding their
19 experience either personally or their
20 knowledge of such practices.

21 Independent of that, and that
22 basically we're looking at right now, we were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 asked to sample workers from -- sample their
2 records, and to see in fact if there is any
3 indication in their records that there might
4 have been badges left behind.

5 This was an idea that came up early
6 on in the process as one way to look for hard
7 evidence. And by sampling their records, and
8 I'm going to stop in a second Arjun and then
9 I'll turn it to you, the idea being that we
10 know that when workers entered a controlled
11 area they carried with them their film badge
12 and their pocket ionization chamber.

13 The idea being if they wore their
14 phone badge but left a pocket ionization
15 chamber behind there would be an indication on
16 the access records for those jobs on those
17 days of the information contained on the PIC
18 and the information contained on the film
19 badge.

20 And if there was consistent
21 disparities between these, whereby for example
22 consistently see perhaps elevated readings on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the PIC and as a result of that the film
2 badges are pulled and then look at the film
3 badges and not see readings for that month or
4 that badge that were elevated and see that
5 consistent way, that worker -- we all together
6 looked at ten workers.

7 But just by going into their
8 handwritten records for the daily logs and
9 make tables and comparisons and just let the -
10 - again, as I usually say, let the data speak
11 to us, what do we find out.

12 So, by way of introduction Arjun,
13 working closely with Nicole Briggs did that
14 work. And the report you have in front of you
15 is the result of those investigations.

16 So, at this point Arjun, I'd like
17 to hand it off to you and Nicole. Could you
18 take it from here?

19 MR. ROLFES: John, before --

20 MR. MAURO: Arjun, before you speak
21 -- one second, Mark has something to say.

22 MR. ROLFES: John, have you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 provided copies of those interviews to NIOSH
2 yet?

3 MR. MAKHAJANI: That's the first
4 thing I was going to explain is not all of
5 this work is complete because we did a set of
6 interviews including some of the people who
7 stood up at that Board meeting and said you
8 know that they routinely take off their badges
9 and we were able to, you know, re-verify that
10 and detail, make detailed documentation of
11 the interviews.

12 But, we have had a significant
13 amount of difficulty getting approved text of
14 the interviews back from everyone. We have
15 many of them, and then we don't have many of
16 them. And it's been a lot of back and forth
17 trying to complete that process.

18 So, we now decided in the last
19 month that we could not wait any longer for
20 everything to be complete. And there's a
21 person on the line that I might request you
22 sent yours back please, check yours, and make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any changes, and send it back to us if you
2 please will.

3 But, including one petitioner we
4 have not heard from in terms of an approved
5 text. And so what we have done is -- and
6 this relates really primarily to the more
7 recent experience of workers.

8 And I can describe that briefly in
9 terms of some of the conclusions that were
10 indicated is that they reaffirm that they took
11 off their badges, but it was in quite a
12 different context than the 63 to 67 set that
13 we examined.

14 The major context seems to be that
15 workers were afraid that if they damaged their
16 badges three times, it was a three strike and
17 you're out policy that they believed. We were
18 not able to find any documentation about this
19 and they were not able to point us to anybody
20 that would.

21 It was apparently a common belief.

22 And so workers would have badges in their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 back pockets or take them off and put them in
2 their lunch pail, something like that at the
3 job.

4 And that process of investigations
5 is unfortunately not yet complete. What we
6 have decided to do is take the verified and
7 check interviews and compile them into a
8 summary. We've just finished that process and
9 then we compile the unverified interviews.

10 So we have the -- our process is
11 that we make a interview record and send it to
12 the interviewee for the approval and any
13 changes and corrections and so we've compiled
14 all of the ones that are corrected.

15 All the ones that have not been
16 corrected we compiled a summary from the
17 corrected ones. And we're looking for some
18 direction from the working group as to how to
19 proceed in the absence of a complete set of
20 interviews, including one from the petitioner
21 which is required of us and it was one of the
22 petitioners that actually was quite strong

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about this point.

2 MR. MAURO: Arjun, I have a quick
3 question. Is there any -- in this process is
4 there any DOE clearance type reviews required
5 of this material?

6 MR. MAKHAJANI: Well, there is a
7 DOE type clearance required for all interviews
8 obviously for Nevada Test Site and the
9 individuals that have completed -- I know
10 Kathy Demers is not on the line. I called her
11 and reminded her, but I forgot to call
12 yesterday, I called her this morning, and
13 she's in Washington State so she may not get
14 my message for a while. So, I will ask her
15 again when she does.

16 But my best memory of that is that
17 the summary of the interviews has gone through
18 the DOE process and the individual interviews
19 that have been finalized have also gone
20 through the DOE process.

21 I'm not 100 percent sure whether
22 there are interviews that have not been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 finalized have gone through the DOE process.
2 They may have. But that wouldn't be much use
3 because you have to go through the DOE process
4 again if and when they do get finalized.

5 So that we can definitely send
6 those. And this has recently happened, we can
7 send those to the working group for SC&A
8 review in short order. But we are not -- we
9 have not done the actual verification and
10 further analysis from the records of these
11 since we don't have a complete set. And
12 that's why I'm looking for some direction from
13 the working group about that.

14 MEMBER ROESSLER: Arjun, this is
15 Gen. After you get the complete set what is
16 your plan for doing the -- what is your
17 analysis plan?

18 MR. MAKHAJANI: Gen, we will pull
19 the records in a similar way that we have done
20 here and we also have -- at least one
21 interview that I recall doing personally with
22 a supervisor who did not take off his badge.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, he said he did not take off his
2 badge, although he knew that his colleagues
3 did, because his badge was not at risk of
4 being damaged given that he was a supervisor.

5 So we will make some kind of
6 comparative analysis similar to what we have
7 done here from the records, at least some of
8 the individuals who have said that they did
9 this in the later periods, in the 70's and
10 80's.

11 Now, we decided to separate these
12 periods Gen because from `63 to `67 there was
13 a separate ID badge and a separate film badge.

14 Well, in `66 they were joined together.

15 And it's always been sort of an
16 article of discussion that when the badge was
17 integrated it would be much more difficult to
18 leave it behind in your truck because you
19 wouldn't be able to enter the work place.

20 But, the phenomenon that we were
21 talking about in the later period was sort of
22 different workers said that they did things to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the badge to prevent damage to the badge like
2 putting it in the back pocket or in the lunch
3 pail that might be right near the place of
4 work and not say leave it behind the truck or
5 between lead bricks or something like that.

6 CHAIR PRESLEY: Arjun, this is Bob
7 Presley. How many completed datasets do you
8 have?

9 MR. MAKHAJANI: I think we have
10 about ten or a dozen. And we could proceed
11 with a partial analysis if you authorize us to
12 do that.

13 CHAIR PRESLEY: Well if you have 12
14 out of 14 I would say that's probably a pretty
15 good --

16 MR. MAKHAJANI: No, I didn't say 12
17 out of 14, I said I think there are a number
18 of interviews that I don't have any data from.

19 After the break I will be able to give you an
20 exact count Mr. Presley.

21 But there are a number of
22 interviews that we have not received back and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm not -- you know those 12 are not all
2 workers who said they took their badges off.
3 Some are petitioners, and some are workers who
4 took their badges off, and others are
5 officials, and supervisors and so on.

6 So, I could give you an exact count
7 after the break if you like. But we can
8 proceed the completed interviews. Or if you
9 like we could actually pull the records of all
10 of the claimants we interviewed and begin
11 analyzing that. It's not a large number of
12 them, maybe ten in all including completed and
13 incomplete.

14 MR. ROLFES: Arjun, do you have
15 copy of the questions that you went into the
16 interviews with, just to give an explanation
17 of what the interview was about, et cetera
18 that you could send to us?

19 MR. MAKHAJANI: Well, Kathy has
20 been managing and I don't have them in my
21 interview records. Why don't I -- why don't I
22 actually have the completed interviews and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 summary as well as the ancillary materials
2 prepared and forwarded to the working group in
3 a couple of days.

4 MR. ROLFES: I'm just saying to
5 keep in mind that we need to -- you know this,
6 because it's an important issue we need as
7 much detail as possible.

8 MR. MAKHAJANI: All right, you know
9 -- the question -- we will forward raw
10 interview records that is completed and when
11 the raw interview records it includes the
12 question that questions that were asked.

13 So the full interchange in terms of
14 what the question was and what the response
15 was is in the text of the interview itself.

16 MR. ROLFES: Okay.

17 MR. MAKHAJANI: And then in the
18 summary -- those interviews are the individual
19 interviews with the name and obviously you
20 know that's all privacy protected. But we
21 will send you the raw data that will have the
22 questions and Q&A essentially with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interviewee.

2 Then we have a summary, which takes
3 all of the issues in the interviews and
4 summarizes them by issue. So in that version
5 the questions are not there. But you will
6 definitely see the question.

7 MR. ROLFES: Okay, thank you.

8 MR. MAKHAJANI: Mr. Presley, we can
9 actually proceed with this work. It won't
10 take long, but we have not had the situation
11 before where we were not able to get back
12 critical interviews and so have not proceeded
13 to the next step, but we can do that.

14 CHAIR PRESLEY: Ted, do we have
15 money to do this?

16 MR. MAKHAJANI: Sorry?

17 CHAIR PRESLEY: I asked Ted if we
18 had the money to do this.

19 MR. KATZ: Yes.

20 CHAIR PRESLEY: We do have the
21 money to do this?

22 MR. MAURO: Well, by way of a -- I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 believe what we'll have to look is our
2 contract ends on December 1st. We have at
3 least a million or more money will be left
4 over.

5 CHAIR PRESLEY: Brad, what have you
6 got?

7 MEMBER CLAWSON: Well, we've got to
8 put this to bed one way or another. Now I
9 think one of the things they followed up with
10 requests -- Arjun, have you followed up with
11 these people to get these interviews back in?

12 MR. MAKHAJANI: Yes, we have made
13 repeated follow-ups by phone, by letter, by
14 email and you know it's -- we've even thought
15 of going and knocking on the door but we have
16 not done that.

17 CHAIR PRESLEY: Okay, Gen?

18 MEMBER ROESSLER: When do you think
19 you'll have it finished Arjun?

20 MR. MAKHAJANI: Well, you know I am
21 not confident that we will get our interview
22 records back and my recommendation to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 working group would be that we go ahead and
2 pull the records of all the interviewees that
3 are claimants and make an analysis and then
4 submit to the working group and the Board and
5 NIOSH these presets.

6 You know, I am looking for guidance
7 because this is completely unprecedented that
8 this has happened. The completed interviews,
9 the summary that is based on the completed
10 interview and the separate file, or all the
11 uncompleted interviews that I do not believe
12 we can actually put into any analysis.

13 But we can certainly analyze the
14 records of these workers.

15 CHAIR PRESLEY: Mark, has this
16 already been done during the interviews?

17 MR. ROLFES: I'm sorry, could you
18 repeat the question Bob?

19 CHAIR PRESLEY: Has any of this
20 already been done when we did the claimant
21 interviews?

22 MR. ROLFES: I'm not sure what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you're referring to?

2 MR. MAKHAJANI: These are new
3 claimant interviews that we did after the
4 Board meeting at which the workers stood up,
5 you know there were a number of welders as you
6 remember Mr. Presley who stood up and they
7 said that they had done that.

8 And you had authorized us to
9 conduct a post board meeting inquiry into that
10 and that included a extensive set of
11 interviews. I think we were in Las Vegas for
12 two or three days and actually Billy Smith was
13 one of the people we interviewed then.

14 And so this happened after the
15 Board meeting. And so the analysis of these
16 records had not been done. The document you
17 see before you is a separate set of records.
18 And I'll let Nicole tell us how she pulled
19 them.

20 CHAIR PRESLEY: Wanda, have you got
21 anything or Phil?

22 MEMBER MUNN: No, my only concern

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is whether if we go over this material now, we
2 will simply have go over an extension of the
3 same material later.

4 From my perspective the real
5 question here is do we want to accept the work
6 that has been done as being adequate. And
7 that simply raises the question does SC&A
8 consider the work that has already been done
9 adequate for their purposes in reporting. If
10 they do not then we have a problem.

11 MR. MAURO: Wanda, this is John
12 Mauro. I consider these two lines of inquiry
13 completely independent and separate. The
14 interviews that Arjun described is one way to
15 come at the problem and get information that
16 might be valuable to the Work Group.

17 Independent of that, what we get
18 that from that is the report that you have in
19 front of you, which is complete, and which has
20 information that I believe is valuable to the
21 Work Group in terms of it reveals whereby ten
22 workers independently pulled, has nothing to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do with the interviews now, workers that were
2 selected where we went into their records.

3 And as we move through this you
4 will see the results. So, this is a stand
5 alone document. For example, in principle,
6 even if we never have done any interviews
7 whatsoever, the line of inquiry that Arjun is
8 referring to this document would still have
9 great value, the one we're looking at right
10 now.

11 MR. MAKHAJANI: Yes, I mean, John,
12 let me explain this. You know as I said
13 earlier there are two separate periods that
14 were sent to workers that we're talking about.

15 The analysis before you is complete
16 and this will not have to be revisited. There
17 was only one claim out of ten in which we had
18 some questions and we're not sure what the
19 answer to that is and I'll explain that.

20 But, we will have questions about
21 nine out of ten records and three out of four
22 years in the one case that we had questions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about.

2 So, the second set that we will be
3 talking about relates to the group of workers
4 who were different set and more recent after
5 the integrated badge was introduced mainly you
6 know from workers that we say welders and
7 associated field workers who were afraid that
8 their badges would be damaged.

9 What I was suggesting is that an
10 analysis parallel to this be done even though
11 not all of the interviews are complete. And
12 then that of course would go with the set of
13 interviews.

14 And in a way of -- would have a
15 persuasive power in our results because it is
16 accompanied by interviews that have claimant
17 record analysis along with that.

18 This is based on claimant analysis
19 files and you know as an analysis it stands
20 completely on it's own. The document you have
21 for 63 and 67.

22 CHAIR PRESLEY: Gen?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MAKHAJANI: And I would
2 recommend you know that we go ahead and
3 complete that work because it's an important
4 part of the petition and it was a very
5 important statement made before the Board and
6 that we go ahead and finish that analysis so
7 the Board will have a document that
8 corresponds to some statement that we made
9 before that are very important.

10 CHAIR PRESLEY: Gen has the floor.

11 MEMBER ROESSLER: I think having
12 come this far on the interview process that we
13 do need to complete it. But I'm -- it's easy
14 for me to understand looking at the hard data
15 and the film badges and the PICs and coming up
16 with a conclusion.

17 It's harder for me to think about
18 what your analysis is going to be and your
19 conclusion. I would hope you have a
20 conclusion after the interviews. It's an
21 entirely different approach to evaluating the
22 problem. Basically I think we have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 continue with it.

2 MR. MAKHAJANI: Well Gen, from the
3 interviews I can tell you that there was a
4 pretty uniform conclusion from the workers
5 themselves and their supervisor. Now, we also
6 interviewed you know one person who was in the
7 health physics.

8 Can I name a person who was part of
9 the oral --

10 MEMBER ROESSLER: You probably
11 don't have to.

12 CHAIR PRESLEY: No.

13 MEMBER ROESSLER: I think we know.

14 MR. MAKHAJANI: No, okay and so
15 there was at least one interview in which --
16 well official, of a person in an official
17 capacity who said that this did not happen.

18 MEMBER ROESSLER: He's no longer
19 available.

20 MR. MAKHAJANI: However, the
21 workers themselves who stood up and at least
22 one supervisor there was a pretty uniform set

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of statements as to what they did and why they
2 did it.

3 It's very different from the
4 statement that was made that badges were taken
5 off to hide or reduce the total dose because
6 the dose was coming up against the dose
7 limits.

8 In this case workers did not say
9 that, they said that they took off their
10 badges because they were afraid that the
11 badges would get damaged and that they have
12 had that happen three times they would lose
13 their jobs or be sent --

14 MEMBER ROESSLER: And one more
15 quick question then I think we'd probably
16 better carry on. So what you're saying in
17 this latter group, the interview group, is
18 it's a different time period and a different
19 set of workers than the one's we're going to
20 talk about --

21 MR. MAKHAJANI: Yes, there's a
22 certain time period of certain workers had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different reasons for taking off the badge.

2 MEMBER ROESSLER: So we could come
3 up with two different conclusions based on the
4 two different reports?

5 MR. MAKHAJANI: I do not believe
6 that the latter analysis will effect what
7 you're looking at in any way. So I do not
8 believe that we have to revisit this
9 particular --

10 CHAIR PRESLEY: Larry Elliott?

11 MR. MAKHAJANI: We may want to look
12 at more workers in this set, and that's up to
13 you of course. We've looked at ten. But I
14 don't think the two analyses have anything to
15 do with each other. They are about different
16 sets of workers, different reasons and
17 different periods.

18 CHAIR PRESLEY: Larry.

19 MR. ELLIOTT: Arjun, this is Larry
20 Elliott. I'm just curious to know in your set
21 of interview questions on this set of ten did
22 you include a question on where these events,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where they removed the badge happened at the
2 site?

3 MR. MAKHAJANI: No, this set of ten
4 was not interviewed. This set of ten is
5 simply pulling records of workers and
6 following, you know, in a way --

7 MR. ELLIOTT: Okay, the question
8 still remains, did you --

9 MR. MAKHAJANI: -- and did this
10 kind of comparing taking the records. And
11 there are no interview records associated with
12 this.

13 MR. ELLIOTT: But did you ask the
14 question where, because they could be on the
15 site in a situation where they don't -- where
16 the badge is not needed in a rad control area.

17 MR. MAKHAJANI: We didn't find
18 significant issues in this set of ten. So
19 actually --

20 MEMBER ROESSLER: No, we're talking
21 about different sets of ten.

22 CHAIR PRESLEY: Larry is asking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the next set.

2 MR. ELLIOTT: For the interviews
3 you did -- for the interviews that you've done
4 that we haven't seen the results of did you
5 include a question about where on the site
6 they might have been when they took their
7 badge off to protect them from being damaged?

8 MR. MAKHAJANI: I am very sure that
9 we did, you know, but the process has gone back
10 and forth. I must say I haven't read the
11 interview in a little while. I just wanted to
12 report the status to you and I had to go back
13 and check. I am pretty sure we knew what they
14 were doing.

15 MR. MAURO: I might be able to help
16 out a little bit here. Coming to the meeting
17 today our intention was not to talk about that
18 as you can see.

19 MR. ELLIOTT: There's a lot of open
20 questions.

21 MR. MAURO: Yes, because just to
22 let you know it's part of the process and it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 still on the way. Our intention was to
2 describe the results of the report that's
3 before you right now which has nothing to do
4 with that, and the results that it has.

5 I apologize, I wish we would be
6 able to give you a nice story to tell about
7 what we found out. Everything you're asking -
8 -

9 MR. ELLIOTT: It piques our
10 interest.

11 MR. MAURO: Yes, I don't blame you.
12 And we are very interested too, but
13 unfortunately there was steps along the way
14 that just tripped us up.

15 MR. MAKHAJANI: I am sorry that
16 this got rather lengthy, and maybe it's my
17 fault. I didn't quite separate the two
18 analyses there. They are very independent and
19 we will send you -- Larry we will send you the
20 completed interview records and summary in a
21 couple of days.

22 MR. ELLIOTT: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ROBERTSON-DEMERS: Arjun, this
2 is Kathy Robertson-Demers.

3 MR. MAKHAJANI: Thank you, can you
4 answer Larry's question?

5 MS. ROBERTSON-DEMERS: Yes, we went
6 through a series of questions on where they
7 were, whether they were actually in posted
8 areas and so on and so forth. So we tried to
9 get to the bottom of --

10 MR. KATZ: I'm sorry Kathy, let me
11 just -- I'm sorry Kathy, let me just
12 interrupt. Someone again is listening to the
13 call without their phone on mute and we can
14 hear you breathing and it's completely
15 squelching Kathy's remarks. Thank you.

16 MS. ROBERTSON-DEMERS: Okay, do you
17 want me start over?

18 MR. KATZ: Yes, that's great, thank
19 you.

20 MS. ROBERTSON-DEMERS: Okay, when
21 we interviewed them we tried to get to the
22 bottom of whether they were in radiological

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 areas or not. So we asked a series of
2 questions about where they were, how far from
3 the radiological source they were. Whether
4 they were in a posted or an unposted area and
5 so on and so forth.

6 That kind of information is
7 included in the interview.

8 MR. ELLIOTT: Thank you, thank you
9 Kathy.

10 MR. MAKHAJANI: Yes, and Kathy
11 could you send the completed interview set
12 that has been verified along with the summary
13 to Ted and -- has Nancy formatted everything?

14 MS. ROBERTSON-DEMERS: I haven't
15 been on the email.

16 MR. MAKHAJANI: Okay, we will send
17 it to you in a couple of days.

18 MEMBER MUNN: This is Wanda and I -
19 - can you hear me?

20 CHAIR PRESLEY: Yes Wanda.

21 MEMBER MUNN: Okay, just one
22 question before we leave this. When this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 investigation is complete and we do have the
2 SC&A information in hand are we still going to
3 be in a position where we can take a valid
4 position that the information we have is now
5 adequate. That's my real concern.

6 Our earlier expectations were that
7 the information that had been gathered prior
8 to this time was adequate enough to get a
9 rough feel for how extensive these types of
10 activities were, if they did in fact occur.

11 Now, there were questions raised
12 with regard to that conclusion and it was from
13 my understanding at the outset that these
14 investigations that are being undertaken were
15 partly to address that specific issue.

16 I have not heard anything so far
17 this morning that leads me to believe that
18 that question would not still be a issue. Am
19 I correct in my assumption?

20 MR. MAURO: Wanda, this is John. I
21 could take a shot at that. The challenge that
22 we have here is when you look at the records -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 - let's put the interviews aside and
2 statements made and affidavits and just look
3 at the records.

4 And the intent here is that is
5 there anything in the records that would
6 indicate a widespread practice. Now, we're
7 going to get into these. I don't want to
8 prejudge them, but the way I look at them is,
9 is there anything in here when we look at case
10 one, case two, case three that says, it look
11 here's a consistent pattern where people have
12 high PIC readings and very, very low zero film
13 badge readings.

14 That in my mind would be --
15 especially because the economy selected it
16 would be indicative that it might have been
17 widespread practice if we saw that.

18 Now, if we don't see it and you'll
19 make your own judgement when you look at it.
20 If we don't see it that means well if it's
21 going on we didn't catch it. So, in effect
22 the work we're doing right now and that we'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be talking about and this probably goes also
2 toward any follow-up of the records of the
3 workers that are being interviewed separately,
4 we're never going to be able to prove the
5 negative.

6 All we have to do is look real hard
7 to see if the positive is there. That is look
8 real hard to see if something looked amiss.
9 And if we can't find it doesn't mean it didn't
10 exist, all it means is that we couldn't find
11 it.

12 And at that point we're in a
13 difficult position of making a judgement of
14 what a Work Group is and what do we do with
15 that information. We're never going to be
16 able to prove the negative, all we can do is
17 say we cannot find the positive.

18 MR. RICH: I think that's right and
19 ultimately it's going to be -- once you have
20 the analysis then it's going to be a judgement
21 call on the part of the Working Group or the
22 Board of course.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MAURO: It's almost a due
2 diligence that is I think quite frankly the
3 Board or Working Group or all of us are doing
4 everything we can to probe the records to see
5 if there's any way we can find a way -- to see
6 if things don't look right.

7 And when you're done then you're
8 wrestle with the hard decision well, if
9 there's anything about it that we just looked
10 at that indicates that we do really have a
11 problem here or is the evidence just not
12 there.

13 And then you know it's a matter of
14 due diligence to weigh the evidence as always.

15 And so I'm hoping that after we go through
16 the ten cases that we have in front of us
17 right now that was done by Arjun and Nicole,
18 you folks can see the tables, see the
19 comparisons between PIC and film badge, and
20 make judgements for yourself whether or not
21 there is any indication here that something
22 looks amiss.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MAKHAJANI: Yes, I think that
2 is right. I think it maybe -- you know my
3 suggestion Mr. Presley would be we go through
4 these cases so the discussion is less abstract
5 and it will be clearer at least for the
6 earlier period where we can go with this.

7 CHAIR PRESLEY: Okay, let's go
8 ahead and start through the cases and we'll
9 make our decision down the road.

10 MR. MAKHAJANI: Yes, we picked
11 these ten cases at random and the overall
12 objective as John has stated was to compare
13 the results of the PIC which we know can be
14 sort of not as reliable as the film badge
15 results. But it seems as though it's a
16 systematic pattern of the PIC results being
17 higher than the film badges. And also to see
18 whether the PICs were worn and reported or
19 whether there was some problem with them, or
20 gaps with the PIC results.

21 Let Nicole explain how those cases
22 were picked and what those three scenarios

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were that we have three scenarios that we
2 examined in relation to these ten workers.

3 Nicole, you want to tell the
4 Working Group how those ten workers were
5 chosen?

6 MS. BRIGGS: Sure, Arjun if I can
7 make a minor correction. These cases, these
8 ten cases were not chosen randomly because we
9 were looking at very specific time periods.

10 MR. MAKHAJANI: That's right.

11 MS. BRIGGS: We were looking at
12 specific job categories and we were also
13 limited to the cases which had available area
14 access registered data. Those area access
15 register forms that contained the daily
16 accounts of the PIC data.

17 So, with all of those limitations
18 we really couldn't pick a random sample. So
19 they were really handpicked according to what
20 data we had available and for the very narrow
21 time period we're looking at which was 63 to
22 67.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We decided to take advantage --

2 MR. KATZ: I'm sorry, let me
3 interrupt you again. I'm very sorry but and I
4 think the Work Group is losing it's patience.

5 There's someone who is listening on the phone
6 who has again taken themselves off mute and
7 we're listening to your breathing. And if we
8 have to we'll cut the line for you. So please
9 keep -- stay on mute, thank you.

10 MS. BRIGGS: Okay, I'll continue.
11 We decided to take advantage of a large amount
12 of data that we collected for another NTS
13 petition investigation where we collected data
14 for 120 cases.

15 So, the ten case studies from this
16 badge issue analysis were chosen from that
17 data set.

18 MR. MAKHAJANI: See that's where my
19 error arose because that data set was picked
20 at random.

21 MS. BRIGGS: Right, the 120 cases
22 that we used for our investigation were chosen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 randomly. And then we essentially hand picked
2 these ten case studies from that set based on
3 like I said specific job categories, the time
4 period of 63 to 67 and also what really
5 limited us was the number of cases that had
6 these available area access register data
7 forms.

8 So that really narrowed down the
9 number of cases that we could pull from. We
10 decided like I said ten case studies. We
11 chose the worker categories based on worker
12 categories we used for our other analysis.

13 Lynn Anspaugh helped us choose
14 these worker categories, which those workers
15 may be at a greater risk of taking part in
16 this practice. Those categories include
17 miners, radiation safety workers which include
18 health physicists and radiation monitors,
19 welders, laborers, security personnel, and
20 also the category which we call wiremen, but
21 we also decided to include carpenters in with
22 the wiremen group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we chose of the ten three
2 miners, two radiation safety workers, two
3 welders, one laborer, one security guard, and
4 one wiremen. So this just gives us a sort of
5 an overview and we did a very detailed case
6 study that each of those workers where we
7 pulled from the 63 to 67 time period all of
8 the film badge data and all of the available
9 PIC data that were in the case records for
10 these workers and compared them side-by-side.

11 I guess we could summarize it. Of
12 the ten we really only found one case that had
13 any kind of discrepancy in the data. All of
14 the PIC data --

15 MR. MAKHAJANI: Nicole, let me
16 interrupt you.

17 MS. BRIGGS: I'm sorry, go ahead.

18 MR. MAKHAJANI: By discrepancy we
19 mean where the PIC results seemed to be quite
20 a bit higher than the badge results. That's
21 what we mean. Go ahead Nicole.

22 MS. BRIGGS: Okay, if you'd like we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can go case by case. You know what I'll do,
2 I'll discuss the scenarios that we found.

3 CHAIR PRESLEY: This is Bob
4 Presley, wait just a minute.

5 MS. BRIGGS: Sure.

6 CHAIR PRESLEY: We've had one
7 question. What's a wiremen?

8 MS. BRIGGS: That's their term for
9 electrician.

10 CHAIR PRESLEY: Okay.

11 MS. BRIGGS: But that's how they
12 were described in the case records as wiremen.

13 CHAIR PRESLEY: Okay, thank you.

14 MS. BRIGGS: Okay, after we looked
15 at the data we -- oh well when we looked at
16 all of the data the cases could fall into
17 three possible scenarios.

18 One is if all of the workers PIC
19 data readings totaled zero then there was
20 really no further investigation because if the
21 -- if the PIC -- if the film badge was zero
22 and the PIC data was zero there was really no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 further investigation.

2 And many of those cases we did see
3 fall into that category. And the second
4 category are workers that had PIC readings
5 below 100. If the -- the policy I believe at
6 the time was that the PIC readings read 100 or
7 above for a given shift or a given day. That
8 worker -- soon that data was pulled for
9 analysis to confirm the reading on the PIC
10 with the film badge.

11 And any of the -- so the film
12 badges weren't read for that day. If they had
13 a PIC reading that was below 100 then the film
14 badge wasn't necessarily pulled. So, we don't
15 have the fine detail in terms of the data.

16 The most important instance is the
17 PIC reading for above 100 for a given worker
18 and that means that most likely their film
19 badge would have been pulled for that day and
20 analyzed on that day. And we had a really
21 good -- in those instances we can compare
22 directly from the film badge to the PIC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 readings.

2 Let's see, I think we have five of
3 the ten case studies fell into this category
4 where there were elevated PIC readings. I
5 guess I could go case by case Arjun if you
6 think --

7 MR. MAKHAJANI: Why don't you do
8 that. Why don't you go through those five,
9 just go by case by case. It will be fairly
10 rapid I think.

11 MS. BRIGGS: Okay, we'll go quickly
12 through these ten cases.

13 MR. MAKHAJANI: It's very
14 important.

15 MS. BRIGGS: Can I mention the case
16 numbers. Is that okay?

17 MR. MAKHAJANI: No.

18 MS. BRIGGS: No, okay. Let's see,
19 one case was a miner and let's see all of his
20 PIC readings were from October of '67.

21 MR. MAURO: Excuse me, Nicole?

22 MS. BRIGGS: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MAURO: I think you can make
2 reference to the table number in the report.
3 Everybody has got the report in front of them.

4 MS. BRIGGS: Okay, you know what
5 you have -- not all of the data is presented
6 in -- I guess I'll go through the --

7 MR. MAKHAJANI: The data went
8 through -- its' either one, two, three, four.
9 Just start at the top with the first person
10 whose data we examined and whose serially and
11 everybody will know.

12 MS. BRIGGS: Okay, the first worker
13 was a miner and that's on Table A-1. And you
14 can see we put side by side the area access
15 register data next to the film badge data.

16 I guess I'll work through one line
17 of data so you can see how we did -- how we
18 were looking at this.

19 In all of the area access registers
20 data for this individual was in 1967. Like I
21 said, we didn't have PIC data necessarily for
22 every year for this time period and not even

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the whole year. I believe that the area
2 access registers were put into place for very
3 specific periods of time through very specific
4 activities that were going on site.

5 So for this particular worker the
6 majority of the PIC data comes from October of
7 1967. And in that PIC total is 250 millirem
8 and we can compare that to the total film
9 badge readings from this worker for October of
10 1967 which is 285.

11 And as you can see those values are
12 very close and the film badge reading is
13 actually above or higher than the PIC reading.

14 So in this particular case there doesn't seem
15 to be any discrepancy.

16 And I'll move on to the second case
17 which was another miner on Table A-2. For
18 this worker all of the PIC data came from
19 October and May of 1963 and their PIC readings
20 totaled 140 millirem and the film badge data
21 for those months totaled 350 millirem.

22 So, as you can see again we have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 situation where the film badge data is well
2 above the PIC data and there doesn't seem to -
3 - there's no discrepancies between this data.

4 Let's see, the next case is our
5 third miner, case A-3. This individual had a
6 lot more PIC data available. For I guess it
7 looks like it's the last quarter from
8 September to December of 1967 which totals,
9 let's see I guess it's 1,860 millirem. And
10 all of the film badge reading from the entire
11 year of 1967 for this individual totaled
12 1,525.

13 There is obviously the film badge
14 data is slightly lower than the area access
15 register data. But it's not too -- it's not
16 far enough I guess of a difference. It was
17 our understanding that the PIC data is
18 actually a much more crude method of analysis
19 than the film badge.

20 Arjun, maybe you can step in with
21 that. Is that a valid assumption that we were
22 going on. That the film badge data is even --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is just slightly --

2 MR. MAKHAJANI: Sorry, I was on
3 mute. Yes, that's right. You can proceed on
4 that basis.

5 MS. BRIGGS: Okay, as we work
6 through with our next case. This next
7 individual for Table A-4 is a health
8 physicists. And he had a tremendous amount of
9 data. Both film badge data and PIC data.

10 We found that to be the case for
11 all of our investigations. The radiation
12 safety workers often had a tremendous amount
13 of data to look at. So much so that I decided
14 to collapse the data into Table 1 of the main
15 body of our report. And we can compare the
16 PIC totals year by year to the film badge
17 totals.

18 And in each instance the PIC total
19 were much lower than the film badge totals.
20 And so there didn't seem to be any
21 inconsistencies with this case.

22 MR. MAKHAJANI: Again, just for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 record and for clarity, when we say
2 inconsistency we don't mean that no
3 inconsistency, we don't mean to say that the
4 readings were equal.

5 Since we're looking for evidence
6 that film badges were taken off the criterion
7 for this is film badge readings are much lower
8 than the PIC readings. So, the film badge
9 readings are much higher than the PIC readings
10 we don't investigate that, or look into it
11 because there's no evidence that film badges
12 were taken off.

13 MEMBER ROESSLER: Nicole, this is
14 Gen. I think you meant you collapsed it into
15 Table 2 in case anybody is following along.

16 MS. BRIGGS: I'm sorry, I misspoke.

17 Okay, I'll move onto our next case in Table
18 A-5, which is a laborer. And this individual
19 had PIC readings mostly for 1965, and some
20 readings in 1966.

21 And we compared the film badge
22 readings -- we decided to focus just on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 1966 readings were all very low. We decided
2 to just focus on the 1965 readings.

3 And let's see, there really didn't
4 seem to be any inconsistencies here. I think
5 the totals for 1965, let's see -- oh here we
6 go. The total for '65 particularly in -- I
7 guess it was July and August, I think we
8 decided to focus on July and August. There
9 wasn't that much of a -- there were no
10 inconsistencies between the totals there.

11 MR. CHU: You don't have the film
12 badge reading on this table, is that correct?

13 MS. BRIGGS: On Table A-5, I have
14 the film badge readings for this individual.

15 MEMBER ROESSLER: On the right hand
16 side.

17 MR. MAKHAJANI: In the attachment
18 tables actually the readings are the
19 individual film badges, right Nicole? I mean
20 where we're doing the comparisons you have the
21 individual PIC readings. And when the film
22 badges is read you have the reading at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time that it is read.

2 MS. BRIGGS: Right, yes. Yes, the
3 film badge data for these cases were presented
4 by issue date, which means that the period
5 that's represented by that value is the period
6 between issue dates. And that's what we were
7 comparing.

8 We were comparing the totals and
9 then in the instances where the film badges
10 were pulled or there was any kind of
11 elevation, we looked at the specific film
12 badge period that would coincide with that day
13 that the PIC was read.

14 MR. MAKHAJANI: Another way to
15 understand some of these tables -- because the
16 film badges were not always pulled as Nicole -
17 - can you hear me, am I on mute or not?

18 MS. BRIGGS: Yes, we can hear you.

19 MR. KATZ: We can hear you Arjun.

20 MR. MAKHAJANI: The -- when the PIC
21 readings were less than 100 and the film badge
22 was not read at that time you look at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cumulative PIC readings from the days for the
2 period representing the film badge readings.

3 So, the film badge was read once a
4 month. You had the PIC readings for that
5 month and compare it to the film badge
6 readings. So, that can also be done and we
7 have done that. And you know when you
8 accumulate the PIC readings and compare it to
9 the film badge readings, that's the relevance
10 of comparing the periods.

11 And then when you have the reading
12 of a PIC that's more than 100 you can compare
13 the individual badge readings or the
14 individual PIC readings. But you can't always
15 do that because the badge wasn't always read
16 every day.

17 MS. BRIGGS: Okay, I'll continue
18 with our cases. We're almost through. Table
19 A-6, this case was a welder. All of his PIC
20 data came from November and December of 1965
21 and it totaled 1,150 millirem, compared to his
22 film badge data for those months, which was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 1,250 millirem. Again, they are in parity
2 there. So there's no inconsistencies for this
3 case.

4 Table A-7, this individual was -- I
5 believe he was a health physicist. For this
6 case is the only case where we saw some
7 inconsistency between the PIC data and the
8 film badge data. Again, he was a health
9 physicist so he has a tremendous amount of PIC
10 data from 1965, 1966, and 1967. So we had a
11 lot to compare.

12 One of the issues that we found
13 with this case is the -- this individual often
14 did not check out on the PIC forms. At the
15 beginning of the shift, the worker would sign
16 in and put the date that he entered the site.

17 And then at the end of his shift he would
18 sign out and put the time that he signed out
19 and also write down his PIC readings.

20 We found that, for this case there
21 were a lot of blank spots. This worker would
22 check in and then not check out. Now one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the issues that we found was that many of
2 these workers, particularly the radiation
3 safety workers worked double shifts.

4 So what we would do is check on the
5 next shift to make sure that they checked out
6 on the second shift. But for this worker we
7 noticed that he didn't sign out at all. And
8 there were -- even though he checked in with a
9 PIC he didn't necessarily sign out.

10 And I think we saw that on a number
11 of occasions. I think about 20 different
12 occasions over the course of the three years
13 where that occurred where he didn't
14 necessarily sign out.

15 And also one of the other
16 inconsistencies we noticed is the 1967 PIC
17 data is 750 millirems greater than the film
18 badge data. Again, that doesn't necessarily
19 mean that there was -- doesn't mean that they
20 were hiding the badge, but we just were
21 indicating that there was an inconsistency in
22 that data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MAKHAJANI: The relevance of
2 this particular case is the combination of the
3 higher PIC reading in one year and the
4 frequent practice of this individual not to
5 log out. And so yes, this was the only
6 problem, question that we found.

7 We have not further analyzed, and
8 you know we look for guidance. We want
9 further analysis as to the cause of this. We
10 have not attempted in any way to contact the
11 individual or don't know if, you know, they
12 are alive, or -- you know we have not done --
13 at least I don't know. And Nicole do we know
14 if the person is alive or?

15 MS. BRIGGS: I could look it up.
16 We could check their records.

17 MR. MAKHAJANI: But we have not
18 attempted to --

19 MS. BRIGGS: No, we haven't done
20 that.

21 MEMBER ROESSLER: Arjun or Nicole,
22 what would be the implication of him not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 checking out. I mean what would be the
2 rationale. I can't quite get it.

3 MR. MAKHAJANI: You know it may be,
4 and you know people from NIOSH and ORAU,
5 people who were there and Billy Smith might
6 want to comment on this. But, one implication
7 could be that you know if you don't check out
8 with your PIC and you are also trying to kind
9 of not report your full film badge dose then
10 there might be an issue there.

11 Now it might also be that the
12 discrepancy is simply a technical issue with
13 the PIC readings and we can't second guess as
14 to why the individual did not check out
15 because it could be a lot of different
16 reasons. And you know until we have some
17 information for that person it's very
18 difficult to tell.

19 So, at this stage, you know, this
20 was an exercise where we were wanting to
21 report any issue that came up that may
22 indicate a problem but not -- this is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conclusory in any sense that we're saying that
2 there is a problem. It's just we're reporting
3 that this was the one time in one year where
4 there was a question that arose.

5 MR. SMITH: This is Billy, point of
6 clarification if you don't mind.

7 MR. MAKHAJANI: Sure, please.

8 MR. SMITH: Common practice for
9 people going into a radiological area was that
10 we called them radiation monitors, monitors,
11 they are now called RCPs.

12 Monitors would take the
13 individual's security credential, fill out the
14 access log and issue the PIC. When the person
15 would leave the area, they would provide that
16 PIC information, or the PICs to the monitor
17 and he would read that and enter it on the
18 log. So there was no signing in if you will
19 and signing out by the individual worker.

20 MS. BRIGGS: Okay.

21 MR. MAKHAJANI: Nicole will you
22 clarify -- we did find log entries for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everybody else, right?

2 MS. BRIGGS: Yes, there were log
3 entries, yes. I guess signing in was a bad
4 term. They were indicated on this form that
5 they had entered this area, were issued a PIC,
6 and then, at the end of the shift, their PIC
7 reading was logged in and the time that they
8 exited the area was also logged in.

9 And like I said, it happened to be
10 for this one health physicist worker, there
11 were 20 case instances where he essentially
12 never checked out. Where the PIC that he was
13 issued for that day, the number was not
14 entered in.

15 MR. MAKHAJANI: Yes, so that's a
16 specific example. If you look at the entry on
17 3/6/1967 you'll see the comment column says no
18 PIC data, did not time out. And then, you
19 know there are PIC data in other dates in
20 March and April. And you go down to 5/5/1967
21 again it says no PIC number, data no time out.
22 So that's what we mean, Billy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. CHU: But there appears to be a
2 PIC reading on the one that you just read,
3 Arjun.

4 MR. MAKHAJANI: 5/5?

5 MR. CHU: No, you read the one on
6 5/31.

7 MR. MAKHAJANI: No, I said
8 5/5/1967.

9 MR. CHU: Yes, well that's where he
10 had no PIC, no id number, and no time out.

11 MR. MAKHAJANI: Yes, both the
12 columns are blank. And the other one that I
13 said was 3/6/1967.

14 MR. CHU: My point is that at other
15 locations where you said he did not time out
16 and there's no PIC numbers, but there is a PIC
17 reading.

18 MR. MAKHAJANI: I only have two --
19 maybe I made a mistake in what I said. I
20 thought I only read two different rows.

21 MS. BRIGGS: Well there are some
22 cases where the PIC reading is there and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individual just didn't write a time out time.

2 Right, that wasn't included in our -- we took
3 that into consideration.

4 But there were many instances where
5 the person did sign in or, whereas this
6 person's name was put on the access register
7 with a time in or sometimes it just says name
8 was there to indicate that he had I guess
9 entered the area, but there was no time out
10 and no PIC reading. Those are the instances
11 that we were focusing on.

12 MEMBER CLAWSON: Hey, Billy, this
13 is Brad Clawson.

14 MR. SMITH: Yes, Brad?

15 MEMBER CLAWSON: How did Nevada
16 Test Site deal with abnormalities like with
17 your PICs. What I'm trying to figure out here
18 is I know that they were very sensitive a lot
19 of times and I know, in very strenuous work or
20 so forth like that they can be dropped and so
21 forth and they'd either go off-scale or they'd
22 zero out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 How did they handle that down
2 there?

3 MR. SMITH: The first thing they
4 would do is that they would indicate whatever
5 the reading represented on the PIC and then
6 look at the other people that were working
7 alongside the individual to see whether or not
8 that reading made any sense.

9 Then they'd start up a preliminary
10 investigation to see whether or not there was
11 any particular cause for the PIC reading to be
12 as high as it possibly was.

13 So the fact that those changes are
14 really substantive is the biggest concern if
15 you have -- particularly when you're working
16 either on a drilling or underground or
17 something like that. But there were
18 investigations and entries could have been
19 made, would have been made on the access logs
20 by the RPT if there was a problem with the
21 PIC.

22 MEMBER CLAWSON: Okay, I was just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wondering because I know we've got into
2 situations where we've hit them or something
3 with a wrench and the reading was way out from
4 what it was. And they just -- in our sense
5 they just followed with what our badge reading
6 was

7 I was just trying to come up with
8 --

9 MR. SMITH: What would happen. I
10 mean the person is still there it would have
11 been exchange if they had expected if he had
12 gone over 100 mr.

13 CHAIR PRESLEY: In this case
14 there's one on here that's like that where on
15 5/1/1967 he has no PIC reading. But it says
16 that his badge was pulled and his badge
17 registered 265 mr. And it says that his
18 badge was pulled, I think. Robert, do you
19 have anything?

20 MR. MORRIS: Yes, I would like to
21 make a point. I think I've heard you say in
22 the past, Billy -- this is Robert Morris,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 excuse me, that sometimes the access logs were
2 filled out ahead of time with expected people
3 who would be on the site?

4 MR. SMITH: That's true.

5 MR. MORRIS: And the fact that
6 there may be a name on there with no entry
7 time or exit time might be a fact that they
8 anticipated the person being there and that
9 person did not show?

10 MR. SMITH: That's true.

11 MR. MORRIS: Do you think that that
12 could have been a factor in some of these
13 confusing entries, Nicole?

14 MS. BRIGGS: Sure, that's certainly
15 a possibility, sure. Yes, I wasn't aware that
16 that was done.

17 MR. MAKHAJANI: As I said, we
18 haven't you know attempted to contact co-
19 workers or interview this person or anything
20 like that.

21 MR. CHU: Bob, going back to yours
22 and if you're tracking it. This badge was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pulled on 5/29 and the period that you talked
2 about, 265, covered 5/1 to 5/31.

3 CHAIR PRESLEY: Right, right.

4 MR. CHU: So, it looks like we have
5 the 30, 20, and 65 and the 150 that's pretty
6 close to that number.

7 CHAIR PRESLEY: Nancy?

8 (No response.)

9 CHAIR PRESLEY: Nicole?

10 MS. BRIGGS: Yes?

11 CHAIR PRESLEY: You want to go
12 ahead?

13 MS. BRIGGS: Okay, I guess we can
14 complete. The last three cases we actually
15 had very limited area access data for Table A-
16 8 for the security guard.

17 As the table indicates there was --
18 although the area access register sheets were
19 there, there was no data on those sheets.

20 Table A-9, he was a wiremen or an
21 electrician. He only had one data point for
22 his area access register which read zero so we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 didn't have much data to work with there.

2 And the last one, Table A-10, that
3 individual was a welder and there was only two
4 pieces of data for his area access registers.

5 And so we didn't have much data to work with.

6 That, I think, is really what we
7 were limited by with how many of the cases
8 actually had area access registered
9 information for us to work with.

10 MR. MAKHAJANI: And Nicole, if I
11 might ask you this about this data set. Of
12 the 120, how many has area access data, and we
13 picked ten.

14 MS. BRIGGS: Right, you know I
15 don't know off-hand but I could look into
16 that. I know we were, you know we didn't have
17 much to choose from.

18 MR. MAKHAJANI: Okay, fine.

19 CHAIR PRESLEY: And the conclusion
20 of this is?

21 MS. BRIGGS: Excuse me?

22 CHAIR PRESLEY: And the conclusion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of this is?

2 MR. MAKHAJANI: Well, Mr. Presley,
3 the conclusion is that you know we examined
4 ten cases and as Nicole had said we were
5 limited largely by the area access register
6 data.

7 And out of these ten cases, in nine
8 cases we did not find any evidence that the
9 film badges were being taken off because film
10 badge readings were about the same or higher
11 than the PIC readings.

12 And in the one case in three out of
13 four years, why there was the question of no
14 PIC entries in some cases and no evidence of
15 log-out. We didn't find a number discrepancy,
16 but we did find a number discrepancy in one
17 year.

18 And so in the vast majority of
19 cases we did not find a problem. But you know
20 we only looked at ten and as Nicole had said
21 it's largely limited by the amount of
22 information we had in the cases that we had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pulled.

2 So, I guess the rest would be for
3 the Working Group to decide whether this
4 investigation provides you with sufficient
5 information of your conclusion about that.

6 We certainly did not find positive
7 evidence that badges were being hidden in this
8 investigation. Most of the indication, as I
9 said nine out of ten was negative, and in the
10 one case most of that was negative. There was
11 this one question that we put before you but
12 we do not know the cause of that.

13 MS. OH: Arjun, this is Kate from
14 Senator Reid's office. Can I ask you; the
15 film that you have done this study on, one or
16 two or three welders or --

17 CHAIR PRESLEY: Ma'am, can you
18 speak up please and say your name again?

19 MS. OH: Sure, I'm Kate from
20 Senator Reid's office.

21 MR. KATZ: Kate Oh, Senator Reid's
22 office. Thank you, Kate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. OH: I'm just curious are you
2 guys confident that the number of cases that
3 you guys studied is representative of the
4 worker categories. I notice that you only
5 have one or two welders and such.

6 MR. MAKHAJANI: No, Kate, if you're
7 asking me whether one or two welders can be
8 representative in any statistical sense, it
9 cannot.

10 MS. OH: Right.

11 MR. MAKHAJANI: I mean we did look
12 -- we pulled ten out of 120 and I think we
13 tried, as Nicole explained and pick at least
14 one from the various job categories. And we
15 could do more than ten. But we are limited by
16 the amount of information available in terms
17 of these area access registers.

18 Nicole, do you have a number on
19 that or can you get a number later in the day?

20 MS. BRIGGS: I could probably try
21 and get you're a number later.

22 MS. OH: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. RICH: Arjun, this is Bryce
2 Rich and I'll ask just a quick question. As
3 you've -- an Nicole perhaps, as you've gone
4 through the records, do you find any of the
5 individuals that were approaching the limits,
6 most of the data that we see, there have been
7 no limits. So, there was a concern for
8 exceeding the limits this might be one other
9 area that you could look at to --

10 MR. MAKHAJANI: I didn't see any
11 individual approach in a quarter here. I
12 don't remember them.

13 MR. RICH: No, I don't think so.
14 And that's one area that really would be
15 another indicator I would think because if you
16 were approaching limits --

17 MR. MAKHAJANI: Yes, so I think
18 maybe you know if there is another question is
19 we will go meet them, rely on NIOSH maybe in
20 the dose reconstruction get completed or
21 search the records in some other way to find
22 individuals who were close to that 3 rem limit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 per quarter or 5 rem per year.

2 As I understood from what our
3 office has said there were many. The one --
4 let me kind of explain a little bit more about
5 that. There was one time where there were
6 individuals who were approaching the dose
7 limit.

8 But that was -- and where a lot of
9 the controversy and some quite important
10 presentations to the Board arose in terms of
11 the interpretation of the data and maybe data
12 manipulation and taking off badges.

13 And that was in the pre-1963
14 period, so it's not in the period that we've
15 investigated. We did look at that particular
16 -- we did look at the files in that
17 particular case. And a good bit of that
18 confusion arose from the poor state of the
19 record and non-comparable sets of records
20 reporting, you know apples and oranges
21 comparison.

22 So some records had tritium and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 external dose added up and others did not.
2 And a great deal of confusion, I think, arose
3 out of that.

4 In any case the one instance where
5 this has arisen and there being numbers on the
6 table was not in the SEC period being
7 examined.

8 MEMBER ROESSLER: Arjun or Nicole,
9 just to clarify in my mind. On these ten
10 cases you said on nine there's no
11 inconsistencies. You identified one where
12 there were inconsistencies and I'll refer to
13 the table numbers so I can make sure I'm
14 looking up the right data.

15 That's Table A-7 that you're
16 talking about inconsistencies. Is that right?

17 MS. BRIGGS: Yes.

18 MEMBER ROESSLER: And then on that
19 one, just to summarize, it seems that the
20 inconsistencies have to do with the worker not
21 checking out with his PIC which we already --
22 I think Bob explained maybe how that could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have come about.

2 If I'm right in this evaluation
3 then in my view I don't see any
4 inconsistencies that effect the data.

5 MR. MAKHAJANI: No, that's not 100
6 percent right, Gen. In the sense that for
7 this one individual and one year we also found
8 the sum of PIC readings that was quite a bit
9 higher than the sum of badge readings.

10 And I don't think there is -- now I
11 have not personally looked at the records.
12 Nicole, is there any indication in the records
13 that there was some kind of investigation of
14 the PIC having been knocked about and the PIC
15 readings being suspiciously high and therefore
16 to be rejected?

17 MS. BRIGGS: Of these ten cases?

18 MR. MAKHAJANI: No, in this
19 particular case?

20 MS. BRIGGS: In this particular
21 case, no I didn't run into that.

22 MEMBER ROESSLER: Arjun, however on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that same individual, you don't question the
2 difference in the other two years where the
3 PIC reading is a whole lot lower, you know
4 significantly lower.

5 MR. MAKHAJANI: No, no I think in
6 the other two years that the numbers, the
7 numbers are --

8 MEMBER ROESSLER: So it would seem
9 that that individual, if he were prone to
10 hiding the badge or something, that he didn't.

11 It's not consistent over the years. And to
12 me the difference in that one year in 1967
13 between his PIC and his film badge, the PIC is
14 higher, but you know that's not -- it's
15 probably in the realm of uncertainty with the
16 PIC. I don't see a problem.

17 MR. MAURO: If I may that's what
18 we're doing here today -- this is John -- is
19 in effect we're putting on the table in front
20 of everyone, this is the results. We went
21 into the process, we pulled numbers out, made
22 a table, tried to disclose it as clearly as we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 possibly can at a high level of resolution as
2 we can and then everyone can make up their own
3 mind because, I mean certainly you look at the
4 data and let it speak to you.

5 And that was our intent. And it
6 was -- as you notice we're hesitant in saying
7 what we conclude. I'm sure everyone has in
8 their own mind, I know I do have in my mind
9 but I don't feel its appropriate.

10 I'd rather leave it to the Work
11 Group to look at the data and let it speak to
12 you and you decide whether or not you see if
13 there's anything in here that makes you
14 concerned. Including the fact that we only
15 look at 10, we picked 10.

16 By the way Nicole, in these ten how
17 many pages -- these were hard copies that you
18 --

19 MS. BRIGGS: Well, I mean I didn't
20 print them out. I worked, you know, from the
21 database.

22 MR. MAURO: And is this a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pages. I mean is this 100 pages, 1000, I mean
2 --

3 MS. BRIGGS: Let's see, my memory
4 is that for each case depending on, some cases
5 more than others, certainly in the hundreds of
6 pages. Even in the cases where there was very
7 little data we're talking about having to go
8 through 200, 300, 400 pages.

9 And there were a couple of cases,
10 particularly this case for Table A-7, I'm
11 pretty sure there was about 2,000 pages of
12 information. Not necessarily the film badge
13 data but just, you know, pages of information
14 for this case.

15 MR. MAURO: So it's a matter of
16 surgically going through a handwritten and
17 typewritten records or electronic on PDF I
18 guess and extracting the information.

19 Was there, in terms of the
20 extraction process I recall that we did some
21 quality control checks in terms of going
22 through such an immense amount of information,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 extracting from the thousand of pages and
2 trying to boil it down to what we're looking
3 at. How was that handled?

4 MS. BRIGGS: Let's see, either
5 myself or some of our other members of the
6 SC&A team would go in initially, collect all
7 the data, and then we made sure that it --
8 another individual went into the data also and
9 confirmed all the data that were logged in.

10 MR. MAURO: Thank you.

11 MR. BEHLING: Nicole, this is Hans
12 Behling. I have a question regarding the one
13 individual whose PIC data exceeded the film
14 badge. Do you know off hand what his -- for
15 that one year where there was the
16 inconsistency that you keep mentioning. What
17 was his total cumulative exposure -- but then
18 in fact let me rephrase it.

19 Was there an exposure that would
20 have -- was potentially going to put him over
21 the 3 rem per quarter or 5 rem per year dose
22 limit that might have given him the incentive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to do something with his film badge?

2 MS. BRIGGS: I don't think so
3 because his -- well his film badge totaled for
4 the year that we're talking about is 1967.
5 His film badge total for that year was not
6 quite 2 rem. It was 1,945 millirem and that
7 was his film badge total for the year. His
8 PIC total for that year was about a 2.7 rem.

9 MR. BEHLING: And what was -- in
10 terms of time line, what was the quarterly
11 doses because sometimes, as you approach the
12 end of year, you may have reasons to question
13 whether or not you're going to finish out the
14 year and still come under the wire with regard
15 to the dose limit?

16 And so sometimes it's obviously a
17 function of looking at the data in terms of
18 the times for which these assigned values
19 apply.

20 MS. BRIGGS: I didn't break it down
21 by quarter for this case.

22 MR. MAKHAJANI: But we can do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One point to consider might be that his 1965
2 total was pretty close to 5 rem.

3 MR. NETON: You know this is Jim
4 Neton, I --

5 MR. MAKHAJANI: 1966 total rem was
6 2 rem and odd, so his doses seem to go down.
7 Maybe that was because, you know he was doing
8 some other work, different tests, or maybe an
9 indication of something else. It's very hard
10 to tell.

11 MR. SMITH: But he was the radcon
12 individual.

13 MS. BRIGGS: He was a health
14 physicist.

15 MR. SMITH: Yes, and those are the
16 people with, from what I recall, were people
17 who were among the highest exposed
18 individuals.

19 MR. MAKHAJANI: For external dose
20 maybe, not uniformly. Well we'll come to that
21 in the afternoon.

22 MR. NETON: This is Jim Neton and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm looking at the 1967 data and I agree with
2 Gen. I don't think that there's any
3 statistical discrepancy between 1,945
4 cumulative for the film badge versus 2,700
5 millirem for the PIC data.

6 I brought this up the first time
7 this project was proposed and I said, what are
8 you going to accept as reasonable agreement
9 because I think if you look through literature
10 that type of agreement is very consistent with
11 what you see in the field between a pocket
12 ionization chamber and a film badge.

13 But I don't think any hay can be
14 made by this difference of these two numbers
15 personally.

16 MR. MAKHAJANI: No, we're not
17 saying it should or should not be made.

18 MR. NETON: I agree, but I'm just
19 stating my opinion that these numbers are not
20 really different.

21 MR. MAKHAJANI: Right, the one --
22 if you look at the text where the totals by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 year are given this individual, we haven't
2 remarked on this in the text.

3 But this individual did approach
4 five rem in 1965. And doses, it seemed to go
5 down in 1965 to `66 and then from `66 to `67.

6 So we have three years of data here.

7 And the PIC totals, you know, in
8 the first two years were quite low and much,
9 much lower than the film badge totals and the
10 reverse was true in the last year.

11 So, I -- it's my intent and also,
12 you know PIC readings were not reported
13 numbers of times in all three years.

14 CHAIR PRESLEY: Thank you, Arjun.

15 MR. MORRIS: Robert Morris. I
16 think there's one materially important fact
17 that probably needs correction in your working
18 draft. And that is this idea of signing in
19 and signing out.

20 I think the idea is portrayed
21 incorrectly in your description of the --
22 you're narrative of how things happened at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this company.

2 I just wondered, since this is a
3 working draft, that your plan was to go back
4 and make that kind of correction there.

5 MR. MAURO: We'll take our
6 direction from the Work Group. Certainly if
7 there's any factual information or other
8 representation. Especially if this material
9 is going to be cleared and posted, we
10 certainly would want this document to be as
11 clear and accurate as we possibly could make
12 it.

13 MR. MAKHAJANI: Billy, may I ask a
14 question about that since what's just been
15 said was based on what Billy told us?

16 Billy, was that sort of an informal
17 practice or was that normal written practice
18 that you created a register of people that you
19 expected to go in and then there was a
20 notation that the person actually didn't show
21 up?

22 Normally one would expect that if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the person didn't show up there would be some
2 kind of notation that they didn't show up.

3 MR. SMITH: Well, it was not an
4 informal practice. Although the logging that
5 took place was done by monitors. Those cases
6 where, generally you could tell when a monitor
7 was working in his bay station and he had the
8 daily reports which were the reports of the
9 last film processing of the last previous days
10 that he had the listing.

11 You could tell the access logs that
12 were probably pre-prepared in that most often
13 they were alphabetical. Whereas when people
14 just, you know when they were not prepared in
15 advance, then the people would be randomly
16 signed in by that particular monitor as the
17 person would enter the radiological area.

18 And in some cases these access logs
19 were used just to identify people that were
20 going into areas and they were not necessarily
21 issued PICs. So the comment that was made
22 earlier that all people that entered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radiological areas wore dosimeters and PICs is
2 not a true statement.

3 They may have entered a
4 radiological area with or without a PIC.

5 MR. MAKHAJANI: Now, this would be
6 true that the same people would be issued a
7 PIC sometime and not other times. Is that
8 what you're saying?

9 MR. SMITH: It depended on the job.
10 If a particular -- let's say you were talking
11 about a reactor where the work location was
12 designated as a radiological area and
13 everybody was issued PICs, then everybody
14 would be issued PICs all the time until it was
15 declared not a radiological area.

16 MR. RICH: Billy, this is Bryce,
17 just a clarification. It could still be a
18 radiological area but the assignment of the
19 work area would not be in a radiation area
20 requiring a PIC.

21 MR. SMITH: That's right.

22 MR. MAKHAJANI: This person was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 obviously in radiological areas for all three
2 years. The film badge readings clearly
3 indicate that they were radiological areas. I
4 think that that's not an issue with this work.

5 MR. SMITH: The other point is you
6 know that the use of PICs is a tool that's
7 used for exposure control. The suggestion
8 that the agreement between the PICs and the
9 film badges needs to be 100 percent really
10 doesn't make a lot of sense in our business.

11 MR. MAKHAJANI: We haven't actually
12 chosen agreement as the criteria as I
13 explained a couple of times. We only chose
14 the criterion as the PIC reading being greater
15 than film badges indicates a problem, not the
16 other way about.

17 So, if you look at this particular
18 worker you'll see in 1965 their total PIC
19 reading was only 355 millirem. But their film
20 badge total was 4,415 millirem and we didn't
21 call out a discrepancy over there even though
22 the readings don't match.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That's because the purpose of this
2 wasn't to investigate how the PICs worked and
3 whether the readings were accurate when they
4 were up or down relative to the film badge.
5 But simply to find whether there was any
6 evidence of film badges not being worn.

7 But in this case I think this is
8 the only worker actually who ever approached 5
9 rem in any year of all the ten cases that we
10 did examine. And none of the -- actually the
11 PIC reading and film badge readings don't
12 match in any of the years, they're not even
13 close.

14 CHAIR PRESLEY: Gen?

15 MEMBER ROESSLER: I have a
16 suggestion with regard to this draft report,
17 that in that section where you discuss this
18 particular worker where it says that there are
19 two inconsistencies, I think the wording there
20 implies -- it just implies something that
21 isn't there.

22 I think I would say that there are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two interesting observations and then go on to
2 explain what you all have been talking about.

3 What they were and what it meant.

4 I think to call them
5 inconsistencies says something that we really
6 we don't have evidence for.

7 MR. MAKHAJANI: We looked at the
8 same term as in all the other nine cases in
9 that one. We did not find any evidence that
10 you know that the film badge readings were
11 higher or about equal to the PIC readings. We
12 called that no inconsistencies.

13 MEMBER ROESSLER: But you're
14 leading the reader to a conclusion.

15 MR. MAKHAJANI: So would you
16 suggest that we go back and change all of it?

17 MEMBER ROESSLER: No, Arjun, I
18 think with the objective of this particular
19 study to use that wording leads the reader to
20 a conclusion that really isn't there.

21 MR. MAURO: I think we need to use
22 a terminology -- this is John. We went

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through this exercise. We felt that it might
2 help. And these comparisons, really what
3 we're really saying is when we make these
4 comparisons is there anything about these
5 comparisons that would raise our attention to
6 this issue or inform us related to this issue.

7 And I would say, and I was trying
8 not to do this, but there's nothing about
9 these comparisons that I would call a smoking
10 gun. Okay, the fact that the two numbers
11 differ, whether one is higher or lower or
12 lower or higher is not in my mind the
13 important point.

14 The important point is, do we see
15 after we are done consistent results that show
16 every time we have these paired numbers that
17 we see over and over again readings where you
18 have positive PICs in a given and zero, or it
19 would be zero -- this film badge during that
20 particular time, or you didn't wear it. A
21 zero reading consistently found.

22 I went into this with the idea

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, does a pattern emerge from the ten that
2 would inform us about whether or not there
3 seemed to be something amiss. And up until
4 now we've been zeroing in and focusing on one
5 particular worker where there seems to be
6 something where oh, it looks like in this
7 particular case the PIC was a little higher or
8 somewhat higher than the film badge.

9 I think unfortunately we forgot
10 about the other workers where the results came
11 down that were surprisingly compatible between
12 the PIC and the film badge readings.

13 So, I mean --

14 MR. MAKHAJANI: Well John, I
15 wouldn't say we forgotten about them. I think
16 we represented -- we represented our
17 investigation accurately. You know we said in
18 nine of the ten cases the film badge readings
19 were the same or higher than the PIC readings
20 and there was no reason for concern in terms
21 of film badges being taken off.

22 We also in response to Kate's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question, you know we haven't looked in worker
2 categories that represented a number. I don't
3 know that we could from the data that we've
4 pulled. I don't think so.

5 MR. MAURO: Well, I'm going back to
6 Gen's concern.

7 MR. MAKHAJANI: We found an issue
8 with one how it is to be characterized. I
9 think that's, of course entirely up to the
10 Work Group.

11 MEMBER ROESSLER: And that's my
12 concern. Again I recommend not only the
13 wording under that particular individual, but
14 on page -- what is it page six on my report
15 that's the end of section 2.1 where you talk
16 about there are no inconsistencies in nine out
17 of the ten cases. There was -- and that's
18 true, we've agreed on that.

19 And one case is that sentence that
20 I think could be misinterpreted. I think
21 someone down the line could take that without
22 fully understanding what we've been discussing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and say, well, you know one of the cases was
2 suspicious. And that's not true. And I don't
3 want that to be misinterpreted.

4 MR. MAKHAJANI: You know of course,
5 we can go back and revise the report. But the
6 main, I think you need to look at the worker''
7 data in its entirety as it's presented there.

8 He did have one year in which he
9 approached 5 rem. And Lynn Anspaugh has often
10 pointed out that you know if workers are
11 afraid of being laid off and approach 5 rems
12 they are not going to let their, their not
13 going to let their badges approach 5 rems or 3
14 rem and a quarter that they might preemptively
15 do something.

16 And we don't know that and we
17 actually, you know, we have to conduct a much
18 different and much more detailed investigation
19 than we have done to actually come to some
20 kind of conclusion.

21 The words that are used to describe
22 these set of numbers and I think it should be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seen as a whole for this particular Work
2 Group. You know, of course you know,
3 different people use different words, but I
4 think the numbers say something and whether
5 that needs to be addressed further in what
6 conclusion the Working Group wants to draw,
7 you know, it's for the Working Group.

8 MR. BEHLING: Arjun, this is Hans
9 and I think it's important to make a statement
10 here. If the question that you are raising is
11 did in fact workers take off their badge and
12 put them in their lunch boxes or in their back
13 pockets was the central question and we're
14 trying to solve that particular question by
15 looking at the data, you may not get there.

16 What I'm really saying here is that
17 you may in fact have had workers telling you
18 the truth and still your data doesn't support
19 it for the simple reason that when you take
20 your badge off then there's only one on the
21 chest and put in the back pocket it's going to
22 read the same thing because we're talking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about an ambient radiation field that will
2 probably give you the same results whether the
3 badge is on your chest or it's in your back
4 pocket or even in your lunch box provided the
5 lunch box is in the same area that the worker
6 is located.

7 So, you may have the situation
8 where the workers were telling you basing what
9 they did as being truthful and yet your data
10 will not allow you to make that statement as
11 to whether they wore their badges on their
12 chest or whether they wore it in their back
13 pocket.

14 MR. MAKHAJANI: Hans, that's why --
15 that's the reason why I think we've been
16 rather careful in how we've said all of this.
17 We didn't find any evidence of this. We're
18 not representing this as a conclusion
19 investigation that will show, you know we had
20 some people in various positions of authority
21 including one person who was very involved in
22 the health physics of the Nevada Test Site who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had said that this happened.

2 We've got a lot of workers that
3 said they did this and I certainly don't have
4 any reason to disbelieve them. But this was
5 an attempt to make an empirical investigation
6 as to whether it was -- you could find
7 evidence of that. And then as John has said
8 it's very difficult prove negative.

9 MR. BEHLING: And basically what
10 you've only pointed out is the fact that
11 people in the later years were not concerned
12 about exceeding a dose limit, but more
13 concerned about damaging their badges.

14 MR. NETON: Hans, I think there's
15 two issues here. Now this is Jim. I recall
16 that the assertion was not that they put them
17 into their back pockets in this earlier
18 period, but they actually left them at the
19 control point or somewhere outside the
20 radiological area.

21 MR. BEHLING: That's correct.

22 MR. NETON: And so that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 applicable to this period. I'm glad to hear
2 you say that people putting badges in their
3 back pocket in their later years is not that
4 significant of a difference in the dose.

5 MR. BEHLING: Right.

6 MR. NETON: Because that's what
7 you're currently investigating and I would
8 suggest that that's not a huge issue even if
9 they did for the same reasons that you just
10 stated.

11 MR. MAKHAJANI: Now, in one of the
12 interviews that I had done earlier that we
13 documented in the site profile review even
14 being the assertions of you know badges being
15 left in the trucks or between lead bricks or
16 rocks or something like that.

17 MR. NETON: Right, that's what we
18 would be investigating.

19 MR. MAKHAJANI: So there's a
20 suggestion of shielding and the badges not
21 being in the work place. And that would have
22 happened before 1966. That was the specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 context of that interview.

2 MR. ROLFES: This is Mark, I had a
3 quick question for clarification. This
4 individual also worked at another site during
5 the year of 1965. I wondered if you possibly
6 added any of that dose from the other site
7 into the dose that you reported for that year.

8 I wondered if it was so many that
9 you just --

10 MR. MAKHAJANI: All the doses were
11 added. I don't know if we noted the site.
12 Nicole?

13 MS. BRIGGS: Sorry, I'm on mute.
14 No, we didn't note the specific site. I think
15 he was the only individual where that
16 happened.

17 MR. ROLFES: Okay, I'm just looking
18 at the details of this case and there was
19 covered employment in 1965 for the great
20 majority of the year at Hanford.

21 MS. BRIGGS: Oh, for the cancers,
22 oh no, we would -- I didn't take that into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consideration.

2 MR. ROLFES: Okay, so it was only
3 Nevada Test Site?

4 MS. BRIGGS: Oh yes, it was only
5 Nevada Test Site.

6 MR. ROLFES: Okay, okay.

7 CHAIR PRESLEY: Let's take a break
8 for ten minutes. I've got 10 to 11. Am I
9 about five fast or not. In about five minutes
10 to 11 we'll come back and I'd like to get
11 started at that time on the occupational and
12 environmental dose. And we'll take this up at
13 two o'clock under Working Group discussions
14 back on what we've been talking about with the
15 film badges. Is that acceptable?

16 (No response.)

17 CHAIR PRESLEY: We can talk about
18 this all day long.

19 MR. KATZ: Okay, we are putting the
20 phone on mute, but we're not disconnecting the
21 line.

22 MS. BRIGGS: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Whereupon, the above-entitled
2 matter went off the record at 10:44 a.m. and
3 resumed at 10:59 a.m.)

4 MR. KATZ: This is the NTS Work
5 Group the Advisory Board on Radiation Worker
6 Health. We're getting started again after a
7 short break.

8 Before we get started I just want
9 to -- I have a message for John Funk. John, I
10 assume you're still on the line here. I just
11 want to let you know we had you on the agenda
12 for 11:30 a.m. But as you see we're quite off
13 agenda. Right now we're about to start Lynn
14 Anspaugh's presentation about environmental
15 dose at the site. And he has quite a lot to
16 present.

17 So, here's what we'll do, John.
18 John are you there?

19 (No response.)

20 MR. KATZ: John Funk, you may be on
21 mute.

22 CHAIR PRESLEY: Is anybody there?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Yes, this is Wanda,
2 I'm here.

3 MR. KATZ: Okay, good someone is
4 there; that's a good sign. But, John, one
5 last call then John, are you on the line?

6 (No response.)

7 MR. KATZ: Okay, okay so John is
8 not on the line then.

9 MR. FUNK: Ted, my mute button
10 stuck.

11 MR. KATZ: So you are there, good.
12 John, listen, did you hear what I said so far?

13 MR. FUNK: No, I was getting a
14 drink of water.

15 MR. KATZ: Okay, so John we are off
16 track in terms of time in the sense that we
17 spent more time on the badging issue than we
18 expected and that can always happen, of
19 course.

20 So, although we have you set up for
21 11:30 a.m. after Lynn Anspaugh makes his
22 presentation and there's some discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Lynn is starting later. So it may be that we
2 have time for you before lunch, but if we
3 don't we'll just move you to after lunch so
4 that you can still have the benefit of Lynn
5 Anspaugh's presentation and discussion before
6 you make your remarks, providing that you can
7 be with us after lunch. Is that good?

8 MR. FUNK: I will be here all day.

9 MR. KATZ: Okay, great.

10 MR. FUNK: It's fine with me.

11 MR. KATZ: Okay, thank you.

12 MR. FUNK: All right, fine.

13 MR. KATZ: It's all yours.

14 CHAIR PRESLEY: Before we get
15 started, I'm going to pass something around.
16 I'd like to have everybody please -- Lynn,
17 it's yours.

18 MR. ANSPAUGH: In order to
19 facilitate this discussion, I assume everybody
20 has a copy of my report and it will be easier
21 if you separate this. Some of the figures are
22 available separately because I am going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 refer to some of the figures.

2 And unless -- before I might forget
3 it let me tell you I made one mistake on
4 figure 21. And I discussed a photograph of a
5 drill rig. And as many of you probably have
6 sharp eyes have noted that is not a drill rig
7 in there. It's a crane. And the drill rig
8 has already been removed and that path marked
9 by the black lines may be where the drill rig
10 was drug off or drug in. But I did make a
11 mistake in not looking at that close enough
12 and it's not a drill rig it's a crane,
13 commonly called big boom.

14 So, this report is very different
15 than what we discussed before in the sense
16 that there are no personal information in here
17 and that's why it got cleared easily, I guess.

18 This is a report that's really a review of a
19 methodology and as such, it only discusses the
20 methodology, nothing to do with individuals.

21 And the review is about the
22 document that's part of the Nevada Test Site

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Technical Basis Document. It's the part four
2 of that document called Nevada Test Site
3 Occupational Environmental Dose.

4 And the purpose of this document is
5 to examine how to calculate radiation doses to
6 workers when they were outside of controlled
7 areas where they would have been subject to
8 monitoring by air samples and other means.

9 So basically it involves people
10 working out in the field mainly while their
11 running bulldozers in non-controlled areas or
12 working on drilling rigs or so forth.

13 Basically my interpretation is it's
14 anything when they were outside of a radiation
15 control area that would have been subjected to
16 air sampling for example.

17 And this particular report has been
18 revised now twice and this is also the first
19 time that there is consideration of incidental
20 ingestion of soil which is another pathway of
21 some importance.

22 I might mention that this is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 report from me. It's -- in order for me to
2 participate in this activity there was special
3 dispensation because I am conflicted. So
4 there is a little bit of a Chinese wall
5 between me and the rest of SC&A. So this is
6 my work alone.

7 So, basically I examined the
8 methodology of this document and the page two,
9 I think, is a very important page because it
10 talks about the fundamental assumptions that
11 were made in the NIOSH methodology in terms of
12 driving this occupational environmental dose
13 which is basically involves not only the
14 incidental ingesting the soil, but also the
15 inhalation of material that came about from
16 resuspension or in my mind it should include
17 the amount of material that came about from
18 current activities going on at the Nevada Test
19 Site.

20 And so some of the fundamental
21 assumptions that were made in the document
22 from NIOSH was that there was no contamination

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the Nevada Test Site after July 1962.

2 This is a very important
3 consideration in the methodology that was
4 developed. And a second important fundamental
5 assumption was that air samplers operated on
6 the Nevada Test Site during 1971 through 2001
7 can be used to derive air concentrations that
8 would have been seen by these same air
9 samplers during 1963 to 1970.

10 So, basically can you take current
11 air samples and extract them back for nine or
12 ten years. And the third fundamental
13 assumption was whether or not air
14 concentrations measured by air samplers
15 outside of cafeterias or dispensaries or those
16 kind of locations are representative of those
17 actually experienced by workers at the Nevada
18 Test Site when they were doing their work out
19 in the field.

20 And then the fourth fundamental
21 assumption was that there was no clean-ups of
22 radioactivity at the Nevada test site between

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 1962 and the 1980s when the surveys were made
2 by the Radionuclide Inventory and Distribution
3 Program.

4 The fourth assumption, maybe, is
5 not so obvious, but it's important to know
6 that in order to extrapolate back to look at
7 air concentrations from radionuclides other
8 than plutonium, the data from RIDA program,
9 Radionuclide Inventory and Distribution, were
10 used to formulate that extrapolation.

11 So these assumptions, the first one
12 is the contamination of the NTS after 1962 and
13 it stated repeatedly in the NIOSH document
14 that after the atmospheric testing ceased
15 there was -- the only source of air
16 concentrations seen on the Nevada Test Site
17 were due to resuspension of aged materials.

18 And that's basically how the
19 procedure goes. I think this assumption is
20 not valid for several reasons. And if you
21 look at Table 1 for example. These are five
22 very large planned releases that occurred and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we need to remember that, even though there
2 was a limited test ban treaty the Plowshare
3 activities were specifically exempted as long
4 as that activity did not cross international
5 borders.

6 So we had some very large
7 experiments at the Nevada Test Site, the five
8 mentioned here that were basically cratering
9 events that lofted a great deal of activity
10 into the air. And Buggy itself was a five-row
11 shot as I recall that was designed to simulate
12 how one might create a new Panama Canal with
13 nuclear explosives, which was a very serious
14 consideration at the time.

15 And you can see that these events
16 released amounts of material on the order of
17 megacuries and sometimes propelling ten
18 megacuries.

19 So these were very large releases
20 and I just might mention that Schooner event
21 violated the test ban treaty very clearly.
22 Activity was seen as far away as Finland. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that was the end of the Plowshare cratering
2 event.

3 The President was very angry at the
4 time because of the violation of the test ban
5 treaty.

6 MEMBER ROESSLER: Lynn, could I ask
7 a question on that table?

8 MR. ANSPAUGH: Sure.

9 MEMBER ROESSLER: When you -- Table
10 1 on page two where you say released curies at
11 H+12. What is H+12, I mean is that something
12 above the ground or --

13 MR. ANSPAUGH: Well, this is a very
14 important point and, because radioactivity
15 decays so rapidly after a nuclear explosion,
16 if you measure it five minutes after the event
17 you're going to get one answer. If you
18 measure it ten days after the event you're
19 going to get a very different answer.

20 MEMBER ROESSLER: So that's always
21 --

22 MR. ANSPAUGH: So this is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 normalization of what it would be like 12
2 hours after the event.

3 MEMBER ROESSLER: I thought it had
4 to do with height or something.

5 MR. ANSPAUGH: No.

6 MEMBER ROESSLER: Okay, okay so 12
7 hours after the event. Then at what point?

8 MR. ANSPAUGH: This is total
9 release.

10 MEMBER ROESSLER: Okay, so that's
11 taking the source term, so to speak, and
12 that's so some of it could be confined and
13 some of it could go out.

14 MR. ANSPAUGH: Well basically this
15 is a material that is beyond the original
16 crater location.

17 MEMBER ROESSLER: This goes out
18 into the atmosphere, that amount?

19 MR. ANSPAUGH: Well not necessarily
20 all the way into the atmosphere. This would
21 include the base surge. And most of this
22 material is still on site. But it's just not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at it's original point.

2 MEMBER ROESSLER: Okay.

3 MR. ROLFES: The refractories would
4 have stayed on site and some of the particles
5 would have been --

6 MR. ANSPAUGH: There would be
7 fragination, more of the volatile's would be
8 on site and more of the refractories would be
9 on site. And when we're talking about Buggy
10 in fact that did produce the highest
11 contamination that was measured on site in
12 RIDA. And this was acknowledged on the NIOSH
13 report as this area had the highest
14 contamination.

15 But because it was in a location
16 not deemed very accessible, it was
17 disregarded. But there is very clear evidence
18 that that was a significant source of
19 contamination.

20 The other thing is there were all
21 sorts of releases that were not planned. And
22 if you look at Table 2 in fact there were 225

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 releases between the 1963 to 1970 period. And
2 this includes the Plowshare shots and the
3 plutonium dispersal tests that are shown in
4 Table 3, but it does not include the test of
5 the nuclear rocket engines.

6 So, some of these releases were
7 very, very small, just barely detectable.
8 Some of them were very, very large. And I
9 think it's important to remember that
10 containment at the Nevada Test Site was not
11 very good for the years '63 through 1970.

12 And the last major event occurred
13 on December 18, 1970, this was the Baneberry
14 event. And that was such a large release that
15 should not have occurred that the test site
16 was actually shut down in terms of doing any
17 testing for several months while they tried to
18 get a handle on that, and they created the
19 Containment Evaluation Panel. And I mentioned
20 for Baneberry the geologist told them ahead of
21 time that you're going to have trouble with
22 that shot and they went ahead and fired it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anyway.

2 So, the geologists obviously didn't
3 have the attention of the decision-makers.
4 But after that point they had a lot of
5 influence and this kind of activity came to
6 more or less an abrupt stop after the
7 Baneberry event when there was a lot more
8 careful consideration of whether or not such
9 events were going to occur.

10 Table 4 is a list of the events at
11 the Nevada Test Site which actually produced
12 activities that was seen off-site. So these
13 are the larger events and you can see that
14 Baneberry released a million curies. And
15 there was other events that released similar
16 amounts and most of them somewhat less.

17 Pike was a bit of an unusual event
18 because it had a cloud that headed straight
19 for Las Vegas and people were very concerned
20 about that. And that also led to revised
21 planning in terms of, don't you ever shoot an
22 underground shot if it's going to head for Las

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Vegas.

2 And so there was changes that were
3 going on. This was a learning process. I
4 mean you don't confine a nuclear explosion
5 underground perfectly without a lot of careful
6 working. And it took a while to get that
7 experience to really know how to do this.

8 The next table is tests of nuclear
9 rocket engines and we tend not to remember
10 these nuclear rocket engine tests. But in
11 fact there were about 25 of them in the 1963
12 to the 1970 period.

13 Some of them released large amounts
14 of activity. Some of it went off-site. And
15 some of it -- a lot of it stayed on-site
16 depending on what the particular situation
17 was.

18 And the particular sites where
19 these events occurred were heavily
20 contaminated and in fact they were rather
21 thoroughly cleaned up before the radiation,
22 before the Radionuclide Inventory and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Distribution Project ever made it's
2 measurements.

3 And then the final Table 6 is the
4 nuclear ramjets. These were relatively small
5 release of activity and it's just put in there
6 for completeness.

7 Now one of the things I looked at
8 was the site environmental surveillance
9 program in terms of well what were these
10 measurements actually reporting during this
11 period of 1963 to 1970. And how well could
12 measurements in 1971 to 2001 capture these
13 releases.

14 So, there's a lot of discussion in
15 here on the environmental surveillance program
16 and what it's purpose was and what it's
17 purpose was not.

18 And on page seven, I would call
19 your attention to the quote that says, the
20 results of environmental surveillance and
21 sampling activity values cannot be used in
22 calculating personnel exposure doses, and it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 goes on to explain what they were.

2 And then the first results really
3 from this environmental surveillance report
4 were given in this Glora and Brown report
5 which started out with 12 air sampling
6 stations and that are shown in Figure 1 of the
7 report which unfortunately doesn't have the
8 test site superimposed.

9 But you can see that they are
10 fairly widely scattered throughout the test
11 site. They were using 8 X 10 glass fiber
12 filters which, as you all know, are not very
13 efficient in capturing radioiodine.

14 The statement was made that they
15 were going to operate caustic scrubbers to
16 look at radio iodine but they never showed any
17 data. I suspect these caustic scrubbers
18 didn't work very well in the desert
19 environment and probably dried out very
20 rapidly.

21 Any way, we don't have specific
22 data for them. And one of the things that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 occurred shortly after this network was set in
2 place was the problem of shot Pike that I
3 mentioned before. And you can see in Figure 2
4 here that there were dramatic increases in air
5 quality or air concentrations of gross beta
6 and gross alpha, I've got gross beta shown
7 here, that were increased as far much as a
8 factor of 100.

9 And it was noted that these
10 increases occurred in nine out of 12 air
11 sampling stations and they occurred in both
12 upwind and downwind locations.

13 So, this is representative of this
14 unplanned release causing a considerable
15 disruption in terms of the concentration of
16 airborne activity on the site.

17 The next problem or next reporting
18 period is for '64 through '65 operating on
19 fiscal years. And during that period there
20 were a few more air samplers running.

21 And locations are shown on Figure
22 3, although this is a difficult figure to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interpret. We have to look at the upper left
2 quadrant to know whether there was an air
3 sampler operating there or not. But I draw
4 your attention to Table 7 which indicates the
5 locations and you see where the samples were
6 located. They are by and large, they are by
7 and large at cafeterias and dispensaries or
8 guard gates in some cases buildings at Mercury
9 or NRDS.

10 And so I think it's fairly clear
11 that these locations were not picked to
12 represent where workers were in the field, but
13 they were probably strongly influenced by
14 where they had stable sources of power and
15 where somebody could more or less keep their
16 eye on them.

17 So, I think that's an important
18 point that continues for this period of time
19 when these samplers were operating. They were
20 not out in the field, they were at locations
21 that would not represent active activities.

22 Now there are some data here shown

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in Figure 4. And the biggest thing is -- the
2 biggest point here is from a Chinese test that
3 you can see that occurred in October of 1964.

4 But let me just mention that one of the
5 drawbacks of the data in these reports is that
6 you have maybe 11 or 12 air samplers operating
7 and they're operating for a week and what you
8 see up on it here is the mean and the range
9 for all of the 11 to 14 air samplers.

10 So it's not possible to look at
11 this data and to tell which station had the
12 highest result. You know I think that's
13 probably -- excuse me -- I think that's
14 probably one of the reasons why these data
15 weren't used more extensively, because you
16 can't tell which sample is which in these
17 graphs.

18 So in the later part of this Figure
19 4, it's stated that the pick-up in air
20 concentration is related to activity at the
21 NTS. And then the next period is July '66
22 through '67.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There were 20 stations and there
2 were some activities that increased which were
3 due to NRDS and NTS. There was one sampler at
4 the HENRE site which is -- that was the former
5 BREN tower that now has an accelerator on it,
6 where Billy Smith actually worked when he
7 came to the test site first.

8 And this air sampler did show a
9 high activity which evidently was caused by
10 the Nash event which was another one of the
11 things that, items that leaked.

12 This was also the first time they
13 had some background stations that were looking
14 at environmental gamma exposure rates. And
15 those background samples operated for a few
16 years, but usually what happened, if anything
17 really was noticed, was they saturated and
18 weren't that useful. So they were -- didn't
19 operate that long.

20 Now going onto some things that
21 were more interesting if we look at the period
22 from July 1967 through July 1968, which gets

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 us to -- Figure 9 shows where the stations
2 were and Figure 10 shows some of the air
3 sample results. We see that there are two
4 very strong peaks that actually go off the
5 scale here.

6 One of these was due to leak called
7 the Hupmobile shot. And you see the peak
8 value there is 1.5 times, no 5.15 times 10 to
9 the minus 11 which is somewhat off-scale.

10 And then we have the Buggy event
11 which has the highest activity which is about
12 200-some magnitude beyond what the highest
13 values are elsewhere in the graph. So, again
14 this was a very substantial input of
15 radionuclide material that resulted from the
16 Buggy event which, again, I remind you was
17 found to have the highest contamination by the
18 Radionuclide Inventory and Distribution
19 Project. And this Buggy event occurred on
20 March 12, 1968.

21 And also at this time there was
22 something which did not occur very often, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 due to the Buggy event there actually was a
2 reported contamination of potable water supply
3 in the Area 20 dispensary. Now, I don't know
4 how an event like that would contaminate a
5 potable water supply, but it did, at least it
6 was reported that way in the data.

7 And the background radiation
8 monitors also showed some strong saturation
9 due to the Buggy event and also due to the
10 Door Mist event. This was in the `67 to `68
11 period.

12 Now the next period was July `68
13 through `69 which includes the Schooner event
14 which again was that cratering event that I
15 discussed with you before, that was the end of
16 the Plowshare cratering program because it
17 violated the test ban treaty with the debris
18 crossing the Canadian border and this took
19 place in December of 1968. And you can see in
20 Figure 12 that we have some very strong
21 increases in air concentration that were due
22 to the Schooner cratering event.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ROESSLER: Some of those
2 numbers should be negative, shouldn't they?
3 Shouldn't that be minus 10?

4 MR. ANSPAUGH: Yes.

5 MEMBER ROESSLER: Yes.

6 MR. ANSPAUGH: Well, it's 4.7 times
7 10 to the something, and I suspect that --

8 MEMBER ROESSLER: That's off.

9 MR. ANSPAUGH: -- I got too vigorous
10 in doing my cropping.

11 MEMBER ROESSLER: Yes, 10 to the
12 minus 11 probably.

13 MR. ANSPAUGH: I'll have to consult
14 the original diagram.

15 MEMBER ROESSLER: Yes, okay.

16 MR. ANSPAUGH: That was my fault
17 for being, cropping that too strongly.
18 Anyway, the Schooner event was again something
19 that also contaminated potable water supplies,
20 and you can see that, in Figure 13, this is
21 now a graph, the first graph that's shown here
22 of contamination in water supplies, you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clearly see the peak from Schooner.

2 Then the last thing of real note is
3 what happened in December of 1970, and
4 unfortunately this report, which deals with
5 this period, and is producing this kind of
6 very poor quality material.

7 So you can see here what the
8 average is. This is the gross beta, and also
9 the plutonium analyses. And this thing
10 indicated by a B, where you see this very
11 sharp increase in activity is actually due to
12 the Baneberry event.

13 Now you look at the bottom here,
14 this is several years worth of data, which is
15 the first time they combined so many years in
16 the report.

17 And if you look at the next two
18 figures, 16 and 17, you can see a very
19 predominant increase in activity due to the
20 Baneberry event, which produced a serious
21 contamination problem at the Nevada Test Site.

22 Figure 17 is actually taken from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what they called a changehouse in Area 12.
2 You may remember that the Baneberry event went
3 up to the Area 12 camp, and there were about
4 900 people there who took refuge inside the
5 tunnel, but the tunnel became contaminated, as
6 well. Then they moved them out to another
7 location, and eventually down to Control Point
8 6, where these 900 people were scanned and
9 processed through. Some of them were sent to
10 Mercury for further studies of their thyroid.

11 Some were sent to Las Vegas for whole-body
12 counts and so forth.

13 So Baneberry was a very substantial
14 event, and as I mentioned before, it brought
15 about an abrupt stop in the period of testing
16 until there was firmer controls on when not to
17 test.

18 So, Table 11 now is also very
19 interesting, because it shows by station now -
20 this is some of the first data we actually
21 have in the reports by station - these data
22 shown in Table 11 are for plutonium, and you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can see here that there are some very unusual
2 values that are cropping up here that we have
3 -- like I draw your attention particularly to
4 Area 9, the 9-300 Bunker, where 1972, we have
5 429 times 10 to the minus 17 microcuries per
6 mL.

7 Now this number is very key to the
8 NIOSH dose reconstruction because that number
9 was picked as a number to base essentially all
10 of the NIOSH methodology on. And you can see
11 it is a very high number compared to most of
12 them. The only one higher was Echo Peak, Area
13 19, but that was averaged with the Area 19
14 sample at PM substation to get a lower value
15 by area.

16 The reason given in the report
17 about why the air concentrations at the Area
18 9, the 9-300 Bunker were increasing and were
19 erratic was because there had been alpha
20 contamination in Area 9 in this vicinity.
21 There had been extensive clean-ups that
22 consisted of washing the Mercury-Highway and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 grading contaminated soil. And the speculation
2 was that the reason contamination was getting
3 so high in this area now was because these
4 clean-up activities, where material had been
5 stored, or wind was degrading and releasing
6 the plutonium into the airborne material.

7 So, I think just to summarize what
8 the environmental surveillance data tell us
9 is, number one, it's very clear that
10 environmental contamination did not cease at
11 the Nevada Test Site in July of 1962, and I
12 think it's showing that there were events,
13 such as Pike, and Nash, and Hupmobile, and
14 Buggy, and Door Mist, and Schooner, and
15 Baneberry, that caused widespread
16 contamination at the Nevada Test Site, and
17 it's my opinion that there's no way that you
18 can take an air sample from 1971 through 2001,
19 and reproduce these excursions that were noted
20 to actually have occurred in the `63 to 1970
21 period.

22 And another important point is this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contamination of the potable water supply
2 which had not been addressed in the NIOSH
3 procedure work. So that pretty much takes
4 care of the first two issues, and I would like
5 to now move to a slightly different subject,
6 and discuss what the NIOSH method to
7 reconstruct doses is. I already mentioned
8 this important measurement of plutonium that
9 was airborne in 1972.

10 So the NIOSH method assumes that
11 that value can be used to represent the
12 plutonium contamination all the way back to
13 1963, and you don't have to worry about half-
14 life corrections because plutonium is
15 sufficiently long lived.

16 So the question then is what to do
17 about all the other radionuclides that you
18 don't have data for except in terms of gross
19 beta and gross alpha. So there are a couple
20 of ways that one might approach that. One
21 would be to go back to the original data that
22 we just looked at and try and make sense of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the gross beta and gross alpha material, but
2 you would have to get the original bystation
3 data, which I understand is available on
4 microfilm, but it's not in the reports.

5 The other method that NIOSH
6 actually used was to take the data from the
7 radionuclide inventory and distribution
8 program, when the measurements were made in
9 the 1980s, and assume that those are the long
10 lived radionuclides that somebody would be
11 inhaling, and to use that as a basis for
12 extrapolation.

13 So that is what was actually done.

14 The data from the radionuclide inventory and
15 distribution program were decay corrected back
16 to 1963, and the assumption was that this was
17 the material that could be resuspended.

18 There was an additional correction
19 for short-term resuspension with the
20 assumption that, although this material was
21 deposited in July of 1962, and this increased
22 the projected inhalation pathway for the years

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of '63, '64, and '65, and then yet an
2 additional correction was made, and this took
3 a great deal of work to do this, but as an
4 attempt to correct for all of the short-lived
5 radionuclides that are no longer there, but
6 have decayed, then there was a lot of work
7 done to actually look at what radionuclides
8 would have been there based upon the
9 tabulations and calculations that were
10 published by Harry Hicks. And these have very
11 extensive lists of radionuclides that would
12 have been present all the way from zero time
13 through fifty years.

14 So that's what was actually done as
15 a very serious attempt to correct these values
16 for the short-lived radionuclides, assuming
17 that everything was deposited in 1962 in July.

18 So that represents a lot of work. There is
19 some details given here about that.

20 I also discussed how NIOSH did the
21 occupational environmental ingestion doses,
22 which is basically goes back again to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radionuclide inventory and distribution
2 program in looking at the different kinds of
3 radionuclides that would have been available
4 for ingestion with soil.

5 With all of the, basically the same
6 assumptions that went into the resuspension
7 pathway. So now I'm on page 20 here looking
8 at basically assumption one, and that was no
9 contamination of the Nevada Test Site occurred
10 after July '62. I think that's clearly not
11 true.

12 There were many events that
13 produced contamination, and you can see it in
14 the air quality observations, and you can see
15 it in the radionuclide inventory and
16 distribution program.

17 And then the assumption two, you
18 can look at the air concentrations in 1971
19 through 2001, and use that to extrapolate back
20 to what the concentrations at the same air
21 samplers were in '63 to '70. Again, I think
22 that's a bad assumption. And you can see from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the plots that very clearly there were some
2 very serious excursions, and particularly the
3 ones from Pike, and Baneberry, and Schooner,
4 that you would not see from looking at data
5 after that time point.

6 And then assumption three is
7 something that may be one of the more serious
8 issues, and that's whether the air samplers of
9 the environmental surveillance program really
10 represent the air that would have been
11 breathed by people working at the test site.

12 And there are a variety of
13 different kinds of situations where it's known
14 that there can be very large increases in the
15 mass loading due to different kinds of
16 occupational activities. And for example,
17 there's driving bulldozers out across the
18 area. There's driving tractors. There's even
19 driving a car on a dusty road. There's doing
20 construction work, and so forth. And one of
21 the more important ones, perhaps, is related
22 to the movement of drilling rigs, and Figure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 18 now is a photograph of a large drilling rig
2 at the Nevada Test Site. And you can sort of
3 get a feeling for how large this thing is by
4 looking at the trailer that's in the
5 foreground there, that's seriously dwarfed by
6 the size of this big drilling rig.

7 Now, some of the craters at the
8 Nevada Test Site are very close together.
9 We're talking about subsidence craters. If
10 you set off an underground shot, typically
11 what happens is after some period of time you
12 have created a cavity, and this cavity is
13 going to collapse. And it collapses all the
14 way up to the surface, and you get a
15 subsidence crater.

16 And actually you can look out in
17 places like Area 3, and you can see these
18 subsidence craters that are not separated by a
19 very large distance. And so you've got a --
20 for example, you've got an enormous drill rig
21 like this, and say you want to move it 200
22 yards. Now if you wanted to take that drill

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rig apart and truck it over there, you know it
2 might take you four or five weeks, and it
3 would take all kinds of trucks to move the
4 thing.

5 So that's not what they did. They
6 decided, why don't we jack this thing up, put
7 it on some coasters, and we'll just drag it
8 with a whole bunch of bulldozers over to the
9 next site. So that's what they did.

10 And the next photograph shows one
11 of these coasters, they had four coasters that
12 -- first they would jack this thing up, and
13 they put a very large beam right through this
14 thing. And they had four of these coasters,
15 two very large beams, and they would just jack
16 this thing up, hook up four or five bulldozers
17 or more, and just drag the thing across the
18 desert.

19 So you can imagine that this is one
20 situation where there would be an enormous
21 amount of dust-loading. And the next --
22 Figure 20 shows these very large beams. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so one of the issues is what kind of desert
2 material was this thing drug over, and I
3 believe Figure 21 shows the path of one of the
4 operations of either moving a drilling rig
5 into this position, or moving it out.

6 Now this particular photograph, as
7 I showed before, the drilling rig is already
8 gone, and what is shown there is a crane, and
9 part of some other construction activities
10 going on.

11 Now eventually at this site what
12 you're going to see is something that looks
13 like a missile launch tower, and they're going
14 to build a scaffold around this thing that
15 they're going to insert down the hole. On top
16 of the bomb itself there will be what they
17 call a rack, which contains all of the
18 scientific experiment.

19 So this is also a very complex
20 operation which involves the use of cranes,
21 and some construction of what looked like
22 missile towers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So basically the question is, do
2 what these air samplers measure represent what
3 the worker was exposed to. And I think it's
4 fairly clear that it does not, and I also went
5 back to look at some of the material that had
6 been written about Yucca Mountain, where they
7 are seriously worried about, if there is a
8 volcanic eruption at Yucca Mountain, what kind
9 of results would that be. And they are very
10 worried about resuspension.

11 They talk about resuspension in
12 several different environments. One is the
13 inactive outdoor environment, which is typical
14 of where these air samplers we're talking
15 about at the Nevada Test Site were operated,
16 where you have, not serious ground
17 disturbance, but perhaps some nearby vehicular
18 traffic and so forth, and based upon the
19 measurements that they made at the Yucca
20 mountain site, and other locations like
21 Amargosa Valley, they came up with description
22 of that as -- with a triangular distribution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that had a mode of .06 milligrams per cubic
2 meter, or 60 micrograms per cubic meter.

3 And I can tell you that, from my
4 own experience in having measure mass loading
5 at the Nevada Test Site, that volume
6 corresponds to very closely what I had
7 measured as well.

8 So the active outdoor environment
9 now, we're talking about driving tractors,
10 doing construction work, and driving
11 bulldozers, and so forth.

12 Now the Yucca Mountain people I
13 actually contracted to do some measurements
14 with this, and they are not the first ones to
15 do this. This has been an active area of work
16 for some time looking at resuspension, doing
17 farming activities, doing harvesting, and so
18 forth. And the Yucca Mountain people also
19 hired the Desert Research Institute in Nevada
20 to come out and actually make some measures in
21 the Amargosa Valley, which is just to the West
22 of the Nevada Test Site.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so their interpretation of
2 those measurements and how they wanted to do
3 their model was that they could describe it
4 with the triangular distribution with the mode
5 of 3 milligrams per cubic meter. And if you
6 look at the relationship then, the ratio of an
7 active outdoor environment to an inactive
8 outdoor environment, it turns out to be three
9 divided by .06, or 50 times higher.

10 So my feeling is that in order to
11 be claimant-favorable, you can't assume that
12 these air concentrations recorded by the air
13 samplers represent what the workers were
14 exposed to, but there is a substantial
15 difference between the air concentration the
16 workers could see and what the samplers see.

17 And then the final assumption was
18 whether or not -- well basically the
19 assumption was made that, between 1962 and
20 when the measurements and the radionuclide
21 inventory distribution program were made,
22 there were no clean-ups, because the values

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the RIDA are being extrapolated back all
2 the way to 1963.

3 And of course if in the meantime
4 they had been cleaned up, then you're
5 extrapolating back with the wrong data. And
6 in fact, it's clearly stated in at least one
7 of the reports from MacArthur and Meade
8 mentioned that the Nuclear Rocket Development
9 Station in Area 25 had been cleaned up
10 extensively before these measurements were
11 made.

12 And I know from my own experience
13 as well that there was an active program of
14 cleaning up material that had resulted in
15 contamination of the Nevada Test Site, and
16 these clean ups were taking place over a good
17 deal of this time.

18 The RIDA measurements were not made
19 for purposes of dose reconstruction. In fact,
20 they were actually made for use in helping to
21 guide the clean-up and control. So in that
22 sense, it was not an attempt to deduce what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the contamination had been originally, but to
2 consider what the contamination is right now,
3 and to help assist in what might be done in
4 terms of future clean-up.

5 The one final point I just wanted
6 to make is related to Figure 22, and I think
7 there is a misconception of what a controlled
8 area at the NTS actually means.

9 Now some areas are very rigorously
10 controlled, and some are not. And of course
11 it depends on how serious the contamination
12 is. And what I show in Figure 22 is an
13 example of this is called a controlled area
14 you see by the sign, but there is a road right
15 through the middle of it.

16 So this is one example of control
17 that's certainly not vigorous, and these kinds
18 of areas are available for people in this case
19 to drive through or to -- there's no physical
20 barrier to enter into such a place.

21 So that basically is what I wanted
22 to say about the paper, and if there are any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions about it, of course I would be happy
2 to try and answer them, or whatever you would
3 like to do.

4 MR. NETON: I'd just like to say
5 one thing before the discussion starts, in
6 that this relates -- goes back to the last
7 meeting that I attended. There seems to be a
8 fundamental misunderstanding of how we apply
9 environmental dose in these programs, and
10 that's what constitutes a worker.

11 A lot of the categories of worker
12 you were talking about, people dragging things
13 through the contaminated areas, and that sort
14 of thing, would be covered by our occupational
15 dose program. That would be workers such as,
16 you know, I don't know what the categories of
17 those workers are, but anybody that had a work
18 activity that would be in the field would be
19 assigned a dose based on the bioassay
20 measurements, as opposed to this environmental
21 thing.

22 And I would suggest that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 environmental doses that are being assigned
2 are exactly the areas where those sampling
3 locations will be. People that were in
4 cafeterias, dispensaries, those sort of areas.

5 This is not intended to be an occupational
6 dose for a worker who may be a wiremen, or a
7 driller, or any of those type of activities.

8 So I think you're way off the mark
9 here Lynn in terms of how you've analyzed it,
10 how you interpreted how we apply environmental
11 dose.

12 Now that being said, I think there
13 is some merit to the issue that you raised,
14 and I think you can address some of those
15 issues. But I think you really got to look at
16 how we apply this dose.

17 MR. ANSPAUGH: I certainly hear
18 what you're saying, Jim, and I guess my other
19 comment about that is we're implying that all
20 these people were in a bioassay program.

21 MR. NETON: I'm not. I'm saying
22 that we would apply our co-worker model to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those people, and that's the subject of an
2 entirely different discussion that's going to
3 happen later today. We've taken that co-
4 worker model where we apply the 50th, 95th
5 percentile of the bioassay workers.

6 But all those workers that you were
7 talking about go out in the field doing
8 drilling and dragging and those types of
9 operations. So a lot of what you said is
10 really not relevant to this discussion.

11 MR. ANSPAUGH: Okay, well I will
12 defer my comment until we have an opportunity
13 to discuss that, but I think I'm going to have
14 some disagreement.

15 CHAIR PRESLEY: Can I intercede
16 here. We've heard Lynn's comments, and I
17 appreciate that. It is getting close to lunch
18 time. Our discussions and rebuttals are going
19 to take longer than -- as you know, we're not
20 going to finish those up between now and
21 lunch.

22 I propose that everybody break for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lunch, gather your thoughts. We'll come back
2 here at 1:00, and we pick up on the comments
3 and rebuttal on Lynn's proposal.

4 Do I have any problems with that
5 from any of the Board members?

6 MEMBER CLAWSON: Just on --

7 MR. KATZ: That's fine, I told John
8 that we would have this discussion, and then
9 he would come after the discussion. I think
10 John prefers that.

11 CHAIR PRESLEY: John, are you on
12 there?

13 MR. FUNK: Yes, I'm on here. I --
14 Ted's already told me what's going on.

15 CHAIR PRESLEY: Okay, all right.

16 MR. KATZ: That's all right, Brad
17 just wanted to be certain.

18 MR. FUNK: Yes.

19 CHAIR PRESLEY: We're going to
20 break for lunch now, and then we're going to
21 come back at 1:00 Eastern Standard Time.

22 MR. FUNK: Okay, let Lynn keep

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going, he's doing fine.

2 MR. KATZ: Okay, thank you everyone
3 on the telephone. We'll disconnect now and
4 start back up around 1:00.

5 (Whereupon, the above-entitled
6 matter went off the record from 11:50 a.m. and
7 resumed at 12:59 p.m.)

8

9

10

11 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

12 12:59 p.m.

13 MR. KATZ: Hello everybody on the
14 phone. This is Ted Katz with the Working
15 Group, Nevada Test Site Working Group,
16 Advisory Board and Radiation Worker Health.

17 We're starting up again post-lunch.

18 And just a reminder for everyone who's on the
19 telephone, please at this point mute your
20 phones or use star six, except if you are
21 going to address the Board. Much thanks, bye.

22 CHAIR PRESLEY: John, are you on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there?

2 MR. FUNK: Yes, I am.

3 CHAIR PRESLEY: Okay, thank you.

4 MR. KATZ: Just checking, John,
5 thanks.

6 CHAIR PRESLEY: Okay, Jim you were
7 in discussion before I so rudely interrupted
8 you.

9 MR. NETON: No, I was actually
10 finished with the brief statement that I
11 wanted to make, and then I turn it over to
12 Mark and his folks if they had any -- unless
13 anyone else has anything else from the Working
14 Group to say first. But I'll have Mark and
15 Mel and others comment on what our feelings
16 are in the report.

17 MR. ROLFES: All right, mine is
18 pretty brief. I just wanted to point out that
19 some of the - I'm sorry - the numbers, the
20 dose that we're talking about from
21 environmental intakes here are really pretty
22 small.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Just to show an example of the
2 internal dose from the gross beta, one of the
3 highest measured gross beta concentrations
4 that was presented in Lynn's report was
5 roughly 1 e to the negative fifth microcuries
6 per cubic meter.

7 If we assume that that individual,
8 an individual was breathing 2,400 cubic meters
9 of air at the Nevada Test Site in one year,
10 and was exposed continuously to that highest
11 air concentration of 1 e to the negative five
12 microcuries per cubic meter, that would give
13 him an intake of roughly 24,000 picocuries of
14 gross beta activity per year.

15 Now to assume a worst case scenario
16 strontium-90, Type F solubility material, the
17 internal doses resulting from such an intake
18 per year for the highest non-metabolic organ,
19 we're talking a committed effective dose
20 equivalent, which is the dose received over 50
21 years, would be less than a millirem, it would
22 be a fraction of a millirem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The highest -- one of the highest
2 doses would be roughly 190 millirem, and that
3 is spread over 50 years. So we're really
4 talking about very low doses, or very low
5 impact on a dose reconstruction.

6 I can pass around, you know if
7 anybody would like to see a listing of what
8 I've done here. This is basically an intake
9 estimate and the resulting internal doses and
10 the integrated modules for bioassay analysis.

11 I don't know how long we would like
12 to continue to go back and forth, because the
13 doses that we're discussing are really pretty
14 small in most cases.

15 The bottle that we've already got
16 in our approved site profile for the Nevada
17 Test Site basically is assuming that an
18 individual was exposed to the maximum
19 documented air concentration in any area of
20 the Nevada Test Site.

21 We're also assuming that that
22 individual would have been breathing without

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any respiratory protection factors applied,
2 would have been breathing that air at that
3 worse concentration for 2,000 hours per year.

4 So we've applied a maximum
5 documented environmental air sample result
6 with a maximum occupancy factor, essentially.

7 And we've also applied maximum scaling
8 factors to assign intakes of other
9 radionuclides, which this accounts for the
10 decay of short-lived fission products, et
11 cetera.

12 So if we were to go back and look
13 at the specific air monitoring results, look
14 at a distribution of the results, rather than
15 select the highest single air sample result.

16 If we look at a distribution, that
17 would further refine the dose estimate for the
18 intake amount and subsequent dose estimate.

19 Additionally, if we would actually
20 consider the occupancy, you know, it's
21 unlikely that one worker would stay in that
22 area for the full 2,000 hours per year. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much more likely that he could have entered
2 that area for you know a shot, for say a month
3 or something, perhaps.

4 So once again, that would reduce
5 things by, you know, roughly an order of
6 magnitude or more. So anyway, I feel like
7 what we have done already is pretty strong and
8 defensible.

9 I think that's what I have to say,
10 so --

11 CHAIR PRESLEY: Go ahead, Gen.

12 MEMBER ROESSLER: Well thank you
13 Mark, because as Lynn was talking, and he's
14 got a lot of numbers here, and I was trying to
15 digest the significance of the numbers, or
16 what the magnitude or impact would be, and I
17 just took one, because on this one chart, I've
18 forgotten where it is, but it has to do with
19 plutonium, and I think it was that 400 number.

20 And I was hoping I didn't make a real big
21 mistake, but I'll go ahead and you can correct
22 me.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But I wanted to get a feeling for
2 what that meant. It was given in terms of --
3 well it was about 400 microcuries per
4 milliliter. Microcuries, yes, so I took that,
5 and took it into becherels, because I can
6 think in disintegrations per second. And I
7 came up with on the order of 10 to the minus
8 10 becherels per milliliter.

9 I have a hard time picturing even
10 being able to measure that number, if I've
11 done it right. Sometimes I -- you can check
12 me here. I know you're doing it. So
13 picturing that that could have any kind of an
14 impact, you know with the occupancy factors
15 you're talking about.

16 So I was hoping somebody could take
17 these kind of numbers and take them to what
18 you've just done, and put it in perspective.

19 MR. ANSPAUGH: I would like to make
20 a couple of comments about that. We know, for
21 example, that the Baneberry event resulted in
22 evacuating 900 different people. And we know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the people were screened, all 900 people
2 were screened, and so some of them were sent
3 for more detailed analysis.

4 And the highest dose that was
5 reconstructed on the basis, not of air
6 samples, but of the documented iodine in the
7 person, is actually four rem to the thyroid.

8 So that's getting up to the point
9 where it's interesting. And the other -- I'm
10 not quite sure what you were referring to when
11 you said the highest documented air
12 concentration. Are you talking about the
13 environmental surveillance program, or --

14 MR. ROLFES: What I had selected is
15 from one of your figures. There were some
16 ranges of gross beta concentrations in air.

17 MR. ANSPAUGH: Are you talking
18 about my report?

19 MR. ROLFES: Yes, correct. Well it
20 was from one of the -- it was what you had put
21 together, you had referenced Brown and - I'm
22 sorry, I forgot the other author's name.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CHU: Glora.

2 MR. ROLFES: Okay, thank you. From
3 one of the figures, I didn't write down which
4 figure I selected that value of 1 e to the
5 negative microcuries per cubic meter. But it
6 was a gross beta concentration that I had
7 selected. Just as a simple back of the
8 envelope type calculation.

9 MR. ANSPAUGH: Okay, well my only
10 comment on that, and I know Jim would have a
11 strong disagreement, but whatever that number
12 is, I would multiply it by a factor of 60 if I
13 wanted to represent what was actually the
14 dust-loading template. And we'll have to
15 check some of these numbers, but --

16 MR. NETON: Well that number looks
17 like it was Figure 4, which is the weekly
18 means and ranges of gross beta activity and
19 air samples in 1964.

20 And that's the highest end of the
21 range of all of the air samples was 10 to
22 minus 5. But anyway, yes. So of all the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 composite air samples, the range of the
2 highest values is 10 to the minus 5.

3 I think when you talk about the
4 dust-loading, though, then I think you're
5 getting into what I would consider the
6 occupational area of dosing, because again,
7 this would not be assigned to someone who was
8 a bulldozer operator, or something of that job
9 category.

10 And we would rely on a co-worker
11 model, which again, I know there's another
12 separate analysis of that done by SC&A. But
13 that would be based on bioassay measurements
14 from the workers to assign dose, not rely on
15 air sample measurements.

16 So these values would be applied to
17 people who were principally in support roles
18 that might primarily be administrative,
19 clerical, those type of things. But anybody
20 with a job category that is more defined as an
21 occupation out there, doing the work so to
22 speak, this model would not even come into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 play.

2 MR. ELLIOTT: It wouldn't be
3 applied to those who were monitored.

4 MR. NETON: The bioassay samples
5 would incorporate that exposure. It would
6 reflect that exposure. So that's, I guess,
7 where we're coming from.

8 MR. ELLIOTT: The 900 folks that
9 were brought out of the Baneberry event, Dr.
10 Anspaugh specified that there was some
11 screening activity, and some were removed to
12 have further monitoring placed upon them. So
13 we would utilize that information.

14 MR. ROLFES: Right, that being the
15 most important piece of information that we
16 would use for a dose reconstruction in the
17 first place would be the bioassay data for the
18 individual.

19 That would likely result in a much
20 higher internal dose assay, as you alluded
21 to, when we would reconstruct someone's intake
22 from their actual bioassay data, rather than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an air sampling result.

2 MR. ANSPAUGH: Let me just make one
3 remark about that, and that is, you have a lot
4 more optimistic attitude about the frequency
5 of the bioassay data than I do. And I realize
6 that's a separate discussion for later.

7 MR. NETON: No, I agree with you.
8 I mean, there are some -- you know, the report
9 by SC&A on the table that we're going to
10 hopefully get to today, but --

11 MR. MAURO: I think there's
12 something very important conceptually in that
13 it's almost like a way of thinking about the
14 workers, the groups of workers that were at
15 the site.

16 When we last met, I certainly
17 understood that there were a group of workers
18 that had, were under radiological control,
19 there was access control for certain areas,
20 because certain kinds of activities were going
21 on in those areas where it was deemed you need
22 to have access, you need to use controls.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 People wore badges, and so forth and so on.

2 And then there were another group
3 of workers at the site who were working in
4 just more of a general capacity. They were
5 out there and doing various physical things.
6 But they are not a part of what I would call
7 an access control type of operation.

8 And it was my understanding, and
9 these could have very well have been people
10 driving around, perhaps towing bulldozers and
11 doing all sorts of physical activities out
12 there, and not entering access control areas.

13 And the intent of your model was to
14 apply to that group of workers. Now what I'm
15 hearing, though, is that, if there were people
16 out there on bulldozers doing whatever type of
17 ongoing maintenance and activities that were
18 taking place continually, they would fall
19 within the group that you had assigned your
20 occupational and internal exposures to.

21 And that's a new concept, because I
22 think that the last time we spoke, we didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 parse it that way.

2 MR. MAURO: I need to be careful
3 what I'm saying, because I have not reviewed
4 this in detail. But my feeling is that would
5 be the case, because you're in a heavy dust
6 loading environment, I would agree with Lynn
7 that these ambient environmental measurements
8 were taken -- I like to call it ambient
9 environmental, not occupational environmental.

10 That's really what this model is intended to
11 apply, in other words, ambient environmental
12 exposure.

13 But someone actively involved in
14 disturbing soil, digging things up, would not
15 be covered by this, in my opinion, this model,
16 this ambient environmental model.

17 MR. NETON: I hear you saying --

18 MR. MAURO: In fact, it would not
19 even be used.

20 MR. NETON: From our last
21 discussion, I forget the fellow that was
22 sitting to my right, we talked about that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And it was made very important to distinguish
2 between two groups of people. But really now
3 we have only three groups of people.

4 MR. MAURO: But see I guess my
5 point is, how would you know. See how would
6 you know. If you have a unmonitored worker,
7 and he's got a job category in bulldozer
8 operator, whether he really entered these
9 areas all the time or not.

10 MR. NETON: So let's assume for the
11 time being that you have a worker, and you're
12 going to have to drop him in one of three
13 bins. Okay, he's going to be this person that
14 we know entered access control areas, was
15 badged, and we have another worker who was
16 working generally at the site, but was not
17 under an active program, but he was doing work
18 where he could have been kicking up some dirt.

19 And then we have this other
20 category of worker that is the worker that you
21 would use your model for. I'm not quite sure
22 how you're going to fit, how you create those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bins.

2 But now let's get to that third
3 bin, which you're saying is the bin at which
4 this particular model would apply to. I guess
5 it's at that point then, within that context,
6 that we have this third bin that we can put
7 people into. Now I guess I would pose this
8 question to Lynn.

9 In light of that, what we're
10 thinking about it, this other group of people,
11 let's say for example cafeteria workers --

12 MR. CHU: The Base camp people in
13 Mercury.

14 MR. MAURO: The Base camp people in
15 Mercury. So there are certain people that you
16 have in mind that if you think --

17 MR. NETON: That clearly fall into
18 that.

19 MR. MAURO: -- and now I guess the
20 next question is, are some of the concerns
21 Lynn that you had raised related to this
22 model, would those -- are any aspects of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concerns applicable to this other category
2 that's been defined for us, such as the Base
3 camp workers, and some other groups of people,
4 I guess.

5 MR. NETON: Dispensary, cafeteria -
6 -

7 MR. MAURO: Okay, is there any
8 aspect now given what we just -- because I
9 have to say, when I read your report
10 originally, I was thinking more in terms of
11 all of these people that are out there working
12 who are not necessarily going into controlled
13 access areas, but were still out there
14 outdoors working.

15 MR. NETON: And just to point out,
16 there are signs in the middle of the road.

17 MR. MAURO: Yes, right.

18 MR. NETON: I mean, controlled
19 areas. And you would certainly have to cover
20 those areas.

21 MR. MAURO: And I would have to
22 say, my understanding is, well that model that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you have just described for ambient would be
2 applied to those workers. But you're saying
3 no, they wouldn't, and that's an important
4 distinction.

5 So given that, let's move on and
6 okay, this third bin group of people, is there
7 anything about the model in light of your
8 research that says, well there may be some
9 problems there also.

10 MR. ANSPAUGH: Well I would like to
11 make a couple of comments. I think this bin
12 has very big boundaries, and that's part of
13 the problem, and the other part of the problem
14 we're really focusing on one out of the four
15 points I had. And there's still the other
16 three points.

17 And so whether the point number
18 three about the relationship between these
19 environmental air samplers and the people out
20 in the field I guess is really subject to the
21 vague bin boundaries.

22 When I read the technical basis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 document, basically it says it's the dose
2 individuals received at the Nevada Test Site
3 while outside operational facilities, but on
4 the site. And again, operational facilities
5 is kind of a vague bin, too, and I think
6 that's part of our problem here.

7 MR. NETON: I think you raise a
8 good point there. We need to firm up what we
9 really intend to apply this to. But, and I'm
10 hoping I'm on the right path here, because I
11 think how we define that -- and it was my idea
12 that this would be --

13 MR. KATZ: Joyce, could you hold
14 on? Jim Neton is still speaking.

15 MR. NETON: -- it's just my idea
16 that this would apply to people where, you
17 know, they are not disturbing the soil, you're
18 not actively engaged in operations that would
19 be disturbing soil, and that stuff like that.

20 MR. KATZ: Okay, Joyce go ahead.

21 MS. LIPSZTEIN: I think on the SEC
22 evaluation before us, there were four

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scenarios that were described, worker group
2 scenarios, and the environmental models would
3 be applied to scenarios three and four. There
4 are three of them on the evaluation report,
5 and that would comply workers from the weapons
6 safety test, nuclear rocket development, the
7 combination facility, radiochemistry lab, well
8 logging operations, radiation instruments
9 calibrations, low level waste, and many
10 others.

11 So that's what basically should be
12 the evaluation report that for those group of
13 workers, whenever the bioassay results, they
14 would apply it in environmental model.

15 MR. NETON: I didn't catch all
16 those facilities, but it sounded like it was
17 fairly encompassing. But again, I think we
18 need to interpret what they're doing.

19 I mean by the job categories, I
20 keep going back to thinking about this tip
21 that we talked about yesterday that defines
22 the categories of workers to which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 environmental versus the 50th percentile or
2 maybe the 95th, or however we parse that out
3 is applied.

4 And that's defined in this
5 Technical Information Bulletin. I have to
6 admit that I can't recall exactly what was
7 said in the evaluation report, and how that
8 would apply here, but suffice it to say right
9 now, let's for sake of argument suggest, and I
10 think John has brought this up, that if these
11 were applied to areas where there were non-
12 disturbed soil, because clearly in my mind you
13 can't apply these environmental samplers to
14 areas where people are actively disturbing
15 soil, because like you suggested, I mean the
16 factor may be ten or more would be more
17 appropriate.

18 But again, that is one issue out of
19 four though, and I think maybe you can put
20 that aside for now, because if the model is
21 not valid to begin with, then that's what Lynn
22 is suggesting based on these other three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 factors, we need to maybe talk about that and
2 why, you know, why we still believe that the
3 maximum plutonium values that we've used is
4 bounding for let's -- for argument purposes
5 apply to this class of workers the people in
6 cafeterias, dispensaries, Base camp workers,
7 that sort of thing.

8 Because clearly we need to be able
9 to define how those people were exposed.
10 Ultimately we could use bioassay data for
11 other classes of workers if we can come up
12 with a valid co-worker model, which again is a
13 subject of another discussion.

14 I don't know if we have anymore to
15 say on Lynn's analysis other than the fact
16 that, I mean we've had this for a week, so we
17 haven't had time to give it a detailed
18 analysis and compare our value in relationship
19 to what, you know, what Lynn has said.

20 So maybe that's where we're at
21 right now is that we're not right now willing
22 to say that this model is invalid as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suggested, but we need some more time maybe to
2 study it. And that's what we have for now.

3 CHAIR PRESLEY: Does anybody else
4 have anything?

5 MEMBER CLAWSON: I'm just -- I'm
6 still, I sound like the rest of us. I'm
7 trying to figure out how this would apply as
8 far as people wise and so forth, because, and
9 the reason why I'm saying that is because I
10 remember one of the petitioners talking to us
11 that, well they called me out as a welder, but
12 my area was the central area, but I was never
13 there, and that's where I was based out of.

14 MR. NETON: Right, and that's what
15 I'm talking about. I'm sorry to interrupt.

16 MEMBER CLAWSON: Yes, and I'm just
17 trying to get a handle on how we would capture
18 this one way or another, because many of the
19 people that classified themselves as clerical
20 or whatever, well they are the ones that went
21 out there and helped take the readings and so
22 forth like that out in the field and so forth.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I'm just trying to -- I really
2 can't get a clear line of how we would
3 separate it.

4 MR. NETON: And I think maybe
5 that's my action item as a result of this
6 which is one, to clarify the boundaries as to
7 where this model would be used, and then
8 secondly, to react to Lynn's analysis on a
9 point by point basis, and justify what we're
10 doing, or agree that, you know, we need to
11 make some changes.

12 MR. ROLFES: One of the important
13 things that we would have to consider also
14 Brad in there for looking at what the
15 individual was doing is we would have to take
16 a look at his external dosimetry records and
17 for example his access logs to see if he had
18 entered a radiologically controlled area.

19 That would be like a starting basis
20 for us to determine whether he could have been
21 exposed to higher concentrations of airborne
22 radioactivity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What we would have to do then is
2 look to see if he had bioassay results, and if
3 he did, those would be the first source for us
4 to do a dose reconstruction for his intakes.

5 It's -- you know, if the individual
6 did not enter a radiologically controlled
7 area, and was issued a dosimeter, and never
8 had any positive dose, we would probably be
9 okay just assigning ambient internal exposures
10 based on what we have in our site profile.

11 It's, you know when we complete a
12 dose reconstruction, however, even though we
13 have these ambient environmental intakes in
14 the approved site profile, we have typically
15 used higher air concentrations to assign as an
16 overestimation for, you know, any work that
17 that individual might have done.

18 For example, our TIB 18 approach,
19 we've assumed that certain workers have
20 entered radiologically controlled areas, and
21 could have been exposed to some fraction or
22 even maximum permissible air concentration.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So if there's uncertainty for, you
2 know, whether a worker entered into a
3 radiologically controlled area, and may not
4 have been bioassayed, there are other
5 approaches that we have used for dose
6 reconstruction which are much -- well so there
7 are other approaches rather than just --

8 MEMBER CLAWSON: And I understand
9 what you're saying. My issue is, and this has
10 come up several times, you can go out through
11 that whole site, and it's like one guy says
12 once you pass through Mercury, you're really
13 in a radiological control area, because
14 everything else -- now when you get up in the
15 tunnels, that's a totally different entrance
16 into radiological controls.

17 They've got kind of different
18 boundaries, and we really kind of have these
19 at all the sites kind of like this. And I
20 guess the terminology of radiological control
21 area --

22 MR. ELLIOTT: They have access

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 controls that they relax at times. We have
2 log books that would show that, in certain
3 instances, they allowed individuals to go into
4 an area that, you know, previously was a very
5 strict RAD controlled area, but they have
6 relaxed the controls on it to allow that entry
7 for those specific activities.

8 So I think you have to understand,
9 you know, that operational process dictates
10 what happens to an individual whether they are
11 monitored or not monitored for that access.
12 Am I correct in that?

13 MR. ROLFES: Exactly. Something
14 that's a radiologically controlled area one
15 day could be decontaminated, and the next day
16 it could be open to anyone who needed to
17 conduct work in that area.

18 There is still typically
19 documentation of the entries into those areas
20 by --

21 MR. NETON: I think we also have to
22 be aware of the fact that what's called a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radiological control area varies depending on
2 the time frame we're discussing.

3 I mean, I'm looking at this picture
4 that Lynn apparently took on May 23, 2008.
5 That's taken in accordance with the DOE
6 regulations of today, which would imply that
7 anybody who has a potential to receive 100
8 millirem of exposure you have to label as a
9 controlled area.

10 That clearly would have not been
11 the case back in 1962. It's a very different
12 definition. So you know we have to be careful
13 what we're talking about and looking at what
14 was defined as a radiological area back then,
15 you know, prior to 1980s they had a very
16 different definition.

17 MEMBER CLAWSON: Yes, that -- maybe
18 that's --

19 MR. NETON: In fact, I'm not even
20 sure it was consistent.

21 MEMBER CLAWSON: Maybe that's why
22 I'm having a hard time getting my hands around

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it, because what we consider a radiological
2 control is really totally different than what
3 --

4 MR. NETON: Well yes, in the 60s
5 there would have been people at DOE
6 facilities eating their lunch in areas that
7 are now restricted access. I mean so it's
8 very different.

9 MEMBER CLAWSON: Yes, well we enter
10 through the gate.

11 MR. NETON: You're in a controlled
12 area right there.

13 MEMBER CLAWSON: Yes, but that's
14 just for every general thing, because you're
15 right. Rules have changed and so forth like
16 that, and I guess that's what I have a hard
17 time -- control areas, that meant that there
18 was something there, somebody, something to
19 stop you from going into those areas.

20 And that's -- so we need to look,
21 we need to look at how -- you're right. They
22 were changed over the years and so forth like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that.

2 MR. NETON: But I strongly agree
3 that we need to also go back and very clearly
4 define who's covered by which model here.

5 I mean I agree, that's the cause I
6 think of a big disconnect here. And so we're
7 going to revisit that, and shore that up. And
8 then we'll also evaluate, in light of that,
9 we'll look at Lynn's model, or the evaluation
10 of our model and react to it.

11 MR. KATZ: Hello Arjun, go ahead.

12 MR. MAKHAJANI: Yes, sorry I didn't
13 know it -- a couple of things, one, to react
14 to what Mark Rolfes just said about Lynn's
15 model, and how we might check it out, that if
16 there weren't an external dose, there might
17 not be a concern for internal intakes.

18 I think a great part of how I heard
19 Lynn's presentation was that you'd expect
20 episodic exposures in various kinds of
21 situations like dragging a drill across the
22 desert, or vehicles, or accidental venting and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so on, and resuspension in the short term due
2 to bursts of wind, to people working on the
3 outside.

4 So I am a little skeptical about
5 that approach to checking on the validity of
6 what is being done. And also, this is going
7 to be reinforced by what we're going to talk
8 about in terms of the internal dose
9 investigation.

10 We did not find that external and
11 internal doses, or recent external and
12 internal dose measurement frequencies were
13 related. And so that's a kind of caution.

14 The other thing is, Joyce did read
15 out a rather long list of worker types, some
16 of which, some of whom would be clearly
17 covered in terms of being vulnerable, or
18 having potential overexposure in the situation
19 that Lynn was talking about.

20 So I really agree with Jim Neton
21 that there's some boundaries that need to be
22 put around. And then the final thing of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 course you all already said is that there
2 ought to be other points in Lynn's critique of
3 the model that we addressed, independent of
4 who gets assigned an environmental dose.

5 CHAIR PRESLEY: Okay, John?

6 MR. KATZ: John Funk, are you still
7 with us?

8 CHAIR PRESLEY: John?

9 MR. FUNK: Okay, I got my mute off.
10 I'm having a real hard time with it.

11 MR. KATZ: John, do you want us to
12 now raise points that either haven't been
13 covered or that you want to emphasize?

14 MR. FUNK: Yes, I've been sitting
15 here chomping at the bit.

16 MR. KATZ: Chomping at the bit,
17 that's good.

18 MR. FUNK: Oh yes. In fact, I've
19 been sitting here chomping at the bit. I get
20 a little bit of problem when we hear this
21 bulldozer mentality. There is a lot of other
22 people out there besides bulldozer operators.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In fact, the carpenters were down in the hole
2 building a form for the tower pad, which is,
3 by the way, four foot deep, and your head was
4 about 18 inches above the top of the ground
5 while a lot of this grading was going on
6 around these paths.

7 And I'm talking about, they graded
8 these paths so you could play golf on them.
9 They were perfectly flat. So there was a lot
10 of earth moving activity.

11 And as to what Mark's comment about
12 you would have to spend 2,000 hours out in the
13 field. Well I've got some news for you, I did
14 spend 2,000 hours a year out in the field and
15 sometimes more because we worked on the field
16 crew. We were always out on one hole or
17 another, and when you go into Area 3, like
18 they said when you come over past gate 200,
19 you are in a radioactive area. And when you
20 go into Area 3, if you look at the maps from
21 the surveys that were taken out there, it was
22 the dirtiest area on the Test Site.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we had the most tests in that
2 area too. That's almost everywhere you went,
3 there was a possibility for exposure from it
4 any time that dust blew at all.

5 So, I got a little problem using
6 that word for bulldozer operators only. That
7 was carpenters, that was laborers, there was
8 teamsters. There was equipment operators. So
9 we got more than just bulldozer operators.

10 And we seem to have that same
11 problem in the tunnels when we're talking
12 about reentry. Listen to you guys, the only
13 place you ever did a reentry was in the
14 tunnels. There was 50 times more reentries
15 done in the flats than was done in the
16 tunnels. It was done by totally different
17 people.

18 In the tunnels, the miners were the
19 predominant force. In the flats, it was a
20 crew that was made up of a composite group of
21 people of carpenters, iron workers, laborers,
22 teamsters, and operating engineers. There was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 no miners unless there was a shaft in the
2 flats. And you only had -- shafts were very
3 rare.

4 The Q1A was a shaft, but Q1A was
5 closed I think in a year, I think it was only
6 opened up towards the end of testing there.

7 So, when you talk about reentry,
8 you got to start looking into issues of these
9 other areas of the flats as well as the
10 tunnels because there was a lot of reentry
11 done down there too.

12 Excuse me, I'm having a little
13 trouble breathing, I'm on oxygen. Let's see
14 what's the next thing I want to go into here.

15 Co-worker models, he was talking
16 about bioassay. I worked in the flats for
17 four years. I know for a fact that I was
18 exposed on three different occasions because I
19 was chased out of the area by LASL. RICO's
20 people told us to go in and work. LASL people
21 would come in and chase us out.

22 I was never given a bioassay. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't know anybody else who ever was. And
2 even PICs, nobody in the flats was ever given
3 PICs. There was a few guys on the bowshot
4 who got PICs, but they were the only ones who
5 got it or nobody else.

6 And I went into the area where the
7 guys were wearing the PICs, but nobody gave me
8 one. It was a doghouse under the drill bit
9 where the rad-safe guys picked up the core
10 sample. We used to take him boxes back there
11 all the time to put his soil samples in. We
12 built his tables back there. And we'd come
13 and went there all the time, and they never
14 gave us any PIC to wear over there.

15 And the only controlled area, you
16 keep talking about controlled area, if RICO
17 had all the rad-safe people that you're saying
18 they had -- now you got to keep in mind,
19 sometimes we had nine to 10, 12 tests going at
20 once. RICO did not have that many people to
21 man all of these places that you are talking
22 about.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 They had to -- The rad-safe area
2 would have had to been fitted - extended on
3 the test site. In fact they were the less -
4 the least, it was just a handful of them out
5 there. Most of them were just trainees. I
6 don't know where all these people were at that
7 you're talking about. I sure as hell never
8 seen them.

9 I was down there four years and
10 like I said, I don't know anybody given a
11 bioassay so I don't know what you're going to
12 use as a co-worker. You can't use Area 2 co-
13 workers for Area 3 because it's apples and
14 oranges. Lawrence Livermore did things
15 totally different than what LASL did them and
16 a totally different time frame and there was
17 nothing even similar.

18 Lawrence Livermore used a drill rig
19 to drop the bomb in the hole, LASL used a
20 cable and a crane. So everything is different
21 the way they did things. So you can't be
22 using -- I don't know where you're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get the co-workers from.

2 Now let's talk about another thing,
3 full-body scans. Now mine -- I got a lot of -
4 - I'm an advocate for a lot of people, so I
5 get their medical records. And then I see an
6 awful lot of full-body scan forms that show no
7 results. And I just happened to look at mine,
8 and I got one of them in mine too. I've got
9 two or three of them in there, full-body
10 scans, papers with no results.

11 Well, I've also got in my briefcase
12 three refusals of a full-body scan. However,
13 they are not in my medical records. So the
14 dose reconstructor does my dose, he looks down
15 and he says well this guy had a full-body
16 scan, no results, you know, he didn't have no
17 problems.

18 What he doesn't know is I signed a
19 refusal for that full-body scan, and that
20 document shouldn't even be in my medical
21 records. And I've got them, I'll send you
22 copies of it if you want. And I don't know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anybody else who did the refusal that have
2 them in their medical records either.

3 So you're talking about going back
4 to the records. There's a lot of these
5 records that are missing and as far as
6 culinary people is concerned, being in a
7 controlled area, there's a contract on the
8 test site that any time people were working in
9 excess of five hours without a break RICO had
10 to serve them a hot lunch in the area they
11 were working, and that included in the drill
12 rig too, in the post shop.

13 So they couldn't stop that drill.
14 The guys couldn't stop working. They'd bring
15 the food right in to them. And there would be
16 culinary people that brought it to them too.
17 They'd take it right into the tunnels too,
18 past the RAD control points.

19 So, just saying that people from
20 the culinary were never in a control, never in
21 a dangerous area is absolutely wrong.

22 And even if you go to the Baneberry

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 report on page nine and ten. Let me see if I
2 can find the document, you're probably aware
3 of one of them. I'm referring to -- just a
4 minute. Document NVO-410-29 on pages nine and
5 ten.

6 You guys need to revisit this
7 document and read about it because what you're
8 going to find in here is that the culinary
9 cleaned the mess hall up after Baneberry. The
10 maids cleaned up the living quarters, washed
11 the blankets and everything. The janitors
12 cleaned up the recreation room and anywhere
13 else. The warehousemen cleaned up the
14 warehouses, and the fire department actually
15 played one of the major parts in cleaning up
16 the site. They washed all the roads down,
17 washed all the roadsides down. They made a
18 discovery that their X foam that they used for
19 petroleum fires was also a great radiation
20 abatement process.

21 However, this does not say so on
22 the firemen's job classification by the way.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And they were used quite extensively at the
2 tunnels. Every time they had a leak up there
3 and they had to bring the equipment out to
4 decontaminate it, the fire department had
5 control of the foam. They had the machine
6 that put the foam, and they did most of that.

7 But it's not on their job classification.

8 And speaking of job
9 classifications, also heard somebody talking
10 about a welder. Please, if you're going to
11 talk about a welder say what kind of welder
12 you're talking about because every craft on
13 the test site had a welder on site. We had a
14 welder, our department had a huge welding
15 group. The pipefitters had welders,
16 electricians had welders, the miners had
17 welders, even the operating engineers had
18 their own welders. And I think the only one
19 didn't have one was the teamsters.

20 But when you're talking about
21 welders say what kind of welders because there
22 was a lot of different kinds of welders out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there. That's the whole problem with this
2 whole thing is they used co-worker models from
3 other crafts because they say he was a welder.

4 They don't identify what kind. What kind of
5 welding the carpenters did would be nothing
6 like what the pipefitters did.

7 So that's where, we're getting a
8 lot of confusion now with the job
9 classification. And I'd like to finish out by
10 saying these air sample stations were never
11 intended to be used in the manner they are
12 being used. There wasn't enough of them.

13 I sent you an 18-page report. I
14 detailed the locations of them, the distances.

15 I've also described the buildings, the
16 obstructions, what they were open to. And I
17 don't see how any information gleaned from
18 this could ever be of any benefit to figure
19 out what a person was ingesting.

20 And I'd like to make one other last
21 comment. You're very cavalier about what you
22 say that there was no possibility of anybody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 getting sick out there or getting radiated and
2 to listen to you guys, they might as well
3 turned into a park because there is a lot of
4 danger out there and the Government knows it,
5 that's why they got the signs up, don't go in
6 here.

7 They got a great concern because
8 the place is not habitable. So, for you to
9 say that -- being so cavalier that there's
10 nothing out there to worry about and the
11 percentage is low, believe me it's not. And
12 there's a lot of hawks in the Pentagon just
13 waiting for this report to come out so they
14 can put the nuclear bomb on the first-strike
15 option and the report that they're going about
16 right now is going to give them all the
17 ammunition they need.

18 Now, I'll finish. Thank you very
19 much.

20 CHAIR PRESLEY: Thank you John. We
21 appreciate your comments. Does anybody have
22 any comments to John's --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: This is Wanda, I have
2 one question, a terrifying question. John,
3 thank you for the material you sent to the
4 Board. Excuse me. It's been very helpful.
5 One of the -- perhaps I did not quite
6 understand what you were saying earlier when
7 you talked about refusal of a full-body count.

8
9 Did I understand you to say that
10 you were offered three whole body counts and
11 refused them?

12 MR. FUNK: Yes Wanda, and I tell
13 you why.

14 MEMBER MUNN: That was my question,
15 why did you refuse a whole body count?

16 MR. FUNK: I will tell you exactly
17 why. You were only offered the full-body scan
18 when you were off payroll. Otherwise, we
19 would clear the job when -- the only time you
20 were offered body scan was when you were laid
21 off.

22 So, you would go down to Mercury

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and you would clear out everything and the
2 very last thing you would do is go to payroll
3 and you were on your own time. So we had to
4 go up to Mercury Medical for a full-body scan.

5 It took -- the way they did it it
6 took one hour. You went on a room, laid on a
7 bed, you closed the doors and turned it on.
8 One hour you could leave. But you weren't
9 getting paid for that.

10 And the second reason they would
11 not give you the results of it after the gave
12 it to you.

13 And the third reason, I asked a guy
14 how it worked, he said, "Hell I don't know. I
15 just turn, I just close the door and turn it
16 on and turn it off." Now, you going to let
17 somebody x-ray you that doesn't know how it
18 works? I said no, I wasn't going to do it.

19 So I said no, I wouldn't do it. So
20 they have a regular form that you have a right
21 to refuse it, and you can sign the form. And
22 I have three copies and that's the only reason

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I refused it.

2 MEMBER MUNN: That's interesting,
3 thank you for that information.

4 MEMBER CLAWSON: I've got one
5 question John, this is Brad Clawson. A lot of
6 talk has been about access logs and so forth
7 like that.

8 In your experience out there, did
9 you use a lot of those going in and out of
10 areas or what?

11 MR. FUNK: Well, we had -- it
12 depends on what kind of access you're talking
13 about. Now, on the tunnel access they did
14 have -- when they did reentry they did have
15 control points where you had to go back and
16 forth through inside. They usually had a
17 station where they had industrial hygienists
18 and maybe a RAD safety trainee.

19 And they did log you in places in
20 the hot areas in the tunnel. But in the
21 flats, the only access that you had -- well
22 access points, control points was at the post-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shot.

2 And the way the post-shot worked,
3 they put a snow fence around the back-drill
4 and they'd make a complete fence and they had
5 the RAD access points would be directly
6 pointed at the snow fence area. Now that
7 didn't cover the whole pad, that only covered
8 the drill rig and the immediate area around
9 the drill rig, which is probably about maybe a
10 200 feet in diameter, area.

11 The rest of it was not controlled.
12 And when you got in -- when you went into the
13 drill rig you did have to walk inside and put
14 rubber boots on and put paper coveralls on and
15 when you came back out, you had to walk
16 through the loop and they had tape on the
17 floor to take things off your boots. And just
18 left the boots there and picked your own boots
19 up and went on.

20 Now other than that there was no
21 control point. There was no rad-ex control
22 point they said they had because I worked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there four solid years and I was on the field
2 crew, I was in the field all the time.

3 I might work at nine, ten different
4 locations and that could be verified with my
5 time cards. You'll see on my time cards that
6 I'm all over the place. And I also worked in
7 the tunnels as well as in the flats, so I know
8 what happened in both places.

9 Like I said we're getting tunnel
10 mentality on the re-entry. There was a lot
11 more re-entry done in the flats and quite
12 differently. In the tunnels, the miners were
13 the predominant craft that did the reentry
14 because they had to cut out the plugs that we
15 put in and but down in the flats, there was no
16 miners unless you were working on a shaft
17 shop, where they had to go down the shafts and
18 then back in the tunnel like Q1A. Everything
19 down there was done by carpenters,
20 ironworkers, laborers, operational engineers.

21 Okay, very little rad-ex control
22 out here. There is -- and back in them days

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when I worked there there weren't even signs
2 up. And in fact, you're talking about a rad-
3 ex control right across the road from Area 3
4 coming up where they called the Mercury
5 Highway. There used to be a road that used to
6 go up through there and going up to the --
7 what they called the batch plant going up to
8 19 and 20. And normally that road was closed
9 all the time because that was a radioactive
10 area.

11 So, when we had a job up to 19 and
12 20 they would open that road up for us to go
13 up through there because otherwise we would
14 have to drive all the way up to CT-6 and take
15 the new road all the way back, which is about
16 six miles out of the way before we even got
17 going up to 19.

18 It used to take about an hour and
19 45 minutes to go from the shop up to 19. So,
20 in order to cut down that extra eight miles
21 off the trip, they'd open up that road and let
22 us go up through there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, it wasn't that the radiation
2 had dropped. It was just that money was
3 dictating them when they opened areas when
4 they did.

5 MEMBER CLAWSON: Okay, appreciate
6 it John.

7 MR. FUNK: Thank you.

8 CHAIR PRESLEY: Okay, anybody have
9 anything else on this?

10 (No response.)

11 CHAIR PRESLEY: Okay, we're about
12 an hour and a half, two hours behind. The
13 next item is SC&A's presentation on the coal
14 worker model.

15 Does anybody besides the Chairman
16 need a break for about five minutes?

17 MEMBER CLAWSON: I do.

18 CHAIR PRESLEY: Let's call about
19 five or ten minute break. We'll be right
20 back.

21 (Whereupon, the above-entitled
22 matter went off the record from 1:45 p.m. and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resumed at 1:55 p.m.)

2 MR. KATZ: Folks on the line, just
3 to let you know, we're getting started again.

4 I realize there's that obnoxious beeping.
5 Someone has put us on hold. We're going to
6 get them to disconnect that line. That should
7 happen pretty quickly. But, we'll just have
8 bear with it until -- any way we'll get that
9 taken care of as soon as possible. We've
10 asked for them to disconnect that line.

11 I mean, I could mute all lines
12 coming in, if you want to continue with no one
13 else on the phone who needs to speak right
14 now. I can mute all lines coming in so that
15 we can talk. But, they'll still be hearing
16 you on the phone line.

17 MR. FUNK: Ted?

18 MR. KATZ: There it goes.

19 MR. FUNK: Ted?

20 MR. KATZ: Yes?

21 MR. FUNK: This is John, can I make
22 a quick statement to Brad. He asked me a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question that I didn't fully answer. I won't
2 take more than a minute.

3 MR. KATZ: That' fine John, go
4 ahead.

5 MR. FUNK: Brad.

6 MEMBER CLAWSON: Yes.

7 MR. FUNK: About your rad-ex control
8 question, in the flats, one of the things I
9 forgot to mention was that in the flats we did
10 the reentries in a series of about seven to
11 eight reentries.

12 The first time they went to do a
13 site assessment, I'm talking about the area
14 managers and superintendent and a rad site
15 man.

16 And then the second entry we do
17 that right away and we would get the doors
18 open to the buildings because they were
19 usually all over the place, you know, from the
20 shock, it didn't come down level, and they
21 had to get the data out.

22 The third reentry we went and put

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the hard rocks under the buildings and level
2 them up and get the flow data out.

3 The fourth reentry we would go in
4 and start moving the alpha station test
5 readers out. The fifth time we went in was
6 when we set up the rad-ex control points for
7 the phoshot. We had already usually worked
8 enough two to three weeks before the phoshot
9 even got there. I forgot to tell you that
10 part.

11 MEMBER CLAWSON: Okay, I appreciate
12 that John, see, one of my issues is the term
13 that we use as a control point and so forth
14 like that I think is -- especially in the
15 Nevada Test Site is used totally different.

16 Being an ex-miner myself, I know
17 that in the shafts a lot of times they use
18 control point not just for the radiation but
19 it was --

20 MR. FUNK: No, we was inside --

21 MEMBER CLAWSON: -- whose inside
22 and outside and that was a mining law. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 now I get down into the flats and using the
2 same terminology of control points and
3 controlled access, I think it kind of varies.

4 And I do agree with you, I was just
5 trying to get a clearer description of that
6 because some of the people we've talked to
7 have expressed that they didn't have a lot of
8 control point paperwork to go out and go into
9 a lot of these jobs unless it was right after
10 a shot or so forth.

11 MR. FUNK: Well, they did do
12 brassing out there in the early days. Where
13 they had to brass in and brass out so they
14 knew how many people were on the ground. But
15 they did away with the brassing shortly after
16 they started those.

17 Now I have worked on jobs that --
18 like the same way with old copper mines and
19 different places like that where we did brass
20 in and brass out. And the whole purpose was
21 to know who was inside and know who was
22 outside.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: Right, I
2 appreciate that John.

3 MR. FUNK: All right, thank you.

4 CHAIR PRESLEY: Okay, are we ready
5 to continue?

6 (No response.)

7 CHAIR PRESLEY: Okay, SC&A's
8 presentation on white paper on the NTS
9 coworker model. John, are you going to --

10 MR. MAURO: Yes, I guess I just
11 introduce that this was a major investigation
12 that we were asked to perform, and it has --
13 just a little introduction. It has to do with
14 the evaluation report on Table 7.1 which is an
15 evaluation report on Table 7.1 was a list of
16 100 workers that were selected by NIOSH as
17 being good, a case of workers to use -- to go
18 into and use their bioassay data as a means
19 for building a coworker model that would be
20 developed and then applied to other workers.
21 And a judgement would be made that once you
22 have all of that data you could decide amongst

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that data set which ones would be -- which
2 individuals would be assigned the high-end
3 value, the median value and so forth.

4 Our mandate, and there were a
5 couple of aspects here. But I guess by and
6 large it was to take a look at that data and
7 see if, in fact, that the dataset was fairly
8 complete in terms of characterizing the
9 internal exposures these workers may have
10 experienced.

11 Also, it was an important premise.
12 It was assumed that the reason those 100
13 workers were selected by NIOSH was they had
14 the higher external exposures, cumulative
15 external exposures. And there was a general
16 sense that, well, those are likely to be
17 individuals that have the higher internal
18 exposures and would therefore serve us well as
19 the dataset for internal exposure.

20 So we were asked to look into this
21 matter. And the lead on that -- there were
22 two individuals in a very important role. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had was of course Arjun led the effort. We
2 had our statistician involved, Dr. Harry
3 Chmelynski, and Bob Barton did a lot of the
4 heavy lifting in terms of going into the
5 records and downloaded the records.

6 So, with that, and of course you
7 should have what you have in front of them,
8 something call a white paper, and it's dated
9 October 21, 2008. I don't believe this has
10 been PA-cleared, and at this point I'd like to
11 turn it over to Arjun if he wouldn't mind.

12 MR. MAKHAJANI: Yes, thank you
13 John. Well, as John said, this involves a
14 major effort to collect all of this data. I
15 will, you know, after I introduce it let Bob
16 Barton describe that process to you as to how
17 it was collected, documented, and checked.

18 You have, you have the first and
19 main set of spreadsheets that came out of this
20 thing. It's a somewhat larger collection of
21 data. But you have the main thing that goes
22 with the white paper. We will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 communicating the rest of it to you shortly.
2 But the main relevant part of it that goes to
3 the heart of Table 7.1, just to remind you
4 what Table 7.1 was, it was in the context of a
5 NIOSH statement that -- about a somewhat under
6 a third of the records that were supplied by
7 DOE for claimants of the Nevada Test Site had
8 "some internal dosimetry data."

9 So a little over two-thirds did not
10 have any internal dosimetry data. And so
11 there's a question of a co-worker model, and
12 NIOSH selected 100 claimants with significant
13 total whole body photo exposures cumulative of
14 above 1 rem.

15 And I'm now just reading from the
16 evaluation report. This is on page 10 of the
17 white paper. There's a long quote from there.

18 Sorry about the long quote. But I thought it
19 was important to give you the full context of
20 what NIOSH was trying to say.

21 NIOSH made a number of statements
22 in that paragraph, and so we try to evaluate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those statements. And in the bullet points
2 just under that and on page 11. So one is,
3 with the frequency of internal monitoring
4 correlated with external exposure.

5 The external exposure is considered
6 a proxy for internal exposure potential, then
7 we should see that external exposure triggered
8 bioassay monitoring or some other kind of
9 internal monitoring. So, a lot of that
10 analysis is done and that is actually being
11 recorded.

12 We examined whether the workers --
13 this is in attachment C as well. Whether the
14 workers in the NIOSH 100 dataset were
15 consistently monitored or in some form by --

16 What you see there is urine
17 bioassay there was really not very much other
18 internal monitoring although, you know, in
19 vivo monitoring, but we didn't find any
20 significant rems in the in vivo dataset.

21 CHAIR PRESLEY: Arjun, excuse me,
22 could you speak up please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MAKHAJANI: Sorry?

2 CHAIR PRESLEY: Can you speak up
3 please.

4 MR. MAKHAJANI: Okay, sorry, I'm
5 not speaking loudly enough. Can you hear me
6 now?

7 CHAIR PRESLEY: Yes.

8 MEMBER ROESSLER: And tell us what
9 page you're on in the white paper.

10 MR. MAKHAJANI: I am on page 11.
11 There's a set of four bullet points. Let me
12 start over on page 11.

13 MEMBER ROESSLER: I got it.

14 MR. MAKHAJANI: And there's a long
15 quote from the NIOSH evaluation report. We
16 say what we're going to examine in terms of
17 the NIOSH statement about how they are going
18 to go about doing the internal dose
19 reconstruction and bounding the internal dose
20 in the context of the SEC.

21 And this examination is done in the
22 context of the SEC because either you have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bound the dose for the whole set of workers or
2 do a dose reconstruction more accurately than
3 a bounding dose.

4 And the four points that we
5 examined derived directly from the NIOSH
6 statement, so the selection of 100 was
7 according to those with significant external
8 exposure. So we examined whether the
9 frequency of internal monitoring was
10 correlated with the external exposure.

11 In other words, did a high film
12 badge reading, for instance, trigger a
13 bioassay. Whether the workers in the NIOSH
14 dataset were consistently monitored with
15 bioassay or some other internal monitoring.

16 Whether the rad-safe workers were
17 representative of the groups with the highest
18 exposure potential because that's one of the
19 key points in the NIOSH dataset, and that's
20 very important because of all the groups of
21 workers, really the rad-safe were the most and
22 best monitored in terms of being followed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And whether the quality of the data is
2 adequate to support internal dose
3 reconstruction.

4 And we also divided the period of
5 the SEC into four different periods. And
6 there's, you know obviously some judgment that
7 goes into that and you could divide it into
8 two periods or maybe three periods. But in
9 our judgement, you do have to divide it into
10 periods because working conditions and
11 monitoring conditions were different in these
12 periods, '63 to '67. And when fuller
13 bioassays started -- it was instituted in NTS
14 '68 to '70. And that's ending date is the
15 last date of a major rendering. And that's
16 what I was explaining to you all.

17 '71 to '80 and '63 to '70 also had
18 quite a lot of -- quite frequent, more than
19 four per year. And then '70 until '80
20 relatively low testing period and '81 to '92,
21 where the testing frequency went down even
22 from that. But still, the monitoring actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seems to have increased in many cases.

2 Now you can parse the periods
3 differently, but at least the `63 to `70
4 period should be separated from the later
5 periods, in our view.

6 And so that was the framework of
7 our examination. We did find that the rad --
8 and then we also selected 120 workers
9 randomly. We wanted to do a job category
10 evaluation with which we could make some
11 statements with confidence.

12 And is Harry Chmelynski on the
13 call?

14 MR. CHMELYNSKI: Yes, I'm on here.

15 MR. MAKHAJANI: Harry will you
16 explain to the Working Group how we did the
17 120 selection?

18 We had six job categories and then
19 there is a selection process at random --

20 MR. CHMELYNSKI: Yes, just did a
21 simple random sample.

22 MR. MAKHAJANI: Okay, so we did a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 simple random sample for each six job
2 categories and we had 20 workers in each job
3 category, and those six job categories are
4 listed.

5 We had the rad-safe workers. We
6 had laborers. We had welders. We had
7 wiremen, miners, and security.

8 Now in response to what John Funk
9 just said, we did not distinguish between
10 different types of welders. But I don't think
11 it would have made very much difference
12 because we didn't find very much data for any
13 welders.

14 So we had two sets of data
15 basically. We had the NIOSH 100 data, and
16 then we had a 120 claimants, for who we
17 examined data at length into six job
18 categories, one of which was rad-safe. So we
19 were able to compare these to each other and
20 also with the NIOSH 100.

21 Let me give you sort of the bottom
22 line conclusion on this. Is that we did find

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the rad-safe workers were the best monitored.

2 The data in most of the other categories were
3 fairly sparse. We looked at five categories
4 of, five categories of data: plutonium, gamma,
5 bioassay, gross fission products, tritium and
6 iodine. We looked at three isotopes of iodine
7 and compiled all the data.

8 And the Working Group should have the
9 spreadsheets in which those data are compiled.

10 And every single data point was looked at.
11 Maybe Bob Barton can describe the process by
12 which the data was compiled.

13 MR. BARTON: Sure Arjun, this is
14 Bob Barton and like John and Arjun have
15 indicated, our intent here was faithfully
16 capture what data there was out there in each
17 of these select claimant files.

18 Mainly, what you see in this report
19 is urinalysis data. And as far as QC goes,
20 the records would be originally gone through
21 and I just have the points collected into the
22 database. After that our data capture team

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would went to two levels past that to check
2 specifically the bioassay data points to try
3 to ensure that they weren't being incorrectly
4 transcribed. And then the last layer of QC
5 was to look to make sure that we weren't
6 missing or not interpreting correctly or
7 missing chunks of data or, you know any of
8 that sort of thing.

9 If you want specific information
10 other than the bioassay data that we are
11 looking in this report, it's all pretty much
12 outlined in Table 1.

13 We looked at all DOE response
14 files, tried to transcribe what was contained
15 there as accurately and faithfully as we
16 could, the only exception being the medical
17 expert file we do not look at.

18 And numerical values were only
19 pulled for annual external exposure and then
20 what was contained in the internal monitoring
21 file. This does not include lung counts,
22 which we did not find many of. And we do not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transcribe any data points for whole body
2 counts. All we did was indicate whether there
3 was a whole body count and whether we
4 identified any results in the file associated
5 with that whole body count.

6 MR. MAURO: Let me add one thing.

7 I have gone in this process one of the -- as
8 sort of an observer. And I'm just going on
9 and found that when you go into these efforts
10 and you start to look at these records and
11 extract the information, sometimes it's
12 difficult to interpret exactly what the
13 information meant. There's notations used,
14 there was a lot of information that needed
15 interpretation.

16 And during this process, I believe
17 we interacted closely with Marl Rolfes who
18 helped us make sure that when we assigned a
19 given number of what we thought it meant that
20 we did that correctly.

21 So I think we did everything we can
22 to present a data -- to build a database that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 accurately and faithfully represented the
2 material that was in the records. And so
3 that's the true value, this big database that
4 went, in effect, we have the group of 100,
5 we'll call it the NIOSH 100 and we'll call the
6 SC&A 120.

7 These are people that we went in
8 and extracted the bioassay data and put it
9 into a form that we can pose questions to and
10 ask okay, and look at and start to make some
11 judgements related to -- for example, is there
12 a relationship between the -- for people who
13 have the higher exposures, are they internal
14 exposures or they also the people that have
15 the highest external exposures.

16 We asked questions about, amongst
17 the group of 100 do they -- are there other
18 groups like, for example, the wiremen or the
19 welders. Is it possible that they have some
20 measurements, whether there's plutonium or
21 other readings that were higher than let's say
22 the highest values we saw amongst the NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 100.

2 So, we have a lot of data that we
3 can ask questions of. We asked our own
4 questions and processed the information and
5 Arjun is going to summarize what we found
6 regarding the inter-comparisons between these
7 different groups.

8 My guess is, there may be other
9 questions that you may want to pose to this
10 data. Also of great importance is Joyce
11 Lypstein took a real close look at the data to
12 see if there's anything about some of the
13 measurements, especially the plutonium and
14 urine measurements that led us to question
15 perhaps there was some data quality issues.

16 So, I guess I just wanted to hear
17 that a little bit more to preface that there
18 was a large effort that went into compiling
19 the data and the true value of the data, and
20 now what you, you know what we're trying to
21 disclose is what at least we saw and what the
22 data spoke to us and what it told us.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, Arjun if you'll excuse me --

2 MR. MAKHAJANI: So let me summarize
3 the quantity aspects of the data, and then
4 I'll hand it over to Joyce to describe some of
5 the quality concerns that we had.

6 If you go to page four of the
7 report it summarizes the data quantity aspect
8 of the data without regard to time period, and
9 that caveat is very, very important. That
10 I'll show you the polishing by time period and
11 summary for plutonium, which is also a little
12 bit below, table 7.1 and table 7.2 and 7.3.

13 But you can see at once that really
14 the largest number of data points are with the
15 rad-safe group of workers and that's also true
16 in the NIOSH 100 set. NIOSH had 21 rad-safe
17 workers and there are 100 in most of the data
18 points except for tritium. Most of the data
19 points really do relate to the rad-safe group.
20 There are some exceptions.

21 But when you look at the job-
22 specific categories that we did, 20 in each

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 randomly selected, but plutonium you'll see
2 that there's really no significant data that
3 you can make statistical or sensical
4 statements about.

5 Welders had actually zero, of 20
6 welders there were no plutonium samples. In
7 20 wiremen there were no plutonium samples.
8 Laborers had only two and miners had eight.
9 And that's really the gross number in which
10 any sampling is indicated.

11 And when you get down in the weeds
12 and look at what that -- what the context of
13 that eight is it becomes even more
14 questionable as to the actual number of
15 useable data points is less than that.

16 And you see the same pattern
17 repeated in most of the sampling categories.
18 There is an exception and you see the security
19 guards had a lot of plutonium measurements, it
20 would appear. But almost all of them were in
21 the 1980's and that's the value of actually
22 separating this by periods because the periods

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were radiologically different. It's very
2 important to do that.

3 But we kind of wanted to give you
4 some kind of overview to show you where at
5 least the records could be plentiful. Again,
6 in the dose gamma, you see there are not very
7 many samples, especially when you see that
8 there are 20 workers many of whom work for
9 quite long periods of time.

10 MR. MAURO: Excuse me Arjun, would
11 it be helpful to direct everyone to a
12 particular table? For example I'm --

13 MR. MAKHAJANI: I thought I said
14 that. I'm on page four. You see laborers has
15 one tritium samples; 20 laborers working there
16 for many years had one tritium sample among
17 them. Among the welders, there were 12 and
18 among the firemen there were --

19 Now, miners had many tritium
20 samples, and this is a very important dataset
21 because you can see in summary that
22 consistently all of the values for the miners,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether the maximum 99 percentile, 95
2 percentile, were greater than the rad-safe
3 dataset and also the NIOSH dataset.

4 The NIOSH dataset is sometimes
5 comparable because the NIOSH dataset also had
6 miners in it if you're really comparing, for
7 the most part, miners to miners.

8 You can see iodine data also quite
9 sparse. And then down below over to the next
10 page on page six you'll see one -- this is an
11 example summary table. You have more of these
12 tables in detail in the attachment in
13 Attachment A.

14 But if you look -- I looked at the
15 NIOSH 100 dataset and took out the rad-safe
16 workers and rad-safe broadly defined, you know
17 health physicists, health monitors, radiation
18 monitors, radiation control people and so on.

19 And you look at these other
20 categories of jobs, you see that there are
21 almost no plutonium samples except for the
22 five -- among the four samples for the miners,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three have no readings, one is noted as a zero
2 reading for a plutonium-239 and gamma and
3 counts per minute. So out of the four,
4 there's really no interpretable result.

5 And what we've given you the raw
6 numbers as they appear. And so really there
7 are five results for security guards in the
8 1980's and almost no -- and three for laborers
9 in the 1970's.

10 In the `63 to `70 period when there
11 many ventings and, you know, much
12 contamination some of the things that we were
13 talking earlier about in Lynn's presentation
14 that essentially no usable data.

15 And so the significance of this is
16 how do you compare, how do you determine
17 whether your rad-safe workers for whom you had
18 data had the highest exposure potential if you
19 have no comparison points.

20 And we know, for instance, that
21 miners had higher tritium readings, pretty
22 consistently than rad-safe workers. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scattered measurements that are indicated as
2 higher in other cases, rad-safe workers were -
3 - often had a higher reading than most of the
4 these, but the comparison points are very
5 difficult.

6 And we found the same thing in the
7 120 worker dataset that SC&A selected at
8 random, and that is shown in Table S.3. And
9 so we had really three overarching findings.
10 That the monitoring frequency for internal
11 dose was not correlated with external dose.
12 And Joyce will go into that in more detail.

13 But overall, we did not find that a
14 higher external dose reading triggered any
15 internal dose findings. So the methodological
16 approach of selecting 100 workers by saying
17 they had a high cumulative dose and so they
18 must have had a high internal exposure
19 potential is questionable using that as a
20 motivation because we didn't find that a high
21 external dose triggered any internal
22 monitorings. So we find it difficult to say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that that would be the right dataset.

2 That said, we found the rad-safe
3 workers in the two groups to be generally
4 comparable. And the NIOSH 100 dataset
5 compared to the rad-safe 20 workers were
6 comparable or NIOSH was often higher in terms
7 of their bioassay results.

8 Okay, then we found many
9 inconsistencies in the bioassay results for
10 gamma images and plutonium and this is a
11 quality of data problem. And I'm going to let
12 Joyce describe that as soon as I'm done with
13 the other two overarching findings.

14 To the extent that data can be
15 considered reliable, and that is a very big
16 qualifier caveat, rad-safe personnel appeared
17 to have had the highest exposure potential for
18 internal dose for some radionuclides. But
19 this is not the case for all radionuclides.
20 And as I said most notably, miners seemed to
21 have had the highest exposure potential to
22 tritium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we can really not make
2 statements about most other job categories.
3 We don't have the data to do it. We simply
4 don't appear to exist, at least for the sets
5 that we examined.

6 One of the principle bases
7 regarding the feasibility of internal dose
8 estimation in the evaluation report is that
9 "radiation protection and safety stop are
10 considered representative of the NTS workers
11 with the highest potential for external
12 exposure." And that's from page 36 of the
13 NIOSH report.

14 And we will not, we were not able
15 to conclude that this was uniformly supported
16 by the data. And in some cases data are too
17 sparse to verify this NIOSH conclusion, in
18 several cases actually.

19 And the last -- NIOSH also said
20 that all "all 100 of the individuals
21 identified as having significant external
22 whole body photon exposures were monitored by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bioassay during their employment." We did not
2 find that this was supported by our review of
3 the NIOSH dataset. As I said, the rad-safe 21
4 among these was significantly monitored. And
5 there was some monitoring for some of the
6 other workers, but generally we did not -- for
7 instance we have shown this plutonium
8 monitoring. There was very little plutonium
9 monitoring for any of the other worker groups
10 during the entire period.

11 So those were our overarching
12 findings. I think the quality of the data
13 findings is very important, and I'm going to
14 let Joyce describe them. Joyce? Are you
15 there Joyce?

16 (No response.)

17 MR. MAKHAJANI: She must be on
18 mute. I hope she's on mute. Joyce?

19 (No response.)

20 MR. MAKHAJANI: Okay, Joyce is not
21 there, so I will fill in as best as I can.
22 There are a number of different concerns about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the internal dataset. One of the concerns
2 was, you know, as Lynn was saying earlier, you
3 have a variety of fission products, gamma
4 emitters at the Nevada Test Site. Many of
5 them are short-lived.

6 We didn't find in the dose records
7 themselves any indication of when the exposure
8 was relative to the time when the urine
9 samples were taken. Now, it may be possible
10 to go into like the access control records and
11 so on and determine this, when the exposure
12 might have happened and relate that to the
13 individual worker sampling time. I don't even
14 know whether that is possible.

15 But in the dosimetry records, this
16 is not indicated. And so it calls into
17 question how you interpret say a gamma
18 bioassay or dosimetry product. Bioassay
19 because you don't really know what the
20 exposure was because you don't know what
21 short-lived radionuclides have decayed away
22 and what you're actually measuring, compared

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to what the person was exposed to.

2 The second -- this applies
3 primarily to the short-lived radionuclides,
4 which would include the iodines and the gamma
5 emitters and the gross fission product.

6 The other problem which we found
7 primarily in the gamma emitters and the
8 plutonium monitoring was a problem with the
9 minimum detectable amounts. There's minimum
10 detectable amounts reported in the site
11 profile. And then there are minimum
12 detectable amounts also inclusive in the
13 measurements as they are reported in the data.

14 The minimum detectable amounts
15 recorded in the data are often reported as
16 less than and then a number. And within the
17 same year, within the narrow bound of time in
18 a dataset, you get a lot of variation in the
19 less-than, and that might be dependent on
20 counting time and so on and we understand
21 that. But the variation is quite large.

22 And then there are positive results

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that are reported that are less than the
2 implicit MDA values in the measurements and
3 also less than the MDA values dated in the
4 site profile.

5 And then in some cases, we have no
6 MDA values reported in the site profile. So
7 we have a lot of issues in this particular
8 internal dataset, especially with gamma
9 emitters and plutonium, to some extent also
10 gross fission products and iodines in terms of
11 time of measurement amount of exposure and
12 minimum detectable amounts. So it raises a
13 question as to how this data are to be used in
14 dose reconstruction.

15 We had a number of other findings,
16 which are on page 8 of the report. I'll just
17 go through them quickly, and that will end my
18 summary and maybe we can ask detailed
19 questions, I hope. Joyce will come back and
20 be able to fill in other questions about the
21 quality of data. Otherwise, I'll do the best
22 that I can.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So my other five findings were that
2 in each of the six categories of worker they
3 had some internal exposure in at least one of
4 the five categories that we examined in the
5 report, Plutonium-239, gamma tritium, gross
6 fission products, and radio-iodine.

7 And rad-safe workers have data in
8 all five categories but no reliable quantity
9 to comparison statements with other groups of
10 workers, especially when you divide it by time
11 period, if possible based on this data.

12 Bioassay data for three of the six
13 categories were sparse to non-existent:
14 laborers, welders, and wiremen. Yet the data
15 indicated that one or more categories for
16 which there are some data points, the average
17 in some cases, in some periods the categories
18 were higher than the NIOSH 100.

19 I would -- and this is not in the
20 report. But I would not attach much
21 quantitative significance to this because when
22 you average using -- we don't attach much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 significance to the average values because
2 there are very sparse data, and so this is not
3 to be taken as a quantitative interpretation
4 of the data but just as a reporting on what
5 you will find if you actually take the
6 average. We don't attach any comparative
7 significance to this because the data are very
8 sparse.

9 Also, we used -- when they were
10 less than we used half of the less than value
11 to calculate the average, so the content of
12 this average, you know, well, you can take it
13 for what it's worth which is not a whole lot.

14 The data for miners are also sparse
15 except for gamma and tritium bioassay. And
16 especially in the earlier periods. Miners had
17 higher exposure potential for tritium as I
18 said. And data for security personnel are
19 more plentiful, and I do not understand why,
20 why the data for security personnel were very
21 plentiful in the 1980's because for the most
22 part in the 1980's, they don't seem to have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had much external exposure, as indicated by
2 the external exposure records.

3 We did the correlation of external
4 exposure and did not find as I reported
5 external exposure per the internal monitoring.

6 So that's summarizes our main
7 findings. Overall, we concluded that NIOSH
8 has not really demonstrated yet that those
9 reconstruction as far as the radionuclides are
10 concerned -- not only the five types of
11 monitoring that I've indicated, but there are
12 a number of other radionuclides that are
13 mentioned in the site profile, other isotopes
14 of plutonium, uranium, americium, curium,
15 strontium, various isotopes of strontium,
16 cesium.

17 The NIOSH 100 dataset, as presented
18 in the evaluation report, hasn't demonstrated
19 dose reconstruction feasibility or bounding
20 dose feasibility.

21 MS. LIPSZTEIN: Arjun, can you hear
22 me now?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MAKHAJANI: You were there and
2 were not able to be heard?

3 MS. LIPSZTEIN: Yes.

4 MR. MAKHAJANI: Okay, can you
5 explain your concerns about the quality of the
6 data? I don't know that I properly --

7 MS. LIPSZTEIN: You did very well,
8 the MDA is very well, you did it very well.
9 There are about an order of magnitude
10 difference between the last values. And then
11 you have a operational report that below the
12 last values and this is in conflict also with
13 some MDA that were given on the internal
14 dosimetry when there is a MDA given in the
15 internal dosimetry.

16 So we don't know what to expect
17 from it. And the other thing that is very
18 important that you were telling us about, it's
19 about the one -- NIOSH has to demonstrate
20 feasibility of those reconstruction to be
21 identified.

22 And what we see on Table 7.1 is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 composite of the total external dose and the
2 total number of internal results. So, what we
3 try to do is at least put the external dose by
4 year instead of total dose. And we didn't
5 find any correlation. For the regional
6 guides, the classification of regional guides
7 that we have for the gamma and the fissure
8 tests.

9 Yet we have enough data to do
10 anything about it. But there a number of ways
11 that we don't have -- either we don't have any
12 data or we don't have much data to do any
13 correlation that we couldn't write to any
14 conclusion about it. I think that's it

15 MR. MAKHAJANI: Sorry, I missed
16 that one point about parsing the external with
17 the different kinds of internal monitoring.

18 MS. LIPSZTEIN: Yes, we did that
19 because my -- when you have the total dose,
20 you don't know what happens during the year.
21 So it's the same amount of words per ten years
22 and the other sets of words for two years, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they have the same total external dose, which
2 completed this situation.

3 So we wanted to know by year if the
4 number of sampling or bioassay would correlate
5 with the -- at least with external dosimetry
6 also, and it doesn't.

7 For any of the ones the guys that
8 we tested, the integrity of the bioassay that
9 we tested which was the plutonium, which was
10 brass fissure purpose, which was gamma and
11 fissure. The other regional guides, we
12 didn't even have enough beta to test them.

13 MR. MAURO: Arjun --

14 MS. LIPSZTEIN: In the titanium --

15 MR. MAURO: This is John, I'd like
16 to make one more statement because the genesis
17 of this, when we first conceived of this
18 investigation, it fundamentally went to the
19 idea that okay, we've got these 100 workers
20 for better or worse as a sample. Whether they
21 are the bounding group or not.

22 And if we were to collect all of --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 let's use plutonium for example, if we were to
2 collect all of the plutonium data, all of the
3 100 workers, and if you look at Table S.1,
4 it's a good place to -- in fact, table S.1 in
5 my original model that I had in my head as
6 what we're trying to do.

7 I guess if we could say well the
8 premise is that the high end numbers -- let's
9 say plutonium concentrations in urine amongst
10 the samples collected from the NIOSH 100. If
11 that high end value was higher than, let's
12 say, these other groups that we sample from
13 whether they are the laborers, the welders,
14 the wiremen.

15 In other words, the idea being,
16 well, we filled in this whole table and we
17 looked at it said it looks like across the
18 board the upper 95th percentile, or the
19 highest content for the NIOSH 100 is always
20 comparable to or higher than these other
21 groups.

22 The idea simply being that it's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way to confirm that, in fact, there weren't
2 any groups out there that had any unique
3 behavior or operational activities going on,
4 like miners where it turns out that they in
5 fact were different and were not bounded by
6 the NIOSH 100.

7 Well, the only place -- and so it
8 was my expectation that we'd have sufficient
9 data in these samples for each of these
10 categories to look at the table and say yes or
11 no. Yes it is a value or no it's not.

12 And it turns out that we really
13 weren't able to do that because it just --
14 except for miners and tritium. You know the
15 work, it's strange when you enter into a
16 investigation like this. You have certain
17 model in your head or expectation of what
18 you're going to get back. And you never do,
19 you never get back what you think you're going
20 to get back.

21 The only place we got back what I
22 was hoping that we would get back is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tritium in miners where, yes, we had enough
2 data and we were able to compare the upper-end
3 99 percentile, 50 percentile bioassay sample
4 for miners against the NIOSH 100. And in that
5 case, it turns out that the NIOSH 100 wasn't
6 bounded. But the miners were.

7 I was hoping that we'd be in a
8 position to be able to make those comparisons
9 for all the categories and for the relevant
10 isotopes. But clearly that was -- so, I want
11 everybody to know that when we first started
12 this it was with that sort of simple-minded
13 idea that we entered into this process.

14 And the outcome, of course, is what
15 we have before us, where a lot of other things
16 emerged and became apparent to us that we felt
17 important. So, in a way -- it didn't end up
18 where I thought it would. It ended up
19 someplace else.

20 And I think what we have here is a
21 valuable database with which we could all ask
22 ourselves, does the NIOSH 100 dataset

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 represent the dataset that is, I guess,
2 robust. The issues that Joyce brought up,
3 some people didn't expect mainly that there
4 was some problems apparently with the MDA's.
5 The issues that there are a number of groups
6 of workers that just don't have very much
7 bioassay data what does that mean and what are
8 the implications with respect to the ability
9 to reconstruct exposures to all categories of
10 workers?

11 And to top it off, something that
12 we did when we parsed it by time period we
13 find that well, there clearly are some time
14 periods where you got a lot of data, but other
15 time periods where you don't and what are the
16 implications of that with regard to your
17 ability to use the NIOSH 100 as your basis of
18 the data as the basis for your co-worker
19 model. So with that said I'd like to open it
20 up for discussion.

21 MR. KATZ: John, go ahead.

22 MR. CHU: Well, as we talked about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the St. Louis meeting too when you first
2 thought about proposing this as a model to
3 work with nothing I hear that you presented
4 here should be surprising to you. I think
5 we've already had some of that discussion
6 already.

7 We already talked about the test
8 site being the kind of an environment where
9 you have the number of internal exposures in
10 total number is going to be low. I think we
11 already expect that.

12 Remember, this is a test site.
13 Other than I would be focusing in on the
14 tunnel entries here, which the miners picked
15 up here. There's no question.

16 But if you look at the NIOSH 100,
17 just looking at your dataset here and except
18 for the few tritium had the 95 percentile or
19 90 percentile, which is only slightly higher
20 than that, the NIOSH 100 and we have to now,
21 we have to sort of agree to the very fact that
22 the reason why you're not seeing very much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposures at the laborer category or the
2 welder category and the wiremen category is
3 because it just wasn't that kind of exposure
4 of the internal exposure that happened to
5 them.

6 And the rad-safe people, who were
7 most likely the highest exposed, and we have
8 said so in our -- what we have evaluated, that
9 they should be.

10 So to me, if I look at your table
11 here I think that the NIOSH 100 accept, agree,
12 agree for the 95 percentile and the 99
13 percentile for the miners for tritinium.
14 Everything else is well-founded.

15 MR. MAKHAJANI: Mel, this is Arjun,
16 well you can't actually say that because
17 there's no basis for comparison in most of the
18 categories. You see in a lot of the entries
19 there's no entries for percentiles, mostly.
20 Most of those things are blank.

21 So you cannot say, this is a
22 problem in that had there been some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 monitoring, especially when you parsed it by
2 time period. I was very hesitate to leave
3 Table S-1 in there, but it was a kind of the
4 roll-up of the data that gives you a bird's
5 eye view. But in a way, it's kind of a
6 misleading bird's eye view because you do need
7 to look at the various period.

8 And when you, in order to say rad-
9 safe workers have the highest exposure or are
10 among the highest exposure potential or are
11 representative of the workers with highest
12 exposure potential you need to be able to make
13 a comparison.

14 Now if you take John Funk at his
15 word for example and what he was just saying
16 that laborers went down in the flats and did a
17 lot of work inside and that the miners were
18 not there, how do you know that the laborers
19 did not have more exposure than miners, say,
20 in the plutonium categories.

21 You don't have data for miners.
22 You don't have data for laborers, and you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can't even compare them to rad-safe. So in
2 order to say, make a relative statement about
3 rad-safe personnel, you need to have
4 something to compare it to and the problem is
5 you don't.

6 MR. NETON: Arjun, this is Jim.
7 This is the same age old problem we've had and
8 that I pointed out yesterday in that Fernald
9 study that you were trying to get through,
10 which was, you know there's no prior reason to
11 believe that all of these workers had higher
12 or equal exposures to most miner workers.

13 And so because they are not
14 sampled, that may be indicative of the fact
15 that their potential for exposure was lower.
16 Now we have to go back and somehow convince
17 folks of that. But you know because there is
18 no monitoring data does not mean that they had
19 significant levels for exposure that were
20 unrecorded.

21 MR. MAKHAJANI: Well, on the one
22 case that we can make --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. NETON: You can't have it both
2 ways.

3 MR. MAKHAJANI: Well on the one
4 case, that we could make -- It didn't come out
5 that way. Why is it that miners have higher
6 tritium exposure, for instance --

7 MR. NETON: Wait. We'll agree to
8 the miners, and that would be well understood,
9 given the knowledge we have of what went on at
10 the site.

11 MR. CHU: Exactly right, if you
12 would have asked me that at the last meeting,
13 I would have told you that right off --

14 MR. NETON: But the other thing I'd
15 like to point out, though, is the fact the
16 plutonium data -- I'm gratified to see that
17 all of these data points show that the
18 internal exposures that were monitored are
19 indeed fairly low.

20 In fact 50 percent or more of the
21 plutonium samples that were recorded were
22 below the detection limit of the measurement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 by this table. So you're not seeing any
2 positive exposures and even the 95th
3 percentile is pushing the limits of the
4 sensitivity of the plutonium analysis,
5 depending on the time frame that this was
6 measured.

7 So this is almost no positive
8 plutonium measurements were recorded here by
9 my analysis of this table. So that's
10 gratifying. Yes Joyce?

11 MS. LIPSZTEIN: Okay, I was
12 thinking about this and if you look at the
13 100 results for plutonium, in 1963 there were
14 four results, one positive and two results
15 with positive backgrounds. In '64 there were
16 no plutonium results. In '65 there were only
17 two results. In '66 you had four positive
18 results and many results had zero recoveries.
19 In '67 you had only two results.

20 So no conclusion about plutonium
21 can be drawn. And also the MDA, when you have
22 the results, the situation must be in the MDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so big that you cannot see anything. And
2 there was no lung measurement on the 100
3 results. We looked at the lung measurements.

4 So there was no lung measurements either on
5 the 100 or 120.

6 MR. NETON: Joyce, you know as well
7 as I do that a lung measurement for plutonium
8 would be useless here.

9 MS. LIPSZTEIN: No, that's what
10 you, you know if you want to see something
11 years after or something like that, there is
12 nothing.

13 MR. NETON: You would have to have
14 two --

15 MS. LIPSZTEIN: And another -- the
16 number of urine results are very, very small.

17 The two are basically two results per year or
18 one result per year of those results. That's
19 a big problem, and the situation of results
20 also, that was the MDA at that time because
21 the situation is more than the order of
22 magnitude.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It makes it impossible to test
2 conclusions, and that's what is going -- at
3 least you have some measurements, uranium you
4 don't have anything for the total 100 people.

5 You have two results for uranium. And the
6 americium doesn't have anything. Thorium you
7 don't have anything. Barium you don't have
8 anything. So, if you go by individual rate on
9 the bioassay, it is more difficult.

10 MR. NETON: Well, there's a couple
11 of reasons probably. I mean, the values are
12 going to be very low, and we can certainly use
13 some type of scaling factor. But I'll go back
14 to your plutonium in vivo measurements.

15 The detection limit for plutonium
16 in vivo at best, for a very thin person, it's
17 somewhere around a couple hundred nanocuries
18 of plutonium intake. Yes it is.

19 MS. LIPSZTEIN: Well, I agree with
20 you, I agree with you.

21 MR. NETON: Well then, so those --

22 MS. LIPSZTEIN: I know, I know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. NETON: So that would be a
2 silly measurement to even have because these
3 workers are in -- my understanding of the work
4 situation is, that this is not working with
5 pure plutonium like you would at, say, Rocky
6 Flats.

7 This is plutonium that's dispersed
8 throughout the site from the detonation of a
9 weapon. So it's in some kind of matrix where,
10 you know I don't know what the concentrations
11 are. We can go back and look at that. But,
12 it's not like pure plutonium. So the intakes
13 are going to be small. And this is,
14 basically, what the bioassay data confirmed.
15 That the intakes were small.

16 The fact that some measurements
17 were recorded below the detection limit don't
18 bother me too much because that's actually
19 acceptable. It's probably best practice.

20 MS. LIPSZTEIN: I agree with you.
21 The problem with that for me is that no
22 results. And for some years there are only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two results in the whole year. Although only
2 four results in the whole year.

3 So its, you know you can't take --
4 and when you look at the detection limits,
5 it's also so much. So this is thinking of if
6 you had results below detection limits.

7 But I'm saying that there are not
8 data to do any statistical work. At least
9 until 1988.

10 MR. NETON: Well, we're going to
11 have to go back and re-look at this.
12 Obviously, we just got this less than a week
13 ago. But I think again, like with Lynn's
14 report, we're going to have to go back and
15 look at the data and I do agree that you
16 raised some issues related to the distribution
17 of samples throughout the year. That's
18 something that we need to check.

19 I still maintain that the bioassay
20 program was in place, admittedly small for
21 probably a very good reason which was the
22 intakes were very -- potential for intakes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were very low. And the data that we have tend
2 to bear that out. So we'll go back and look
3 at that and react to this.

4 MEMBER SCHOFIELD: But if you're
5 only sampling six or seven people out of maybe
6 200, 300 workers who are more likely to be
7 exposed to dusty or dirty conditions they will
8 not have a large external potential. But they
9 have a great internal potential.

10 MR. NETON: Right, but --

11 MEMBER SCHOFIELD: And so that --
12 those numbers are six or seven they are really
13 meaningless when you take the total number of
14 workers that had that potential.

15 MR. NETON: Right, but you need to
16 look at the magnitude of potential. How large
17 is this potential for exposure. You know
18 there's plutonium in all soil in the United
19 States for example because of atmospheric
20 weapons testing. It's all over the world.

21 You're not going to sample
22 residents of the United States for plutonium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just because there is a little bit in the soil
2 here. So you've got to look at where the
3 plutonium was concentrated and which workers
4 were monitored and where it was concentrated.

5 And that's what we'll need to take a look at
6 and we'll get back and react to this.

7 MR. MAKHAJANI: Jim, in this
8 context I might make a request also. When we
9 discuss Lynn's report, and Lynn please feel
10 free to comment, there were a number of
11 different worker categories to whom what Lynn
12 was saying would apply in terms of
13 occupational internal dose and I felt that in
14 many of these cases you have a high episodic
15 internal exposure potential relatively
16 speaking, whatever that high might mean
17 relative to external dose potential.

18 And so I think this whole question
19 of external dose driving internal dose
20 exposure potential maybe that needs a relook.

21 And this particular report might need to be
22 looked at in conjunction with Lynn's report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. NETON: I agree with you,
2 Arjun. I mean I think probably one of the
3 more conclusive findings that I've seen in a
4 quick look through this report is that the
5 correlation between external and internal at
6 least does not appear to be there based on
7 urinalysis.

8 And we're going to look very
9 closely at that. I think that's of
10 significance.

11 MR. MAKHAJANI: And Jim, just to be
12 clear what Joyce did was to see whether
13 external -- examine really what the practice
14 was at the time rather than the dose
15 correlation. We did an external exposure
16 measurement trigger bioassay. That was a
17 touchstone, you know --

18 MR. NETON: Yes, I don't think it
19 would. In retrospect in thinking this through
20 I mean the potential for external would put
21 you in an area where there were, there was a
22 potential for higher internal exposure. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really what you did in that area that drives
2 you're internal exposure.

3 MR. MAKHAJANI: Right.

4 MR. NETON: Whether you're, you
5 know, digging, shoveling, whatever, you have
6 to have some sort of outside factor there to
7 generate an airborne aerosol.

8 MR. MAKHAJANI: And also if you
9 went in earlier you might have a higher
10 external potential. But you know for those
11 that went in a week later or the fifth team or
12 sixth team or the sixth entry in the flat or
13 so on, you know in terms of what John Funk was
14 saying earlier there would be a lot of
15 variation in terms of -- so I think maybe
16 internal needs to be looked at.

17 MR. NETON: Right, this is a
18 classic problem of where you have a
19 potentially low internal dose site so there
20 was a concomitant low emphasis on monitoring
21 for internal exposure and, you know, we're
22 going to have -- we've got to go do our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 homework and demonstrate that that's really
2 the case.

3 That there was a low internal
4 chance for exposure and that's why the
5 monitoring program is as such and the program
6 that was there bears it out. So, we'll go
7 back and re-examine this and get back.

8 CHAIR PRESLEY: Anything else?

9 MR. MAURO: Does everyone have the
10 complete database because that's where I think
11 the value lies. In other words --

12 MR. MAKHAJANI: We haven't in the
13 compilation. That doesn't really have
14 anything in it. But it's supplementary to
15 what we sent along --

16 MR. NETON: All the individual --

17 MR. MAKHAJANI: -- in a couple of
18 days.

19 MR. NETON: I have some questions -
20 -

21 MR. MAKHAJANI: There's really
22 nothing in it, but --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MAURO: Well what I'm getting
2 at is you know you collect all of this
3 information, it's the data. That's the world
4 we're living in. And it clearly, when Jim
5 looks at it, when we summarize it in this
6 table there's a lot of other ways in which you
7 can sort it.

8 MR. MAKHAJANI: Yes, yes all the
9 spreadsheets are long.

10 MR. MAURO: And let the research,
11 the other folks look at it and see what it
12 tells them.

13 MR. MAKHAJANI: Exactly, exactly.

14 MR. NETON: I had a few questions.
15 For example your analysis of the 50th and
16 95th was that just sort of a rank order
17 analysis. That wasn't a fit to any --

18 MR. MAKHAJANI: It wasn't actually,
19 you know, this is actually one of the reasons
20 we removed a lot of the numbers is that we did
21 not do a rank order analysis and you might
22 want to do that, it might be useful all of --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there isn't enough to do rank order. That was
2 part of the problem.

3 So, Bob used a percentile function
4 from Excel. And when we looked at that it
5 wasn't giving sensical results because when
6 you got two numbers it really -- the whole
7 thing doesn't make sense.

8 MR. NETON: And I'm also looking at
9 your report and you need to go back and look
10 at some of the original data because by my
11 calculation the 99th percentile plutonium
12 result that you recorded was pretty darn high.

13 MR. MAKHAJANI: No, no it's a
14 percentile function in Excel. And --

15 MR. NETON: No, I'm talking about
16 the maximum concentration. The maximum
17 plutonium concentration reported here is 318
18 picocuries per liter. That makes absolutely
19 no sense to me.

20 Now that may actually be what was
21 reported, but I would question the validity of
22 that data point, knowing what we know about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the exposure potential for workers.

2 MS. LIPSZTEIN: Maybe if you look
3 at the maximum concentration it will tell you
4 more, because the maximum was the real number.

5 MR. NETON: Well the maximum was
6 300 picocuries per liter which sounds
7 implausible to me.

8 MR. MAURO: Yes, that's --

9 MR. NETON: That's 300, that's 3/10
10 of a nanocurie plutonium per liter in this
11 worker. I just can't believe that would
12 happen at the Nevada Test Site.

13 MR. MAURO: I am looking at Table
14 S-1. I'm going to minus four --

15 MR. NETON: No, it's 3.1 times 10
16 to the minus 7 microcuries per cc which, by my
17 head calculation, comes out to 318 cubic
18 curies per liter plutonium. That just doesn't
19 seem right to me.

20 MR. CHU: I got the same thing,
21 it's 600 per liter.

22 MR. NETON: It may be actually what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they reported, but I think you need to go and
2 look at that data.

3 MR. BARTON: I did check that one
4 because it was not typical of --

5 MS. LIPSZTEIN: Because that's not
6 one of the sections that we have it's not only
7 clear but also we used gamma because there is
8 some concentrations that are so high that you
9 doubt that they are real. So, that's why
10 Arjun was talking about the positive also.

11 MR. NETON: Well, you can always
12 get false positives.

13 MR. MAKHAJANI: We have Billy Smith
14 and Lynn Anspaugh on the line and maybe they
15 might want to make some comments on the kind
16 of -- whether the lab had, you know, was
17 qualified at various points and what it was
18 qualified for, and you know what the ups and
19 downs of that situation were.

20 MR. SMITH: This is Billy, I'm
21 here. We participated in the cross check
22 studies and I was the laboratory director for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more than 10 years and we ran all of the
2 analysis for plutonium: the wet chemistry and
3 then alpha spectrometry.

4 The GFP's were separated from urine
5 and beta-counted and the urine was treated and
6 counted in a -- in the early days in sodium
7 iodide crystal and later a germanium detector
8 and then later a higher curied germanium
9 detector.

10 It's interesting that, Arjun, you
11 asked the question why so many results for the
12 test site guards. I thought we told you early
13 on that there were two people that were in a
14 regular routine ballot, or two categories of
15 workers that were in bioassay programs because
16 the stratification that you guys are trying
17 to apply to the NTS workers are just not
18 applicable because you can't stratify
19 something where there is no strata.

20 The guards were on site, all over
21 the place 24 hours a day. They went in
22 tunnels, drill rigs, ACEP, Mercury, so if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talk about what kind of environmental
2 exposures as an example did workers get then
3 the scenario ought to be that there would be
4 just like ours.

5 MR. MAKHAJANI: Well Billy, the
6 reason I raised this question was that first
7 of all guards seem to have been frequently
8 monitored only during a specific period. And
9 then going by NIOSH's criterion of external
10 dose, there was almost no positive recorded
11 external doses for any security guards in the
12 1980s to my memory.

13 Bob, am I right about that?

14 MR. BARTON: Yes.

15 MR. FUNK: Hey Arjun, this is John.
16 Can I make a point here.

17 MR. MAKHAJANI: Yes.

18 MR. FUNK: I can answer that
19 because the, see, the guards -- if you look at
20 the number of positive gammas or external
21 exposures overall of the test site population
22 you've got less than one percent of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people that were badged that got any positive
2 doses at all. Less than one percent of
3 everybody that was badged

4 MR. MAKHAJANI: I think there were
5 not even -- it was much less than that for
6 security guards in the 80's. Bob Barton, do
7 you want to make a -- do you remember the
8 number. As I remember, you told me there was
9 only one badge from the whole 20 security
10 guards in that time that had a positive
11 result. And yet they had lots of bioassays.

12 So, my question, Billy, was not
13 related to --

14 MR. SMITH: The gamma dose -- the
15 external dose did not trigger bioassay
16 sampling --

17 MR. MAKHAJANI: Okay.

18 MR. SMITH: -- in most cases.

19 MR. MAKHAJANI: Yes, we did find
20 that. I think that is a correct statement and
21 that's part of the recommendation that, when
22 you reevaluate what data set is to be used,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe that should be taken into account.

2 MR. KATZ: One person at a time,
3 please.

4 MR. SMITH: One of the things that
5 happened, we analyzed essentially thousands of
6 bioassay samples on an annual basis. If any
7 analysis came out to be positive, that is,
8 above the MDA for a particular analysis and
9 one of the HPs, we would then evaluate the
10 particular result to see whether or not there
11 was a dose consequences associated with that
12 number.

13 If there was no dose consequences
14 associated with that number then that
15 information was not put into the dosimetry
16 record. That was included in the laboratory
17 record set because there was no dose
18 consequence associated with that.

19 So, if you don't see a lot of
20 positive numbers, that just means that the
21 analysis was below the MDA in such that there
22 was nothing to put into the bioassay record.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MAKHAJANI: That I did not
2 understand, Billy. Are you saying that you
3 made measurement for which there were no
4 entries in the record at all to indicate that
5 a sample had been submitted?

6 MR. SMITH: I am saying that
7 samples were analyzed based on the urine
8 samples that were submitted for analysis. If
9 there was no dose consequence as a result of
10 that record then no information from that
11 sample would have been included in the
12 bioassay record -- no, I'm sorry in the
13 dosimetry record.

14 MR. MAKHAJANI: But there would be
15 some indication in the files that the bioassay
16 sample had been analyzed and what the
17 measurement was, right?

18 MR. SMITH: There should be. There
19 should be. But those would fall out under the
20 analytical reports that may be included in the
21 person's files. But that would not be in the
22 dosimetry record.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MAKHAJANI: Now we looked at
2 all of the DOE files that were in the
3 claimants' files. Are we saying that those
4 are not -- that those results are not in the
5 claimant file. I mean I'm not sure where
6 we're headed here.

7 MR. SMITH: I'm not sure what's in
8 all of the claimants' files, Arjun. It
9 depends on the information that was supplied
10 and the information that was requested. So
11 those people that NIOSH needed additional
12 information for then they would submit a
13 request to DOE and they would provide them
14 with whatever additional information that they
15 had on that individual.

16 But simply because a person had a
17 request in to supply dosimetry information
18 would not necessarily trigger all the
19 information that exists with that persons name
20 on it.

21 Whether or not that was a sample
22 form or a log book or an access log.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. NETON: Okay, well I think we
2 all agree that we're going to go back and
3 relook at the dataset.

4 MR. MAURO: Jim, I got a question
5 to ask. When you're looking at the -- I'm
6 going to ask it again. When you're looking at
7 Table S-1, okay, and you're saying that,
8 you're looking at the plutonium level and
9 you're saying there be an error here.

10 MR. NETON: I don't know, it was
11 your error what's in the database. If it's in
12 the database it's --

13 MR. MAURO: So you're saying that
14 right now looking at plutonium-231 the max
15 value 3.18 minus 7 microcuries per cc, that
16 would be for the NIOSH 100.

17 MR. NETON: Right.

18 MR. MAURO: And then we went ahead
19 and, I guess we have numbers that are very
20 similar to that where the -- and all the 120
21 down there and then when we spread out the rad
22 safe. So you're saying that perhaps there's,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it seems to me that in your mind that number
2 might be high by what, several orders of
3 magnitude?

4 MR. NETON: I'm not saying that you
5 made a mistake. I'm just saying that I have
6 trouble believing that you have hundreds of
7 picocuries per liter in the urine of workers
8 at the Nevada Test Site.

9 MR. MAURO: Okay, but now --

10 MR. MAKHAJANI: We actually, just a
11 minute, John. We actually found the same
12 order of magnitude as the highest measurement
13 in the rad safe set that we had in our 120.

14 MR. NETON: Yes, I saw that.

15 MR. MAKHAJANI: 173.

16 MR. NETON: Yes, they just seemed
17 high to me. This is the first time I've
18 really gone through this personally in detail.
19 So I have a concern here.

20 MR. MAURO: And let me say
21 something about this. I think that, when it
22 comes to SEC issues and the ability to do dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reconstruction, there is great value to
2 pulling these data.

3 Now it doesn't mean we're all going
4 to interpret the results the same way. What
5 it means is -- what I'm hearing is you're
6 looking at it and saying yes this all makes
7 sense. Except for the numbers -- in other
8 words, the kinds of numbers you're looking at
9 you're finding useful and I'm hoping that the
10 rest of the folks working on this find the
11 dataset useful to start to help to probe to
12 answer whether or not there are softnesses in
13 the co-worker model and the dataset upon which
14 it is based.

15 MR. NETON: I would agree with you.
16 This is a good analysis. I didn't mean to
17 imply it wasn't. But we obviously have some
18 different ways of interpreting the results
19 than you do and like I said I'm gratified that
20 the numbers in general are very low. These
21 are all non-detects for the most part for
22 plutonium. There's a few exceptional high

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 values that bother me that I can't believe are
2 plausible.

3 Now that might bring in a question
4 of data, quality of data as Joyce suggested.
5 I don't know.

6 MR. CHU: And I think the
7 distribution on the record for the job
8 category is not sufficing John. Maybe that's
9 why --

10 MR. MAURO: This issue -- one of
11 the issues that you first conceive of this is
12 breaking out by year. It seems to be -- that
13 seems to be pretty eye-opening, the
14 differences by year as being another surprise
15 that it's important that we probe. And what
16 are the implications of that and opposed to
17 when rolling it all up, all of those years.

18 So, running by year the things
19 change in a way that --

20 MR. NETON: Right, and they change
21 that dramatic -- the rad conditions change
22 that dramatically in those years and we need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to address that. I mean you raise a valid
2 point. I'm not sure they changed as much as
3 you might think, but we will take a look at
4 that.

5 MR. CHU: Okay, you have to look at
6 the history of the test sites and things that
7 happen and the kinds of things that happened
8 in the 60's. It did change significantly as
9 we talked about today.

10 MR. NETON: Yes, you look at the
11 95th percentiles and given that they are all
12 near the detection limit, the values to me
13 they are not substantial different. They are
14 all basically non-detects almost, the 50th
15 percentiles.

16 And that shows true in all job
17 specifics, rad safe, security guards across
18 the board. We have no laborers or welders but
19 there may be very valid reasons for that.

20 MR. MAURO: Well the 50th
21 percentile you know their trouble. Quite
22 frankly I was most interested in 95th

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percentiles because I thought that's where
2 your coworker model was headed.

3 MR. MORRIS: Well, our coworker
4 model's headed toward 84th percent. Well,
5 very rarely, it was only that compromised --

6 MR. NETON: The quality of the data
7 issues were sufficient but we moved to the
8 95th percentile.

9 MR. MAURO: There is no doubt it's
10 spread between the 50th percentile to the
11 higher percentiles. We're talking about three
12 or four orders of magnitude.

13 MR. NETON: That's good because the
14 signal goes on --

15 MR. MAKHAJANI: Excuse me, please.
16 You cannot take the percentile numbers as
17 rank ordering, please. But I just want to
18 tell you what the numbers are. They are not
19 rank ordering.

20 So, if we want to talk about rank-
21 ordered percentiles you have to recompile the
22 data. We can do that or NIOSH can do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But we just need to take a pause on the
2 relative numbers as if they were rank-ordered
3 because we're not talking about rank-ordered
4 numbers.

5 MR. MAURO: Arjun, I agree with you
6 100 percent. In fact originally, now that I'm
7 back looking at the picture that I have in my
8 hand we were actually going to make a table
9 and say here's the highest plutonium
10 measurement we made, here's the second, here's
11 the third highest one.

12 And we were going to do statistics.
13 We would just stack them up from highest to
14 lowest for the NIOSH 100. Then we would stack
15 them from highest to lowest laborers and just
16 stack them up and not even -- and look at
17 them.

18 So, as opposed to trying to try
19 assign percentiles because if you only have
20 two numbers it doesn't really help you very
21 much.

22 MR. NETON: Yes, sure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CHU: We actually did that in
2 Figure 1 of the distribution.

3 MR. MAURO: In Figure 1?

4 MR. CHU: Yes.

5 MR. NETON: In fact if you look at
6 the distributions you plotted they overlap
7 very nicely. They very nicely overlap which
8 indicates that their -- to me on a visual
9 inspection, from the same sampling population.

10 MR. MAKHAJANI: Well, they are from
11 the same sampling population because when you
12 look at the distribution they are really it's
13 all rad safe with some exceptions.

14 MR. NETON: You know what, that's
15 true.

16 MR. MAKHAJANI: And that's why you
17 see that.

18 MR. NETON: Well, not all job-
19 specific workers was not all rad safe though
20 was it?

21 MR. MAKHAJANI: No, but the data,
22 that's a set so, if you're counting how many

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people were monitored you're really talking
2 about the rad safe sample.

3 MR. NETON: Okay, fair enough.

4 CHAIR PRESLEY: Okay, Arjun?

5 MR. FUNK: Ted, can I make a
6 comment here for a minute about these workers?

7 MR. KATZ: Unless we have more
8 technical talk.

9 (No response.)

10 MR. KATZ: Yes John, go ahead.

11 MR. FUNK: When they talking about
12 using guards for resuspension it's not a good
13 idea because there was no whacking of guards
14 out in the areas when we would be doing this
15 excavation work.

16 The main people who worked around
17 the heavy resuspension would have been the
18 surveyors, the operators, the teamsters, the
19 carpenters, and the laborers. And not even
20 rad safe was out there that much when we were
21 actually doing a lot of the work on grading
22 the path, a lot of the heavy resuspension,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 moving the drill rigs.

2 There was also, we're missing
3 another thing here. We had trucks out there
4 that had two trailers married together. With
5 drill pipes and they were pulled with a jeep
6 behind that. They were 72 feet long and they
7 had 42 tires on them. They used to use them
8 to move the power sections and the mud boxes.

9 And they also put up just about as much dust
10 as that drill rig did do and they ran all the
11 time.

12 And there's another point. RICO
13 must have had some concern about resuspension
14 otherwise they wouldn't have spent all of that
15 money spraying them pads with a special black
16 emulsion that solidified the dust to keep it
17 from re-suspending.

18 And there is two areas out there
19 that had very heavy plutonium deposits. Area
20 11 had plutonium valley which is totally spent
21 and consigned. And you have old Area 13 which
22 has also had another plutonium disbursement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 test up there too. So, you have to pick the
2 right people.

3 MR. MAKHAJANI: Now, can you say
4 that list again. You said surveyors, laborers
5 --

6 MR. FUNK: Surveyors from homes and
7 arbor, operators who was the operating
8 engineers they ran the equipment, the
9 bulldozers and the surveyors, the wire masters
10 were run by the teamsters, and the carpenters
11 were building the foundation pads, laborers,
12 that was it.

13 MR. MAKHAJANI: Okay, thank you.

14 MR. KATZ: Thank you, John.

15 CHAIR PRESLEY: All right, does
16 anybody have anything else for any of the
17 three technical procedures, white papers,
18 whatever we are going to call them?

19 MR. KATZ: Going, going.

20 CHAIR PRESLEY: Okay, now the next
21 thing is Working Group discussions and from
22 this morning our discussion on the badging

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues. SC&A has a proposal, asked to do the
2 work. John, you said you've got something
3 this morning. You had more work on -- wait a
4 second, on the interviews.

5 MR. MAURO: That's still to be
6 delivered.

7 CHAIR PRESLEY: Well, the question
8 was do you want it -- do you, the Working
9 Group, want that done?

10 MR. MAURO: I'm operating on the
11 premise that you want us to take the
12 initiative to do work. So right now unless
13 you're giving direction otherwise you
14 understand the -- some he challenges that
15 we've encountered, Arjun described them, we're
16 in the middle of resolving those.

17 Our plan was to finish those
18 interviews, get them all written up and done.

19 Then for those folks that were interviewed,
20 go in and based on the interview information
21 do something not unlike what was done here for
22 this group -- not here, the group of ten that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were done as independent.

2 I guess the question was I'm not
3 sure how much longer that will take. Arjun,
4 are you still there?

5 MS. ROBERTSON-DEMERS: This is
6 Kathy.

7 MR. MAURO: Yes, Arjun and Kathy,
8 right now what we're talking about is, it
9 sounds like we're -- we're down the road quite
10 a ways on the interviews and then the --

11 MS. ROBERTSON-DEMERS: Where we are
12 is they've gone through and come back from
13 DOE. And all their redactions have been taken
14 into consideration and that was forwarded to
15 Arjun and it's in technical editing right now.

16 MR. MAURO: Okay, is there a hold
17 point that might make sense here. Let's say
18 you finish, you get -- it sounds like we're in
19 the home stretch of getting that.

20 MS. ROBERTSON-DEMERS: Yes, we're
21 probably 90, 95 percent done.

22 MR. MAURO: Okay, but then the plan

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 being, once you have that done was to go into
2 the records of these individuals and do
3 something similar to what was done with the
4 other set of ten that we --

5 MR. MAKHAJANI: John, that is what
6 I would like to have some explicit directions
7 from the Working Group about that, given the
8 amount of discussion that we had about the ten
9 and what it means.

10 You know from the interviews the
11 story is pretty consistent with one or two
12 exceptions and the story in terms of what the
13 workers have said reaffirm largely what was
14 said before the advisory board. And that will
15 be the interview record.

16 A summary of course will reflect
17 faithfully what the interview record says.
18 Now beyond that, you know internal from
19 different points of view that may come up.
20 But, beyond that, if the Working Group wants
21 us to pull the record I'd like some specific
22 direction from the Working Group about that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ROBERTSON-DEMERS: Well this is
2 Kathy. I need to remind you that not all of
3 the interviewees were claimants.

4 MR. MAKHAJANI: Right, how many of
5 the interviewees who said they took off their
6 badges were claimants, a rough, five, eight?

7 MS. ROBERTSON-DEMERS: I'd say
8 maybe a third.

9 MR. MAKHAJANI: So that would be
10 maybe about like five?

11 MS. ROBERTSON-DEMERS: Well it gets
12 complicated in that some of those people
13 didn't respond to our request for a reading.

14 MR. MAKHAJANI: Well whether they
15 responded or not of all the interviewees -- do
16 we have five or seven interviewees who were
17 claimants?

18 MS. ROBERTSON-DEMERS: I would say
19 you have at least five.

20 MR. MAKHAJANI: Five, that would be
21 my guess. I think we can pull the records of
22 about five. And we could try to find more of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the people who stood up.

2 Part of the problem, just so you
3 know is that we attempted to find all of the
4 people who stood up but could not successfully
5 locate them all to do interviews with them.

6 So we were not able to do
7 interviews with all of the people who stood
8 up. But we were able to do interviews with
9 quite a few of them.

10 MR. MAURO: I would like to make a
11 suggestion. The group of ten that we looked
12 at and we discussed this morning clearly -- I
13 think everyone would agree that there was
14 nothing in there that showed up what I would
15 call the smoking gun.

16 In other words, there's clearly
17 something amiss here. Out of the ten there
18 was one item that seemed to be a little bit
19 out of line to say even to use that term is
20 questionable.

21 But it seemed to demonstrate that,
22 you know we can't prove a negative and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certainly have not proven the positive with
2 that group of ten.

3 So we'll do an exercise in good
4 faith to see if there is anything that would
5 reveal that there really is a serious problem
6 here. And I would say that -- I will take the
7 risk of coming to a conclusion that I hope --
8 there is plenty not to do around here. That
9 there's nothing there that would say,
10 certainly there is a problem with badges left
11 behind based on what we looked at.

12 Okay, now we're going to have
13 another group of affidavits or interviews of
14 individuals who said yes, I did do that.
15 Okay, and it sounds like out of that group
16 there may be five of them who said yes, I did
17 do that.

18 The question we have to ask
19 ourselves now is if we go into their records
20 exactly the same way we went into the group of
21 ten will -- now will that be a matter of due
22 diligence as let's close this thing down.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Let's go to those five that said they did it
2 and now either that will show us yes, we're
3 starting to see some incongruities or we're
4 not.

5 Now, it seems to me that's -- you
6 know what I would say is yes, it's worth
7 doing. It sort of closes the book. We've
8 done all of the things that --

9 MR. MAKHAJANI: Let me put a caveat
10 down.

11 MR. MAURO: Yes, sure.

12 MR. MAKHAJANI: I think we were at
13 great pains to separate these two categories
14 of, you know, worker statements in terms of
15 why they took off their badges. And I don't
16 think we should be mixing the two up again
17 because I don't think analyzing the next topic
18 is going to tell us something about the first
19 five.

20 We relay there that the reason the
21 context was different and I think it just
22 muddies the -- in my opinion, I'm sorry but I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think it does muddy the waters a little bit to
2 mix them.

3 MR. MAURO: I don't want to mix it
4 up. I want to finish the --

5 MR. MAKHAJANI: It kind of needs to
6 be kind of an independent discussion as to
7 whether we want to put that second thing to
8 bed to the extent that we can. And it's not
9 going to be very far along. You know it just
10 says ten is not a lot and five is going to
11 been even less.

12 And so you'll be able to make
13 statements about five or seven, but that's
14 all.

15 MEMBER MUNN: There is another
16 issue too, unless this was discussed during
17 the period of time that I was off line. Do we
18 have any assurance at all that the individuals
19 who claim they hid or deliberately did not
20 wear their badges?

21 Have any pending information
22 against which to evaluate. Did they even have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- if they had personal dosimeters then there
2 really wouldn't be an issue would there. I
3 mean I'm assuming that those folks for the
4 most part did not have a pin on when they were
5 there or when they went to work. Whatever
6 they are doing that day.

7 MS. ROBERTSON-DEMERS: Wanda, there
8 may have been a couple that mentioned that
9 they wore PICs at some time.

10 MR. MAKHAJANI: Yes, I don't know
11 that we can do the same kind of investigation.

12 It's unlikely that, you know, we'll have a
13 need to the extent, you know of PIC badge
14 comparison is neat. But we'll have the same
15 kind of neat result.

16 This will be simply to take this
17 investigation one step further. If almost all
18 the film badge readings are zero for instance
19 you know, what that will allow you to
20 conclude, I don't know.

21 I just want to stress that we have
22 the no reason to disbelieve the workers that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they did this. In fact you know, there's some
2 evidence that this might have gone on. The
3 question is what does it mean for dose
4 reconstruction.

5 MEMBER MUNN: Well, and how
6 widespread was it, really? How widespread was
7 it?

8 MR. MAKHAJANI: Yes, exactly.

9 MEMBER MUNN: If it was a
10 systematic kind of thing which has been
11 inferred then that's one issue. If on the
12 other hand it was a series of isolated events
13 limited to small groups of individuals then
14 that's an entirely different issue. With
15 respect to both dose reconstruction
16 individually and with respect to the overall
17 program.

18 MR. MAKHAJANI: Or you know there
19 may have been one type of worker -- there may
20 have been one type of worker who the badge was
21 at high risk of being damaged and their
22 exposure potential can be established. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may be able to build a coworker model from
2 some other group of workers.

3 I mean there are a number of
4 possibilities and at this stage they are all
5 speculative.

6 And I don't know what investigation
7 of a handful of cases can do. But it is in
8 the petition -- well, at any rate, we just
9 want to report what is there for you to
10 decide.

11 CHAIR PRESLEY: Well, number one
12 out of the cases it looks like you're only
13 going to be able to do a possibility of five.

14 Number two, we don't know if any of those had
15 PICs or not. The only possibility was two out
16 of five.

17 The other thing on that is, you
18 know, we don't know whether those people were
19 in the field, in a rad area or not. I mean
20 they could have been taking their badge off
21 and doing some welding on a trailer at
22 Mercury.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, I'm just wondering if there is
2 any added value to this or not. And you know
3 --

4 MS. ROBERTSON-DEMERS: This is
5 Kathy, we asked them pretty good their
6 complete work history and we talked to them
7 about what they were doing when they took
8 their badge off and that's all in the
9 interviews.

10 MR. KATZ: Thanks, Kathy, someone
11 else is on the line and has not muted their
12 phone. Would you please mute your phone?

13 MEMBER CLAWSON: Actually, I think
14 this does to a point because we have in the
15 public meeting these people stood up,
16 addressed us. They wanted the Board to look
17 into this. I think that we've got to give it
18 all due dilligency to be able to bring most of
19 the closure at some part.

20 They may not have had PICs or
21 whatever. But also with this investigation I
22 also feel that we will be on the request more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point-blank questions to them that we can.
2 And all we can do is the best we can. If we
3 can't locate these people then that's what it
4 is.

5 But I do believe that we owe it to
6 the petitioners and also the public to be able
7 to address these.

8 CHAIR PRESLEY: Gen, you got a
9 thought on this?

10 MEMBER ROESSLER: I'm really not
11 clear on what needs to be done that's beyond
12 the scope of what was already agreed upon
13 initially.

14 MR. MAURO: The original scope was
15 to go to the -- to make judgements. In other
16 words, once the interviews were done and we
17 had this information on this group of workers.

18 I say 10 or 12 or whatever the numbers are,
19 and have them before us.

20 I think at that point the judgement
21 was, well would it be worth going into their
22 records. I think that -- so perhaps the right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way to handle this is let's get these reports
2 back out. Let's have these 10 or 12 reports
3 on these workers, these people and see the
4 story that's told about each one of them.

5 And at that time we can make a
6 judgement of which amongst those are there any
7 where we think will be productive to go in and
8 retrieve their records and take a look at it
9 rather than try to make that decision now.

10 CHAIR PRESLEY: I would agree with
11 that. I mean if you don't have 10 or 12 I
12 understand you got a five and that's it.

13 MR. MAKHAJANI: That is a guess. I
14 mean we haven't actually -- it would be a
15 handful, you know. But we can actually report
16 to you the exact number if you'd like in a day
17 or two.

18 CHAIR PRESLEY: Okay, that would be
19 fine. Now, what are you going -- are you all
20 going to give the copy of this to the Working
21 Group that says you did it?

22 MEMBER ROESSLER: Excuse me, let me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 butt in here just long enough to ask.

2 CHAIR PRESLEY: Go ahead.

3 MEMBER ROESSLER: Am I the only one
4 whose getting so much cross talk that I can't
5 hear what's transpiring?

6 MR. KATZ: I'm sure it's worse for
7 you Wanda because you're on the telephone.
8 I've asked for them to stop.

9 MEMBER ROESSLER: Well, we hear
10 people discussing picking up their kids from
11 school and work and --

12 MR. KATZ: Right, we're hearing it
13 too, Wanda, and I've asked them to stop. It's
14 probably late enough that we don't need to go
15 through the motion of cutting them off.

16 MEMBER ROESSLER: Probably not.

17 CHAIR PRESLEY: Can anybody there
18 hear us talking other than Wanda and John
19 Funk?

20 MEMBER SCHOFIELD: I can hear you
21 just fine, unfortunately.

22 CHAIR PRESLEY: Is that you, Phil?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER SCHOFIELD: It's
2 interference.

3 MEMBER ROESSLER: It's very
4 difficult to hear.

5 MR. KATZ: Okay, let me -- do we
6 have considerable deliberation remaining
7 because if we do I'll get this line cut off.

8 CHAIR PRESLEY: I think we're
9 coming pretty close to the end. I would like
10 to see that paper before it comes to us. We
11 can make the decisions. I'd like to see the
12 copy of the paperwork go to SC&A.

13 John, please don't make this last
14 two or three months and we need it as fast as
15 we can.

16 MR. MAURO: What I heard is we're
17 on the home stretch. Kathy Demers, are you on
18 the line?

19 MS. ROBERTSON-DEMERS: Yes.

20 MR. MAURO: Kathy, give me a date
21 when you think we'll be able to get this
22 material into the hands of the Working Group?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ROBERTSON-DEMERS:
2 Realistically, well --

3 MR. MAURO: And we have control
4 over that. We can make that our number one
5 priority. How many pages of material are we
6 talking about?

7 MS. ROBERTSON-DEMERS: Probably
8 about 100.

9 MR. MAURO: So it's 100 pages.
10 That's been through PA already?

11 MS. ROBERTSON-DEMERS: Well
12 actually we separated it into something that's
13 going to come to the working group where it's
14 the actual individual interviews compiled into
15 one document. It's the long strain. And
16 that, we will maintain the names in those. It
17 won't go out publicly. This is a master
18 interview summary where we are going to have
19 to send it to PA in a week.

20 MR. MAURO: Right now my main
21 concern is to get into the hands of the Work
22 Group the material we have. I am not all that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concerned right now about PA. PA, we'll move
2 through in parallel.

3 It's more important that we get
4 this material into the hands of the Work Group
5 so a judgement can be made whether or not
6 there's any follow-up that's appropriate or
7 not. And in parallel, while that's being
8 done, certainly we can move it to PA.

9 I hope that's okay with the -- with
10 Emily and Liz.

11 MR. MAKHAJANI: John, you know, if
12 you'll give us the appropriate priority with
13 Nancy. It's just straight text. There's no
14 complication: tables, graphs, charts,
15 formatting. You know it just needs to be gone
16 through and text-formatted and with the right
17 cover.

18 MR. MAURO: What I am hearing is
19 we're days away from having to deliver this.

20 MR. MAKHAJANI: Yes, I believe that
21 that would be right.

22 CHAIR PRESLEY: We don't have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 worry about classification or anything like
2 that?

3 MR. MAKHAJANI: No, that's being
4 done.

5 MS. ROBERTSON-DEMERS: No, it's
6 been --

7 CHAIR PRESLEY: All right.

8 MS. ROBERTSON-DEMERS: It's been
9 through the review at DOE.

10 CHAIR PRESLEY: Okay, then we will
11 --

12 MEMBER ROESSLER: So Veteran's day
13 week we should have it, right?

14 MR. MAURO: How many -- how about a
15 week. We'll make a commitment that we deliver
16 within a week. Is that okay?

17 MS. ROBERTSON-DEMERS: This is a
18 product of work time, but -- yes, I think we
19 can do it within a week.

20 MR. MAURO: Good, we'll make sure
21 it's --

22 MR. KATZ: That's not our call.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Their going to cut the line.

2 CHAIR PRESLEY: Work Group
3 discussion, Brad, do you have anything?

4 (No response.)

5 CHAIR PRESLEY: Really, the only
6 thing I see is NIOSH has to go back and look
7 at the papers that were presented and make
8 their recommendation and determinations.

9 John has to get us some interviews
10 to where we can look at this to make a
11 decision on whether the path forward is to go
12 do some more research on badging. Is that the
13 only thing that we have right now on trying to
14 get this NTS site profile or technical data
15 sheet in the hands of a yea or nay
16 presentation?

17 MEMBER SCHOFIELD: I thought we
18 were reviewing the SEC at this point.

19 CHAIR PRESLEY: That's part of it.
20 Some of this stuff is for the SEC as well.

21 MR. FUNK: Chairman Presley?

22 CHAIR PRESLEY: Yes sir.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FUNK: This is John, how is
2 Area 51 going to impact all of this?

3 CHAIR PRESLEY: Area 51 -- hey,
4 John?

5 MR. FUNK: Yes.

6 CHAIR PRESLEY: If you remember you
7 had a letter sent to you that said Area 51 was
8 part of the -- I'm having a senior moment.

9 MR. ROLFES: Area 51 is included as
10 part of the Nevada Test Site for the years of
11 1958 -- there's a DOE letter that was issued
12 to the Department of Labor and also really
13 provided to John Funk as well. And that
14 basically said that Area 51 would be included
15 within the confines of the Nevada, within the
16 boundary of the Nevada Test Site up through ,
17 and I don't remember the end date.

18 CHAIR PRESLEY: Yes, John it's
19 covered up through some time after the last
20 shot, if I remember correctly, in the 90's.

21 MR. FUNK: Yes, well `92 is all the
22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR PRESLEY: And you should have
2 -- if I remember correctly I saw where they
3 sent you a copy of that letter.

4 MR. FUNK: I did receive it, yes.

5 CHAIR PRESLEY: Okay.

6 MR. FUNK: That's going to get
7 worked into the discussion before they vote
8 on, right?

9 CHAIR PRESLEY: No, no that is -- I
10 understand from Mark has already been worked
11 into -- or did you already work that into the
12 technical datasheets, Mark?

13 MR. ROLFES: As far as individuals
14 that worked as a DOE contractor employee for
15 RICO for example, the people that would have
16 entered would have been monitored in the same
17 manner that the people that did not enter that
18 area.

19 So, there is essentially no
20 different requirements for those individuals'
21 external dosimetry monitoring.

22 CHAIR PRESLEY: John, understand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that there is a tremendous amount of people
2 that worked at Area 51 that did not work for
3 DOE.

4 MR. FUNK: I understand that, I'm
5 aware.

6 CHAIR PRESLEY: They are not
7 covered.

8 MR. FUNK: I am aware of that.

9 CHAIR PRESLEY: Okay.

10 MR. FUNK: But I'm concerned about
11 the RICO people that worked over there and the
12 Holmes and Arbor people. They were covered.

13 MS. OH: This is Kate Oh in Senator
14 Reid's office, can I address a little bit?

15 CHAIR PRESLEY: Who is this again
16 please?

17 MS. OH: Kate in Senator Reid's
18 office.

19 CHAIR PRESLEY: Go ahead, Kate.

20 MS. OH: I've been working with DOE
21 on this issue and the Labor Department told me
22 that you were working with DOE to get a list

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of DOE contractors. And I can just forward
2 that on when I get it.

3 CHAIR PRESLEY: Please do.

4 MS. OH: Okay.

5 CHAIR PRESLEY: Thank you, Kate.

6 MEMBER CLAWSON: What did we come
7 up with a the total. Wasn't that part of one
8 o the early fifth in the earlier years?

9 MR. ROLFES: That's a completely
10 separate area. The Tonopah Test Range is in
11 the extreme northwest portions of the Las
12 Vegas Bombing and Gunnery Range. The Tonopah
13 Test Range information is included in the
14 Sandia Site Profile as an attachment to that.

15 So it's a completely separate area -- covered
16 facility, separated from NTS.

17 MEMBER CLAWSON: But that's part of
18 the Sandia though?

19 MR. ROLFES: Correct, correct.

20 MEMBER CLAWSON: Because there was
21 other people that were talking about working
22 in there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ROLFES: Right.

2 MEMBER ROESSLER: Since you're
3 getting ready to wrap up what I'd like to do
4 before Jim and Mark leave is to have them
5 briefly state what they are going to be doing
6 to follow-up on the occupational environmental
7 dose paper and then also on the NTS coworker
8 model paper just so we have it on the record.

9 MR. ROLFES: Well, for the
10 environmental side, let's see --

11 MR. NETON: I think I've got it
12 here. It will clearly define where the
13 environmental models would be applied and
14 evaluate Lynn Anspaugh's evaluation of our
15 current model for what I would call ambient
16 environmental dose.

17 And that would be in the form of
18 some type of white paper. And similarly for
19 the -- I believe we would do a review of the
20 SC&A evaluation of the NIOSH coworker model
21 for NTS.

22 MR. KATZ: But you would also, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I have in my notes that you would also review
2 the other factors that aren't really
3 addressed, right?

4 MR. NETON: Yes, well that's what I
5 said Lynn Anspaugh's evaluation report we
6 would cover all, the whole thing.

7 MEMBER CLAWSON: So, Jim I'm sure
8 this is probably under -- but when we were in
9 the discrepancy of the category of workers or
10 so forth that falls under Lynn Anspaugh?

11 MR. NETON: Yes, that would be the
12 first thing we would do is establish clearly
13 where we would apply our ambient environmental
14 model and then we would also evaluate Lynn's
15 for the four areas, the points that he made
16 regarding our model and how he felt they would
17 apply.

18 MEMBER ROESSLER: So what's the
19 time line on this?

20 MR. NETON: I will defer to Mark on
21 that. He's the lead of the technical charge
22 there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ROLFES: Let's see, there's a
2 lot of uncertainties with the end of the year
3 approaching as well as right now with funding
4 issues going into a new fiscal year.

5 CHAIR PRESLEY: We've got -- you
6 all have got your plate pretty full with about
7 three sites right now, too.

8 MEMBER CLAWSON: We can figure on
9 about a week.

10 MR. ROLFES: Once again I can't
11 commit to a time at this --

12 MR. NETON: Maybe we can commit to
13 getting something to you guys within the next
14 week or so. We'll reconnoiter and get at a
15 time for you. But we really need to look at
16 resource-loading and stuff is the way to go.

17 CHAIR PRESLEY: Well, that's true.
18 Looking back at our calendar okay, we've --
19 right now John gets us his in a week. You
20 know that gives us some time to look at that.
21 We've got a week of a holiday at the end of
22 November. Then we've got the conference call

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 coming up on the 6th.

2 The only thing, I'm going to be
3 honest with you, that I see that we can do
4 there is report. We haven't had a meeting and
5 here's what's gone on at this meeting.

6 The Advisory Board meeting is the
7 16th, 17th, and 18th I really don't see us
8 getting back together some time after the 1st
9 of the year. I really don't.

10 That gives Mark some time to work
11 on this stuff. That gives Jim some time to
12 work on, and it gives John some time if we say
13 go ahead and do that to get this because I'm
14 going to be honest with you, I would love to
15 saw this off. I mean we can kick this around
16 for about four years. And then we can start
17 working on the SEC petition totally.

18 MR. MAURO: I would ask a naive
19 question. The SEC matrix, I mean we've been
20 focusing on these three big-ticket items.

21 CHAIR PRESLEY: That's correct.

22 MR. MAURO: I'm not even sure if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are any other items on the matrix that
2 are still alive and well that we need to
3 address.

4 I haven't looked at the matrix and
5 -- so this is it. So, that being the case
6 we're in the home stretch on these three
7 items. I mean that's where we are right now.

8 CHAIR PRESLEY: I think so, I
9 really do. Hey, Arjun?

10 MR. MAKHAJANI: I understand that
11 we -- the paper you have before you from the
12 internal dose, you know the NIOSH 100 and the
13 SC&A 120 was all analysis of the SEC, of
14 course it has implications for the site
15 profile.

16 But, it was basically geared to the
17 statement saying in NIOSH's evaluation report
18 and our verification and evaluation of it.

19 CHAIR PRESLEY: Right.

20 MR. MAURO: We are in agreement,
21 Arjun.

22 CHAIR PRESLEY: We are in agreement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on that.

2 MR. MAKHAJANI: I am a little
3 confused I guess.

4 CHAIR PRESLEY: Okay, does anybody
5 else got anything for him?

6 MEMBER CLAWSON: I've got one
7 thing. We have received a lot of information
8 from Mr. Funk on the Nevada Test Site.
9 Granted some of it goes to the TBD, some of it
10 goes -- it doesn't effect part of the dose
11 reconstruction but it does effect the TBD.

12 How are we able to track so that --
13 because there is a lot of information in there
14 that is pertinent information and so forth.

15 So, I'm just wondering how we're
16 tracking it. This has been implemented and it
17 has been addressed. You know I can go back
18 numerous pages of things and --

19 MR. ROLFES: Sure, sure, I would be
20 happy to answer that. If you talk a look at
21 that on the O: Drive we produced a couple of
22 matrices and some correspondence letters that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we put out there for the Advisory Board to
2 review.

3 And I believe we've fulfilled all
4 of our commitments with responding to the
5 issues that have been received for the site
6 profile worker claims.

7 MEMBER CLAWSON: Okay, and I
8 remember reading several those. It was a TBD
9 issue, it wasn't a site profile issue and you
10 explained why they were and so forth.

11 I just don't want to lose any of
12 the information that's being brought forth to
13 us.

14 MR. ROLFES: Right, it's out there
15 on the O: Drive still. It's certainly not
16 going to get --

17 CHAIR PRESLEY: Okay, one of the
18 things that Mark and I have been doing is
19 every time that we get some information from
20 John they pass onto me and I pick the phone up
21 and I'll at least get an email and talk about
22 has this been implemented or will this be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 implemented or where is this in TBD.

2 Now, does anybody else have any
3 more going around the table of what we need to
4 do?

5 MR. KATZ: So we're probably look
6 at a Working Group meeting some time in
7 January?

8 CHAIR PRESLEY: Some time in
9 January. You know we will get back together
10 and see what everybody's schedule looks like.

11 I would love to have it back up
12 here -- you know let's see. We don't have --
13 to my knowledge I don't have anything down for
14 January.

15 MR. KATZ: We are not going to be
16 able to schedule it now.

17 CHAIR PRESLEY: No, no, no, no.
18 Let's see how things shake out especially with
19 John's stuff here and we'll get together and
20 talk about rescheduling this meeting on an
21 email basis.

22 But it does look like some time in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 January for back up here. I think that's
2 easiest for everybody to get here.

3 I know it's awful easy to have CDC,
4 and, John, your people were able to get here
5 pretty good.

6 Anybody else have anything, Ted, do
7 you have anything?

8 MR. KATZ: No sir.

9 CHAIR PRESLEY: Thank you,
10 everybody for coming. John, I appreciate
11 your comments.

12 MR. KATZ: Yes, thank you, John,
13 for participating.

14 MR. ROLFES: Thank you everyone,
15 and one final reminder that I have for
16 everybody here in the room is to make sure we
17 pick up all of our papers before we leave, so
18 that we're not leaving anything with Privacy
19 Act information on it.

20 MR. KATZ: Okay, so we are
21 adjourned.

22 (Whereupon, the above-entitled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 matter was concluded at 3:41 p.m.)

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701