

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

SPECIAL EXPOSURE COHORT ISSUES WORK GROUP

+ + + + +

FRIDAY,
JULY 24, 2009

+ + + + +

The work group meeting convened
via teleconference at 3:00 p.m., James M.
Melius, Chairman, presiding.

PRESENT:

JAMES M. MELIUS, Chairman
JOSIE M. BEACH, Member
MARK GRIFFON, Member
GENEVIEVE S. ROESSLER, Member
PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Acting Designated Federal Official
HANS BEHLING, SC&A
ANTOINETTE BONSIGNORE, Linde Ceramics
LARRY ELLIOTT, NIOSH OCAS
EMILY HOWELL, ESQ., HHS
BONNIE KLEA, Participant
MIKE MAHATHY, NIOSH ORAU
ARJUN MAKHIJANI, SC&A
JOHN MAURO, SC&A
ROBERT McGOLERICK, HHS
DAN McKEEL, Dow Petitioner
JIM NETON, NIOSH OCAS
CHICK PHILLIPS, SC&A
LAVON RUTHERFORD, NIOSH OCAS
MUTTY SHARFI, NIOSH ORAU
BILL THURBER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

TABLE OF CONTENTS

	<u>Page No.</u>
Roll Call	4
Discussion on Dow Site	8
Discussion on Metallurgical Labs Site	50

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 3:04 p.m.

3 MR. KATZ: Let me get the ball
4 rolling then, starting with roll call.

5 This is the Advisory Board on
6 Radiation and Worker Health, Special Exposure
7 Cohort Issues Working Group, and beginning
8 with roll call, we are going to be discussing
9 two sites as part of this meeting, both the
10 Dow Madison site and the Met Labs site, so I
11 would ask, I'm not sure that there are any
12 conflicts, but I would ask that everybody
13 address conflict of interest as they go
14 through roll call, starting with the Advisory
15 Board, with the Chair, Dr. Melius.

16 CHAIRMAN MELIUS: Jim Melius. I
17 have no conflicts.

18 MEMBER ZIEMER: Paul Ziemer, no
19 conflicts.

20 MEMBER GRIFFON: Mark Griffon, no
21 conflicts.

22 MEMBER ROESSLER: Gen Roessler, no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conflicts.

2 MEMBER BEACH: Josie Beach, no
3 conflicts.

4 MR. KATZ: Great, and then members
5 of NIOSH and its contractors, ORAU, and so on.

6 DR. NETON: This is Jim Neton. I
7 have no conflict with the Metallurgical
8 Laboratory, but if the discussion rolls into
9 any Argonne National Laboratory I do have a
10 conflict there.

11 MR. ELLIOTT: This is Larry
12 Elliott. I have no conflicts.

13 MR. RUTHERFORD: This is LaVon
14 Rutherford. I have no conflicts.

15 MR. SHARFI: Mutty Sharfi, ORAU
16 team, no conflicts.

17 MR. KATZ: Okay.

18 MR. MAHATHY: Mike Mahathy, ORAU
19 team, no conflicts.

20 MR. KATZ: Okay, that does it for
21 NIOSH ORAU staff, okay then, SC&A staff,
22 please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: John Mauro here, no
2 conflicts.

3 MR. THURBER: Bill Thurber, no
4 conflicts.

5 MR. PHILLIPS: Chick Phillips, no
6 conflicts.

7 DR. BEHLING: Hans Behling, no
8 conflicts.

9 MR. KATZ: Other federal staff,
10 whether it's NIOSH, HHS, DOL or DOE.

11 MS. HOWELL: Emily Howell, HHS, no
12 conflicts.

13 MR. MCGOLERICK: Robert
14 McGolerick, HHS, no conflicts.

15 MR. KATZ: Okay. And then any
16 members of the public or staff of
17 congressional offices who would like to
18 identify themselves for this call.

19 DR. McKEEL: This is Dan McKeel.
20 I'm a co-petitioner for Dow.

21 MS. BONSIGNORE: This is
22 Antoinette Bonsignore for the Linde Ceramics

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 facility.

2 MS. KLEA: This is Bonnie Klea,
3 California Santa Susana Field Lab.

4 MR. KATZ: Welcome to all three of
5 you. Okay.

6 DR. MAKHIJANI: Ted, excuse me,
7 this is Arjun from SC&A, I just joined. No
8 conflicts.

9 MR. KATZ: Oh, great, welcome
10 Arjun, too. All right, then, that's it for
11 the roll call.

12 Let me ask everybody on the line,
13 please, who -- when you are not speaking
14 addressing the group, to put your phones on
15 mute, *6 if you don't have a mute button, and
16 to take it off mute you just hit *6 again.
17 Please do not put the call on hold, just hang
18 up and dial back in if you need to go away for
19 a bit, and I think that takes care of that,
20 Dr. Melius.

21 MEMBER ROESSLER: Ted, let me ask,
22 this is Gen, I didn't hear was that *6 or #6?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: It's *6.

2 MEMBER ROESSLER: *6 okay, thanks.

3 MR. KATZ: Yes.

4 CHAIRMAN MELIUS: And, I believe
5 it's *6 to turn it back on, too.

6 MR. KATZ: right.

7 CHAIRMAN MELIUS: Turn off mute,
8 which is not the right keys on other phone
9 systems, as I have found out the difficult way
10 by trying to talk and not being able to.

11 The meeting today is a focused
12 meeting. We are only going to cover two
13 sites. One is the -- the first is the Dow
14 site, and the second is Metallurgical Labs.
15 Both of these we have discussed in the past at
16 the Board level, and, actually, have approved
17 these being added to the special exposure
18 cohort for specific time periods. For the Dow
19 site there's a question for later time
20 periods. We've already added 57 to 60, and
21 for Metallurgical Labs it's a question of the
22 issue of 250 days of exposure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, we'll start with the Dow site.
2 We had a work group meeting that discussed
3 the Dow site in November of last year. At
4 that time, there were still a number of issues
5 outstanding, where we didn't have complete
6 information on, and the petitioner, Dan
7 McKeel, had been, at that point, waiting a
8 long period of time to get some of the
9 documentation relevant to that time period,
10 and we've finally, more recently, received at
11 least some of that information, I know not
12 all, Dan, and we'll talk about that a little
13 bit later.

14 So the purpose of the call today
15 is to just, I think, try to identify sort of
16 key issues and see if there's anything else
17 that is still outstanding before we can have
18 full deliberations on that -- on the site,
19 that there are still some issues I know we at
20 least need to address.

21 The first thing, and I don't know
22 if, Larry, you or Jim, or who can do this, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is probably give us an update on sort of the
2 covered period, residual period issues with
3 this site.

4 MR. ELLIOTT: Yes, this is Larry
5 Elliott. I can speak to that.

6 The question revolves around the
7 Dow Chemical Madison site's residual
8 contamination period, which on the report
9 that's currently shown on our website covers a
10 period of 1961 through 2000 -- it shows a
11 period of 1961 through 1998, and the new
12 report that we have going through the
13 clearance process for issuance, and I can't
14 say -- it's just in that process, it is, you
15 know, imminent, I hope, to be delivered and
16 issued to the Congress. It will be a Federal
17 Register notice and certainly be posted on our
18 website and notified through our web update,
19 as to when it is issued.

20 But the new residual contamination
21 period for Dow, from this new update, will
22 cover 1961 through 2007. So bottom line, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't have the report to share, but I can
2 share what it says, I hope will say, about Dow
3 Chemical.

4 CHAIRMAN MELIUS: And, Larry, can
5 you just describe sort of, what's the process
6 once that report is formally issued?

7 MR. ELLIOTT: The Department of
8 Energy and Department of Labor will receive a
9 copy of the report, and they use the report
10 to, primarily DOL will use this report for Dow
11 to extend the covered period for the residual
12 contamination through 2007.

13 CHAIRMAN MELIUS: And so we really
14 have two time periods we are waiting on, one
15 would be for your report to get reviewed and
16 formally issued to Congress, and secondly for
17 Department of Labor to, in effect, process
18 that report.

19 MR. ELLIOTT: Yes, it's in the --
20 it's in the CDC secretarial clearance process.

21 CHAIRMAN MELIUS: Okay.

22 MR. ELLIOTT: That's where it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at. It's beyond NIOSH.

2 MEMBER ZIEMER: Jim, this is
3 Ziemer. Could I ask a question?

4 CHAIRMAN MELIUS: Sure, go ahead.

5 MEMBER ZIEMER: I guess, Larry,
6 I'll pose it to you, or, perhaps, Dr. McKeel
7 also can help me answer this.

8 Are there documents related to
9 that report, in terms of the decision to
10 extend the residual contamination period, are
11 there documents that the petitioners are still
12 awaiting that have any bearing on that
13 decision?

14 MR. ELLIOTT: I don't believe that
15 the petitioners are waiting on any
16 documentation that was used to make this
17 determination.

18 MEMBER ZIEMER: Okay.

19 MR. ELLIOTT: I believe that
20 information is out there. I believe, in fact,
21 they provided some of that information, or
22 they've provided duplicates of the information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we had.

2 MEMBER ZIEMER: Okay.

3 MR. ELLIOTT: So I can't speak for
4 Dr. McKeel's perspective. Certainly he should
5 do that, but from my perspective, and on what
6 we see, and how we arrived at the
7 determination on Dow Chemical, the
8 documentation is there to support it, and DOL
9 will likely use that, look at that, if they
10 don't accept ours on the recommendation of the
11 determination.

12 MEMBER ZIEMER: Thank you.

13 Dan, did you have anything to add
14 to that?

15 DR. McKEEL: Yes, sir, just one
16 thing. I believe I have all the documentation,
17 but what I'm not sure about is what
18 documentation NIOSH sent to Department of
19 Labor and Department of Energy. And what I
20 believe it should include is the final clean-
21 up report from the Pangea Group, which gives
22 the date for when the residual contamination

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was actually cleaned up.

2 But I also think that letter that
3 went from Illinois Emergency Management
4 Agency, which I think is dated June 8, 2008,
5 Dow Madison or Spectralite, and Chris Barnes,
6 who is the CEO there, stating the site was
7 finally released from unrestricted use.

8 So, you know, DOL should at least
9 be aware of the fact that there were some
10 months from the time that Pangea Group said
11 that it had finished cleaning up the residual
12 contamination until the time that the agency
13 in this agreement, State of Illinois, IEMA,
14 actually agreed that the site was completely
15 cleaned up for unrestricted use.

16 MEMBER ZIEMER: Well do we know
17 which of those dates is used as the official
18 end of the residual contamination period? Is
19 it the final clean-up date or the date that it
20 is declared open for general use?

21 DR. McKEEL: I understood from Mr.
22 Elliott that the date that NIOSH wanted to use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or has proposed is the November, 2007 time
2 frame, but I am not exactly sure of that fact.

3 I actually asked Laurie Breyer if she could
4 release to me the exact date in the new
5 congressional report for the end of the
6 residual period, and she said, at that time,
7 that was several weeks ago, was unable to do
8 that.

9 So Larry --

10 MR. ELLIOTT: I've given you all I
11 can tell you until this report is cleared for
12 distribution. I'm sorry, but this is a report
13 that gets issued from the Office of the
14 Secretary to Congress, and so, you know --

15 MEMBER ZIEMER: Once the report is
16 out we'll know.

17 MR. ELLIOTT: I've got clearance
18 to tell you what the report says on Dow
19 Chemical. I think the clear indication by
20 saying it goes through -- the residual period
21 goes through 2007, covers the issue that Dr.
22 McKeel has raised, but, you know, I'm going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stop short of that in speaking specifically
2 about documentation that is used to make this
3 determination.

4 I don't want to be -- I don't want
5 to sound obstinate in that regard, but I just
6 -- I can't go farther than that at this point
7 in time.

8 MEMBER ZIEMER: That's fine. I'm
9 okay with that. I just wondered if it was
10 known at this point, but we'll wait until the
11 report comes out.

12 MR. ELLIOTT: Thank you.

13 CHAIRMAN MELIUS: Thanks, Larry
14 and Dan, for that.

15 Now my understanding is there's
16 also questions on other operations at that
17 site that may extend, not the residual period,
18 but the overall sort of covered period or
19 covered time periods.

20 Larry, do you have any comment on
21 that at this point?

22 MR. ELLIOTT: I don't have any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comment on that. I don't know if LaVon
2 Rutherford or Jim Neton have anything that
3 they are prepared to offer at this point or
4 not.

5 MR. RUTHERFORD: Well, this is
6 LaVon Rutherford, and are you -- Dr. Melius,
7 are you speaking to, or has there been things
8 provided to the Department of Labor to extend
9 covered activities or covered period based on
10 activities, or are you asking if there were
11 new things that we had determined recently?
12 I'm kind of confused.

13 CHAIRMAN MELIUS: Both.

14 MR. RUTHERFORD: Okay. As far as
15 I know, that all the information that we've
16 received from [Identifying information
17 redacted] on potentially extending the covered
18 period for -- based on, you know, the thorium
19 work, beyond the 1960, we have provided -- we
20 provided all our information, she provided all
21 her information to Department of Labor, and
22 Department of Labor, the last I had heard, had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issued their memo stating that they weren't
2 going to extend the covered period.

3 CHAIRMAN MELIUS: So since that
4 time you've heard nothing? That was really my
5 question.

6 MR. RUTHERFORD: Right. I've
7 heard nothing since that time.

8 MR. ELLIOTT: This is Larry
9 Elliott. I know that maybe LaVon didn't have
10 this, but I see that [Identifying information
11 redacted] has submitted a new request to
12 Department of Labor just this afternoon. I
13 haven't had a chance to read through it, but I
14 know that that came in today. Is that what
15 you are asking about?

16 CHAIRMAN MELIUS: Well, I didn't
17 know about that, so that's what happened this
18 afternoon. So that is news, I guess.

19 DR. McKEEL: Just for the record,
20 this is Dan McKeel. I didn't know about that
21 either.

22 CHAIRMAN MELIUS: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. ELLIOTT: So I guess we are
2 not clear on what you are referring to, Dr.
3 Melius, in your question.

4 CHAIRMAN MELIUS: I'm just trying
5 to get an update for everyone involved in the
6 work group about the Dow site.

7 DR. NETON: This is Jim Neton.

8 I guess I'm a little confused as
9 to the relevance. The SEC has already been
10 established for 57 through 60. I mean, so we
11 -- I thought we were engaged in a discussion
12 of whether or not thorium could be
13 reconstructed in the residual period beyond
14 the 1960 covered dates.

15 I mean, so --

16 DR. McKEEL: This is Dan McKeel.

17 I think the relevance that Dr.
18 Neton asked about is that [Identifying
19 information redacted] 2008 information stated
20 -- at least her comments to the Board stated
21 that there was a new Dow Madison AEC contract
22 that she had discovered, which indicated that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the same thorium that Department of Energy
2 acknowledged in January, on January 8th of
3 '08, was used in nuclear weapons and was
4 responsible for making Dow Madison an AWE
5 based on the thorium work, that that same --
6 that that new contract indicated AEC thorium
7 contract at Dow Madison she said extended
8 beyond 57, 58.

9 So I gather that in the letter
10 that Rachel Leiton did share with me, and I
11 assume with all of you, dated March 10, 2009,
12 that Department of Labor looked at all that
13 information and decided that it was not
14 convincing enough to extend the covered
15 period.

16 However, there has been no
17 consideration of that information by anybody
18 other than the Department of Labor that I'm
19 aware of. Department of Energy got the same
20 packet and the same information, and they have
21 not given their opinion on those documents
22 yet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So my own opinion is that even
2 though it's up to Department of Labor to make
3 the determination about changing the covered
4 period, that there are -- there is a request
5 in from [Identifying information redacted]
6 from late 2008 and, apparently, a new one from
7 today which indicates that, perhaps, the
8 thorium AEC contract period at Dow Madison
9 should be extended over a wider period of
10 time.

11 And my understanding is that the
12 contract she found for the thorium work for
13 the AEC was earlier than 1957 and extended
14 later than 1958. And in Glen Podonsky's letter
15 of January the 8th he said that Department of
16 Energy had determined that thorium alloy HK-31
17 was actually used in nuclear weapons between
18 1956 and 1969, and he was talking about, you
19 know, complex-wide, whereas the only two
20 purchase orders to Mallinckrodt for that
21 material were from 1957 and `58.

22 But as the work group well knows,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are still on the table, from the
2 petitioner's point of view, affidavits from 11
3 Dow workers at the Madison site that said they
4 also shipped the same type of HK-31 alloy,
5 magnesium thorium alloy, to Rocky Flats, and
6 they are absolutely 100 percent adamant that
7 it was not sent to the Rocky Mountain arsenal
8 but to Rocky Flats. So that's where that
9 stands that I'm aware of.

10 CHAIRMAN MELIUS: And, Jim Neton,
11 to answer -- directly answer your question, I
12 mean, what I was asking for was an
13 informational update that I think NIOSH would
14 be aware of any actions or, you know, possible
15 actions by Department of Labor before we would
16 that, you know, could affect the schedule for
17 this, you know, work group to complete its
18 work.

19 And I understand, I think we all
20 understand that it is not -- you are not
21 empowered to make those decisions on covered
22 activities and so forth.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Understood.

2 CHAIRMAN MELIUS: Yes, that's all
3 for that. Okay.

4 Anything else on that subject? If
5 not, I'd like to move out to identify any
6 other unfinished sort of technical issues and
7 so forth. And I know we do have one that I've
8 actually asked John Mauro and his staff to at
9 least address verbally at this meeting today,
10 and that concerns the review of TBD-6000, the
11 appendix that covers Dow, which I believe is
12 Appendix C, which was issued after the last
13 review that SC&A had done. So it was not
14 included in their last report to us, which is
15 called Appendix 2. So we have different
16 appendices here.

17 John, do you want to speak to
18 update us on that?

19 DR. MAURO: Yes. After I received
20 your inquiry, I read -- we had not reviewed
21 that. I did read it, 13 pages, but I can say,
22 you know, right now the -- SC&A's work does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not include a review of that appendix.

2 If you'd like me to comment
3 briefly, when I did read it, I'd certainly be
4 glad to, but it really was just a quick read,
5 just to make sure that I understood what was
6 in it, and also to make sure that there wasn't
7 anything, you know, is there any new material.

8 And there is some new material, so there is
9 some new material related to methodology for
10 reconstructing doses during the covered
11 period, and right now my observations of that
12 work is that it does not have too much effect
13 on the uncovered period, except that as I
14 understood it when I read it, because of the
15 extension of the time period, I guess, one of
16 our concerns was that dust loadings that were
17 used from I guess surveys collected during
18 D&D, we felt that that information was part of
19 the residual period analysis for coming up
20 with the exposure model, and our only comment
21 was that at the time of our review that dust
22 loading was associated with D&D, but the time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period of interest at that time did not
2 include the D&D operation.

3 So I think that that was the one
4 observation I found that may now have been
5 resolved, because it's been extended.

6 DR. NETON: This is Jim Neton.
7 I'd like to make a comment, if I could.

8 DR. MAURO: Sure, please.

9 DR. NETON: Again, my
10 understanding is that we were down to
11 examination of the residual -- reconstruction
12 of thorium dose in the residual period, and if
13 you look at Appendix C, I mean, I'm reading
14 from the last paragraph on page six of the
15 document, it says, "The thorium and thoron
16 intakes during the residual contamination
17 period are estimated using the technique
18 described in Addendum 2 of the SEC evaluation
19 report."

20 So in essence, what we've done is
21 formalized what was written up in Addendum 2,
22 so that we would have a procedure to refer to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when we use that methodology, not an SEC
2 evaluation report. So that, in essence, is the
3 crux of what happened, and Appendix C is
4 relevant, I think, to the residual period.

5 CHAIRMAN MELIUS: Thanks for that
6 clarification. I mean, I was aware of that,
7 and I think John was also, from his quick
8 reading. I just think we, you know, just need
9 to sort of directly address that, and if
10 there's any additional information in there
11 that is relevant to SC&A's review they should,
12 you know, bring it forward. If not, then
13 there's no need to do that. My communication
14 with John has all taken place, I believe,
15 since Wednesday of this week, so to be fair to
16 him I don't think they've had time to, you
17 know, sort of fully review the documents and
18 so forth.

19 Are there any other outstanding
20 technical issues that anyone has that we
21 haven't addressed or are not addressed in the
22 NIOSH reports or the SC&A reviews of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reports that people believe that we do need to
2 address?

3 DR. McKEEL: Dr. Melius, I have a
4 couple.

5 CHAIRMAN MELIUS: We'll get to
6 you, Dan. Let me just start with the work
7 group first.

8 DR. McKEEL: I apologize.

9 CHAIRMAN MELIUS: And then, we
10 will get to you.

11 DR. McKEEL: I apologize.

12 CHAIRMAN MELIUS: Yes. Anybody on
13 the work group have any comments?

14 MEMBER ZIEMER: Well let me just
15 ask. SC&A did a focused review on what was
16 called Addendum 2.

17 CHAIRMAN MELIUS: Correct.

18 MEMBER ZIEMER: And it wasn't
19 clear from what Jim Neton -- I think, Jim, you
20 were just saying that you now have just
21 formalized that procedure, right, in terms of
22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Correct.

2 MEMBER ZIEMER: So in that sense
3 it's already been reviewed. Has anything
4 changed?

5 DR. NETON: Well, you know, I have
6 not gone through all the calculations in
7 Appendix C, but based on the statement in
8 there, the intent was that it formalized all
9 the discussion that we had, you know, in
10 Addendum 2 as to how we would reconstruct
11 doses during the residual period.

12 There's more to it -- there's more
13 in there than that. As John mentioned,
14 there's, you know, some reconstruction
15 information during the covered period, as well
16 as the residual period.

17 MEMBER ZIEMER: Right. And, John
18 Mauro, you folks had a number of observations,
19 or I guess they were findings.

20 DR. MAURO: Yes, we --

21 MEMBER ZIEMER: -- on Addendum 2.

22 DR. MAURO: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes, this is Jim. I
2 think where we are at, and correct me if I'm
3 wrong, John, but I think SC&A issued a brief
4 report in March.

5 MEMBER ZIEMER: That's correct.

6 DR. NETON: That commented on our
7 comments.

8 DR. MAURO: Yes.

9 DR. NETON: And, in essence, my
10 take on this, and this might be over
11 simplistic, but, in essence, there's agreement
12 that we -- you know, that the approach is
13 bounding that we've put forth. However, there
14 remains some, I would consider, tweaking
15 issues, as to which samples are included or
16 not included in the analysis to get the final
17 numbers for exposure during the later years of
18 the residual period.

19 DR. MAURO: I agree with that
20 characterization.

21 DR. NETON: That's where I believe
22 we are at.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Yes.

2 CHAIRMAN MELIUS: And just as I
3 understand it then, Appendix C of TBD-6000 was
4 issued after that report, after that March
5 report, and after the review, and then I
6 brought that to John's attention this week as,
7 you know, a potential issue, and asked him to
8 take a quick look at it.

9 I actually think it would be
10 appropriate for them to allow them time to
11 take -- you know, sort of do a focused review,
12 which I don't think will involve a lot of time
13 or effort, but at least to, you know, read it
14 through in more detail and compare it with
15 what they did for their earlier review, and
16 then report back to the work group on that.

17 Is that satisfactory with
18 everybody? Again, I don't think it involves a
19 lot, but, again, I think it's important that,
20 you know, they do take a look at this since it
21 does have -- potentially have some impact on
22 the review.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Yes, Jim, this is
2 John, yes, and from my read of it, it's
3 something that will take a marginal amount of
4 work, it would not be a big -- we'd issue a
5 memo to the effect to see how things changed
6 and what their potential importance are. I
7 don't see it being a large effort, a few work
8 days.

9 CHAIRMAN MELIUS: Anybody in the
10 work group have any objections or agreements,
11 disagreements with that?

12 MEMBER ZIEMER: No. If we need to
13 formally task that, you know, we are going to
14 meet in a couple days, so we can take that in
15 the framework of the total picture.

16 CHAIRMAN MELIUS: Yes, I'm not
17 sure --

18 MEMBER ZIEMER: But this won't be
19 a big ticket item.

20 CHAIRMAN MELIUS: -- right. I'm
21 not sure, we've tasked -- I can't remember
22 what we specifically tasked SC&A for the first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time on this one, or last time, but we can
2 check and then finish it up next week, finish
3 the tasking next week. Any other issues that
4 people in the work group have or, John Mauro,
5 you have?

6 DR. MAURO: I don't. I have Bill
7 Thurber and Chick Phillips on the line. Is
8 there anything about the discussion we just
9 had that you'd like to comment on?

10 MR. THURBER: No, I think that --
11 I believe it was Jim Neton, pretty much hit
12 the nail on the head, that there is -- we felt
13 there is some transparency in some of the
14 comments that NIOSH had made that would
15 improve the story and make it easy for people
16 to follow and understand.

17 COURT REPORTER: I'm sorry, this
18 is the court reporter. Can I ask who is
19 speaking?

20 MR. THURBER: I'm sorry?

21 COURT REPORTER: Could you
22 identify yourself, please?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. THURBER: Oh, Bill Thurber,
2 sorry.

3 COURT REPORTER: All right, thank
4 you.

5 MR. THURBER: So, yes, I think
6 that some clarification of some of the things,
7 as Jim mentioned, showing what samples were
8 used and what samples weren't used and why,
9 that sort of thing. But, again, they are not
10 show stoppers.

11 MR. PHILLIPS: This is Chick
12 Phillips. I don't have anything else to add,
13 John.

14 DR. MAURO: Thank you.

15 CHAIRMAN MELIUS: Dan, you had
16 some comments you wanted to make or issues to
17 bring up?

18 DR. McKEEL: Jim, thank you very
19 much, yes.

20 I guess my comment about Appendix
21 C is that I'd be very happy if SC&A did a
22 focused review, and I think they should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because -- just to reiterate what I think this
2 represents. The first SEC was awarded to Dow
3 Madison because they -- because NIOSH admitted
4 it could not reconstruct the thorium doses
5 during the production period, the AEC contract
6 period, and they issued an 8314 SEC. So that
7 really wasn't at issue.

8 By now, NIOSH claims that, in
9 fact, they can do the thorium reconstruction
10 of intakes during the residual period, and one
11 of the issues that I brought up when the SEC
12 was in my two addresses about the original SEC
13 and then extending the SEC to the Board, was
14 that I had questions about whether the data
15 that was attributed to Dow Madison and used as
16 new data that came in after the SEC
17 determination was really all from Dow Madison.

18 If it were not from Dow Madison
19 but from other Dow plants and facilities, then
20 in my opinion, since there was no such data
21 from Dow Madison that the Board's surrogate
22 data criteria and NIOSH's surrogate data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 criteria in OCAS IG-004 should be applied and
2 to see whether NIOSH had justified the use of
3 surrogate data properly.

4 So I think my own opinion is that
5 issue is still out there and, you know, needs
6 to be resolved.

7 The other issue is that to my
8 knowledge, except in the discussions in the
9 work group, there has never been a formal
10 resolution -- dispute resolution statement
11 that all the findings that NIOSH -- I mean,
12 that SC&A had in the Addendum 2 had actually
13 been fully resolved and were now off the
14 table. So I think that ought to be done.

15 The remaining technical issue that
16 I know of is, in a drawing of the plant, a
17 floor diagram that I obtained from the Dow
18 workers and presented to the Board in, I
19 think, the last presentation I gave them about
20 the residual period. There was drawn on the
21 plan, near what was called the NDT, or the
22 non-destructive testing room at Dow Madison, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 little red box that was labeled "batatron," B-
2 A-T-A-T-R-O-N, which I think is a misspelling
3 for betatron, and the workers have testified
4 that that betatron unit was manufactured by a
5 company named Kelly-Koett, K-E-L-L-Y dash K-O-
6 E-T-T.

7 And as I think I mentioned to the
8 Board, Kelly-Koett did manufacture betatron,
9 and, you know, that's easy to establish. And
10 so if -- and I think OCAS IG-003 guidance is
11 still operative here, and that guidance is
12 that such devices should be considered during
13 the AEC, all radiation source terms should be
14 considered during the production period.

15 Now I understand that an SEC has
16 been awarded for the uranium production period
17 1957 to '60, and I suppose you could say that
18 the fact that the betatron by Kelly-Koett was
19 not considered in that decision, is kind of,
20 you know, water that's passed over the dam.

21 But I think that it at least
22 should be mentioned in Appendix C because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Appendix C does not just cover the residual
2 period, it also covers the production period,
3 and, as a matter of fact, that is the sole
4 site profile type document that exists for Dow
5 Madison.

6 So I think that's a very important
7 document, and if it's used as guidance for
8 dose reconstructions, which have accelerated
9 at Dow Madison recently and fortunately and
10 all to the good, then the fact that there was
11 a betatron at the plant operating during the
12 production period should be at least factored
13 into dose reconstruction. So I realize that
14 this group is primarily focused on the SEC,
15 but that's really an unresolved, in my
16 opinion, technical issue.

17 So, you know, I think that that is
18 -- I guess that's what I would say. I think
19 the final issue that I would like to say about
20 the Rocky Flats shipments is from everything
21 that I can gather from the workers those
22 shipments, if, indeed, they took place, may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have extended before and after the period of
2 `57 to `60.

3 So one of the things that I think
4 has -- should be pursued has not really been
5 fully pursued, is to go back again to the
6 Department of Energy and ask them to look for
7 those records and search their files,
8 including the unclassified ones, to see if
9 they can confirm that fact or not.

10 And I merely remind everybody that
11 although for many years Department of Energy,
12 the Army Corps of Engineers, absolutely, and
13 during the FUSRAP clean-up, the Army Corps of
14 Engineers maintained steadfastly that all
15 thorium work at Dow Madison was commercial and
16 not related to AEC.

17 Then lo and behold, in 2008 now,
18 eight years later, or ten years after the
19 clean-up, DOE acknowledges with documents that
20 were obtained through Dow Headquarters in
21 Michigan that, in fact, Dow Madison HK-31 was
22 used in nuclear weapons. So that would be my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 justification for saying that there is a
2 significant possibility that DOE still
3 maintains those confirming records. I believe
4 that additional efforts should be made to try
5 to obtain them.

6 So anyway, that's where I am on
7 the technical issues, and, again, I very much
8 appreciate having you all allow me to give
9 that input.

10 MR. KATZ: Dan, this is Ted.
11 Would you just do me a favor for the
12 transcript and spell out the manufacturer of
13 the betatron that you spoke of there?

14 DR. McKEEL: Well, I already did
15 that, but I'll do it again, and the name of
16 that manufacturer is Kelly, K-E-L-L-Y, then
17 there's a hyphen, and K-O-E-T-T.

18 MR. KATZ: Thank you.

19 DR. McKEEL: Kelly-Koett. I don't
20 know how you pronounce it, but that's the way
21 it's spelled.

22 MR. KATZ: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: And, NIOSH, do
2 you have any response to that or comments you
3 want to make on those issues, or anybody from
4 the work group?

5 DR. NETON: Well, this is Jim
6 Neton. I certainly understand what Dr. McKeel
7 is talking about. That was an issue that was
8 raised in the affidavit for the SEC petition,
9 and it's something we do need to consider.

10 And I also agree that it's not
11 necessarily related to this SEC working
12 group's task at hand, but it is something that
13 does need to be -- we need to close the loop
14 on that as a dose reconstruction issue.

15 CHAIRMAN MELIUS: Thanks.

16 MR. ELLIOTT: This is Larry
17 Elliott. The only thing I would have to offer
18 a comment on here is, I believe we can check,
19 but DOL, or DOE will say, I believe, that they
20 have searched the record systems applicable to
21 try to determine whether or not there were
22 shipments to Rocky Flats. And the other thing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I would point out is that the Podonsky letter
2 says this is not an established fact but it
3 may have been possible, is the way it reads,
4 may have been possible.

5 So, you know, I think good to
6 Glen's word that he's trying to make DOE gain
7 some humanity and make some good decisions,
8 he's really given, you know, some benefit of
9 the doubt here. So I just don't think that
10 ought to be misrepresented.

11 DR. McKEEL: This is Dan McKeel.
12 I'm not trying to misrepresent it, I
13 appreciate it, but he did weigh the evidence
14 and came to the conclusion that Dow Madison
15 should be designated an AWE site for thorium,
16 and did so. So I'm not misrepresenting what
17 he did.

18 He did send part of the Livermore
19 documents that led to that conclusion, and
20 there was clearly, there was -- the first page
21 of those notes was most interesting because it
22 said that the Department of Energy had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually looked at a number of nuclear weapons
2 parts pictures that used thorium HK-31A as
3 part, and the issue they had was that they
4 didn't have sufficient records to determine
5 exactly where those parts were manufactured.
6 And they speculated that they could have been
7 Oak Ridge, et cetera.

8 So, again, and I'm not being
9 critical of individuals, but after all, one
10 could say that Department of Energy
11 predecessor AEC should have maintained really
12 great records on who supplied them with parts
13 for nuclear weapons that could have
14 devastating effects on humanity. And, you
15 know, it's certainly not my fault that they
16 don't have those records.

17 So I think the DOE, you know, what
18 they did is on the record, and it was pretty
19 clear from that letter that despite the fact
20 that it had taken two years to get that
21 information, that they did have information
22 that HK-31 thorium alloys were used in nuclear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 weapons parts. So I don't think it's an
2 unreasonable thing to ask them to go back to
3 look again harder, in light of the previous
4 performance.

5 So thank you.

6 CHAIRMAN MELIUS: Okay. I thank
7 everybody.

8 What I'm going to propose we do,
9 relative to this work group and trying to
10 complete our work, is that we will have --
11 we'll task SC&A to do the Appendix C TBD-6000
12 focus review, and then we will hold another
13 work group meeting, hopefully between now and
14 -- or our next Board meeting and the following
15 meeting in October, I believe it is, and at
16 that Board meeting try to bring closure to a
17 recommendation on this particular SEC.

18 DR. MAURO: Jim, this is John
19 Mauro. I just wanted to make sure, so we are
20 being authorized, as of this phone call, to
21 proceed work on that.

22 CHAIRMAN MELIUS: I'm not sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether we have to -- Ted, maybe clarify, you
2 might want to wait until next week.

3 DR. MAURO: Okay.

4 MR. KATZ: Jim, it's fine. I
5 mean, I can task them at any time, and so you
6 can task them now on this call.

7 CHAIRMAN MELIUS: Okay, so you are
8 tasked, John.

9 DR. MAURO: Okay, one more
10 question. I noticed that there was a question
11 that came up regarding the use of surrogate
12 data that might have been part of the protocol
13 used for the residual period. I don't recall,
14 thinking back, whether or not any surrogate
15 data was used or not. Do you want us to look
16 into that aspect of the work also or just
17 limit our work to Appendix C?

18 CHAIRMAN MELIUS: I don't -- I'm
19 trying to recall myself whether -- I don't
20 believe it was.

21 DR. MAURO: Yes, I don't recall
22 any surrogate data either, but certainly if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you'd like that to be part of what we look
2 into, we can do that also.

3 CHAIRMAN MELIUS: I mean, I think
4 in preparing for our discussion at the next
5 work group meeting I think we ought to clarify
6 that.

7 DR. MAURO: Okay.

8 MR. THURBER: This is Bill
9 Thurber. I would note that in Appendix C that
10 Bay City film badge data was used for the
11 external dose pathways for thorium.

12 CHAIRMAN MELIUS: Okay.

13 MR. THURBER: Which would meet the
14 surrogate data --

15 CHAIRMAN MELIUS: The review --
16 the work group review at the next meeting
17 would be, in a sense comprehensive, we would
18 go back through and review all these issues in
19 the sense of a discussion and update.

20 DR. MAURO: Okay. Now, I presume,
21 given the action item to do the review of
22 Appendix C, we should put out a brief white

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 paper on that review and send it to the work
2 group as soon as possible.

3 CHAIRMAN MELIUS: Correct.

4 DR. MAURO: Very good.

5 DR. NETON: This is Jim Neton.
6 I've got a question of clarification, I guess.

7 Appendix C covers both the residual and the
8 covered period. If the covered period is
9 already in the SEC, is the scope of the review
10 going to be limited to the residual period in
11 Appendix C or the entire operations at Dow
12 Madison?

13 CHAIRMAN MELIUS: I'm at a little
14 loss remembering what earlier reviews there
15 had been done at Dow.

16 I think, well, John, do you recall

17 --

18 DR. MAURO: Yes.

19 CHAIRMAN MELIUS: -- whether --

20 DR. MAURO: I may be able to help
21 out a little. I think that there are always,
22 even though 1957 through 1960 is designated as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an SEC period, there are always issues
2 regarding dose reconstruction for those
3 workers who may have a cancer that is not
4 covered by the SEC. So there's always an
5 interest to make sure that the methodologies
6 described -- for example, reconstructing the
7 uranium exposures during the covered period,
8 which NIOSH's position is they can do those.

9 So I would say that it makes sense
10 for SC&A to not only look at the residual
11 period, but also the covered period, too.

12 DR. NETON: I might argue, though,
13 John, that to keep the scope that broad would
14 just add more to the task of the focus of this
15 SEC evaluation. I mean, we are really trying
16 to focus on the SEC.

17 DR. MAURO: I understand.

18 DR. NETON: Whether we can
19 reconstruct -- I mean, I don't disagree that
20 that shouldn't be reviewed at some point, or
21 is not up for review, but to bring that into
22 the mix with another host of subset of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 potential findings is maybe more problematic
2 and adds more work to the SEC group that
3 doesn't need to be there at this point.

4 That's my opinion.

5 CHAIRMAN MELIUS: This is Jim. I
6 mean, my sense is that --

7 MEMBER ZIEMER: Yes, I'm not sure
8 that the -- this is Ziemer -- I'm not sure the
9 SEC group should be tasking outside that
10 framework, Jim. I guess we could do it on the
11 TBD-6000 group at some point anyway.

12 CHAIRMAN MELIUS: I would think if
13 they identify issues during the covered time
14 period that -- sort of site profile issues
15 that should be addressed, that would be -- I
16 mean, I would just hate at the same time to be
17 inefficient, have them to have to go back a
18 second time or whatever.

19 I certainly think in terms of
20 discussion among this work group, we are going
21 -- the next meeting we are going to focus on
22 the SEC issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Right.

2 CHAIRMAN MELIUS: With the
3 residual time period, and we wouldn't be
4 spending time on that. Whether those issues,
5 you know, you are right, Dr. Ziemer, they may
6 very well should go back to the TBD-6000 work
7 group. Maybe, John, why don't you start the
8 review and then consult with Dr. Ziemer and I.

9 Is that okay with you, Paul?

10 MEMBER ZIEMER: Yes.

11 CHAIRMAN MELIUS: I think the main
12 issue is not to get bogged down in a long
13 process, but at the same time, you know, to
14 flag issues that might require further review
15 at some point, and we can decide what's an
16 efficient and fair way of doing that.

17 DR. MAURO: I understand. We'll
18 go forward on that basis.

19 MR. KATZ: And, John, if you would
20 just keep me in the loop on that, whatever
21 discussions you have with Paul and Jim, so I
22 know what the task is at the end of the day,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that would be great. Thanks.

2 DR. MAURO: Will do.

3 CHAIRMAN MELIUS: Good, thanks.
4 Thanks everybody, and thanks, Dan, for your
5 input.

6 In terms of a schedule for this
7 SEC review work group to look at Dow, that
8 will be most likely determined, we'll have
9 some better idea of that next week at the
10 Board meeting, when we start talking about our
11 schedules going forward and so forth, do that.

12 MR. KATZ: Okay.

13 CHAIRMAN MELIUS: So I'd like to
14 finish -- end up Dow and move on to
15 Metallurgical Labs, and Metallurgical Labs we
16 had asked SC&A to review from a 250-day issue
17 perspective. We had approved the SEC, but
18 there were issues raised in our discussions
19 about whether people with less than 250 days
20 of exposure should be included in the special
21 exposure cohort.

22 SC&A completed their report on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this last month and distributed it to the
2 Board and to NIOSH. I don't believe it's
3 cleared Privacy Act review, so remind
4 everybody, I guess we need to be somewhat
5 careful in discussing any details in it.

6 I talked to Jim Neton before he
7 went away to the health physics conference two
8 or three weeks ago, I can't remember exactly,
9 and asked him if he would have time to at
10 least read through the report and be able to
11 respond at the time of this conference call,
12 since we established the time for the call.

13 He said he would, would have the
14 time, so what I would ask is for SC&A to do a
15 brief summary of their findings, and then
16 we'll follow it with some response, at least
17 preliminary response, from Jim Neton or from
18 NIOSH. I don't know who else has looked at it
19 for NIOSH. And then we can take it from
20 there.

21 John, I believe you are on. I
22 don't know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Yes, we'll keep it
2 brief, and maybe I'd like to ask Hans, who is
3 the author of the report, if he's still on the
4 line, Hans, are you there?

5 DR. BEHLING: Yes, I am.

6 DR. MAURO: Could you give us the,
7 you know, five-minute overview of the report
8 and your conclusions?

9 DR. BEHLING: Okay. I hope I can
10 stretch it a little bit beyond five minutes
11 because, as was already mentioned by Dr.
12 Melius, this has not undergone the Privacy Act
13 issues, so it's clear that not everyone has
14 had access to the report and may not be
15 necessarily familiar with some of the issues
16 that I'd like to bring up.

17 But let me try to get us quickly
18 through a summary of the report and the intent
19 of the report. What I tried to do was to look
20 at the available data to gain a general
21 understanding of the processes, the
22 conditions, and the operating protocols under

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which the Metallurgical Laboratory was
2 operated, and then assess the applicability of
3 the 250-day criteria for SEC eligibility in
4 context with that knowledge.

5 So in order to achieve that
6 objective, I reviewed more than 500 separate
7 documents and reports that were listed on
8 behalf of the Met Lab in NIOSH's site research
9 query database, and let me just quickly
10 summarize.

11 Consistent with NIOSH's conclusion
12 as cited in their evaluation report, I also
13 concluded that there was little or no data
14 pertaining to external/internal monitoring of
15 individual workers.

16 Yet among the available documents
17 there was ample evidence that suggests that
18 many of the Met Lab workers may have been
19 subjected to external and internal exposures
20 that by today's standards would be regarded as
21 very high.

22 And of greater relevance to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 250-day issue is that the potentially high
2 doses that may have been received as a result
3 of discrete incidences, in other words, a
4 very, very brief period of time, perhaps a
5 day, a few hours, or exposures that occurred
6 under relatively brief time periods, and by
7 that I mean time periods that were
8 considerably less than the 250-day, and let's
9 briefly think of 250-day as really the
10 equivalent of one working year, in other
11 words, five days a week, 50 work weeks a year.

12 So in order to support the above-
13 stated conclusions, let me just briefly go
14 through various portions of the report. For
15 those of you who may have access to the
16 report, either by hard copy or, perhaps, on
17 your computer, I will point to certain things.

18 In Section 2 of the report, I
19 discuss briefly some relevant background
20 information which I believe are very critical
21 here, and one of the key issues is one has to
22 understand the time frame. We are talking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the early 1940s. This is really, and
2 this is the beginning, the birth of the
3 Manhattan project, this is the beginning of
4 the nuclear age, and at that time we had never
5 had a reactor, which means that for the first
6 time with the operation of CP1 we encountered
7 certain radiologic conditions that were
8 totally unprecedented, unprecedented in a
9 sense where we were dealing with high
10 radiation fields produced by fission products
11 that had never been produced in significant
12 quantities. For the first time we encountered
13 neutron fields that had never been
14 encountered, and activation products.

15 There was also, up to that period
16 of time, very little understanding about
17 radiation effects on humans because up until
18 that moment in time our experience with
19 radiation was pretty much limited to x-ray
20 machines, which were produced early on in the
21 '30s, after Dr. Röntgen had discovered the use
22 of x-rays for medical purposes and, to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 limited extent, a handful of radionuclides,
2 predominantly radium-226.

3 So there was a very limited
4 understanding of, specifically of fission
5 products, and when they are ingested or
6 inhaled what happens to them. We didn't have
7 any clue about the genetics. How long do they
8 stay in the body? Where do they concentrate
9 and so forth?

10 So in essence, there was very
11 little information available to the people at
12 the time of the Manhattan project that would
13 allow them to really establish an
14 understanding of how to curtail and control
15 worker exposure, so that, in essence, the
16 operations at Met Lab represented the very
17 beginning of the nuclear era, and there was
18 little information and few existing standards
19 and methods for both monitoring the worker,
20 for protecting the workers against
21 unprecedented radiological environments, and,
22 of course, the issue of how to safely operate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the nuclear reactor, because this is the very
2 first nuclear reactor that had the ability to
3 a sustained chain reaction.

4 So the unprecedented radiological
5 hazards associated with the operation of CP1,
6 with its high photon fields, neutrons, fission
7 products, activation products, mandated a
8 whole bunch of new things. First, it mandated
9 development of new instrumentation that was
10 needed to monitor individuals. Up in that
11 period of time, there was very little
12 understanding of how to even monitor. We had
13 some very crude instrumentation, such as the
14 pocket ionization chambers, which were proven
15 to be, obviously, not very useful in
16 monitoring for neutrons, and it was really the
17 beginning of developing the film dosimeter for
18 monitoring individuals.

19 There was also a very limited, I
20 already alluded to, understanding in the dose
21 response relationship to the various types of
22 external and internal sources of radiation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In other words, we didn't really have a lot of
2 biological data to work with that would say
3 how much radiation is acceptable or how much
4 is too much for workers to be exposed to, and
5 lastly there was, obviously, in context with
6 the understanding of the dose response
7 relationship, there was a need to now
8 establish exposure limits for the workers,
9 which had never been before a major issue. In
10 other words, up to this period of time most of
11 the radiation that people had access to were
12 controlled sources of radiation, such as an x-
13 ray machine, where you could shut it off and
14 turn it on, where there was the ability to
15 shield, and the same thing with radium. For
16 the first time we had radiation environments
17 that were unprecedented in the sense they
18 created environmental and working conditions,
19 radiologic conditions, that were the result of
20 airborne contamination, contamination that was
21 spread around the laboratory, and so on.

22 In Section 3 of the report, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 describe the evolution of what is referred to
2 as tolerance level for external and internal
3 exposures, and in brief, the term "tolerance
4 level" was generally defined as that amount of
5 exposure below which deleterious health
6 effects were unlikely, and one has to
7 recognize what that means in context with the
8 time.

9 We were mostly concerned, during
10 that time, with acute effects, short-term
11 effects. We were not, at that time, concerned
12 about the induction of cancer as we are under
13 current conditions, where radiation protection
14 really focuses on the long-term or latent
15 effects that are dominated by cancer
16 induction.

17 At the time, the tolerance levels,
18 as I said, were based on extremely limited
19 historical data and had to be hurriedly
20 supplemented by a lot of animal experiments.
21 So much of the Metallurgical Laboratory and
22 the Manhattan Project focused on actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 filling in a lot of gaps. They worked
2 feverishly with animal models trying to
3 establish what happens to develop biokinetic
4 models that might be applicable to humans, and
5 lastly, they worked with human subjects,
6 patients who were terminally ill, patients who
7 had cancer, and, in essence, they became
8 surrogates for animal studies in order to
9 establish how much radiation can humans
10 tolerate and still survive.

11 So this is basically the backdrop
12 of how these tolerance levels were developed.

13 And so in Section 3 I talk about the
14 tolerance levels that were developed for
15 various different areas. In Section 3.2 I
16 talk about tolerance levels for external
17 exposures, from photons, from betas and
18 neutrons, and, again, when you look at those
19 in context today they were considerably
20 higher. At the time, it was considered okay
21 to expose individuals to 100 millirems per
22 day, which translates to 30R per year. For

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 beta, the tolerance level was considered okay
2 for 150R per year for the skin or extremities,
3 and for neutrons they had some very unusual
4 criteria for judging the levels of neutron
5 exposures, and at the time that involved a
6 quality factor of 4, which is considerably
7 lower than the quality factors we currently
8 assign in converting a dose of neutrons to
9 equivalent values in units of rem.

10 In Section 3.3, I talk about
11 tolerance levels for airborne contaminants,
12 and one of the unique features there was that
13 at the time they actually looked at radium as
14 a reference value, and at the time they
15 considered that the tolerance level for
16 plutonium was based on an assumption that
17 radium per unit activity was actually ten
18 times more hazardous than the same amount of
19 plutonium. And, of course, one looks at dose
20 conversion factors today and realizes that
21 that is, obviously, in stark contrast with
22 current-day DCS and to the DAC values with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regard to those two nuclides.

2 In Section 3.4 I talk about
3 tolerance levels for absorbed radionuclides in
4 the body, and again, they focus on radium,
5 polonium and plutonium, and provide specific
6 levels of how much could you at any moment in
7 time maintain a body burden of these
8 radionuclides?

9 And in Section 3.5 I describe
10 tolerance levels for urinary excretion, and at
11 the time they only developed it for polonium,
12 and their tolerance level for daily, 24-hour
13 excretion level, was based on 5,000 dpm in a
14 24-hour urine excretion.

15 And lastly, in 3.5 I talk about
16 tolerance level for the ingestion and
17 inhalation, and for those of you who may have
18 access to the report, either online or on hard
19 copy, I just wanted to basically go back
20 because it's quite important to look at the
21 actual numbers.

22 In Exhibit 1, which is on page 16

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of my report, I would just like to draw
2 attention to, for instance, one particular
3 isotope, iodine-131, and tolerance levels were
4 not necessarily defined on behalf of a chronic
5 exposure. If you do have access to Exhibit 1,
6 you will see that for iodine they also had
7 tolerable amounts of microcuries to be taken
8 on a one-time basis. In other words, you
9 could expose yourself on a single moment in
10 time or a single day, to as much as 135
11 microcuries of iodine, which, in fact, when I
12 convert the airborne concentration in the next
13 column over, which is defined in terms of
14 0.028 microcuries per liter, if you convert
15 that into microcuries per cubic meter you
16 realize that the one-day exposure could
17 involve as much as 28 microcuries of iodine-
18 131 in a single cubic meter of air.

19 And so if you assume a person may
20 have worked for, let's say, eight hours, and
21 breathing at 1.2 cubic meter per hour, what
22 that translates to is that in a single day a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 person could have potentially inhaled as much
2 as 280 microcuries of iodine-131, which based
3 on dose conversion values would translate to
4 over 300 rads.

5 In other words, what I want to
6 point out here is that the tolerance levels
7 were not necessarily defined strictly for a
8 chronic exposure, but they also made allowance
9 for a single-day exposure that for the case of
10 iodine would have allowed a single person to
11 inhale as much as 280 microcuries in a single
12 day.

13 Not surprisingly, when you look at
14 all of these tolerance levels, that the
15 limited knowledge, and, of course, the
16 availability of -- the limited availability of
17 data pertaining to the latent cancer cause and
18 effects, we are not talking about the
19 understanding of cancer induction, which at
20 that time was really not an issue of concern.

21 And, of course, the complex
22 biokinetic behavior of internalized nuclides,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all these combination of deficiencies in
2 knowledge or the absence of data led to
3 tolerance levels, as discussed in Section 4,
4 that were significantly flawed and inadequate
5 for protecting the health of workers.

6 And when we compared these values
7 to present-day regulatory standards, tolerance
8 level of external doses, air concentration,
9 intakes by inhalation or ingestion, or
10 sustained body burdens, were many, many times
11 higher than they are today. And these are --
12 these ratios are defined in Section 4 of my
13 report.

14 And, if, for instance, for those
15 who have it, turn to Table 3 on page 18 --

16 CHAIRMAN MELIUS: Hans, could you
17 try to sort of hurry up a little bit?

18 DR. BEHLING: Okay. You will see
19 that, obviously, we are talking about ratios
20 of what would be allowed today versus what was
21 allowed back then in some instances were in
22 the thousands of times higher.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I bring up the tolerance
2 levels for the single reason that in
3 Implementation Guide 1, which defines the
4 basic core document for NIOSH and OCAS on how
5 to deal with external radiation, we realize
6 that in Section 3.1.4 we talk about photon
7 dose reconstruction with regard to control
8 limits, and I will quickly just read it.

9 That section says the following,
10 "Dose reconstruction based only on
11 administrative of radiologic controls will
12 result in gross over-estimation of the
13 claimant's dose. Unfortunately, if no
14 monitoring records of any type can be found
15 and the source term is unknown, an upper
16 external dose estimate can be developed using
17 occupational radiation protection limits."

18 And so this would be one option
19 for looking at these tolerance levels and
20 saying we will use them as a surrogate or as a
21 last resort effort to reconstruct doses.

22 However, in the same paragraph the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Implementation Guidance also says that, "This,
2 of course, assumes that appropriate controls
3 were in place in order to prevent exposures in
4 excess of occupational limits."

5 Now, as I said, when I looked at
6 the reports there were plenty of data that
7 would suggest, not only were these tolerance
8 levels very, very high, but, moreover, there
9 is evidence that many instances these
10 tolerance levels were exceeded, and those are
11 defined in Section 5. I won't go into it, you
12 can read for yourself. Section 5.1 gives
13 examples of external photon doses in excess of
14 tolerance level. Section 5.2 gives examples
15 of potentially high gamma and neutron doses
16 received by operating the reactor. Section
17 5.3 gives air concentrations well in excess of
18 tolerance limits. There are examples, and
19 these are actual documents. And in the last
20 section we talk about plutonium contamination
21 levels that were identified in the private
22 residences of three individuals.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The most relevant of all these
2 things is that these radiation exposures that
3 were, obviously, very, very high, can also be
4 assumed to have been the result of an acute
5 exposure because, for instance, when we talk
6 about positive fecal samples, we can
7 reasonably conclude that these are likely the
8 result of a very recent inhalation or
9 ingestion exposure.

10 Similarly, when you have
11 significant changes in the cellularity of
12 circulating blood, you usually conclude that
13 these are the result of an acute exposure or a
14 very short or brief exposure, and I talk about
15 this to a large extent in the last section,
16 when I talk about the issue of the fact that
17 among the Met Lab workers there was a
18 substantial number of people who were
19 identified as having been exposed to excess
20 amounts of radiation based on hematologic
21 changes which have been the very topic of a
22 discussion previously by the working group and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Board as a whole, and in Section 6.3 I
2 talk about what these doses might have been,
3 and I conclude that on the basis of the fact
4 that these observed hematological changes were
5 observed among Met Lab workers, and then it
6 describes in context with, for instance, the
7 Y12 accident, we can conclude that some of
8 these workers may have been exposed to doses
9 in excess of hundreds of rads and resulted in
10 these observed hematological changes.

11 So I will stop at this point.

12 CHAIRMAN MELIUS: Thank you very
13 much, Hans. I thought it was a very
14 interesting and helpful report.

15 Jim, do you have --

16 DR. NETON: Yes, that's a hard act
17 to follow, but I'll try to be brief and
18 summarize. I had a chance to look at this in
19 some detail, but not nearly as much as I would
20 have liked.

21 CHAIRMAN MELIUS: And that's
22 understood.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: But I would comment
2 that SC&A -- compliment them on a well
3 written, scholarly review of work conditions
4 and exposures during the Manhattan Project.
5 It's an excellent resource document from that
6 perspective.

7 That compliment notwithstanding
8 though, I do have some comments based on the
9 brief review I've had. My first one is I was
10 generally kind of surprised how very little
11 focused on the CP1 exposures, which I thought
12 was the basis for this review in the first
13 place.

14 If you look back at the
15 transcripts that were provided as an
16 attachment to the report, as well as the memo
17 from Ted Katz, or email, it was clear in my
18 mind that the issue arose at the meeting that
19 this was an unshielded reactor, and would this
20 be one of those situations where less than 250
21 days might apply. In reality, there's almost
22 nothing in the report that deals with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposures at CP-1. In fact, it goes into
2 great length on internal exposures, which
3 we've kind of heard similar scenarios painted
4 before.

5 DR. BEHLING: Can I make a comment
6 to that effect?

7 CHAIRMAN MELIUS: Yes, go ahead,
8 Hans.

9 DR. BEHLING: In looking over
10 Appendix A, which is really the transcript for
11 the working group, and I summarized those on
12 page 6 of my report, and I itemized four
13 bullets, and I said I think they summarize the
14 transcript that is contained as Appendix A in
15 our report.

16 First it says there were a
17 substantial number of workers at Met Lab who
18 were there for less than 250 work days. I
19 think we agreed on that. Secondly, the
20 operation of Chicago Pile-1, CP-1, was a
21 planned event and not an uncontrolled critical
22 event or operation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But, thirdly, in addition to the
2 start up and operation of CP-1 as a plutonium
3 production reactor however, the Met Lab was
4 engaged in numerous other radiochemical
5 operations which is why NIOSH established the
6 SEC plan in the first place, and that third
7 statement really was the reason why I focused
8 a lot on tolerance levels and internal
9 exposures because of the uncertainty that
10 governs the internal exposures and the limited
11 data that was known at the time to protect
12 radiation workers.

13 DR. NETON: Again, I don't see
14 that in the charts, but, anyway, that's
15 another discussion for another meeting maybe.

16 But, given that, I did go and
17 review the rest of the document, and Hans is
18 right, there is evidence of very high acute
19 external exposures, but in reality it appeared
20 that the cases that are cited in the reports,
21 and I went back and reviewed the reports that
22 Hans based a lot of this on, was the medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 department's own self-identification of these
2 elevated cases, these workers were selected
3 for investigation because the existing
4 monitoring program detected the exposures.

5 And they were, for the most part,
6 not based on what the regulation would qualify
7 as a discrete incident, but rather on what I
8 would characterize as chronic. Now you can
9 argue chronic may be less than 250, but they
10 certainly weren't discrete incidents.

11 DR. BEHLING: Well, again, if you
12 look at --

13 DR. NETON: Maybe I should just
14 finish, and then we can talk about it.

15 DR. BEHLING: Okay, I'm sorry.

16 DR. NETON: Please.

17 In the internal exposure
18 evaluation, we've seen similar analyses by
19 SC&A at other sites, Ames in particular, where
20 they do these hypothetical existence of large
21 acute exposures that produce PoC values
22 greater than 50 percent, and we discussed this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 before, that is not in and of itself a basis
2 for defining a class.

3 You know, we talked about this
4 before, it's not a litmus test. In fact, that
5 was intentionally avoided during development
6 of the rule. It was avoided in part, as we
7 discussed before, because there are,
8 essentially, an infinite number of parameters
9 to consider, for example, exposure magnitude,
10 radiation type, cancer, target organ,
11 demographics. It has to be evaluated to
12 determine if, in fact, a PoC of 50 percent can
13 be exceeded. So that calculation, in and of
14 itself, doesn't establish it.

15 And then there's this contention
16 by SC&A in the report that talks about the
17 congressionally-established SEC class was
18 based on modern -- possibly based on modern-
19 era exposures and not necessarily applicable
20 to Manhattan-era project exposures.

21 I'm not sure of that. I think
22 it's conjecture at best, and, in fact, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 quite simple, once you go back and demonstrate
2 that there are acute internal exposure
3 scenarios at the covered gaseous diffusion
4 plants that could also produce PoCs of greater
5 than 50 percent for a very short period of
6 time, such as exposure to highly insoluble
7 very enriched uranium doses to the lungs. So
8 I'm not sure that argument holds water with
9 me.

10 In some ways, too, I believe the
11 report mischaracterizes what the tolerance
12 level was. There were some excursions
13 allowed. But in one of the reports that Hans
14 cited there's a paragraph that reads as such,
15 "It must be continually borne in mind that the
16 tolerance dose is not the assumed maximum that
17 can be endured without effect" -- or "is the
18 assumed maximum that can be endured without
19 effect. It is not to be taken as the optimum
20 to which one should expose them self. The
21 less exposure anyone gets the better it is for
22 him." So it's pretty clear that, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the ALARA concept, at least to some degree,
2 was in place in the early 40s.

3 Let's see, what else. I won't go
4 into the high exposures in the internal. I
5 think I've covered that. And finally, I've
6 not had a chance to evaluate all the numbers
7 and technical calculations in this document,
8 but I did find what I think is an error in
9 Table 10, where the case is being made that
10 the potential exposures were as high, if not
11 higher, than 300 rem, based on a comparison of
12 the Y12 criticality incident.

13 The table has two columns
14 transposed. One for neutron dose, the neutron
15 and photon dose columns are transposed. In
16 fact, the neutron doses were much higher than
17 the photon doses, and those high neutron doses
18 are reported in units of rem, which is a
19 stochastic base value, it's based on the risk
20 of developing cancer and should not be used to
21 quantify a deterministic effect.

22 And with that I'll stop.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Thanks, Jim, and
2 we understand the limited time period you
3 have. Any of the Board members have questions
4 for either Jim or Hans at this point? I
5 realize the Board members have also had
6 limited time.

7 MEMBER ZIEMER: Well, this is
8 Ziemer. I think one of the -- one of the
9 things we were trying to get a handle on
10 initially was whether or not one could bound
11 the doses on the CP-1 operation.

12 I mean, our focus was on that
13 initially, and we had that issue. It really -
14 - in fact, I think it was a meeting you
15 weren't actually there, Jim, and we sort of
16 had to fill you in later, but it was the issue
17 of -- it was a planned criticality, certainly,
18 the first one was, and I don't know how much
19 they operated that CP-1 after that.

20 Do we know that? How many --
21 because once they established criticality then
22 went on and built the Argonne reactors and so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on, but do we know how much CP-1 was actually
2 operated?

3 DR. BEHLING: Well, it only
4 operated for a period of about less than three
5 months.

6 MEMBER ZIEMER: Yes, but I mean,
7 during that period --

8 DR. BEHLING: Yes.

9 MEMBER ZIEMER: -- like the first
10 -- the first criticality was, obviously, just
11 very brief. Once they went critical, they
12 shut her down. It's not like they had it
13 operating for days after that. I mean, they
14 shut it down, and they all had a glass of wine
15 and so on. But how much was it actually
16 operated after that, and can the doses from
17 the reactor actually be bounded?

18 I think Jim Neton also talked a
19 little bit about that. We know something
20 about, we know the enrichment and the
21 configuration, and, actually, we know
22 distances pretty well, from pictures and so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on.

2 DR. NETON: Right, LaVon, you are
3 on the phone, I don't know if you have any
4 more to add on how -- the operation period of
5 the CP-1, but -- and I do know that we had
6 talked about, you know, bounding the external
7 on neutron exposures based on first principal
8 type calculations, which we've done for other
9 reactor configurations in the past. So it
10 wouldn't be an insurmountable task to do that.

11 MEMBER BEACH: Well, Jim, this is
12 Josie. Dr. Ziemer, on page eight it said that
13 the CP-1 was terminated in February of 1943.

14 MEMBER ZIEMER: Yes, I understand
15 that. My real question was, do we have -- do
16 we know exactly, like did they operate it
17 every day? It was a big job stack, and they
18 spent a lot of time stacking graphite and
19 uranium in different configurations and trying
20 to get a critical configuration.

21 Once they reached that, did they
22 operate that, you know, like every day, or do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we know much about that because I would -- I
2 would think, this is intuitive now, and, Hans,
3 maybe you have better information on this, but
4 I would think intuitively they could not have
5 gotten very much exposure if, like, a critical
6 assembly where you just go barely critical.
7 They are certainly not up to a high power.
8 This is natural uranium. They are some
9 distance away, and they operated it,
10 apparently, for a few -- long enough to get
11 the count rate on the instruments and show
12 that they got multiplication.

13 DR. BEHLING: That --

14 MR. RUTHERFORD: I'm sorry, Hans.

15 Dr. Ziemer, this is LaVon Rutherford.

16 I think we do have the information
17 on how -- generally, how much it was operated.

18 I don't have it in front of me right now or
19 recall exactly, but it was learned relatively
20 quickly that they were going to have to move
21 it and establish CP-2, and the reason why they
22 moved it and established CP-2 was because they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wanted to add shielding. So I think we have
2 that information.

3 MEMBER ZIEMER: Yes. Anyway, I
4 think the impetus for looking at this
5 initially was, in fact, would there have been
6 exposures during those initial experiments
7 that were high enough to be considered like an
8 incident, or do we have enough information
9 that they can be bounded? If you can bound
10 them, then the incident issue goes away, I
11 guess, or does it?

12 CHAIRMAN MELIUS: It sort of
13 depends on how plausible you can bound it, I
14 guess. The criteria we continue to wrestle
15 with now. How good does the bounding have to
16 be?

17 DR. NETON: This is Jim Neton. I
18 was kind of hoping that's what the SC&A report
19 was going to flesh out a little bit in their
20 evaluation of that process, and of course we
21 didn't see that. We can certainly put our
22 calculations on paper and come to some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conclusions based on this. I am not sure, I
2 am not trying to direct the working group, if
3 the working group wants to take up this entire
4 SC&A 52-page report that covers the waterfront
5 of all exposures for Met Lab and beyond we can
6 certainly discuss that, too.

7 DR. MAKHIJANI: Well, Jim, this is
8 Arjun. Let me throw my two cents worth in,
9 since I've been kind of not on this report but
10 on the 250-day issue with you in general on
11 behalf of SC&A.

12 I think Hans's report does raise,
13 you know, a lot of questions about acute
14 doses. We've talked about internal doses in
15 terms of, you know, the committed doses, and
16 how that might be equivalent to criticality.
17 But here, you are -- Hans is talking about
18 doses where there were hematological changes
19 and so on. We've not done that before. It
20 seems like, you know, whatever merit it might
21 have in relation to the CP-1 experiment, it
22 does raise some 250-day issues that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 worthwhile.

2 DR. MAURO: I'd like to add a
3 little bit to that, too. This is John Mauro.

4 Jim, you had mentioned something
5 that struck me as important. When I saw the
6 white blood cell depression amongst some of
7 these workers, you know, right off the bat,
8 you know, we are talking about doses that are
9 considerable, perhaps, on the order of 100 rem
10 delivered acutely, in order to cause that kind
11 of depression.

12 But, and certainly if that
13 occurred, and there might have been some other
14 workers who were not, actually, brought into
15 the hospital for a blood count, et cetera, et
16 cetera, that could have experienced those
17 doses, it's almost prima facie evidence that
18 what we have here is something that is
19 equivalent to a criticality in an uncontrolled
20 circumstance.

21 But you had said something I think
22 is important for everyone to consider, is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people who did get those exposures were -- it
2 was known, and they were brought into the
3 hospital, and that they were dealt with, and
4 in theory it's somewhat controlled. I'm not
5 sure if that's controlled or not.

6 But there's a possibility,
7 notwithstanding if it occurred during the CP-1
8 criticalities or under other circumstances, if
9 the situation existed in those years where
10 there were workers that might have experienced
11 exposures that could have caused white blood
12 cell suppression and they went unnoticed, you
13 know, it seems to me that is the definition of
14 defining a group that might need to be
15 included in the cohort.

16 DR. NETON: I don't disagree with
17 you, John. I mean, I think that is the
18 definition, were there incidents that were
19 unrecorded that -- well, were there incidents
20 out there that could have risen to these
21 levels? And I think, you know, in reading
22 through the documents that Hans relied on for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 his information, you get the sense that there
2 was a lot of attention paid to exposures.

3 I mean, yes, the levels were high,
4 but they reacted very strongly in those cases
5 to situations where there were like blood cell
6 -- you know, these workers were restricted
7 from work, or, you know, they changed source
8 configurations, that sort of thing.

9 So it's not like there was a
10 failure of radiation protection programs,
11 almost, I mean they did acknowledge them and
12 they dealt with them. So --

13 CHAIRMAN MELIUS: But did they
14 identify all of them?

15 DR. NETON: Well, that's a
16 hypothetical question. Can we make that case?

17 I don't know.

18 CHAIRMAN MELIUS: No, it --

19 DR. NETON: It's almost like
20 proving the negative situations again, like
21 was the program sufficiently robust to
22 identify all possible workers. Could there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have been one person, and we don't have that
2 anywhere right now that I see.

3 CHAIRMAN MELIUS: Well, my
4 argument would be that we need to take a
5 closer look so we can make some sort of
6 judgment on what went on there, I mean, I
7 think we have to recognize, one, is that our
8 criteria for health endangerment is not very
9 rigid, and to me it's problematic. You know,
10 we've arbitrarily set 250 days, we've
11 struggled and we've discussed at length the
12 issue for less than 250 days.

13 I would, you know, rather than try
14 to get into the legalistic argument about that
15 now, is let's go back and look at what
16 happened there, given how long ago it was,
17 given the fact that we know there were many
18 people that worked a short period of time,
19 let's try to get the facts together and see
20 what information we have that would, you know,
21 where does that lead us, and then we can make
22 an assessment, what's the right and fair thing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to do for these people, and maybe it sheds
2 light on how we deal with similar situations.

3 DR. MAKHIJANI: Jim, this is Arjun
4 again. I agree with you. Just a couple of
5 other comments. I think NIOSH has already
6 said they cannot reconstruct dose. I think
7 the records show that the project was
8 solicitous of extreme exposures and radiation
9 protection and so on. I mean, after all, they
10 established a health physics program, a lot of
11 the people came from the Met Lab.

12 But since an SEC has already been
13 established on the idea that NIOSH cannot
14 reconstruct dose, we are only talking about
15 health endangerment, and in health
16 endangerment it's not whether it's controlled
17 or uncontrolled, it's whether something
18 equivalent to that occurred to endanger the
19 health.

20 I don't think it matters whether
21 it was a planned thing or an unplanned thing.

22 The question, it seems to me, is whether the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 health was endangered.

2 DR. NETON: Arjun, I would agree
3 with you, except that if it was known and
4 evaluated, then one could reconstruct that
5 dose theoretically, right?

6 DR. MAKHIJANI: You've said that
7 you can't reconstruct dose.

8 DR. NETON: We said we couldn't
9 reconstruct exposures that occurred over
10 chronic situations, over 250 days. If there
11 were incidents that were known and identified
12 and evaluated, we would certainly look at it
13 critically to see if it could be
14 reconstructed.

15 I mean, it doesn't mean -- just
16 because a high -- a high exposure, in and of
17 itself, does not equate to health
18 endangerment. You have to have an inability
19 to put an upper limit on it.

20 DR. MAKHIJANI: We don't even know
21 how long this -- how many times this reactor
22 was operated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: We know the extent of
2 the total operating period, and, according to
3 LaVon, we have indications as to how much it
4 was operated.

5 DR. MAURO: There's one more --
6 Hans, when we were talking about this report,
7 you had mentioned that the number of people
8 that worked there during the time period of
9 interest, a very large number of them worked
10 there for less than 250 days. In other words,
11 the staff sort of cycled in and cycled out.
12 It's not like a production place, where you
13 have a baseline staff that's there for many
14 years.

15 What was the number of people that
16 you estimated were there for less than 250
17 days?

18 DR. BEHLING: Well, one of those
19 is right in the report, if you look at page
20 33, you will see, as Exhibit 8, people who
21 were defined as resigned or cut off. And if
22 you realize the date for that particular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 document, this occurs within seven months of
2 the start up of the Metallurgical Laboratory,
3 and the total number of people 167.

4 So by definition these people all,
5 even if they started on day one, would have
6 worked for less than a 250-day period.

7 MEMBER ZIEMER: Many of them got
8 reassigned once they decided to go to -- you
9 know, build the reactors elsewhere, so that's
10 sort of a given.

11 I really think one of the sort of
12 interesting philosophical questions is, maybe
13 it's the one Arjun raises, and it's sort of
14 what we bump into over and over again, the
15 sort of arbitrariness of saying that 250 days
16 is the sort of cutoff point for health
17 endangerment, and I guess philosophically, I
18 think what Hans is arguing for is to say that
19 we sort of accept that in a sense based on the
20 way things are today, and if they were very
21 much different 50-60 years ago, should the
22 health endangerment period, in essence, be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shorter than that. That's kind of the
2 argument, and that's very tough to deal with.

3 I kind of am sympathetic toward that. I
4 don't -- I don't know how to --

5 DR. BEHLING: I think we actually
6 did -- we concluded that on behalf of people,
7 for instance, like in the case of the Marshall
8 Islands, which we, obviously, shied away from,
9 but we said since these people there are on
10 location 24 hours a day --

11 MEMBER ZIEMER: Well, yes, but see
12 that's a 250-day equivalent. I think what we
13 would end up arguing here would be that it
14 didn't take 250 days worth of sort of normal
15 exposure then to get the same -- I think you
16 are arguing that it doesn't take -- it
17 wouldn't take as long to get whatever it is to
18 get to the same level of "health
19 endangerment," as it does nowadays, based on
20 very much different operating criteria.

21 DR. BEHLING: Exactly.

22 MEMBER ZIEMER: If one argues that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- and, again, who knows what the 250 day is
2 really based on, it seems to be a working
3 year, and it's in the legislation, and no one
4 really ever said that if you work a year at
5 current levels that that's, you know, the
6 argument. But sort of intuitively it seems
7 like you are arguing for considering that it
8 was very different in those days.

9 CHAIRMAN MELIUS: And Congress at
10 least recognized that, that there were
11 different circumstances because the -- of how
12 it handled the --

13 MEMBER ZIEMER: Well, I just think
14 we are going to have to have some more
15 discussions on this.

16 CHAIRMAN MELIUS: Yes, and I'm not
17 trying to -- I agree, and I guess my question,
18 and maybe this is a question -- this is sort
19 of a tasking issue going forward, and maybe
20 people should think about it, and we can talk
21 about it at the meeting next week, but I guess
22 one is to give NIOSH time to more, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 formally and in more detail respond to the
2 SC&A report, and then I think we could, based
3 on this discussion and on that response, we
4 could sit down and have a more fruitful
5 discussion of this topic and this site, and
6 then I guess the question on that is about
7 trying to bound the exposures from the reactor
8 as to who should do that.

9 I guess, Jim, you expected SC&A to
10 take a shot at it, and they didn't, and does
11 NIOSH want to do that and come back, or should
12 we have -- task it to SC&A to do?

13 DR. NETON: I don't know. I'd
14 like to think about this a little more because
15 I don't necessarily disagree with what Dr.
16 Ziemer stated, is that, you know -- I don't --
17 you know, it's clear that these exposures were
18 higher --

19 CHAIRMAN MELIUS: Yes.

20 DR. NETON: -- than what we would
21 have experienced in today's workplace.

22 But the issue then becomes, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, you know, rather than to point-by-point
2 sort of have NIOSH respond to all the issues
3 that were raised in the SC&A report, it seems
4 like there's more of a philosophical thing
5 that, you know, we could address it from a
6 more philosophical argument, as Dr. Ziemer was
7 alluding to.

8 MEMBER ZIEMER: Yes.

9 DR. NETON: And maybe approach it
10 from that perspective, rather than get balled
11 up in these 50 percent PoC calculations and
12 all that kind of stuff because that doesn't go
13 anywhere --

14 MEMBER ZIEMER: No, no.

15 CHAIRMAN MELIUS: That's fine,
16 Jim, and I agree, but I guess it would be
17 helpful if you could organize -- you think
18 other information that should be considered in
19 that discussion, you brought up some issues
20 today, so that we all have all the facts
21 there.

22 So if you think there are other --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it may not be, you know, calculations, it may
2 be something else, but other things that need
3 to be considered about that site that would be
4 helpful as to that.

5 DR. NETON: Right.

6 CHAIRMAN MELIUS: Because I think
7 we are having trouble how to frame the
8 decision on this.

9 MEMBER ZIEMER: Exactly.

10 CHAIRMAN MELIUS: On all these
11 sites, and so, it's getting that --

12 DR. NETON: And I know you wanted
13 to shy away from the regulatory issue, but at
14 the end of the day we have two choices, 250
15 days or present, and that's, to me, one of the
16 biggest rubs in this issue, is I would agree
17 that it might take less time to get to the
18 endangerment, but we have to then go all the
19 way to the other end of the spectrum and say
20 just presence for one day at the site
21 constitutes health endangerment, and that's
22 not very, you know, palatable in my mind.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I don't know.

2 CHAIRMAN MELIUS: I don't think
3 all of us would agree on that formulation of
4 it, but if you want to think about it, and
5 let's talk next week about what should be an
6 appropriate way of, you know, sort of NIOSH
7 reporting or responding on that, or how we
8 would then set up a work group discussion to
9 go into this sort of appropriate level of --
10 sort of frame the discussion in a framework
11 for dealing with this issue overall.

12 So --

13 DR. NETON: And I would say these
14 high external exposures at the Met Lab are
15 probably the closest we've come, at least in
16 my mind, to get our heads around where to go
17 with it. I think these were very high
18 exposures, there's no doubt.

19 CHAIRMAN MELIUS: And they are --
20 yes, they are hard to ignore for that reason
21 and feel that we are still being fair to
22 claimants. I think to me that's the --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: The internal issues, I
2 think, that we tried to deal with for internal
3 exposures are difficult for me because, like I
4 said, we can come up with very high internal
5 organ doses for even the congressionally
6 mandated SEC, so, you know, that doesn't work
7 real well for me. And those are chronic
8 exposures at the end of the day anyway.

9 But this external thing, I'd like
10 to think about some more.

11 CHAIRMAN MELIUS: And I think we
12 all will, the work group will also, and SC&A,
13 and maybe we can do some site evaluations next
14 week and come up with a way to move forward.

15 MR. RUTHERFORD: Dr. Melius, this
16 is LaVon Rutherford. I wanted to point out one
17 thing just briefly, just so everyone knows.

18 I did happen -- and this has
19 nothing to do with the overall decision, but I
20 did look at the cases that we have, and we do
21 only have two cases that had short duration of
22 employment at the Met Lab during that period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I just thought that would be useful
2 information.

3 CHAIRMAN MELIUS: It is useful,
4 and I'll point out my usual counterpoint that,
5 you know, people, they know if they have short
6 periods of employment they are not eligible,
7 so they often don't apply.

8 MR. RUTHERFORD: Okay.

9 CHAIRMAN MELIUS: And I'm sure
10 they are advised that way by Department of
11 Labor and others.

12 MR. RUTHERFORD: I just wanted to
13 --

14 CHAIRMAN MELIUS: No, no, no.

15 MR. RUTHERFORD: -- point it out
16 just so you knew that we weren't holding up a
17 bunch of claims or anything that way.

18 CHAIRMAN MELIUS: That's fair,
19 LaVon.

20 MR. RUTHERFORD: Okay.

21 DR. BEHLING: Dr. Melius, this is
22 Hans. Can I just make a comment that goes back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to an earlier statement by Jim Neton that
2 contested the issue No. 3, where I had quoted
3 on page six of my report that part of this
4 issue involved the Met Lab, where I quote,
5 "The Met Lab was engaged in numerous other
6 radiochemical operations, which is why NIOSH
7 established the SEC class in the first place."

8 And I took that particular
9 statement out of Appendix A on page 47, which
10 is the transcript that involves the previous
11 meeting of the work group, in which Dr. Ziemer
12 made the following statement, Chairman Ziemer,
13 "I think a little more discussion needs to
14 occur because it's not clear to me how all
15 these pieces fit together, the reactor versus
16 the radiochemical operations that occur, which
17 is why the class was added in the first place.

18 And there's another class possibly there, so
19 we need to talk through this." And that's the
20 statement that I extracted in making reference
21 on page six.

22 CHAIRMAN MELIUS: That will teach

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Ziemer to say anything.

2 MEMBER ZIEMER: Keep my mouth
3 shut, huh?

4 CHAIRMAN MELIUS: Yes, right. I'm
5 always taken back when I'm quoted in a report
6 from a transcript.

7 MEMBER ZIEMER: Hard to argue
8 that, right?

9 CHAIRMAN MELIUS: Yes, right,
10 exactly. Did I really say that?

11 DR. BEHLING: Well, take a look on
12 page 47.

13 CHAIRMAN MELIUS: No, no, I
14 actually read those in the report, I came
15 prepared. Thank you.

16 Okay, well, let's all talk next
17 week, unless anybody else has any comments
18 they feel necessary or would be helpful.

19 It's 4:45 on a Friday, at least on
20 the East Coast.

21 MEMBER ZIEMER: Yes.

22 CHAIRMAN MELIUS: If not, then I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think we'll adjourn, and we'll see everybody
2 early next week.

3 Thanks everybody.

4 (Whereupon, the above-entitled
5 matter went off the record at 4:47 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701