

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON THE USE OF SURROGATE DATA

+ + + + +

MONDAY
JANUARY 11, 2010

+ + + + +

The Work Group meeting convened by
teleconference at 1:00 p.m. Eastern Standard
Time, James M. Melius, Chairman, presiding.

PRESENT:

JAMES M. MELIUS, Chairman
JOSIE BEACH, Member
MARK GRIFFON, Member
JAMES E. LOCKEY, Member
WANDA I. MUNN, Member
PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

ALSO PRESENT:

TED KATZ, Designated Federal Official
NANCY ADAMS, NIOSH Contractor
TERRIE BARRIE, ANWAG
HANS BEHLING, SC&A
TOM BOLIN
ANTOINETTE BONSIGNORE, Petitioner, Linde
NICOLE BRIGGS, SC&A
DENISE BROCK, NIOSH
JASON BROEHM, CDC
WILLIAM FRANKLIN
EMILY HOWELL, HHS
JENNY LIN, HHS
ARJUN MAKHIJANI, SC&A
JOHN MAURO, SC&A
ROBERT McGOLERICK, HHS
DAN McKEEL, Petitioner, Texas City
FREDDY MORGAN, JR.
JAMES NETON, NIOSH OCAS
ANITA PORTER, for Nelson Porter
JOHN STIVER, SC&A
BILL THURBER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

T-A-B-L-E O-F C-O-N-T-E-N-T-S

Roll Call	5
Surrogate Data Discussion	11
Adjourn	92

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:03 p.m.)

3 MR. KATZ: This is the Advisory
4 Board on Radiation Worker Health, the
5 Surrogate Data Working Group. My name is Ted
6 Katz and I am the Designated Federal Official
7 of the Advisory Board.

8 And as always, we begin these
9 meetings with a roll call. Jim, I'm correct,
10 right, we're not really treating any
11 individual site. Is that correct? We don't
12 need a conflict of interest -- okay. Well,
13 roll call beginning with Board members.
14 Right. And before we do that --

15 MEMBER ZIEMER: Are these Board
16 members signing in?

17 MR. KATZ: Yes. Everybody who is
18 not speaking as a group at this time, would
19 you please mute your phones. If you don't
20 have a mute button, I know there is a member
21 or two from the public on the phone, you use
22 the *6 on your phone. That will mute your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 phone if you don't have a mute button. And
2 then when you want to speak to the group, you
3 use *6 again and you can speak again.

4 And also let me say to everybody
5 now please don't put your phone on hold at any
6 point. Just disconnect and call back in if
7 you need to go away for a bit.

8 Okay. So roll call beginning with
9 Board members.

10 MEMBER MUNN: This is Wanda. I
11 must say that the previous speaker expressed
12 my feelings exactly.

13 MEMBER LOCKEY: Jim Lockey.

14 CHAIRMAN MELIUS: Jim Melius.

15 MEMBER ZIEMER: Paul Ziemer.

16 MEMBER GRIFFON: And Mark Griffon.

17 MR. KATZ: Okay. Josie Beach, are
18 you on mute?

19 MEMBER BEACH: Can you hear me?

20 MR. KATZ: Now I can, yes.

21 MEMBER BEACH: Okay. This is
22 Josie Beach. I'm here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: All right. Then moving
2 on to NIOSH-ORAU team.

3 DR. NETON: Yes, this is Jim Neton
4 in Cincinnati from NIOSH.

5 MR. KATZ: Okay. Anyone else from
6 NIOSH ORAU team?

7 MS. BROCK: This is Denise in St.
8 Louis.

9 MR. KATZ: Denise Brock.

10 MS. PORTER: Yes, this is Anita
11 Porter for Nelson Porter from Texas City,
12 Texas.

13 MR. KATZ: Wait, wait, now we're
14 just getting people who are working for the
15 program. But we'll come to the public soon.

16 MS. PORTER: Oh, okay.

17 MR. MORGAN: Okay. My name is
18 Freddy Morgan, Jr.

19 MR. KATZ: No, we're just asking
20 for roll call among people who are with the
21 government right now.

22 MR. MORGAN: Oh, okay. So you'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 --

2 MR. KATZ: We'll get to you
3 shortly.

4 So Denise Brock, that's it for
5 NIOSH-ORAU team.

6 How about SC&A?

7 MR. MORGAN: Oh, okay. You are
8 going to call me or do you want me to call you
9 back?

10 DR. MAURO: This is John Mauro
11 from SC&A.

12 MR. MORGAN: Oh, okay. Okay. All
13 right, then. Thank you, sir.

14 MR. KATZ: Anyone else from SC&A?

15 MR. THURBER: Yes, Bill Thurber
16 from SC&A.

17 DR. MAKHIJANI: Arjun Makhijani
18 from SC&A.

19 DR. BEHLING: Hans Behling, SC&A.

20 MR. KATZ: I'm sorry, you're all
21 talking -- stop, stop, you're all talking over
22 each other and I can't make out one person

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from another. I have John Mauro and Arjun
2 Makhijani. Someone was in between?

3 MR. THURBER: Bill Thurber.

4 MR. KATZ: Bill Thurber.

5 MS. BRIGGS: And Nicole Briggs.

6 DR. BEHLING: Hans Behling.

7 MR. STIVER: John Stiver.

8 MR. KATZ: Okay. Is that it for
9 SC&A?

10 (No response.)

11 MR. KATZ: Okay. Then other HHS
12 or other government employees or contractors?

13 MS. HOWELL: Emily Howell, HHS.

14 MS. LIN: Jenny Lin, HHS.

15 MS. ADAMS: Nancy Adams, NIOSH
16 contractor.

17 MR. MCGOLERICK: Robert
18 McGolerick, HHS.

19 MR. BROEHM: Jason Broehm, CDC.

20 MR. KATZ: Okay. And then how
21 about any -- either members of the public or
22 staff of Congressional offices who want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 identify themselves. You don't have to
2 identify yourselves but if you want to.

3 DR. McKEEL: This is Dan McKeel.
4 I'm the co-petitioner for Texas City.

5 MS. BARRIE: Terrie Barrie with
6 ANWAG.

7 MR. FRANKLIN: This is William
8 Franklin from Hitchcock, Texas.

9 MR. BOLIN: Tom Bolin, Columbia,
10 South Carolina.

11 MS. BONSIGNORE: Antoinette
12 Bonsignore for Linde Ceramics.

13 MR. KATZ: Okay, then. Let me
14 just remind, again, everyone in the public. I
15 can hear a lot of background noise which
16 suggests to me a lot of people's phones are
17 not on mute. Please mute your phones. If you
18 don't have a mute button, use *6. And then
19 use *6 again if you want to come back to
20 actually address the group. Thank you.

21 MEMBER ZIEMER: Dr. Melius? This
22 is Ziemer. Could I ask a question before you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 get underway?

2 One of the questions Ted asked was
3 whether or not any sites are being discussed
4 in this meeting for purposes of us identifying
5 conflicts of interest. I think you said no
6 but we do have some materials that were sent
7 by Dr. McKeel for -- regarding Texas City
8 Chemical. Is that going to be on the agenda
9 or not?

10 CHAIRMAN MELIUS: I was -- this is
11 Jim Melius -- I was going to reference that.
12 But since we got that late last week and my
13 attempts to follow up on that and address some
14 of the questions that Mr. McKeel -- Dr. McKeel
15 raises, we don't have information back. And
16 so I don't think we can really do justice to -
17 -

18 MEMBER ZIEMER: Yes, well, I just
19 wanted to make sure in terms of the conflict
20 question --

21 CHAIRMAN MELIUS: Yes.

22 MEMBER ZIEMER: -- whether we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be discussing Texas Chemical --

2 CHAIRMAN MELIUS: Yes.

3 MEMBER ZIEMER: -- at all.

4 CHAIRMAN MELIUS: It's actually
5 why I waited and didn't put out the agenda
6 until -- ended up not putting out one because
7 I was waiting to see if we would hear back and
8 I've inquired of Ted and others trying to
9 figure out what is going on. But we just
10 don't -- I don't think I have enough
11 information back --

12 MEMBER ZIEMER: Okay.

13 CHAIRMAN MELIUS: -- to do justice
14 to it.

15 MEMBER ZIEMER: Thank you.

16 MR. KATZ: So, Jim, Dr. Melius,
17 it's yours.

18 CHAIRMAN MELIUS: Okay.

19 Good afternoon or good morning,
20 depending on where you are. And welcome to
21 the fourth or fifth meeting of the Surrogate
22 Data Work Group. And today we're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 focus on surrogate data in a general sense.
2 This is an issue -- as I said, we're not going
3 to discuss any specific sites but, in essence,
4 we end up discussing many different sites
5 potentially when we have these discussions
6 because of the use of surrogate data at many
7 different sites in terms of dose
8 reconstruction and SEC review. So we
9 understand everyone's interest in the subject.

10 It's also a subject that is under
11 the purview or review of a lot of different
12 groups within the Board, a lot of different
13 Work Groups. And so some of that is confusing
14 at times in terms of keeping track of and
15 we'll be referring to documents and comments
16 that have come up in the context of other Work
17 Groups and there is ongoing review in other
18 Work Groups of this issue or of sites related
19 where this issue is important of that.

20 I thought a way of starting the
21 discussion and sort of reminding us of this
22 issue and where we've come and so forth to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 back to one of the early documents that SC&A
2 put together, which was their sort of
3 inventory of the use of surrogate data. It
4 goes back to 2007 but I think it is still
5 useful to sort of remind us of the scope of
6 the use of surrogate data.

7 And, John Mauro, if you wouldn't
8 mind sort of giving us a quick overview of
9 that document and then any updates that you
10 would have?

11 DR. MAURO: Sure. I'd be glad to.

12 Good afternoon, everyone. One of
13 the first work products that SC&A was
14 requested to prepare to sort of get the
15 thinking started on surrogate data was what I
16 call a compendium of information whereby there
17 was a report prepared. I believe all the
18 members of the Work Group have received a
19 package of the various reports that SC&A has
20 prepared, one of which is this compendium.
21 It's 2007. I'm in the process of opening my
22 file on this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It's titled NIOSH Site Profile
2 Surrogate Data Survey. It is a PDF file. And
3 it is dated September 12th, 2007. And it was
4 Privacy Act cleared on December 21st, 2007.
5 So it is a document that can be distributed if
6 it has not already been distributed.

7 What was done at that time was to
8 review the Site Profile reviews and the dose
9 reconstruction audits that SC&A had completed
10 to that date and try to capture places where
11 surrogate data was used in its various forms.

12 And one of the things, in brief, we found
13 that it is possible to sort different ways in
14 which you could talk about surrogate data.
15 And I called them Type 1 versus Type 2.

16 And what we basically did is we
17 prepared a series of tables, which identified
18 those sites or those dose reconstructions
19 where Type 1 surrogate data was used. By Type
20 1, I mean places where bioassay or film badge
21 data or air sampling data were used from one
22 facility to supplement the data for another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 facility for the purpose of dose
2 reconstruction.

3 We called it Type 1 because that
4 is really the primary place. That is the kind
5 of data that is most directly relevant. And,
6 of course, it is of primary interest to the
7 Work Group. It is when you may take bioassay
8 data from one facility, air sampling data from
9 a facility and then use that data somehow to
10 reconstruct doses for workers at a different
11 facility.

12 So -- and there's a whole -- I
13 won't go into them but there is a long list in
14 these tables that we provided of where we
15 found such use of surrogate data in Site
16 Profiles and dose reconstructions.

17 In the very same table, I have
18 another column called Type 2. These are
19 places where it is less direct, where, for
20 example, there may be certain information that
21 is of more of a generic nature that is being
22 applied. It is not bioassay data. It's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 air sampling data. But it might be other
2 types of information that is taken from the
3 open literature or taken from a site which is
4 not bioassay, it's not air sampling, it's not
5 film badge data, but it is other data related
6 to experience at another site that is of use
7 in performing dose reconstructions.

8 And I'm looking at the table now.

9 And it was somewhat of a judgmental call of
10 what to drop into Type 1 versus Type 2. But,
11 in general, if there is an assumption made and
12 a calculation that is more of a neutron to
13 photon ratio, I think that would be a perfect
14 example of what I call a Type 2 data where
15 there is widespread information from the
16 weapons complex on neutron to photon ratios
17 for reactors versus plutonium handling
18 facilities versus various types of facilities
19 where there is some experience.

20 And there are occasions when you
21 could say okay, from the experience at this
22 facility on neutron to photon ratios, it might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be useful in helping to reconstruct doses at
2 another facility. There are a number of
3 parameters like that -- minimally detectable
4 levels of neutron exposure, MDLs.

5 Medical X-ray default assumptions
6 regarding exposures to occupational medical X-
7 ray, these are all what I would call Type 2.
8 So in effect -- and I'll cut this off at this
9 point -- this table is a compendium of
10 examples of where, at that point in time, SC&A
11 had observed Type 1 and Type 2 uses of
12 surrogate data.

13 And it was a starting point to
14 start to get a feel of the extent and the
15 nature that surrogate data is being used on
16 the program.

17 CHAIRMAN MELIUS: Thanks, John.

18 I'll just sort of point out two
19 things there. One is that -- strikes me is
20 really where we have, I think, what we are
21 reviewing and have been discussing and
22 probably what is controversial, we've had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 disagreements among Board members and so forth
2 of how to apply it has been in the area of
3 Type 1 --

4 DR. MAURO: Yes.

5 CHAIRMAN MELIUS: -- not Type 2.
6 And I don't think that is always clear in some
7 of our discussions on this. And I think it is
8 sort of an important point to keep in mind.

9 Secondly, I think although a lot
10 of our discussions and focus have been on two
11 areas of the use of surrogate data, one has
12 been use of radon data, the other is in the
13 uranium processing facilities, there are a
14 number of other areas where it has been or is
15 being used within the OCAS program.

16 So we are talking about areas that
17 go beyond just radon, go beyond just the
18 uranium processing facilities. So I think we
19 need to keep in mind that it is a broader use
20 of it. And I think how we approach it, at
21 least to some extent, needs to keep in mind
22 that there are these other areas where it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 utilized.

2 Does anybody else have any
3 additional comments on the Board?

4 MEMBER ZIEMER: Yes. Are you
5 going to get to the other SC&A document as
6 well --

7 CHAIRMAN MELIUS: Yes, I am.

8 MEMBER ZIEMER: -- Dr. Melius --
9 yes. This one is more of a compilation rather
10 than dealing with the issues per se, I think,
11 isn't it?

12 DR. MAURO: That is correct.

13 MEMBER ZIEMER: I mean you've
14 identified how it is being used pretty much in
15 this first document.

16 DR. MAURO: Yes, Paul, this is
17 John. Yes, that was, at the time, which was
18 back in 2007, just to get a feel of how --

19 MEMBER ZIEMER: Right.

20 DR. MAURO: -- surrogate data is
21 being used and the extent to which it is being
22 used.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 By the way, of course, a lot has
2 happened since 2007. And there are many, many
3 more examples that could be laid into the
4 table. But it was the experience we had as of
5 that date.

6 CHAIRMAN MELIUS: Any other
7 comments or questions from the Board?

8 MEMBER MUNN: I guess -- this is
9 Wanda -- Jim, I would just question whether
10 you are making any implication with respect to
11 these Type 2 uses. Are we just simply saying
12 they exist?

13 CHAIRMAN MELIUS: I think we're
14 just saying that they exist. I suspect that
15 many of those uses as you are glancing through
16 it have been reviewed or are being reviewed by
17 your Work Group or the Subcommittee on
18 Procedures. It seems that that is where they
19 would fall.

20 But just, I think, reminding us
21 that, I think, where we focused and feel that
22 there is, you know, we needed to develop some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 criteria then in the Type 1 area.

2 MEMBER ZIEMER: And this is Ziemer
3 again. And I agree with that. I think that,
4 for example, on the medical dose
5 reconstructions, I don't think there's
6 typically been much question about those other
7 than sometimes the question as to whether or
8 not it's fluoroscopy or radiography that was
9 used.

10 But in general, if you say that,
11 for example, that radiography was used and you
12 have the information on the milliamp seconds
13 that were used typically in a certain time
14 period and, you know, the size of the chest X-
15 rays, those are fairly straightforward use of
16 surrogate data that I don't think that -- the
17 Board hasn't really been that concerned about
18 it because it is a pretty straightforward, you
19 know, medical X-ray within those parameters is
20 pretty much the same wherever it is done.

21 And they have been using, you
22 know, the worst case kinds of the -- I mean

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 obviously you get different chest X-ray
2 outputs from different places but you can take
3 worst cases and use those.

4 CHAIRMAN MELIUS: Right. And I
5 also think there that assumptions about the
6 frequency of the surveillance X-rays probably
7 are as important as assumptions about --

8 MEMBER ZIEMER: Right, right.

9 CHAIRMAN MELIUS: -- those
10 exposures from a single X-ray --

11 DR. MAURO: Jim, this is John
12 Mauro. I would like to add one point,
13 something that was not captured in the
14 compendium, is that there have been a number
15 of very important procedures that have been
16 issued subsequent to this that go toward this
17 question.

18 I can think of two. One is OTIB-
19 0054, I believe it is, which is a generic
20 approach for reconstructing doses at reactor
21 facilities when you only have gross beta gamma
22 in urine. In other words, very often the only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information you have is a very simple gross
2 beta gamma measurement of a urine sample. And
3 you have to allocate radionuclides. So what
4 the distribution of radionuclides might be
5 that the person inhaled.

6 And I would consider this to be a
7 type of surrogate data because, in effect,
8 generic approaches come up whereby if you know
9 the type of reactor a person may have worked
10 at and you have some gross beta gamma
11 information, there is a look-up table in OTIB-
12 0054 that will help you navigate you way
13 through doing dose reconstruction.

14 And similarly, TBD-6000 and 6001,
15 which deals with uranium and thorium metal
16 handling and processing facilities, provides a
17 great deal of compendium of information on
18 what are air dust loadings to assume if you
19 are confronted with a real uranium handling or
20 processing facility where you don't have
21 sufficient data.

22 And so these are two very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important, I would say, procedures and TBDs
2 that we did not capture in our compendium but
3 very much go toward the question of surrogate
4 data.

5 CHAIRMAN MELIUS: Yes, John, one
6 question I have. And that's OTIB-0054, I'm
7 not familiar with at all -- 6000 and the
8 appendices to 6000, I'm more familiar with --
9 but with 0054, would you consider a Type 1 or
10 a Type 2? In hearing you describe it, I
11 almost thought it was more of a Type 2.

12 DR. MAURO: That's a judgment
13 call.

14 CHAIRMAN MELIUS: Yes.

15 DR. MAURO: In my judgment, I
16 would call it Type 1 because what it does is
17 it allows you to reconstruct bioassay
18 basically. My breakpoint is if the
19 methodology directly goes toward bioassay
20 results, external dosimetry results, or air
21 sampling results.

22 In a way, I guess the OTIB-0054 is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a way for you to sort out your bioassay
2 information. You know it's sort of -- it is a
3 difficult one to split whether you -- and it's
4 a judgment call whether you would drop that as
5 a Type 1 or a Type 2.

6 MEMBER ZIEMER: But -- this is
7 Ziemer again -- could I just ask the question
8 there, John, you are talking about cases where
9 they have actual bioassay data for that
10 reactor.

11 DR. MAURO: Yes.

12 MEMBER ZIEMER: But so that
13 wouldn't be surrogate data then.

14 DR. MAURO: Well, they have
15 bioassay data but it is in a gross beta gamma
16 form. And you have to figure out a way to
17 assign what the radionuclide distribution is.

18 MEMBER ZIEMER: Yes, I understand
19 that. And you are saying it is surrogate data
20 in the sense that you use the experience of
21 other reactors where they have had a similar
22 distribution --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: Yes.

2 MEMBER ZIEMER: -- of the
3 nuclides.

4 DR. MAURO: Yes.

5 MEMBER ZIEMER: Yes.

6 DR. MAURO: It's completely, you
7 know, a judgment call on whether you would
8 consider that something within the Type 1 or
9 Type 2. But I thought it was important to
10 bring it up because it was one of those areas
11 that form that gray area. And we should be
12 aware of these distinctions.

13 MEMBER MUNN: But it is using
14 known science just as we use known science
15 every day. Making biscuits or making medical
16 diagnosis or doing dose reconstructions, we're
17 using known science.

18 CHAIRMAN MELIUS: But I think
19 we're talking about what is the criteria for
20 what known science will we use and when will
21 we apply it. I think that's the issue to
22 that. But I actually think the distinction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 between the Type 1 and Type 2 is important.
2 And I guess, again, the same reaction with Dr.
3 Ziemer that it seemed that OTIB-0054 -- which
4 again, I'm not familiar with -- it sounded
5 more like a Type 2 situation.

6 DR. MAKHIJANI: Jim, this is
7 Arjun.

8 CHAIRMAN MELIUS: Yes?

9 DR. MAKHIJANI: I think, you know,
10 we recently had a look at this same issue in
11 the Nevada Test Site because NIOSH said it is
12 hard to interpret fission product and beta
13 data for NPF workers. And partly the time of
14 sample relative to the time of exposure was
15 not known and there are so many short-lived
16 fission products.

17 And some of that reasoning may
18 apply here in that you need to know the time
19 at which the sample was collected. And then
20 presumably you could run a computer model for
21 that reactor. But you couldn't find the mix
22 of fission products for the bioassay sample

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 unless you knew the times. So maybe you would
2 resort to something more generic if you don't
3 know that.

4 DR. MAURO: Yes. Well, that's one
5 of the challenges of surrogate data,
6 certainly.

7 CHAIRMAN MELIUS: Yes. And,
8 Arjun, I guess like in thinking about it that
9 way and it's not just thinking about it in
10 terms of TBD-6000 appendices, is there are
11 differences among the sites in terms of what
12 data is available to use --

13 DR. MAKHIJANI: Yes, I think --

14 CHAIRMAN MELIUS: -- from the
15 site. And then how much -- sort of the extent
16 to which surrogate data needs to be used at
17 that site. And these are all, I think, very
18 dependent on what kind of dose you are trying
19 to model, the situation where, you know --
20 because obviously they can range over a wide
21 range in terms of the complexity of the
22 situation and how much information is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 available to be able to extrapolate from.

2 DR. MAURO: Jim, if you really
3 want to make a -- one of the problems you have
4 is if you really want to make a really clean
5 break between Type 1 and Type 2, and we can do
6 that, and interpret Type 1 in its narrowest
7 sense -- in other words it's just a way to
8 kick the discussion so that it doesn't blur
9 lines -- if you are directly using bioassay
10 data or directly using air sampling data or
11 directly using film badge data from one site
12 to sort of supplement the data or use those
13 measurements and interpret that as Type 1,
14 then I would say 0054 -- OTIB-0054 is clearly
15 then Type 2 because, you know, it is one step
16 removed from that.

17 So I mean it may be easier for the
18 sake of this discussion in order to create a
19 nice, strong, clean boundary between Type 1
20 and Type 2, and certainly that doesn't mean
21 we're not interested in OTIB-0054 -- but I
22 mean perhaps the greatest interest right now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is when you take air sampling data from one
2 site and you use it at another site. That
3 would be the classic radon question, for
4 example.

5 Maybe just for the sake of this
6 discussion, it is easier to make a bright
7 line. And that bright line can be drawn.

8 CHAIRMAN MELIUS: Yes, I know, I
9 think in a lot of our discussions, we're
10 assuming that that -- what you say, that that
11 bright line -- and I think trying to keep the
12 focus here on the -- should I say the purer
13 Type 1 situation though given the complexity
14 of these situations, it can be hard to figure
15 out where the line is and so forth.

16 Any other comments or questions?

17 (No response.)

18 CHAIRMAN MELIUS: Okay. What I
19 thought would be useful is the other document,
20 given how much paper there is out there on
21 this, is to then go ahead and John, if you
22 would like to talk about your review of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 NIOSH surrogate data document?

2 DR. MAURO: I'll be very brief.
3 We delivered to the Board -- this was not a
4 Work Group product but it was a full review of
5 OCAS-IG-004. This is the procedure that was
6 issued by NIOSH entitled The Use of Data from
7 Other Facilities in the Dose Reconstruction
8 Under EEOICPA.

9 It is a formalization of NIOSH's
10 position regarding under what conditions can
11 you use surrogate data in the strict sense
12 that we just provide. And SC&A was tasked
13 with reviewing that. Our deliverable, the
14 date of delivery was March 30th, 2009. And I
15 believe the document was cleared for -- was PA
16 cleared.

17 Well, let me make sure -- no, I'm
18 not 100 percent certain of that because I'm
19 looking at the document right now on my page.

20 And I don't see a place where it says PA
21 cleared.

22 MR. KATZ: John, that's correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It is PA cleared.

2 DR. MAURO: It has or has not?

3 MR. KATZ: It has been cleared,
4 yes.

5 DR. MAURO: Oh, very good. Thank
6 you.

7 See, without getting into the
8 details of it but in effect what the most
9 important thing, I guess, we did was look at
10 the criteria that NIOSH set forth. And they
11 had a number of criteria for how to -- when
12 and where, under what conditions surrogate
13 data can be used.

14 And we reviewed it -- and we
15 performed a review of that document. And we
16 reviewed it. This is a subtlety that is
17 important to follow. We reviewed it purely
18 from the point of view of Part 82. In other
19 words, Part 82 provides direction in the
20 regulations for dose reconstruction and how to
21 go about doing dose reconstruction.

22 And we reviewed OCAS-IG-004 from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the point of view of compatibility of these
2 protocols with the provisions of Part 82 as
3 opposed to Part 83 where there is some
4 specific language regarding surrogate data.
5 So this is what I would call strictly a review
6 of the degree to which we felt technically
7 NIOSH has identified all of the salient issues
8 that we think are very important when you are
9 going to use surrogate data within the context
10 of -- you know, to do dose reconstructions in
11 accordance with Part 82.

12 And we had a number of findings.
13 Hans Behling did all of the heavy lifting and
14 hard work on this. And there are a list of
15 seven findings that are right there in the
16 Executive Summary. I believe everyone has
17 that. But, you know, I guess -- and we also
18 made a comparison between the criteria that
19 NIOSH has set forth in this OCAS-IG-004 and
20 the draft criteria that the Surrogate Work
21 Group prepared.

22 And in many regards, they are very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 similar. That is there is a lot of overlap
2 between this document and the draft criteria
3 by the Working Group.

4 There is one criterion that is in
5 OCAS-IG-004 that is not in the criteria for
6 the Work Group and that has to do with
7 plausibility. And I know that everyone is
8 aware of that issue.

9 And the other aspect that is an
10 important difference between the Working Group
11 is the issue of the -- I guess at the time --
12 the time period. The Work Group -- Surrogate
13 Data Work Group had some very specific
14 language that you really have to -- the data
15 you are using as surrogate data has to come
16 from the same time period that you are
17 applying it to.

18 While NIOSH's criteria says that
19 well, you know, it is desirable to do that but
20 you certainly can use data from another time
21 period to apply but, of course, you have to be
22 very careful. And they lay out the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 conditions under which, you know, perhaps, you
2 know, you could do that.

3 So I would say to boil things
4 down, those are the two areas where there is a
5 -- I would say a substantive difference
6 between the two documents.

7 I don't know, Hans, is there
8 anything -- Hans, are you on the line?

9 DR. BEHLING: Yes, I am.

10 DR. MAURO: Yes, is there anything
11 that you may want to add to that? And I just
12 tried to capture the sense of your report.

13 DR. BEHLING: Yes. I would just
14 like to say that the initial issue that you
15 discussed was really a legal issue. And we
16 were asked to refrain from further comment
17 because I guess we were considered non-lawyer
18 types and, therefore, perhaps not entitled.

19 But on the other hand, it seems in
20 our write up we did ask the Board to look into
21 it and specifically in context with Paragraph
22 82.17. And I guess it really comes down to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the simple thing. When we talk about
2 surrogate data, we cannot talk about a single
3 type of surrogate data because what we are
4 really talking about are degrees of separation
5 for the various types of surrogate data.

6 And I guess the surrogate data
7 that are being addressed in Implementation
8 Guide 004 is really defined in footnote number
9 three on page four of that particular document
10 which basically provides you with the
11 following.

12 It says in footnote three,
13 traditionally the term surrogate data refers
14 to the use of any data that is not a direct
15 measure of the individual worker's exposure
16 conditions, e.g., general air samples of
17 coworker models. In this document, however,
18 the surrogate data is only considered in the
19 context of the use of data from another
20 facility.

21 So here we are basically looking
22 at a very unique definition of surrogate data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 which says from another facility, which means
2 separation in space and time. And, of course,
3 that is probably the furthest of degree of
4 separation in use of surrogate data.

5 For instance, if we were to say a
6 person worked at Facility A and he was not
7 monitored but we have coworkers who were
8 monitored at the same facility during the same
9 time period, we would say well, it is
10 surrogate data but it is very close in time
11 and space.

12 On the other hand, I think what we
13 were questioning in our initial assessment of
14 Implementation Guide 004 was this high degree
15 of separation in time and space. And for that
16 we referenced 82 CFR 17 and there is the
17 definition that we were looking at or I was
18 looking at was that there were three types of
19 data that can be used.

20 But in two of the three types, the
21 statement in the regulations state that the
22 monitoring data taken from coworker data has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to be considered. In other words, we have to
2 really look at the environment in which the
3 individual for whom there is no direct
4 monitoring data was actually exposed. And, of
5 course, that is the question.

6 It's a highly subjective issue
7 when you say okay, the surrogate data is not
8 the facility in which he worked both in
9 location and in time. And to what extent do
10 the current regulations support the use of
11 such data? And I think this is something that
12 the Board has yet to really discuss.

13 CHAIRMAN MELIUS: Anything
14 further, John?

15 DR. MAURO: I just -- yes, one of
16 the things that we neglected to point out,
17 we're defining surrogate data -- I presume
18 everyone agrees -- as using data from one site
19 for another site. And it has become a term of
20 trade amongst ourselves.

21 Whenever we're talking about data
22 on a given site for the same site, that goes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 toward the building of a coworker model. So I
2 guess it is important that everyone recognize
3 -- I assume everyone was familiar with -- when
4 we refer to surrogate data, we're referring to
5 data collected from one site and then somehow
6 applying it to workers at another site. We
7 want to keep that in mind.

8 CHAIRMAN MELIUS: Okay. Anything
9 further?

10 MEMBER MUNN: Yes, with respect to
11 this particular group of items, Jim, I'm sure
12 that you are aware that we have looked at all
13 of these findings in the Procedures Work
14 Group. And the decision was made to transfer
15 the two outstanding items, which is Item 3 and
16 Item 7 from Procedures to you.

17 You have not yet received that
18 email from me with that information. But I --
19 it was the expectation of Procedures that
20 those two items would be transferred to this
21 Work Group for a solution.

22 CHAIRMAN MELIUS: Wanda, are you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 saying the check is in the mail?

2 MEMBER MUNN: The check is in the
3 mail. It's on my list of Work Group items to
4 be completed.

5 CHAIRMAN MELIUS: I understand.

6 MEMBER MUNN: It's on my action
7 list, my personal action list.

8 CHAIRMAN MELIUS: Which is one of
9 the reasons I wanted to focus on this document
10 because I think it is probably the most -- the
11 one we've all reviewed and there is written
12 comments on. And I believe the specific areas
13 are the ones that we would be focusing on
14 anyway.

15 But there is one other issue I
16 guess to go back to which I find sort of
17 puzzling. And this is a question for NIOSH.
18 It came up -- the NIOSH surrogate data
19 document, the document that SC&A is reviewing,
20 you present sort of a -- what I originally
21 took to be sort of a scientific justification
22 for using surrogate data by referencing other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 situations where surrogate data is used,
2 either other programs or epidemiological
3 studies or models in the area.

4 But in your response to the SC&A
5 critique of those, sort of NIOSH seems to walk
6 away from that. And I guess I'm having
7 trouble understanding your response on that.

8 DR. NETON: This is Jim Neton. I
9 think SC&A's observation was correct in the
10 sense that the -- some of the examples that we
11 offered as precedents for the use of surrogate
12 data are not directly applicable to a
13 compensation program. And, in fact, this is a
14 fairly unique compensation program.

15 As I indicated -- as we indicate
16 in our response, we are merely trying to point
17 out that, you know, we did not sort of invent
18 this technique. Surrogate data has been used
19 scientifically in a number of different
20 applications, including epidemiologic studies
21 but also I think we reference one previous
22 compensation program.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Even in that case, however, one
2 can argue that, you know, it was a different
3 type of compensation program and methodology
4 and such.

5 So the point really wasn't that it
6 justified the use of surrogate data under
7 EEOICPA but the fact that it is a valid
8 scientific technique that can be used when you
9 have to fill in, as the law requires, for
10 missing data. By that definition, any missing
11 data is surrogate data. And we've developed
12 techniques and one of which is to use data
13 from one facility to another.

14 So I'm not saying -- I don't know
15 as we necessarily backed away from it but we
16 definitely didn't want to leave the
17 misconception that SC&A seemed to have that we
18 offered that as positive proof that it was
19 valid for use under EEOICPA. I'm not sure I
20 have much more to say.

21 CHAIRMAN MELIUS: No, no, that's
22 putting it well. That's like -- I thought you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 were saying and it wasn't in your response --
2 the SC&A review of IG-004. However, it has
3 not been sort of what, I think, has been
4 presented verbally at least at a number of our
5 previous discussions of this issue.

6 And so I was just, I guess,
7 wanting to reaffirm that because I mean I
8 actually agree with SC&A and I guess with
9 NIOSH that these other uses are significantly
10 different. And, for example, the use of
11 surrogate data for epidemiological studies is
12 sort of far different than using surrogate
13 data for individual dose reconstruction.

14 In fact, one would expect a higher
15 degree of accuracy or precision in using it
16 for individual dose reconstructions than one
17 would for using it in epidemiological data
18 where in a sense you are looking at big groups
19 of people and trying to categorize them in
20 some way.

21 And albeit more accurate to do
22 that, the better, but it still -- you're not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 trying to predict what an individual's dose
2 was.

3 DR. NETON: I agree with you on
4 that point except for the fact that in this
5 program, we do have the opportunity to produce
6 what we would believe to be a plausible upper
7 bound so that they are not necessarily exact
8 representations of the person's dose. We're
9 not constrained to that.

10 We can demonstrate that as a
11 plausible upper bound, we believe that it is a
12 significantly accurate technique.

13 MEMBER LOCKEY: This is Jim
14 Lockey. In some of the studies that we do
15 here at the university, and they are
16 epidemiology studies, we actually will go back
17 where the data is good data, we'll use
18 surrogate data where we can actually come up
19 with a worker-specific cumulative exposure
20 based on -- and, again, it's really based on
21 how good the data is from the company we're
22 looking at or the industry we're looking at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and productivity changes and equipment changes
2 and ventilation changes. And how you can
3 apply it across industries.

4 But it is a scientific methodology
5 that is accepted if you have good quality data
6 and you can really keep a log as to your
7 justification as to why it is applicable to
8 another industry across the street that is
9 essentially doing the same job.

10 And so I would say there is
11 literature out there that says -- and, again,
12 it is based on the quality of the data and how
13 high you set your confidence intervals on that
14 data -- but there is literature out there that
15 supports using surrogate data from an
16 epidemiology perspective, looking at dose
17 response relationship, particularly your dose,
18 not duration, not job task, but true dose.

19 DR. MAKHIJANI: This is Arjun.
20 Just the difference between what the two Jims
21 said. You would not put an upper bound dose
22 in an epi study because it would distort it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MELIUS: I'm sorry. I
2 can't hear you.

3 DR. MAKHIJANI: This is Arjun.

4 CHAIRMAN MELIUS: Yes?

5 DR. MAKHIJANI: You would not put
6 an upper bound dose in an epi study because it
7 would distort your dose response relationship.
8 But in this program, you sometimes want to
9 put an upper bound.

10 CHAIRMAN MELIUS: Well, what we do
11 is we can put a -- we can say this is what we
12 think the mean is and this is what the upper
13 bounds can be based on how good or not good
14 the quality of the data is.

15 So you present it all so the
16 reader can read it all. But you can do that
17 like, you know, for refractory summary fibers,
18 which we've been looking at for 20 years.

19 We can actually go back and
20 extrapolate what the most likely individual
21 dose is in another company who made that
22 material based on the time frame they were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 producing it, the machine that they were
2 using, the ventilation equipment they were
3 using, and their particular job tasks.

4 So we can assign an individual
5 dose to that worker even though we don't have
6 industrial hygiene data.

7 MEMBER LOCKEY: And, Jim, I think
8 really it depends on the quality of the data
9 and how much of the information you have
10 available. If you don't have the production
11 data, you don't have the machinery, you don't
12 have the ventilation data, you don't have the
13 source material, et cetera, et cetera, it
14 becomes much more difficult.

15 CHAIRMAN MELIUS: I think we have
16 two conversations going on here, Jim. But I
17 heard most of what you said on that.

18 DR. BEHLING: Dr. Melius? Let me
19 just make a comment since I was the one who
20 wrote most of the stuff that you are referring
21 to here.

22 I didn't say that epidemiologic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data would suffer from very accurate data.
2 What I intended to say here is that accurate
3 data, while it is most important if you do
4 have a dose response relationship that you
5 need to define.

6 On the other hand, many
7 epidemiology studies can survive in the
8 absence of dose-particular, highly detailed
9 information and still provide the
10 epidemiologist with a tool to say that there
11 is a positive correlation even if the
12 individual numbers are far from accurate.

13 And in the case of the
14 compensation program, we do look for accuracy.

15 And for that reason, we do have -- if there
16 is an absence of data, the SEC option. And
17 this is the point that I was trying to make
18 here. I didn't want to imply that, as Dr.
19 Lockey said, when there is good data
20 available, of course you use it.

21 But there are plenty of
22 epidemiology studies that are not necessarily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in a position to make use of those highly
2 definitive information, including some of the
3 earlier BEIR studies that defined the dose
4 relationship between Hiroshima and Nagasaki
5 survivors to that of cancer induction.

6 And that was the whole point of my
7 statement here is that an epidemiologic study,
8 unlike the compensation program, may survive
9 in the absence of definitive data. But in the
10 case of a compensation program that looks at a
11 50 percent probability causation as a cut-off
12 point, then I think you have to be a little
13 more discriminating as to what is acceptable
14 and what is not acceptable. And that's the
15 point of that discussion.

16 MEMBER LOCKEY: Hans, I agree with
17 your statement. I just think there are
18 studies -- there are epi studies available
19 that do precisely that. That can come up with
20 a very precise individual dosimetry on person.

21 DR. BEHLING: Absolutely. And I
22 fully agree. And as I said, that's not the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 point of what I stated here.

2 When that data is available, of
3 course you would make use of it. There's no
4 question that there are some epidemiologic
5 studies that have as a basis in terms of
6 defining a dose response relationship, first
7 class data. On the other hand, there may be
8 many epidemiologic studies whose data would
9 not suffice to do a compensation program. And
10 that's the point of my discussion.

11 MEMBER LOCKEY: No, I don't
12 disagree. I think your write-up though didn't
13 give fair to the former, that there are --
14 actually there are some studies out there that
15 do have very precise dose response
16 relationships on a worker by worker basis.

17 MEMBER ZIEMER: This is Ziemer. I
18 would just comment that I think we're only
19 talking here about the principle that if data
20 is used in scientific applications, not just
21 epidemiology, but multiple, you always have to
22 show that it applies in the case that you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 using it for. So --

2 DR. BEHLING: Absolutely.

3 MEMBER ZIEMER: -- that's what I
4 think leads you to the criteria which, you
5 know, we will get to I suppose and at some
6 point we need to formalize. But what it leads
7 you to is the criteria which you can operate
8 and say this is a valid use or not.

9 I don't think anybody is arguing
10 that we're using this for epidemiological
11 studies. I think the question Hans is raising
12 is can you use this sort of methodology in a
13 different application.

14 I think the general statement, as
15 I understood it in the NIOSH document, was
16 simply that the principle of using surrogate
17 data is one that cuts across a number of
18 scientific disciplines. It's not a new method
19 by focus but it is used in a variety of
20 different scientific applications in
21 appropriate ways. So that's just a comment.

22 CHAIRMAN MELIUS: Yes, this is Jim

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Melius. But I think the way it has been
2 discussed, though it is not, I don't think,
3 written in this document, but discussed in the
4 past is that sort of the use of surrogate data
5 in epidemiological studies therefore means
6 that it has sufficient accuracy to be used in
7 dose reconstruction.

8 And I think that doesn't
9 necessarily follow. And I think that is sort
10 of what we had heard before. And similarly
11 the use of surrogate data in individual
12 exposure protection means that it is
13 sufficiently accurate. And I think that that
14 also, you know, doesn't necessarily follow.

15 And as we continue to go through
16 this program over the years, what we continue
17 to wrestle with what is sufficient accuracy
18 and also what is plausibility. And I'm afraid
19 that is what this issue also tends to come
20 down to. And I guess we will continue to
21 wrestle with those.

22 And I guess we don't -- we can't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 rely on outside uses of surrogate data or
2 anything else, the applications as a way
3 around having to wrestle ourselves with what
4 is sufficient accuracy and what is
5 plausibility.

6 Anybody else have any comments on
7 that?

8 (No response.)

9 CHAIRMAN MELIUS: Okay. In the
10 interest of the third -- if you are referring
11 to the Executive Summary that -- SC&A's report
12 which starts on page four and goes through --
13 number one is the legal issue -- regulatory
14 issue. I'm not going to -- I'm going to
15 ignore that.

16 Secondly was the precedent, the
17 discussion we just had.

18 The third issue that is raised is
19 the issue of -- which I think NIOSH agrees
20 with, if I understand correctly, that
21 basically -- and what John stated earlier --
22 is that the -- that while the criteria that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are laid out in 004 may be sound, I mean the
2 real issue is the application. And if I
3 understand NIOSH's response to the SC&A
4 comments is basically NIOSH agrees. It's sort
5 of a question of application.

6 DR. NETON: Yes, that's right. I
7 mean we don't disagree that there may be some
8 difficulties in countering the application of
9 the data -- or surrogate data. But, you know,
10 the proof is in the -- we believe that it is
11 incumbent upon us when we do use it to
12 demonstrate through the application of these
13 tests that they are, indeed, scientifically
14 sound. So it will be -- the proof is in the
15 pudding, I guess.

16 CHAIRMAN MELIUS: I think we've
17 been using biscuit analogies.

18 DR. NETON: Okay.

19 CHAIRMAN MELIUS: The proof is in
20 the biscuit dough.

21 DR. NETON: Yes. And there may be
22 some applications where, you know, we run up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 against the wall and say yes, we can't use it
2 in this particular situation. That remains to
3 be seen.

4 CHAIRMAN MELIUS: Well, I think
5 Blockson is an example, right?

6 DR. NETON: Well, Blockson, I was
7 going to mention that previously -- well,
8 there's two issues with Blockson.

9 One is the radon issue, which I
10 don't really believe is a Type 1 surrogate
11 data application. That is a model, a
12 probabilistic model that was based on
13 essentially first principles of air turnovers
14 and such. So it did not -- I'm speaking of
15 the second generation radon level.

16 CHAIRMAN MELIUS: Oh, okay. I was
17 talking about the first generation.

18 DR. NETON: The first generation
19 model, I would agree --

20 CHAIRMAN MELIUS: Yes, okay.

21 DR. NETON: -- withdrew that
22 because it didn't pass the test in our paper.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Yes.

2 DR. NETON: Okay, if we're talking
3 about the first model, I would agree with you.

4 CHAIRMAN MELIUS: Yes, okay.

5 DR. NETON: At least for that
6 particular facility.

7 CHAIRMAN MELIUS: Yes.

8 MEMBER ZIEMER: Dr. Melius?

9 CHAIRMAN MELIUS: Yes?

10 MEMBER ZIEMER: Ziemer here.

11 We're still on the third item in the --

12 CHAIRMAN MELIUS: Yes, we are.

13 MEMBER ZIEMER: Yes, it looked to
14 me like -- is the focus on this the time
15 period issue? Or maybe I should ask SC&A
16 that. It basically ends saying such use would
17 be in conflict with the draft criteria, which
18 restricts the use of surrogate data to the
19 same time period.

20 DR. MAURO: This is John. To
21 answer your question, it's both. Item number
22 three is a broad sweep. It identifies the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 different parameters that you have to be
2 careful about when you are applying surrogate
3 data. And it talks about lots of things.

4 MEMBER ZIEMER: Yes.

5 DR. MAURO: But one -- and we
6 brought up in this particular finding under
7 number three specifically, that we do have a
8 difference between the draft Work Group
9 criteria and the OCAS-004.

10 MEMBER ZIEMER: Yes.

11 DR. MAURO: That has to do with
12 time. So yes, I think we do have an issue
13 here that needs to be dealt with.

14 MEMBER ZIEMER: Yes.

15 DR. MAURO: That is right now I
16 think that, you know, the NIOSH position is
17 notwithstanding the fact that they may be from
18 different time periods, you still can use it
19 if you are careful.

20 MEMBER ZIEMER: Yes. Well, my
21 comment on that was -- and I had a comment in
22 the draft comments that we made -- I'm getting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a lot of noise here. Is that just my phone?

2 CHAIRMAN MELIUS: No.

3 MEMBER ZIEMER: In any event,
4 about a year ago, I forget the exact date, I
5 made some comments which were distributed to
6 the Work Group on our draft. And on that
7 particular one, I made a note that said we
8 need it to be clarified the meaning of the
9 same general time period in terms of what that
10 means.

11 Now the time period might be the
12 time where the technology is the same or
13 different, where the legal requirements are
14 the same or different, the work practices were
15 the same or different. A time period might be
16 less than a year or it might be a decade,
17 depending on what the particular parameters
18 are.

19 So it seems to me that in any
20 event under temporal, we would have to clarify
21 -- and I think the intent here on time period
22 is that you have to compare situations where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the working conditions and processes and
2 monitoring methods were the same or similar.
3 And, in some cases, even the legal
4 requirements because people are working where
5 there are different dose constraints.

6 But -- so what is the intent, I
7 think, of the time period issue, as I would
8 understand it, is that you can't compare a
9 period where there are completely different
10 work practices, safety measures, and all of
11 those things, and make a valid case for using
12 that as surrogate data.

13 CHAIRMAN MELIUS: Any comments on
14 that? I think that would be the intent. I
15 actually have your document in front of me,
16 Dr. Ziemer, your comments on the document.

17 DR. MAKHIJANI: Jim, this is
18 Arjun.

19 CHAIRMAN MELIUS: Yes?

20 DR. MAKHIJANI: Sorry.

21 MEMBER ZIEMER: Yes. And at some
22 point, I even have some wording to propose for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that. But that will come at an appropriate
2 time.

3 CHAIRMAN MELIUS: Okay.

4 DR. MAKHIJANI: Jim, this is
5 Arjun. One of the things to consider is, you
6 know, as John was saying, the surrogate data
7 we're seeing as applied from one -- data taken
8 from one site and applied to another site.

9 But we have also considered this
10 an issue of data within a site, you know, when
11 you take data from one period and try to apply
12 it to another period. This has turned out to
13 be a problem type of, you know, use of data,
14 even within the site. And we're not calling
15 it surrogate data but I think to some extent,
16 at least, it is the same issue.

17 DR. MAURO: That is exactly what
18 happened at Blockson where there were radon
19 measurements collected in the '80s and we all
20 agreed that listen -- well, I don't know if we
21 all agreed but there was a general consensus
22 that it is very difficult to use the radon

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data measured in the 1980s to dose
2 reconstructions that occurred before the
3 1950s. So that's, I would say, a good
4 example.

5 DR. NETON: This is Jim. I would
6 agree with what has been said.

7 But I would also go back to what
8 Dr. Ziemer suggested which is the intent
9 really is the similarity of operations. And I
10 think to just make a blanket statement that it
11 has to be exactly the same time period is
12 problematic for us.

13 I mean there are situations where
14 forward in time might be more appropriate
15 where they use exactly the same grinding
16 machine for 15 years and the example I can
17 think of is the grinding operation and there
18 were process samples taken that were right
19 there at the generation of the aerosol at the
20 same machine.

21 It really doesn't make a lot of
22 difference about the general area patterns of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 air ventilation and such under those unique
2 situations what we would be able to take
3 advantage of. That's somewhat what I had in
4 mind here when we drafted that section.

5 CHAIRMAN MELIUS: Any other
6 comments on that?

7 DR. MAKHIJANI: Well, Jim, the
8 only other comment I would have is, you know,
9 in partial agreement with what Jim Neton just
10 said, is that data from one building to
11 another building or one facility to another
12 facility within the same site has also been
13 the same kind of issue.

14 So I think broadly yes, the
15 environmental and dosimetric comparison needs
16 to be established. And I think how that is to
17 be elaborated is kind of complicated.

18 CHAIRMAN MELIUS: Yes, no, I agree
19 with you Arjun that we're looking at -- we
20 focused on sort of one specific type of
21 surrogate data or data that is being, you
22 know, where we're either extrapolating time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 periods or locations. And this is, you know,
2 where we're taking something outside of an
3 area but outside of the facility.

4 But that is sort of an artificial
5 distinction. And the same kinds of
6 considerations would apply to the area that
7 you mentioned, really the two, one building to
8 another, one part of a facility to another, or
9 one time period to another.

10 And we have often found those
11 kinds of application of information to be
12 problematic for some of the same reasons that
13 are set out in either the NIOSH criteria or
14 the staff criteria that the Work Group had
15 originally developed.

16 MEMBER MUNN: This is Wanda.
17 There are commonsense considerations that
18 certainly override any of our concerns with
19 respect to definitions of terms, especially
20 with respect to bounding issues. If one knows
21 that only a certain type of material is
22 handled and it is handled consistently and it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is handled over a long period of time, common
2 sense tells us that the highest measurements
3 that one gets, no matter what period of time
4 is involved, is the highest measurement one
5 gets.

6 And it -- to lean upon a statement
7 that is involved in a general definition as
8 being a reason to disregard good basic
9 information that you have is not a reasonable
10 thing to do. And we have had considerable
11 discussion about reasonableness and
12 plausibility.

13 CHAIRMAN MELIUS: Yes, I don't
14 disagree with some of that. But we also don't
15 have certainly criteria for plausibility.
16 It's something that when we get into
17 difficulty, we disagree on and wrestle with.
18 And similarly with sufficient accuracy.

19 And I think that as a general
20 statement of either moving from one facility
21 to another or moving from one time period to
22 another, the farther one gets, the more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 differences that are unknown because of the
2 lack of documentation, you know, different
3 parts of the site or time periods of the site,
4 or because of limitations on monitoring
5 methods in the past and so forth, so I think
6 we've run across a lot of situations where we
7 just don't know enough about it.

8 So not having sufficient, you
9 know, a building or operation or other factors
10 like that, make the extrapolation or use of
11 surrogate data more difficult.

12 MEMBER ZIEMER: Jim, this is
13 Ziemer. I agree with that. And I think it
14 would be helpful at some point, and I know you
15 intend to do this, would be to actually deal
16 with the plausibility issue. I think we can
17 discuss it as we have some of the other
18 parameters.

19 And say what does it mean for
20 something to be plausible. And what are, you
21 know, at what -- we can't necessarily define
22 when something becomes implausible. But we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 could at least put some parameters down or
2 some approaches to how you establish
3 plausibility.

4 And it seems to me you can talk
5 about plausibility in terms of workplace, for
6 example how well do things match up or maybe
7 the grinding machine, you got workplace
8 plausibility issues.

9 I think you have scientific
10 plausibility issues with regard to like
11 bioassay models, radon models, those kinds of
12 things. There are different kinds of
13 plausibility that would have to come together
14 in a way that would give people confidence in
15 use of surrogate data.

16 Or if it doesn't come together,
17 you don't do it. But it seems to me we do
18 need to grapple a bit with what constitutes or
19 how we would go about establishing
20 plausibility.

21 MR. KATZ: I'm sorry to interrupt.

22 This is Ted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Jim, let me just make another
2 attempt. Maybe there are some people on the
3 phone who were not on the front end of the
4 call. Everybody on the call who is not
5 participating, please mute your phones. It is
6 very hard to hear with all the background
7 noise.

8 And even if you don't have a mute
9 button, there is a * and a 6. You can press
10 *6 together and that will mute your phone and
11 make it much easier for the participants to
12 hear each other as well as the court reporter
13 who has to transcribe all of this. Thank you.

14 MEMBER LOCKEY: Paul, Jim Lockey.
15 Were you talking about their plausibility in
16 relationship to how two work sites meet
17 criteria that is plausible that they were
18 similar? Is that what you were referring to?

19 MEMBER ZIEMER: What I was
20 referring to?

21 MEMBER LOCKEY: Yes. Are you
22 talking about work site plausibility?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Well, I was
2 talking about the overall concept of
3 plausibility as some component. One is how
4 well the workplaces compare. Another is the
5 scientific parts. I mean --

6 MEMBER LOCKEY: But in
7 relationship to --

8 MEMBER ZIEMER: -- you have to use
9 models that are scientifically plausible --

10 MEMBER LOCKEY: Right.

11 MEMBER ZIEMER: -- as well as --
12 and we've had these kinds of discussions in
13 other venues for other Work Groups where we
14 talk about what is scientifically plausible in
15 certain cases. But I was thinking in terms of
16 our criteria document, that we need some
17 discussion on how one goes about establishing
18 plausibility.

19 It is more than a gut feeling. I
20 think --

21 MEMBER LOCKEY: I think so, too.
22 I think -- but I hear you talk about workplace

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 situations where it is plausible that Work
2 Site A is similar to Work Site B even though
3 ten years separate them in time.

4 MEMBER ZIEMER: Well, I don't even
5 know at this point, I'm just thinking
6 conceptually that if it is not plausible that
7 the workplace in question is well represented
8 by some other workplace who is the surrogate,
9 then, you know, how do you decide that?

10 MEMBER LOCKEY: Yes. I think -- I
11 agree with both of you, I think there should
12 be work site plausibility criteria. You know
13 why are they similar? It's based on these
14 following blah things.

15 MEMBER ZIEMER: Or if they are
16 not, what would allow the data to be used.

17 MEMBER LOCKEY: That's correct. I
18 agree.

19 MEMBER ZIEMER: And there may be -
20 - I just thought of workplace and scientific,
21 both of those things. There may be some other
22 issues but --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER LOCKEY: Yes.

2 MEMBER ZIEMER: I mean by
3 workplace, I'm talking not only about the
4 physical facilities but the working
5 procedures, maybe even the types of personnel
6 present and probably even some legal issues in
7 terms of what safety processes were mandated
8 or required under certain time periods and so
9 on.

10 MEMBER LOCKEY: No, I think that's
11 a good point. And I agree with that. And
12 that's what we do when we do dose
13 reconstructions.

14 DR. MAURO: Yes, Paul, this is
15 John. I often, when I'm looking at these dose
16 reconstructions and the construction of
17 coworker models and, of course, the use of
18 surrogate data and the issue of plausibility
19 emerges in my mind. It usually is not the
20 issue of plausibility. It's implausibility.

21 MEMBER ZIEMER: All right. Well,
22 and that may be a good way to look at it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Yes, because -- yes, I
2 have another example. You know in addition
3 to, for example, if you are about to use a
4 model or use data from one site to another, or
5 make certain assumptions from one location in
6 the building to another location in the
7 building, I very often ask myself well, we
8 find ourselves often in a situation where the
9 exposures that you are going to assign to an
10 individual in your coworker model are of such
11 a nature that very often I'll say that doesn't
12 sound plausible.

13 And the reason I would say -- that
14 comes to mind often is if that were to occur -
15 - well, an example would be the person
16 couldn't stay in the room and continue to
17 breathe the air. Or --

18 MEMBER ZIEMER: Yes.

19 DR. MAURO: -- the dose the person
20 would experience would result in acute
21 radiation syndrome, you know local damage to
22 the respiratory tract.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In other words, though, very often
2 the test I put it to, in addition to the types
3 that you have been discussing by way of
4 facility operations, it is not within the
5 range of what would have been the operating
6 parameters of a given facility, I also
7 sometimes think in terms of just -- almost
8 like biological endpoints.

9 They've got a person actually
10 working in an environment like that without
11 there being some record of there being some
12 acute radiation effects at such levels. I've
13 run into circumstances where we find ourselves
14 in that realm. And then I start to ask myself
15 plausibility questions.

16 DR. NETON: Jim, that's exactly
17 Section 3.6 says in the IG-004.

18 CHAIRMAN MELIUS: Yes, I was going
19 to point that out. It's on the bottom of page
20 eight into page nine on that. But I mean I
21 guess I find that sort of lacking -- not to
22 fault NIOSH but it sort of addresses the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 obvious issues. It doesn't address -- I think
2 a lot of times we're tying plausibility to
3 sufficient accuracy. And so the question may
4 be -- and I think in other factors. And I
5 think it would behoove us to I think give more
6 thought to what we mean by plausibility and
7 how we would consider it separate from these
8 other factors.

9 At first I was resistant to adding
10 it as a criteria because I think it is hard to
11 define. And secondly, to some extent, it is
12 taken care of by the other criteria. It may
13 be an overriding factor that, you know, would
14 override. Yes, you're not going to come up
15 with something that is so high that, you know,
16 people wouldn't be able to breathe or
17 whatever.

18 But I think that usually the
19 situation we're having trouble with, it is
20 more complicated than that. But it is hard to
21 get at aside from an example. But we continue
22 to wrestle with it in lots of different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 situations as we're doing now with the
2 Blockson model, too.

3 MEMBER ZIEMER: Jim, this is
4 Ziemer. I think you may be right that in a
5 sense, you handle the first four criteria,
6 that kind of overall kind of deals with
7 plausibility issues perhaps although I'm not
8 sure that we have dealt with -- specifically
9 with scientific plausibility in those. Maybe
10 indirectly we have.

11 CHAIRMAN MELIUS: Yes, as I say,
12 in some ways it is overriding. It is one of
13 the factors you are considering when you deal
14 with temporal situations.

15 MEMBER ZIEMER: Yes, right. You
16 would say it is not plausible because
17 temporally this has occurred.

18 CHAIRMAN MELIUS: Yes.

19 MEMBER ZIEMER: Or it is not
20 plausible because these processes are under
21 Criteria Two, the slider processes are
22 sufficiently similar or something like that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MELIUS: Yes.

2 MEMBER ZIEMER: Yes. So maybe it
3 gets inherently covered in the other criteria.

4 CHAIRMAN MELIUS: Yes, I mean I
5 think if you sort of take the absurd example
6 that we had a facility we knew nothing about.
7 You know it was a unique operation, a unique
8 type of exposure. I don't think we would
9 consider it plausible for NIOSH to just sort
10 of pluck the number out of the air and say
11 that's the upper bound.

12 DR. NETON: Yes, this is Jim. I
13 think the idea here was that when we do these
14 -- when we apply surrogate data and port it
15 from one facility to another, there are
16 typically uncertainties involved. And more
17 often than not, we would end up using the 95th
18 percentile of some empirically-derived
19 distribution from that other facility.

20 And the idea was that if the
21 uncertainty was so great -- the GSD was so
22 large that the 95th percentile got you into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one of those situations where, you know, it
2 was just physically impossible to occur, then,
3 you know, we certainly wouldn't want to use
4 that. So I think it is sort of tied up in the
5 uncertainty of the model more than anything.

6 CHAIRMAN MELIUS: Yes.

7 MEMBER MUNN: But that's no longer
8 a plausibility issue. That's a possibility
9 issue. When it continues reaching the
10 impossible, then that is outside of
11 plausibility.

12 DR. NETON: Right. And another --
13 the next paragraph under 3.6 I believe talks
14 about a situation such as we had at I believe
15 it was the Iowa Army Ammunition Plant where we
16 had developed a model time period that was
17 monitored for external that ended up being so
18 large that when you compared it to the
19 previous year, it was an order of magnitude
20 higher. And it certainly didn't pass the
21 plausibility test in that situation. So
22 that's, again, what we had in mind in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 section.

2 DR. BEHLING: This is Hans
3 Behling. I just want to make a comment
4 regarding the issue of plausibility and using
5 extreme high end numbers. And what I'm
6 looking at here is under the regulation
7 paragraph 82.10(k). There is obviously a
8 limitation when you use such extreme numbers
9 because under the regulations, those values
10 can never be compensated.

11 And I can read you the specific
12 section where it talks about worst case
13 assumptions can never be used to compensate a
14 claim but only to deny a claim if the PoC
15 under the worst case assumption still doesn't
16 match the 50th percentile value. So --

17 DR. NETON: Well, I think that is
18 a slight misinterpretation of that section.
19 That section was for worst case assumptions
20 without conducting additional research.

21 In other words, NIOSH would have
22 stopped short their research and used a worst

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 case assumption and decided that the case was
2 still under 50 percent. You can't use that to
3 start compensating people. I would agree with
4 that.

5 But if, at the end of the day, all
6 your worst case assumptions end up being your
7 best estimate and it is plausible, I would
8 suggest that it could be used.

9 DR. BEHLING: Okay. It is a very
10 fine definition and I just wanted to bring
11 that up because sometimes we tend to get
12 reckless in assigning a worst case assumption,
13 realizing however that it is still going to
14 end up with a PoC of less than 50 percent
15 when, in fact, if we were to realize that it
16 was greater than 50 percent under those
17 conditions, we would be in violation of the
18 regulations.

19 DR. NETON: Right. I agree. And
20 I think there was one episode in the past
21 where that occurred. But I think that was an
22 isolated incident. And that's the only one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that I can think of.

2 CHAIRMAN MELIUS: What was that?
3 What was that -- this is Jim Melius -- that
4 determined?

5 DR. NETON: Well, I think at one
6 point, there were a few dose reconstructions
7 where we actually -- they ended up going out
8 the door using worst case assumptions.

9 CHAIRMAN MELIUS: Oh, okay.

10 DR. NETON: And, you know, we
11 certainly reversed our thinking on that.

12 CHAIRMAN MELIUS: Yes, okay.

13 DR. NETON: And to my knowledge,
14 nothing like that has been done since.

15 CHAIRMAN MELIUS: Okay, no, I
16 recall that. I was trying to think if it was
17 something I missed.

18 DR. MAURO: Interestingly enough -
19 - this is John -- when we encountered those
20 circumstances, it was during our dose
21 reconstructions audits where a bounding
22 assumption that was written up into a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 procedure was developed mainly for the purpose
2 of efficiency -- oh, let's just assign this --
3 which, of course, is, you know, off-the-charts
4 conservative, and it was still not
5 compensating.

6 But we really never encountered
7 this situation when we were reviewing in an
8 SEC or site profile perspective when, for
9 example, let's say NIOSH was building a
10 coworker model and they were collecting data
11 and making running models and making
12 assumptions in order to build a coworker
13 model.

14 In the end, that's what we're
15 talking about, whether we're using a site-
16 specific data to build the coworker model or
17 data from one site to apply to another site.
18 Ultimately, what we're talking about is
19 building a coworker model. And we're talking
20 about that aspect of building a coworker model
21 where NIOSH may need to draw upon data from
22 another site.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And within that, we are talking
2 about, you know, at what point does the data
3 from one site, as applied to another site,
4 become implausible? In other words, just not
5 plausible -- it could not apply to that site?

6 And, therefore, you wouldn't need the
7 plausibility.

8 And it is almost just like you
9 would know it when you see it. But to talk
10 about it in generalities, is difficult to say
11 when would we be at a point that, you know,
12 you really can't use that data, that
13 situation. It just wouldn't make sense.

14 But it is so hard to define that.

15 I mean there may be a way to explain it. It
16 sounds like there is some language in the
17 write up. I don't have your write up near
18 but, Jim, so you have some language that sort
19 of set the framework of plausibility? I just
20 don't have it in front of me.

21 DR. NETON: Are you talking to me,
22 John?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: Yes. I guess --

2 DR. NETON: Yes, there is a very
3 brief section 3.6 in IG-004 that tries to set
4 the stage plausibility although Dr. Melius is
5 right, it's short on specifics although it is
6 that way by nature because we couldn't come up
7 with some very specific guidance other than
8 these generalized tests.

9 We're certainly open to hearing
10 suggestions as to how to make that better.

11 CHAIRMAN MELIUS: Somehow a
12 criteria of we'll know it when we see it,
13 would be helpful to -- but you said it, not
14 me, Jim.

15 Any other comments on that?

16 (No response.)

17 CHAIRMAN MELIUS: Any other
18 comments in general on surrogate data?

19 (No response.)

20 CHAIRMAN MELIUS: Then I have a
21 suggestion for how to move forward.

22 (No response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: No comments?

2 MEMBER MUNN: We're breathlessly
3 waiting.

4 CHAIRMAN MELIUS: Oh, okay. I
5 thought Dr. Ziemer had something he wanted to
6 bring up. That's why --

7 MEMBER ZIEMER: Oh, well, no, I
8 thought if we were going to discuss the
9 criteria documents, I would propose some
10 things. Otherwise not. I have some words on
11 the temporal consideration thing for that
12 document.

13 But if you'd like, I could just --

14 CHAIRMAN MELIUS: Oh, okay. Well,
15 actually what I was going to propose was that
16 -- to update the -- our criteria document and
17 include a section on plausibility, and
18 circulate that to the Work Group between now
19 and our meeting in February. And then we
20 would have a discussion at the Board meeting.

21 But I'd like to get input,
22 particularly on plausibility beforehand as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 well as anything else that people want to
2 comment on.

3 MEMBER ZIEMER: Well, I'll be glad
4 to share some words both on temporal and I had
5 already, on my own document here at home, put
6 in some words on plausibility. And I can
7 provide that as a straw man so that --

8 CHAIRMAN MELIUS: Okay.

9 MEMBER ZIEMER: -- that can at
10 least get some people thinking. I'd be glad
11 to have these things shot down completely. We
12 can grapple with them. We might decide on
13 plausibility that the other four criteria
14 inherently cover it if you meet those.

15 But I agree, Jim, I think it makes
16 sense at least to grapple with it. There may
17 be something that emerges that is sort of
18 outside the other criteria that we would need
19 to consider. I don't know at this point.

20 But I'll be glad to offer up some
21 words to at least people think about. And I
22 don't ascribe to them any level of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 profoundness. But sometimes it helps to have
2 something to take a shot at.

3 CHAIRMAN MELIUS: Yes. I think
4 that the examples offered by NIOSH are part of
5 plausibility so that they're -- but I think
6 it's -- how we think beyond that is -- I mean
7 and I'll try something independently then
8 maybe merge it with what you write, Paul.

9 MEMBER ZIEMER: Yes. I think that
10 would be good. And probably other Work Group
11 members, too.

12 CHAIRMAN MELIUS: Yes. Do that.

13 MEMBER MUNN: Well, you would
14 assume that this would be, if I understood you
15 correctly, in addition to our current document
16 with regard to what constitutes surrogate
17 data?

18 CHAIRMAN MELIUS: It would be part
19 of our current document, correct.

20 MEMBER MUNN: Right. Thank you.

21 CHAIRMAN MELIUS: This Work
22 Group's current document. I guess the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 issue I have is sort of -- well, I think in
2 terms of the Procedures Work Group, I think
3 we're okay. I just don't know how this ties
4 in with the TBD-6000 Work Group and where that
5 Work Group is.

6 MEMBER ZIEMER: Well, two things
7 on TBD-6000 Work Group, we're dealing with the
8 main document. And then we're dealing with
9 some of the appendices.

10 The big focus, of course, now is
11 on the Appendix A, which is General Steel
12 Industries. But then we have a couple of
13 others that have emerged after our last
14 meeting. So there are some other sites. One
15 is a 6001 site. And there is another 6000
16 site. So there are some site-specific things
17 we're dealing with.

18 But I think it's either -- and, of
19 course, I think that the Texas City case was
20 more of a surrogate. General Steel
21 Industries, we're dealing with GSI's own data
22 and its usability and some related issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But I think Texas City came to the Surrogate
2 Data Work Group because it is more clearly a
3 surrogate data issue.

4 DR. MAURO: This is John. When we
5 look at TBD-6000 without the appendices for a
6 moment, one of the most important things we
7 were doing is to make sure that the different
8 -- it is basically a look-up table for
9 different types of work activities that a
10 person may be engaged in. For example, at a
11 metal-working facility.

12 And there is a range of airborne
13 dust loadings of a grain. And the main thing
14 we looked at are the categories that were
15 created and the range of concentrations of the
16 dust loadings assigned and default values. Do
17 we believe that they represent or properly
18 capture the range of operating experience that
19 is out there? And there's lots and lots of
20 experience.

21 So we really looked at it from the
22 point of view of when you are saying that a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 machinist working at a metal-working facility
2 will be assigned this concentration
3 distribution in terms of dpm per cubic meter
4 dust loading let's say of uranium, is that
5 distribution a good distribution? Does it
6 reflect the real experience that has occurred
7 in the past?

8 So we really, when we looked at
9 it, we just looked at it from the point of
10 view of did it capture everything. That's a
11 very different question than whether you think
12 it is appropriate to apply that distribution
13 to a given case. So I think it is important
14 to make a distinction between -- TBD-6000 is a
15 document that, in a claimant-favorable way,
16 captures the range of exposures people might
17 have experienced doing different kinds of
18 jobs.

19 And then the big question always
20 is okay, given that you get to the point where
21 you agree, yes, this is a very good
22 representation of the range of exposures, then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it becomes a matter of okay, you know, under
2 what circumstances can you use this and use it
3 in a way that you feel is plausible and
4 claimant favorable.

5 I have to say that our experience
6 is that when TBD-6000 is used, they usually
7 draw upon the categories that are, by far, the
8 most claimant favorable. In other words, if
9 you had a real site and you are trying to
10 assign some dust loading, they would go into
11 TBD-6000 and usually pick that case, that job
12 category that is the worst one, not giving any
13 other information.

14 So we have gone a long way, I
15 believe, in coming to closure on a lot of TBD-
16 6000 issues. What is the issue that really is
17 in play is okay, how do you apply it? And how
18 do you know you are applying it in a claimant-
19 favorable and plausible manner? And I think
20 we are yet to engage that issue.

21 MEMBER ZIEMER: John, this is
22 Ziemer. I agree with what you said because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is, in a sense, a generic document. And
2 you still have to show in a specific case that
3 the parameters in there are applicable in
4 terms of, you know, is there something about a
5 particular site, either in terms of process --
6 well, all of the things we talked about --
7 that would take it outside of those parameters
8 or that somehow it wouldn't apply.

9 So I think in principle, we still
10 need the surrogate data criteria if you want
11 to say yes, we're using TBD-6000. But do we
12 still have a facility that matches up?

13 DR. MAURO: Yes, in fact, more
14 than ever.

15 MEMBER ZIEMER: It's got be done
16 on a case-by-case basis. You always have to
17 make the case that it applies.

18 DR. MAURO: Yes. I would argue
19 that the surrogate data criteria that
20 eventually emerge from the process we're in is
21 going to be extremely helpful when we are
22 confronted with the use of TBD-6000.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Exactly.

2 DR. MAURO: Yes.

3 CHAIRMAN MELIUS: But I would just
4 add that I think that is the plausibility
5 issue that we wrestle with the most is that
6 balance between -- so sufficient accuracy on
7 one hand, claimant friendliness on the other,
8 and then are what we're doing, you know, is it
9 plausible? And I think it is where we need to
10 have some -- or at least attempt to develop
11 some criteria as to how to address that.

12 Any other comments?

13 (No response.)

14 CHAIRMAN MELIUS: I will -- today
15 is Monday -- try to circulate something by the
16 end of next week at the latest so that there
17 is time for input from the Work Group.

18 MEMBER ZIEMER: Okay. So you want
19 something this week probably?

20 CHAIRMAN MELIUS: Yes, this week,
21 yes. Or early next week.

22 MEMBER ZIEMER: Good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LOCKEY: Paul, are you
2 going to send something out as a straw man?
3 Is that what you are going to do?

4 CHAIRMAN MELIUS: Yes. So if
5 people could get any comments to me by say
6 Tuesday of next week, then I'll circulate
7 something by Friday.

8 MEMBER ZIEMER: Okay. I'm just
9 going to send my stuff to you, Dr. Melius.

10 CHAIRMAN MELIUS: Okay, yes.

11 MEMBER ZIEMER: Okay.

12 CHAIRMAN MELIUS: Great. Any
13 other comments? Ted, do you have anything?

14 MR. KATZ: No, I don't. Thank
15 you.

16 CHAIRMAN MELIUS: Okay. Good.
17 Take care everybody.

18 (Whereupon, the above-entitled
19 matter went off the record at 2:35 p.m.)

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com