

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON SEC ISSUES

+ + + + +

TUESDAY
MAY 11, 2010

+ + + + +

The Work Group convened in the Zurich Room of the Cincinnati Airport Marriott Hotel, 2395 Progress Drive, Hebron, Kentucky at 10:00 a.m., James M. Melius, Chairman, presiding.

PRESENT:

JAMES M. MELIUS, Chairman
JOSIE BEACH, Member
MARK GRIFFON, Member
GENEVIEVE S. ROESSLER, Member
PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
NANCY ADAMS, NIOSH Contractor*
ISAF AL-NABULSI, DOE*
LYNN ANSPAUGH, SC&A*
HANS BEHLING, SC&A
SAMUEL GLOVER, DCAS
EMILY HOWELL, HHS
JEFF KOTSCH, DOL*
JENNY LIN, HHS*
ARJUN MAKHIJANI, SC&A
JOHN MAURO, SC&A
DAN McKEEL, Petitioner*
JAMES NETON, DCAS
LaVON RUTHERFORD, DCAS

*Participating via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

C-O-N-T-E-N-T-S

Description	Page
Roll Call	4
Dow Madison SEC Update	7
Comments by Dr. McKeel	14
250 Day SEC Discussion	19
Presentation by Arjun Makhijani	20
Met Lab Discussion	117
Adjourn	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 10:00 a.m.

3 MR. KATZ: Welcome, everyone in
4 the room and on the line, to the Advisory
5 Board on Radiation and Worker Health, SEC
6 Issues Work Group, and we'll begin with roll
7 call. We will begin with Board members in the
8 room. Chair?

9 CHAIRMAN MELIUS: Jim Melius,
10 Chair.

11 MR. KATZ: And also since we are
12 discussing Dow if anyone has, everyone please
13 state your situation with respect to conflict
14 of interest with Dow.

15 CHAIRMAN MELIUS: I have no
16 conflict of interest with Dow.

17 MEMBER BEACH: Josie Beach, no
18 conflict of interest with Dow.

19 MEMBER ROESSLER: Gen Roessler,
20 Board member, no conflict with Dow.

21 MEMBER ZIEMER: Paul Ziemer, no
22 conflict with Dow.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER GRIFFON: And Mark Griffon,
2 no conflict.

3 MR. KATZ: Okay and are there any
4 Board members on the line?

5 (No response.)

6 MR. KATZ: Okay. NIOSH-ORAU Team
7 in the room?

8 DR. NETON: Jim Neton, no conflict
9 with Dow.

10 DR. GLOVER: Sam Glover, no
11 conflict with Dow.

12 MR. RUTHERFORD: LaVon Rutherford,
13 no conflict with Dow.

14 MR. KATZ: NIOSH-ORAU Team on the
15 line? Okay. SC&A team in the room?

16 DR. MAURO: John Mauro, SC&A, no
17 conflict with Dow.

18 DR. MAKHIJANI: Arjun Makhijani,
19 SC&A, no conflict with Dow.

20 MR. KATZ: SC&A team on the line?

21 DR. ANSPAUGH: Lynn Anspaugh, no
22 conflict with Dow.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Welcome, Lynn.

2 DR. ANSPAUGH: Thank you.

3 MR. KATZ: Okay and then other HHS
4 or federal employees or contractors for the
5 feds in the room?

6 MS. HOWELL: Emily Howell, HHS.

7 MR. KATZ: And on the line?

8 MS. LIN: Jenny Lin, HHS.

9 MS. ADAMS: Nancy Adams, NIOSH
10 contractor.

11 MR. KOTSCH: Jeff Kotsch with
12 Labor.

13 MR. KATZ: Welcome, Jeff.

14 DR. AL-NABULSI: Isaf Al-Nabulsi,
15 DOE.

16 MR. KATZ: Welcome, Isaf. Okay.
17 And then any members of the public. There are
18 none in the room. Any members of the public
19 on the line who want to self identify?

20 DR. McKEEL: Yes, this is Dan
21 McKeel. I am the co-petitioner on SEC-00079
22 for Dow.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Welcome, Dan.

2 DR. McKEEL: Thank you.

3 MR. KATZ: Very well. Then
4 please, folks on the line, mute your phones,
5 *6 if you don't have a mute button, *6 to
6 bring it off of mute. And, Dr. Melius, it is
7 yours.

8 CHAIRMAN MELIUS: Okay. We have
9 two items on our agenda for today. First sort
10 of a brief update on the Dow Madison SEC, and
11 then we will spend most of the time talking
12 about the SEC evaluation issue, the so-called
13 250 day issue, which is really the less-than-
14 250 day issue, I guess, would be a better
15 descriptor of it.

16 On Dow there are, since our last
17 discussion of this, there have been, SC&A has
18 sent out two draft reports on this. I'm not
19 sure where they exactly are in terms of
20 clearance. One was their SEC findings on
21 Appendix C of the TBD-6000. I don't know if
22 that's on the agenda -- your 6000 Work Group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is meeting tomorrow, Paul?

2 MEMBER ZIEMER: We haven't been
3 doing Appendix C since that's Dow Madison.

4 CHAIRMAN MELIUS: Okay, okay.

5 MEMBER ZIEMER: Right.

6 CHAIRMAN MELIUS: But the overall
7 issue?

8 MEMBER ZIEMER: Is it a TBD-6000
9 or is it a Dow Madison issue?

10 DR. MAURO: Apparently there is an
11 Appendix now that updates some of the
12 information, basically updates the information
13 we had before. So we took a look at the
14 Appendix.

15 MEMBER ZIEMER: That is Appendix
16 C.

17 DR. MAURO: Right, but right now
18 the only thing we have is the general 6000 and
19 GSI, not any of the other specific appendices.
20 That was my understanding.

21 MEMBER ZIEMER: Right, the 6000
22 and the GSI we will be covering tomorrow, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not Appendix C.

2 DR. MAKHIJANI: I think we did
3 Appendix D, Electro Met.

4 DR. MAURO: Oh, no, we have a
5 number -- yes -- Electro Met is 6001. But we
6 have a number of appendices that we've done
7 that are all being sorted out between 6000 and
8 6001, but we haven't engaged them yet.

9 CHAIRMAN MELIUS: And then the
10 second report is entitled Evolution of Dose
11 Reconstruction Approach at Dow Madison and Use
12 of Surrogate Data. I don't know if the entire
13 -- this Work Group got that or it might have
14 just gone to the Surrogate Work Group

15 DR. MAURO: Probably just the
16 Surrogate.

17 CHAIRMAN MELIUS: So we'll get it
18 circulated to this Work Group also. I think
19 it makes sense to try and sort of consolidate
20 specific issues on DOW into this Work Group
21 rather than having what would in effect be
22 three Work Groups dealing with it. There's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 obviously the need for some consistency on
2 that.

3 And then we are not going to try
4 to discuss those, but these reports were both
5 done in response. I think Dr. McKeel brought
6 up some issues, and we just need to make sure
7 we had a good inventory of what were the
8 issues related to Dow Madison. There are a
9 lot of different sort of small issues related
10 to both surrogate data as well as to the TBD-
11 6000. So we've got these short reports that
12 address that.

13 The third issue related to Dow
14 Madison is the possibility of some new data on
15 that, and I don't know if Ted or LaVon, who
16 knows that?

17 MR. RUTHERFORD: We -- this is
18 LaVon. I can say that we did identify.
19 Actually recently there is an index of sites
20 that have classified documentation that we are
21 working to go look at that. Dow is indicated
22 on that, but it is not specific whether that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is Dow Madison or Dow Bay City or Dow -- what
2 Dow facility it is. We are, as I indicated,
3 we do intend to go look at the documents, and
4 we probably will not be able to look at those
5 documents until some time in early June. We
6 are going out this week to look at some stuff,
7 some documents associated with Chapman Valve
8 but we don't feel we will have time to go
9 through all the documents. There is roughly,
10 I can't remember --

11 DR. NETON: Forty-five boxes.

12 MR. RUTHERFORD: Forty-five boxes
13 of documents to look through. Not all
14 associated with one facility. There are
15 roughly 65, I believe, facilities that are
16 involved in those boxes.

17 CHAIRMAN MELIUS: And so we would
18 have an update at least on the content of the
19 Dow information there roughly mid-June?

20 MR. RUTHERFORD: Yes.

21 CHAIRMAN MELIUS: So there may
22 still be classification issues and so forth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with those?

2 MR. RUTHERFORD: Yes.

3 CHAIRMAN MELIUS: I think we are
4 going to have to wait and see what's found and
5 have a sense if that's relevant to this
6 particular SEC issue or other issues, I guess,
7 related to Dow Madison. So I think we would
8 do is postpone any sort of action or
9 consideration on Dow. I -- add one other
10 document this morning. I don't know if
11 everybody has seen it, but Dr. McKeel did
12 email this morning a document that raises --
13 sort of summarizes a number of questions and
14 issues that he and the petitioners have
15 relative to the Dow Madison SEC. I'll admit I
16 am aware of the document. I have not had a
17 chance to read it yet. But I believe it was
18 circulated this morning. Did other people get
19 anything?

20 MEMBER ZIEMER: I haven't seen it.

21 CHAIRMAN MELIUS: Okay.

22 MEMBER ROESSLER: It came through.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Okay.

2 MEMBER ROESSLER: About 9:00 I
3 think.

4 CHAIRMAN MELIUS: Okay. Those
5 that didn't get it, we'll make sure that --
6 which it may have been which email it went to
7 also. I don't know. So we will do that, and I
8 think we just wait and see what happens with
9 this new information and the timing and so
10 forth on that. We don't know if it is
11 relevant or not. Paul?

12 MEMBER ZIEMER: Could you quickly
13 summarize the nature of Dr. McKeel's items, or
14 you don't have them?

15 CHAIRMAN MELIUS: I was -- I don't
16 have the -- I haven't read -- opened up that
17 part of the email. The part of the email that
18 I opened was just his sort of cover email.

19 MEMBER ZIEMER: Oh, you haven't
20 seen the document.

21 CHAIRMAN MELIUS: I didn't have a
22 chance to look at the actual document.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER BEACH: I have a copy of it
2 here.

3 MR. KATZ: I can forward it. It
4 went to -- addresses.

5 MEMBER ZIEMER: No, no.

6 MR. KATZ: Okay.

7 MEMBER ZIEMER: Without having to
8 -- I am really asking you if there are some
9 new issues that Dr. McKeel has raised or maybe
10 you would permit him to speak.

11 CHAIRMAN MELIUS: I was going to
12 permit him and -- right now was permitting the
13 Work Group members to say something first.
14 But, Dr. McKeel, do you have any comments or
15 questions?

16 DR. McKEEL: Yes, thank you. Good
17 morning to everybody. The email I sent
18 everyone in the Work Group this morning and
19 asked Ted to distribute to the Board. I also
20 sent to SC&A, to John Mauro, and I sent it to
21 Stuart Hinnefeld and to Dr. Neton. And in it
22 what I attempted to do was to take each of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 major technical reports that had been
2 generated by both NIOSH and SC&A on Dow
3 Madison and summarize my comments, including
4 the two White Papers, draft White Papers that
5 SC&A distributed in March of this year, which
6 I have.

7 I would say I know you all have
8 other business this morning, but basically I
9 have many issues that I think still need to be
10 resolved. I think that the Appendix C review
11 is certainly -- the SC&A review does not
12 include the points that I feel are very
13 important and haven't been addressed. I point
14 out for example that it's been said that there
15 were two campaigns to do experimental gamma
16 phase extrusion at Dow for Mallinckrodt, when
17 in fact there is a document that I've
18 retrieved called MCW 1416 which is an AEC
19 technical report prepared by the folks at
20 Weldon Spring where they detailed nine
21 campaigns that were carried out. And there's
22 a lot more information in there. Some of it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 relates specifically to dose reconstruction,
2 the issue about extrusion presses not having
3 vacuum hoods, for example, could affect the
4 amount of dust generated and that accumulated
5 during the residual period and undoubtedly
6 did. That hasn't been taken into
7 consideration.

8 I show in that report that there
9 are references to non-destructive testing work
10 at Dow and mention an old finding that there
11 was a Kelley-Koett, that's K-E-L-L-Y K-O-E-T-
12 T, betatron at Dow that was, we don't know
13 when it was used. It was probably used during
14 the operational period so it wouldn't affect
15 the residual period.

16 But anyway there are many issues
17 about the residual period that I think are
18 important. I would simply ask you all to
19 please read and consider that information. I
20 would point out that the -- Dr. Melius' motion
21 to look into an extension of the Dow SEC to
22 cover the residual period took place in May of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 2007. And you know, we are now in April of
2 2010, and there has still not been a
3 recommendation from the Work Group to the full
4 Board about whether or not NIOSH's claim that
5 it can do dose reconstruction is valid or not.

6 I think that Dr. Mauro circulated
7 from the one about the extent of the use of
8 surrogate data is extremely important. A main
9 piece of data that's being used for the
10 residual period there is based on two weeks
11 worth of film badge data from the Bay City,
12 Michigan Dow plant. And I personally don't
13 think that two weeks of film badge data from
14 another center could possibly be said to be
15 representative for the Dow Madison plant. I
16 remind everybody, again, there's absolutely no
17 direct film badge data for Dow Madison, nor is
18 there even a good indication there was an
19 active film badge program there.

20 So anyway, that's the comments
21 that I would like to have. I spent quite a
22 bit of time on that document and it does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 represent my point of view and I wish and hope
2 that you all will consider it and take that
3 into consideration when you are making a
4 decision about the SEC. I appreciate the
5 opportunity to address you this morning.
6 Thank you.

7 CHAIRMAN MELIUS: Thank you, Dr.
8 McKeel. I think our plan would be June NIOSH
9 looks at the new box, and hopefully we have
10 information by July. And I think we have to
11 consider do we do a Work Group meeting
12 focusing, try to resolve these issues with Dow
13 Madison -- around July, sometime in July and
14 then try and put it on the agenda for the
15 August Board meeting. Although I think all
16 that will depend on what happens, what is
17 found with the boxes and some of the
18 classification or declassification issues that
19 could arise from that.

20 We'll also do our best to keep you
21 informed, Dr. McKeel, on what happens with
22 that. On the surrogate data issue, we have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 meeting of the Surrogate Data Work Group on
2 Thursday, a conference call, and a Board
3 meeting next week, yes, next week coming up,
4 and we'll hopefully be finalizing surrogate
5 data criteria with the Board at that meeting
6 next week and, I think, may be able to address
7 the other issue that you raised, Dr. McKeel,
8 also. Let's see, I think this issue with the
9 information in the box, I think, is the one
10 that's making us hesitate a little bit in
11 terms of how to move forward on this until we
12 see what's there.

13 Any other Work Group members have
14 comments? Okay.

15 We'll move to the next item on the
16 agenda which is the issue of the less-than-250
17 day SEC. We've been working on this issue for
18 a long time. I think it started with looking
19 at the Nevada Test Site and Ames, and we've
20 thought about different approaches -- and,
21 boy, that was quick. For the record, LaVon
22 just left -- Mr. Rutherford just left. And we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 struggled with it. We tried different
2 approaches. We've been back and forth with
3 NIOSH. And I think at least some members of
4 the Work Group believe that we need to address
5 in some way in order to be fair and equitable
6 for people to program but it's not people
7 making claims who have worked for short
8 periods of time and had high exposures. But
9 it's not an easy issue to address that. I
10 thought to help start our discussions today, I
11 asked Arjun to sort of give some thought and
12 make a brief presentation of where we are and
13 where we might go with this issue at least to
14 get us started, and then we'll go from there.

15 Arjun?

16 DR. MAKHIJANI: Jim and I had a
17 phone conversation about this two weeks ago
18 and discussed some ideas as to where we were
19 and what might move us forward. What I tried
20 to do was just to capture that idea and see if
21 the Work Group wanted to go in that direction
22 or not and we could prepare a report for you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on that.

2 So basically, you know, the way
3 the rule is written for incidents is there are
4 four criteria for somebody to qualify that has
5 less than 250 days of employment.
6 Exceptionally high exposures is an example
7 given -- criticality accidents or incidents,
8 similarly high levels of exposure to
9 criticality incidents and a failure of
10 radiation protection controls. And we've
11 discussed these criteria with respect to
12 external dose, and SC&A prepared a study on
13 that including cataloguing all the criticality
14 accidents and the doses that are being
15 estimated associated with that.

16 And there is also -- there have
17 been several reports, but there was also a
18 report on how this might apply to internal
19 dose, and SC&A prepared a report on blowouts
20 in Ames showing that there were quite high
21 internal exposures, quite high intakes with
22 the dose playing out over a long period of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 time, but the intakes happening over a short
2 period of time.

3 Just to sort of recap some of the
4 discussions, and, people, do please correct me
5 if I'm not representing the discussions
6 properly. They have been complex, but the
7 criticality report turned out to make the
8 discussion more difficult rather than
9 illuminate it because doses during
10 criticalities have ranged from well below one
11 rem into the thousands of rems.

12 And so there has been quite an
13 extended discussion of what it means to say
14 exceptionally high exposures. And in relation
15 to cancer Jim Neton had said that you can
16 compensate people of less than one rem, but it
17 seems from a technical point of view that less
18 than one rem wouldn't qualify for an
19 exceptionally high exposure. We discussed the
20 annual dose limit, five rem, ten rem, white
21 blood cell changes, you know, thresholds for
22 somatic changes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We've discussed a number of
2 different levels from below one rem to about
3 ten rem or well above ten rem, I think. And
4 from a technical point of view, I think the
5 general feeling had been if you are a few rem
6 or below it's not exceptionally high exposures
7 the way the health physicist might see it.
8 For internal, and that's where, so far as I
9 recall, we left that discussion the last time
10 we took up the external dose issue.

11 For internal dose issues, the main
12 issue had been how do you relate doses that
13 were delivered to the person over a very long
14 period of time because they are committed
15 doses even though the intake would have been
16 during an incident or several incidents. And
17 what Jim asked me to do was to see if there
18 were ways to think about this where we could
19 try to make this, whether there were other
20 approaches than thinking of dose thresholds in
21 thinking about this problem, and one thing
22 that I had discussed with Jim was whether the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 rule for incidents could somehow be related to
2 the threshold of 250 days of employment.

3 So I reviewed some of that
4 background, and Jim also asked me to go back
5 to the Advisory Board discussion of the draft
6 rule and see what the Board had said during
7 that time. So I did that, or we did that.
8 SC&A people actually compiled some of that
9 information. And so in reviewing that
10 information, you know, 250 days clearly
11 derives from the law that has the three
12 gaseous diffusion plants and that basically
13 says if you were badged or had a job like
14 people who were badged and had 250 days,
15 you're in, and the way I read the transcripts
16 and the presentation of the rule, that seemed
17 to be the motivation. Ted, you were the one
18 who did it, so correct me if I'm wrong. But
19 so it didn't have a dose threshold. It had a
20 present threshold, and that has a clear
21 correspondence in the incidents rule. If you
22 are present during an incident, and then are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those other criteria, exceptionally high
2 exposures and so on.

3 But the presence thing didn't seem
4 to be an issue because one thing requires
5 presence for 250 days and the other thing
6 requires -- so since there's no dose criterion
7 for 250 days, it seemed that it might be
8 worthwhile exploring non-dose criteria for
9 incidents. Within the law the most immediate
10 thing that is available of course is the
11 Amchitka SEC.

12 We've discussed this before
13 briefly during Work Group meetings. I think I
14 went back and looked at that record or at
15 least looked at something in relation to
16 Amchitka. The highest recorded external dose
17 -- I didn't review the source documents, I
18 have to say. I just looked at our previous
19 reports. For Amchitka which required only
20 presence and didn't have a time threshold,
21 presence at one of three tests, Long Shot,
22 Milrow and Cannikin. Is that how it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pronounced? Cannikin. And the highest
2 reported external dose according to the
3 literature that we've reviewed before is 265
4 millirems. So quite low in the sub-rem range.

5 Of course these were planned criticalities
6 not criticality incidents.

7 Some of the discussion around
8 including Amchitka was that some of the
9 legislators felt that the doses were not fully
10 recorded so that doses were higher than those
11 recorded. And so there's a question of
12 uncertainty around doses and whether they
13 could be reconstructed. So as far as I recall
14 that's not in the law itself. There's no dose
15 threshold in the law. It just says if you
16 were there during one of these three tests.

17 Now, so the -- if there's a non-
18 dose criterion, you could decide that you are
19 going to go in that direction, presence during
20 an incident, and then the question is what is
21 a serious incident and how do you reconcile it
22 with the health physics notion of what is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exceptionally high exposure? There is clearly
2 a conflict between looking at Amchitka and
3 what the exposures were then and saying, okay
4 they were on the order of one rem or on the
5 order of the kind of dose that might be in the
6 lowest dose case to be a compensable cancer
7 but not be considered exceptionally high dose
8 in the manner that the Working Group has
9 discussed before, 10 rem, 25 rem, and so on.
10 Clearly not an exceptionally high dose in the
11 regard. But still be an event that is of very
12 short duration.

13 So I looked at DOE guides for
14 incidents to see how else presence at an
15 incident might be considered significant, and
16 there are a number of them. There's a DOE
17 standard on internal dosimetry that has quite
18 an extensive commentary on what is a
19 significant intake including non-dose
20 threshold criteria. Significant intakes
21 usually occur as a result of accidents, and
22 prompt response is needed. So some of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 decisions around what significant are whether
2 prompt response is needed like medical
3 attention. Diuresis if you have tritium
4 intake and so on, so there is a fair amount.
5 I won't detain you in the quite extensive
6 literature there is from -- and there are
7 examples of the kind of incidents that lead to
8 medical response that have radiation
9 associated with them and so on.

10 There is also a DOE guide
11 regarding what is an incident-related
12 significant exposure for workers, which is, I
13 haven't studied that document. I just got the
14 URL for it from Joe last night, which is 500
15 millirems. And then there is the EPA
16 protective action guide that John pointed me
17 to for the public which is when do you think
18 about evacuation of the public, and that would
19 be on the order of one rem.

20 For internal dose, you know, you
21 could use a criterion like were the conditions
22 such as to -- I mean there is no way to avoid

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reference to some kind of dose issue because
2 you've got exceptionally high dose in the
3 rule. So there is going to be, within the
4 rule, there is some, there's got to be some
5 point of reference to significance of dose but
6 it could be something like likely or possible
7 the person got more than the annual limit of
8 intake during one or more than one incident.
9 That would make incident comparable in the
10 internal and external.

11 I looked at the Board discussion
12 in this regard. I don't think, at least I
13 couldn't find any Board discussion that
14 discussed internal compared to external dose.

15 But there is a fairly lengthy interchange
16 between Dr. Melius and Dr. Ziemer actually in
17 one of the discussions where it seemed that
18 presence during the incident and not the --
19 the duration of the incident and not the
20 duration of the dose seemed to be what you all
21 were discussing. But there is no explicit
22 reference to internal versus external. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 could not find any explicit language that
2 would kind of help resolve the issue.

3 So these are some ideas for
4 staying with the previous path that we have
5 had, summarizing the previous path. And maybe
6 another alternative approach might be to try
7 to make presence and exceptionally high doses
8 relate to 250 days and how incidents are
9 handled. And I don't think you can easily
10 reconcile the health physics idea of
11 exceptionally high dose with some of these
12 other ideas as to what might constitute a
13 significant incident. They are not the same
14 kinds of numbers.

15 DR. MAURO: I want to just add one
16 thing that I found interesting. When the
17 protective action guides were developed by
18 EPA, I remember the number being one to five
19 rem and that's when you evacuate. In other
20 words, if you anticipate a release that could
21 cause one to five rem, you evacuate or take
22 some other action like shelter. But I didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 remember, but it turns out the one to five rem
2 includes internal. In other words it's the
3 dose you would get from external radiation
4 from a passing plume but also from what you
5 might inhale. There is a place where they
6 considered -- they talked about one to five
7 rem but it is effective whole body dose, and
8 it includes both what you would get from the
9 external from the passing plume plus what you
10 might inhale as the plume passes.

11 DR. MAKHIJANI: So I have no
12 recommendation or resolution to give you, just
13 a new dilemma.

14 MEMBER ZIEMER: Well I have a lot
15 of different comments, and I don't have any
16 solutions. But I think if we start getting
17 into population criteria of the type you
18 suggest which are based on integrated dose
19 over population and projections of cancer
20 incidence based on collective dose and have --
21 I -- in my mind very little application
22 because you look at the population a very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 different way to start with as compared to a
2 Working Group. And so to me that's a
3 difficult one. I would think it would be
4 preferable to stay with the sorts of things
5 Arjun is talking about. What happens in other
6 workplace situations?

7 We've gone round and round on this
8 because one of the issues is to define what
9 those incidents are, those high-dose
10 incidents, you end up bounding it. And if you
11 can do that, then the 250 days doesn't matter.
12 If a person was there for a week and you can
13 show that a blowout occurred some time, even
14 if you don't know if they were in the blowout.

15 For example, if you went to Ames and the
16 person said you know during that half year I
17 worked there, there were probably ten
18 blowouts, we can bound the dose and do a dose
19 reconstruction. That -- the very nature of
20 the thing otherwise is we can't bound the dose
21 and therefore we go to the SEC type situation.

22 I think we have all agreed in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 past the 250 days really is arbitrary. You
2 get to the argument, well, if 250 days is
3 good, what about 249 days, is that very
4 different, and so on. And it's just a
5 demarcation. So I think it's very difficult
6 to -- because we can adjust for work times
7 like we did in the Pacific island cases. If
8 they are there 24/7, that adjustment can be
9 made. So it's not 250 calendar days. It is
10 250-workday equivalence. So those things can
11 be handled. I sort of intuitively would like
12 to feel like, at a place like Ames, if the
13 blowouts were occurring and someone's there,
14 you include them. But -- I know you can bound
15 that.

16 DR. MAKHIJANI: You don't know how
17 many blowouts there are.

18 MEMBER ZIEMER: Well, you don't
19 but I think that's like other things. You can
20 bound the number of blowouts, probably.

21 DR. GLOVER: They had six in one
22 day once.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ZIEMER: There you go.

2 DR. GLOVER: But then are you
3 going to assume six every day? I don't think
4 so.

5 MEMBER ZIEMER: Well, no, but you
6 have enough information -- well I don't know.

7 CHAIRMAN MELIUS: No, no, Sam.
8 That was, I think, what we ran into with Ames
9 was because we bound with sufficient accuracy
10 given the uncertainties about when and then
11 would that even be a practical Class --
12 practical for NIOSH to do or, you know, if it
13 was based on the number of blowouts you were
14 present at, could you administer a Class
15 Definition? It's hard.

16 MEMBER ZIEMER: Well, I agree
17 it's hard. I'm saying, for example, based on
18 whatever records you have and worker
19 testimony, if you could say, well, all right,
20 a reasonable estimate would be one blowout per
21 week or something. If a person worked there
22 for 30 weeks, you would say okay, they could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have been subject to 30 blowouts and so on.
2 But if you do that, you are able to -- we
3 could bound the blowout doses, too, so.

4 CHAIRMAN MELIUS: I don't think we
5 ever reached the point where we felt we could
6 bound them? Is that correct, Jim?

7 DR. NETON: That's right.

8 CHAIRMAN MELIUS: Yes, that was --
9 we -- at one point that was our approach. We
10 had a Work Group meeting. We talked, and I
11 think SC&A had done some calculations or
12 something.

13 DR. MAURO: Yes.

14 CHAIRMAN MELIUS: And then Jim
15 went back and tried to do it, and the
16 conclusion was that it wasn't going to be --

17 MEMBER ZIEMER: Oh, you couldn't
18 bound them. Is that what --

19 DR. NETON: It all came down to n,
20 the number of blowouts. I think it was
21 reasonably okay to --

22 CHAIRMAN MELIUS: To bound one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: -- bound one to know
2 what the conditions were but then to determine
3 the total --

4 MEMBER ZIEMER: Well, I'm sort of
5 saying can you arrive at a reasonable
6 estimate, you know, or not. If you can't, all
7 right.

8 DR. MAURO: I think it's important
9 to set the context of that particular
10 analysis. The whole intent was that whether
11 incidents that occurred at Ames that
12 theoretically could have -- be considered very
13 significant, and therefore perhaps we should
14 grant SEC status to less than 250 days. And
15 our only mandate, SC&A's work was why don't
16 you see what you can do to try to get an idea
17 of what kind of exposures there were. So Hans
18 did an analysis to the extent where he did the
19 best he could to say doses could have been
20 this high and the whole story is told there.

21 Now we are not saying that that is
22 an accurate characterization, but it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 certainly a plausible characterization of what
2 could have occurred following an event, and he
3 gave his reasons. In some of the cases some
4 of the assumptions could have been considered
5 conservative, perhaps not conservative enough.

6 So I wouldn't want to say that this is a
7 highly reliable estimation of what the dose
8 per blowout is. It probably is a pretty good
9 estimate of what it could be.

10 Now, and I think even Jim agreed
11 that that probably is a pretty good strategy
12 per blowout and the numbers we ended up coming
13 up with which are pretty high for the lungs,
14 for the bone. And I think we all agree that
15 those doses are high and maybe we can
16 reconstruct doses. But the problem we ran
17 into was, okay, you have a real worker now,
18 and let's say, well, we can construct his
19 dose. Well how many of those are we going to
20 assume he was exposed to? So if you are
21 saying you can't reconstruct it, you have no
22 choice but to say well how many did he get and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 get that dose and do his PC. And there's
2 where things sort of broke down.

3 So I think on two levels, the
4 experiment we had regarding looking at Ames
5 gave us some important information on what the
6 magnitude of exposures could be from a
7 blowout. But I wouldn't say that necessarily
8 it was a number that you really want to hang
9 your hat on as being a reasonable upper bound.

10 DR. H. BEHLING: John, can I make
11 a comment here?

12 DR. MAURO: Hans, I'm glad you are
13 here. Go ahead.

14 DR. H. BEHLING: That actually is
15 more of a real number than you might think
16 because it was really based on an empirical
17 data that involved a blowout at Fernald where
18 I used actual empirical data that involved a
19 blowout at Fernald and quantified that and
20 tailored it to the blowouts at Ames. So the
21 numbers for there are -- have a fairly high
22 level of credibility. And if you look at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 actual numbers that I generated on behalf of
2 several cancers, you could come to the
3 conclusion that a single blowout would more
4 than adequately suffice for compensation if
5 you were to do a PoC.

6 DR. MAURO: Would people agree
7 that if we have a site, let's just do Ames for
8 a second, just to keep -- we have a site where
9 we know there were blowouts, and we know that
10 any one blowout could have delivered doses to
11 some organs that certainly everyone would
12 agree is very high. But they are internal
13 dose and dose commitments. Would there be
14 agreement here that at Ames we should grant
15 everyone that was there, present at a time
16 when they could have experienced exposures to
17 blowouts, that they should be granted SEC
18 status? It becomes a real simple -- rather
19 than the big question, it becomes a simple
20 question. Just for Ames. Let's just look at
21 Ames. Everyone agrees that these blowouts
22 were nasty, and Hans' calculations show these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 doses were high. Hans, if I remember, we are
2 talking on the order of 100 rem?

3 DR. H. BEHLING: Yes, and in fact
4 if you look at table one on page nine of that
5 write up that goes back to June of 2007, that
6 Table 1 identifies a bone surface doses as
7 well as lung doses, and I graduated by the
8 integrated dose for the first year, five year,
9 ten year, and thirty year, and if you go all
10 the way to a thirty-year integrated dose for
11 bone, a single blowout would generate a dose
12 of 214 rem. For the lung, a thirty year dose
13 would generate a dose of 69.1 rem. So we are
14 talking about substantial doses from a single
15 blowout.

16 DR. MAURO: I bring this up
17 because all of sudden things become simple
18 now. You have a worker. You know he was at
19 Ames; it was likely he was at Ames at the time
20 of the blowout. But he is being denied
21 because we know he wasn't there for 250 days.
22 All right? And the question becomes, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this, really you have to ask yourself the
2 question. Do you think this person deserves
3 to be compensated?

4 MEMBER ZIEMER: But the reason
5 that we're tending to say yes is because we
6 know the magnitude of the dose.

7 DR. MAKHIJANI: From one blowout.

8 DR. MAURO: From one blowout.

9 MEMBER ZIEMER: But if you are
10 saying that all it takes is one, then maybe
11 that's all you need to assign. If that person
12 came back and said, okay, I wasn't there 250
13 days so, therefore, I want a dose
14 reconstruction. What would NIOSH do? Would
15 you say, well, he could have been exposed to
16 at least one blowout in his time there? Would
17 that be unreasonable? If you don't know when
18 the blowouts occurred, would you assign him
19 one?

20 DR. MAURO: What do you do with
21 that? I mean you reconstruct.

22 MEMBER ZIEMER: Is it unreasonable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to say that a person could have been exposed
2 to one blowout some time during his period?
3 Is that unreasonable?

4 DR. MAURO: No, that's reasonable,
5 but I don't think it means you can reconstruct
6 his dose.

7 MEMBER GRIFFON: Yes.

8 MEMBER BEACH: Or if he was
9 present on the day there were six.

10 DR. MAURO: But you see why do we
11 have to go there?

12 MEMBER ZIEMER: Well, I don't know
13 I'm just saying under the rule, under the SEC
14 rule we say we can't reconstruct dose, but
15 here we're saying we are going to give an SEC
16 because we know the size of the dose.

17 DR. MAURO: We know it was at
18 least this high. We know there is a very good
19 chance that this man may have experienced at
20 least this much of a dose commitment. That's
21 all we really could say, and possibly a lot
22 more. We don't know. And that alone is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enough to grant compensation. I mean, I could
2 see that line of thinking. And you never
3 really get quantitative. All we are saying
4 is, everyone agrees it was high because it was
5 in a realm where we all agree it was high.
6 Now if it turned out a blowout ended up being
7 one rem, would you say that is enough? Well,
8 then we have a problem. So you're almost
9 saying on a case by case basis, you have to
10 deal with it. Can you come up with a general
11 rule? I'm having trouble with a general.

12 CHAIRMAN MELIUS: Well, what if we
13 have like -- what we've talked about. We came
14 up with and we used this term when we were
15 talking about General Electric was sort of
16 probability of being present and therefore
17 exposed, and as a general approach say we have
18 some idea of what the number of -- probability
19 of being -- of a certain time period being
20 exposed to a blowout and therefore would use
21 that as a basis for looking at --

22 MEMBER ZIEMER: A probability

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 distribution of blowouts.

2 CHAIRMAN MELIUS: Yes, blowouts
3 but then also what, coming up with some time
4 frame. If you worked there for 30 days, you
5 had a reasonable -- some probability of being
6 exposed to a blowout.

7 MEMBER ZIEMER: X number of
8 blowouts.

9 CHAIRMAN MELIUS: Yes. We have to
10 have something that's workable in terms of
11 defining a Class. Now it could be like
12 Amchitka present at all though it was hard to
13 be present for an hour at Amchitka because
14 once you are there you are stuck on the
15 island, I think.

16 MEMBER ZIEMER: I'm guessing that
17 would be almost a rulemaking, wouldn't it?

18 MR. KATZ: Can I throw something
19 on the table just that are -- sort of resonate
20 with what John was saying related to
21 discussions we had way back when, which is the
22 whole idea again with the criticalities was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you know it when you see it. I mean, for the
2 people with extraordinary -- we weren't
3 talking about the people that happened to be
4 at an incident of criticality or what have you
5 but didn't incur terrible doses. We weren't
6 really -- that was not what was in mind. So
7 what John was saying here, I think, is very
8 resonate.

9 If it's an internal dose of the
10 magnitude where plainly on the face of it,
11 that's an enormous dose, I mean, that is the
12 same idea as what we were wrestling with in
13 terms of external dose. If there were a
14 debate about is that an extraordinary dose
15 then you already know you have a problem, and
16 that's probably not a dose that qualifies.
17 But, you know, anyway that was sort of part of
18 the discussion we were having back then that
19 we're trying to deal with situations where
20 plainly on the face of it, this person
21 incurred -- could have incurred quite an
22 incredible dose. And the other thing that I -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -

2 MEMBER ZIEMER: An incredible dose
3 to certain organs?

4 MR. KATZ: Yes, it may be, right.
5 That's not an issue.

6 MEMBER ZIEMER: Well, it is on an
7 SEC because you have a whole lot of organs
8 covered. I mean, Hans is giving us dose
9 figures for particular organs which are the
10 organs of interest for those nuclides. So I
11 think we have to be very careful to say that
12 it's a high dose automatically. There may be
13 some, if you can bound it, see. If you can't
14 bound it, that's a different thing. Then you
15 have to say any of the organs could have high
16 dose.

17 DR. MAURO: You see, if we can't
18 come to agreement on Ames about what is the
19 right thing to do here, where I consider this
20 to be like a flagship problem, I mean, classic
21 problem. If we can't come to agreement there,
22 we are going to have an even harder time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 coming to agreement on many other sites. So
2 it is almost as if -- it is almost like the
3 easy one to solve. And whatever areas we find
4 that we can agree about regarding Ames and
5 perhaps coming to a decision, that becomes a
6 stepping stone to allow us to move on to the
7 next, more difficult one which is not as easy
8 to decide because I know for one, I'll say it
9 out loud. In my mind, Ames is cut and dry.

10 If you were there at a time when
11 those blowouts occurred, you experienced
12 extraordinary exposures. I realize it's not
13 comparable to a criticality because it's
14 internal. But I've got to tell you, I feel as
15 if a person was there and one of those things
16 occurred, you've got to pay the guy. I'm
17 making life feel simple. And it is easy for
18 me on that one. Now I can't say I could come
19 that quickly to others, things that may have
20 occurred at Nevada Test Site or other
21 facilities. But Ames, if we can't do Ames, I
22 say we can't do any of them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ROESSLER: So how are you
2 basing -- you're clear on your decision about
3 Ames. What is the criteria that you are using
4 to come up with that?

5 DR. MAURO: When I hear that an
6 extraordinary event that blew a door off
7 released the quantities of airborne uranium to
8 a point where you couldn't even see, people
9 inhaled enough radioactivity where they were
10 delivered a committed dose, lifetime committed
11 dose to the bone, to the lung over 100 rem.
12 Even in the one year, Hans, what are some of
13 the numbers for one year?

14 DR. H. BEHLING: For the one-year
15 the bone surface according to my calculation,
16 I think they were also verified by Jim Neton
17 so that these numbers are reasonably correct.

18 For the one-year integrated dose for the bone
19 is 12.7 rem. For the one-year lung it is 53.2
20 rem and that is for the thorium blowout. They
21 are quite different between thorium and
22 uranium. But even a one-year dose would have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a substantial dose. As I said 12.7 for the
2 bone surface and 53 rem to the lung.

3 DR. MAURO: And that's one
4 blowout, one year.

5 DR. H. BEHLING: Yes.

6 DR. MAURO: So, we have to
7 realize that we are health physics scientists
8 and we see the world the way we see the world.
9 When I hear that I say pay the guy. Under my
10 understanding of SEC.

11 MEMBER ROESSLER: It's a dose-
12 based thing.

13 DR. MAURO: It is the magnitude
14 of the dose.

15 MEMBER ROESSLER: Magnitude.

16 DR. MAURO: The insult. The
17 magnitude of the insult.

18 MEMBER ROESSLER: So we can't
19 really -- we can't get away from using what is
20 a large dose?

21 DR. MAURO: Well, that's the --
22 you know it when you see it. I saw one --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: I'll point this out
2 in very general terms. We've added a number
3 of SECs because we can't bound dose. Ames is
4 one of them. I can guarantee you for any site
5 that handled, that we can't bound dose, things
6 like plutonium, enriched uranium you can come
7 up with doses, maybe not as high as a blowout,
8 but you are going to come up with doses that
9 clearly would show or demonstrate very easily
10 that you have endangered health if you are
11 doing PoC calculation. No doubt. Then that
12 puts you in the very difficult situation of
13 how do you parse that down from 250 to
14 whatever scenario you want to identify as the
15 time period and it would have to go to
16 presence anyways.

17 MR. KATZ: You can't parse it
18 down on a time period.

19 DR. NETON: What I'm saying,
20 though, is you have in that 250 day, I can
21 guarantee you that you come up with doses that
22 are, well much less 250 day will give you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 doses much higher than what it would take to
2 be over 50 percent on a PoC calculation. So
3 you kind of got this balancing act then.

4 MS. HOWELL: Can I ask a clarifying
5 question, a non-scientist? Is the reason that
6 you understand what magnitude Ames was because
7 blowouts have an objective magnitude or you
8 just know enough about Ames to know what the
9 magnitude of the blowouts there would be?

10 MEMBER ZIEMER: Well I think that
11 is site-specific for Ames knowing the source-
12 terms. Was it not? Hans can you clarify?

13 DR. H. BEHLING: Actually the
14 numbers that are used to derive those dose
15 estimates were actually numbers that involved
16 a specific blowout that occurred at the
17 Fernald facility. However, I tailored it in
18 proportion to the quantities that were used in
19 the actual reduction process. So with a
20 combination of empirical data that involved a
21 single event that was well documented for
22 Fernald but then I tailored that document --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those documented values to quantities of
2 material used for thorium as well as uranium
3 material that were reduced at the Ames
4 facility.

5 MEMBER ZIEMER: You really
6 complicated it now, sir. Just joking.

7 DR. MAURO: You see magnitude --

8 MEMBER ZIEMER: I'm okay with
9 that part of it. I think, in a sense, it is
10 site-specific. I mean a blowout somewhere else
11 would have to be, you wouldn't say blow outs
12 per se --

13 DR. MAURO: I agree. You see one
14 of the things we are doing to ourselves and
15 maybe it's not fair. When we are looking at
16 Ames we are almost afraid to talk about it
17 because we are afraid of where it may lead us
18 when we go someplace else. So it's not -- to
19 me let's come to agreement on Ames.

20 You would like to be able to use
21 that as a stepping stone. So listen, if we
22 all agree on Ames, the reason we agree with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it. The question then becomes when we move on
2 to the next one, the same sensibility that we
3 all collectively developed on Ames, if we do
4 have that same sensibility. I'm not sure if
5 we do. How is that going to serve us on the
6 next one? So it almost becomes a case-by-case
7 basis and these general rules that we are
8 looking for will emerge from that process.

9 CHAIRMAN MELIUS: Or they may
10 not.

11 DR. MAURO: They may not.

12 CHAIRMAN MELIUS: If there was an
13 easy general rule I think we would have found
14 it by now. We've struggled with Ames. We've
15 struggled with all, at one point I think with
16 Nevada Test Site we are thinking well maybe
17 it's an individual, until NIOSH does the dose
18 reconstruction and goes to a detailed
19 evaluation of a person, we wouldn't be able to
20 make a determination about an incident that
21 they might have been exposed at which is a
22 very different approach. And then we weren't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sure that was practical and got away from it.

2 I would also add though, I think
3 it would obviously make a difference to have
4 to change the regulation. The 250 day versus
5 incident is not based on the law per se. It
6 is based on what regulation was written. So
7 it was nothing, I mean, we thought it was 60
8 days or something else. There is a basis for
9 it but it doesn't mean that couldn't be put in
10 place if that was appropriately justified. It
11 would obviously be cumbersome and not an easy
12 thing to do. But I don't think we should
13 necessarily totally dismiss that sort of
14 thought simply because we are tied to the
15 present regulation.

16 MEMBER ZIEMER: And I don't think
17 it makes any difference if you change the
18 number. You could change it to 200 days or
19 100 days. There is always going to be someone
20 below the line. So the problem still emerges.

21 CHAIRMAN MELIUS: It is the basis
22 for how you make this determination.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ZIEMER: And also I think
2 the only reason we are using the 250 days was
3 sort of the precedent on the other sites. And
4 we can't compare them too well. Even Amchitka
5 is 265 millirem. The implication though was
6 that we don't even really think that's a good
7 number. I think the congressional implication
8 was we can't hang our hat on that. In fact if
9 we were reconstructing doses there we wouldn't
10 have ended up using that number because there
11 is missed dose. There is all the other issues
12 anyway.

13 DR. MAKHIJANI: There was some
14 reference to Dr. Bertell, Rosalie Bertell dose
15 reconstruction. We've discussed that before
16 too.

17 CHAIRMAN MELIUS: There was an
18 index --

19 DR. MAKHIJANI: I think maximum
20 estimate of 17 gram -- but this is from
21 memory. So I would have to go back and check
22 it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MELIUS: Can I
2 elaborate? The index case so to speak at
3 Amchitka was a worker with leukemia whose
4 records were withheld. First the claim wasn't
5 monitored and then they were withheld by DOE
6 for security reasons for many years. So it
7 went to the Supreme Court in Alaska over a
8 worker's compensation case and it was clear
9 once even the records were made available that
10 the monitoring, Bertell had done some sort of
11 a study estimate basically saying that
12 whatever that person was exposed to was orders
13 of magnitude higher than what was recorded for
14 them at that site. I think that was some of
15 the basis for the decision and in particular
16 they just weren't --

17 MEMBER ZIEMER: Yes, I'm just
18 saying I don't think we should assume that low
19 doses of --

20 CHAIRMAN MELIUS: No, no, that's
21 why I was --

22 MEMBER ZIEMER: The implication

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was the doses were higher than they recorded.

2 CHAIRMAN MELIUS: Yes.

3 MEMBER ZIEMER: But, right. I'm
4 in sympathy with what you are saying John.
5 I'm uncomfortable with the idea that we have
6 to in a sense reconstruct dose to get to that
7 point and I would sort of like your idea of a
8 probability distribution, Jim's idea. But I
9 don't know how you would put that into play in
10 terms of practicality. I mean it would make
11 sense if a person was there like 100 days.
12 You would say it's likely that they were
13 exposed to this many blowouts. But therefore
14 you would reconstruct dose based on that I
15 assume. Or do you just go the other way and
16 say you know, anyone working there less than
17 that probably was exposed to one or more
18 blowouts and therefore the doses were probably
19 high enough.

20 CHAIRMAN MELIUS: A known number
21 of blowouts I think is what makes the
22 uncertainty or the inability to do dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reconstruction.

2 MEMBER ZIEMER: If the number of
3 blowouts is great enough, that makes the dose
4 very uncertain then, too.

5 CHAIRMAN MELIUS: Right.

6 DR. GLOVER: There is some
7 language there about the discreteness of the
8 incidents, though. If the number of blowouts
9 is like a continual thing.

10 MEMBER ZIEMER: Well in my mind
11 the blowouts would be sort of if you want to
12 make the analogy like a series of criticality
13 accidents. They are discreet and here's a
14 blowout maybe three weeks later then another
15 one.

16 CHAIRMAN MELIUS: To my mind
17 those are discrete incidents. They are
18 obviously multiple but they are not routine.

19 DR. MAKHIJANI: Well I was assuming
20 incident by nature is discrete. I mean until
21 you all discussed it in the Board meeting
22 whether an incident would last an hour or a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 day or a few days. And you didn't actually
2 come to any resolution during the Board
3 discussion. I don't know whether there's
4 another document.

5 MEMBER ZIEMER: Well you know.

6 DR. MAKHIJANI: I didn't know what
7 it was.

8 MEMBER ZIEMER: I don't think you
9 can put a time table on that. Just like the
10 oil spill going on is an incident. The
11 incident extends for a while. You know, Three
12 Mile Island was an incident and you know.

13 DR. MAKHIJANI: Chernobyl lasted
14 for ten days.

15 MEMBER ZIEMER: Right, an
16 incident.

17 DR. MAKHIJANI: Well that's exactly
18 what you said five years ago or seven years
19 ago.

20 MEMBER ZIEMER: I'm glad you
21 remember.

22 MS. HOWELL: Do you have a date on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that?

2 DR. MAKHIJANI: Actually I looked
3 at the Board discussion. That's how I know.
4 I do have a date on that.

5 CHAIRMAN MELIUS: We struggle a
6 lot with this part of the regulation.

7 DR. MAKHIJANI: May 28, 2003.

8 DR. NETON: In this situation I
9 think I need to refresh my memory as to what
10 exactly was done by SC&A and their analysis.
11 If I recall correctly the Class was added
12 because we couldn't reconstruct thorium dose.
13 Is that right? I think that's the basis.
14 And therefore I think we had enough uranium
15 dose to reconstruct.

16 DR. MAURO: Ames?

17 DR. NETON: Yes, is that right?
18 Thorium? I thought the basis was thorium.

19 DR. MAURO: We can look it up.

20 DR. NETON: This is where I'm
21 going is if it was for thorium exposure and we
22 are reconstructing uranium based on urine and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 if the blowouts were somewhat equivalent you
2 kind of have a bounding analysis of intake for
3 thorium, for uranium. I don't know, I'm just
4 trying to remember.

5 DR. MAURO: Trying to find a way
6 to reconstruct it.

7 DR. NETON: Well I'm just saying,
8 I think it was thorium. Hans did you do
9 urinalysis for thorium intakes?

10 DR. H. BEHLING: I did it for
11 both. I did both thorium and uranium. I
12 think I gave two sets of tables and I even
13 fragmented the exposure by the first five
14 minutes versus the term of 30 days from
15 residual resuspension. So there's a whole
16 series of data that I created for both
17 thorium, uranium and the exposure that
18 resulted from the initial distribution of
19 material in air following by 30 days of
20 resuspension of residual contamination.

21 DR. NETON: I'm looking up the
22 Ames letter here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MELIUS: I think I've
2 got it.

3 DR. NETON: Okay. And the basis
4 was? I think the second one was talking about
5 thorium.

6 MEMBER GRIFFON: Thorium
7 production.

8 DR. NETON: Which was the first
9 one?

10 CHAIRMAN MELIUS: The letter
11 doesn't say the first one.

12 DR. NETON: Federal Register
13 notice.

14 DR. MAKHIJANI: I don't think this
15 refers to uranium.

16 MEMBER GRIFFON: The second one,
17 the sheet metal workers, it says --

18 DR. NETON: That was thorium.

19 MEMBER GRIFFON: Yes, thorium.

20 DR. NETON: The second one was
21 sheet metal workers.

22 MEMBER GRIFFON: It says potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 internal radiation exposure associated with
2 the maintenance and renovation activities of
3 the thorium production areas.

4 DR. NETON: This was the 42
5 Class. There is very little monitoring data
6 available. Okay. Maybe it was. I was
7 thinking of thorium for the second class.

8 DR. MAKHIJANI: Thorium was at Y-12
9 for the first one.

10 DR. NETON: Never mind, I've
11 refreshed my memory sufficiently.

12 CHAIRMAN MELIUS: What difference
13 would it make?

14 DR. NETON: Well I was thinking
15 if it was only based on thorium and it was
16 thorium blowouts and we could reconstruct
17 uranium intake based on uranium urinalysis
18 data. If the blowouts were not preferentially
19 occurring thorium versus uranium, you could
20 sort of come to some idea of -- for instance
21 like that -- I won't talk about Fernald.

22 CHAIRMAN MELIUS: But what I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think that is the -- that was the thought at
2 the time and then I think reconstructing a
3 blowout may have been feasible. What was not
4 feasible was I think estimating the number of
5 blowouts. I thought that was --

6 DR. NETON: I recall going back
7 at one time and saying, well, we have thorium
8 analysis urinalysis data. And I went back and
9 looked at the thorium urinalysis data and it
10 was just so far removed from the time of the -
11 - you know, they start collecting data, you
12 know, twenty, ten years later. It made some
13 implausibly high intake calculations. That's
14 why I recall looking at the thorium intakes.
15 I thought the uranium intakes were
16 reconstructing doses for --

17 CHAIRMAN MELIUS: I don't think
18 we are trying to pin anybody down with a
19 specific agreement on a specific site.

20 DR. NETON: I agree.

21 CHAIRMAN MELIUS: Let's keep it
22 more --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: I know, but John was
2 making a pretty good argument about it.

3 CHAIRMAN MELIUS: Yes. And I
4 think we can talk about it hypothetically.
5 Assuming that a single blowout would be
6 sufficient, or what determination would be,
7 given the fact that there were so many, the
8 blowouts were so frequent at that site for
9 such a significant period of time then it
10 should -- say presence at an incident would be
11 enough. So presence working at the site would
12 be, would qualify a person.

13 DR. NETON: And Mark and I at the
14 same time came across the table, just to
15 clarify. It was based, we said we can
16 reconstruct uranium exposures at Ames. And
17 presumably then we are using the urinalysis
18 data that bounds the blowouts that occurred
19 for the intakes. That's what I thought. I
20 don't know where that goes. I understand what
21 you were saying earlier but the fact that
22 there were a number of uranium blowouts as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 well and we are using urinalysis data kind of
2 gives you a handle on the upper magnitude of
3 the exposure the worker received during these
4 blowout conditions.

5 MR. KATZ: But since, you could
6 take the urinalysis off the table. If you are
7 trying to speak theoretically -- forget and
8 say you don't have the urinalysis to do that
9 and you have the same situation.

10 DR. NETON: Agreed. That's what
11 I think Dr. Melius was saying. But that was
12 arguing for this specific targeted of Ames and
13 I was pointing out that the unreconstructable
14 dose at Ames is thorium. It brings a different
15 light to it.

16 DR. MAURO: It does.

17 MEMBER GRIFFON: You still,
18 though, and I have been reflecting on kind of
19 what John said that the you know, it might be
20 a case by case, because as I am sitting here
21 thinking some of the discussions I had with
22 Arjun off-line was this notion of, if you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 an SEC -- this all assumes you have an SEC in
2 place, obviously. Then if you could have a
3 qualitative metric like a person within their
4 file showed presence at an incident, then the
5 problem is incident is defined different over
6 time, certainly at all these sites.

7 You really have to know more, I
8 think. Because an incident obviously in the
9 early 90s, the reporting requirements were
10 different, you know. An incident in the 50s
11 at Oak Ridge would be totally different than
12 in the 90s or whatever. So I'm not sure. But
13 on the flipside if we are looking at the Ames
14 example, we are sort of going back to this
15 sort of quantitative thing, you know. You
16 know it when you see it. I'm just trying to
17 think of another metric that would be more
18 qualitative but also it might be a guideline
19 that we say consider reportable incidents.
20 And then it still is a case by case thing but
21 you actually, you would have to then look back
22 and say okay, these are reportable but the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cost is in the 80s and 90s and here is the
2 criteria for reporting. It is a very low
3 threshold. We can't rely on this. I don't
4 know.

5 CHAIRMAN MELIUS: Yes, Paul?

6 MEMBER ZIEMER: I wanted to ask
7 Jim Neton, right now for Ames if a person had
8 less than 250 days and came in for dose
9 reconstruction, you would reconstruct uranium
10 and then what? Is that it? You would stop?

11 DR. NETON: I think external
12 exposure.

13 MEMBER ZIEMER: And external and
14 medical X-ray.

15 DR. NETON: But there would be no
16 thorium.

17 MEMBER ZIEMER: There would be no
18 thorium and the only real difference is that
19 for those more 250 days they're in the SEC and
20 I can't bound thorium. For these guys you
21 still can't bound the thorium but they don't
22 qualify because of the presence issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MELIUS: Do those --
2 just sort of procedurally do people that are
3 with a SEC cancer who work -- have a work
4 record for less than 250 days, does DOL send
5 those to you for reconstruction?

6 MEMBER ZIEMER: Sure.

7 CHAIRMAN MELIUS: I know they
8 said that non-SEC cancers --

9 DR. NETON: Anyone who doesn't
10 qualify for the SEC.

11 MEMBER ZIEMER: Okay, okay.

12 DR. GLOVER: You can get people
13 who have qualified for the SEC, you may get
14 their prostate cancer, a non-SEC cancer. We
15 may still do a dose range.

16 CHAIRMAN MELIUS: That was less
17 than 250 days.

18 DR. NETON: It would be a latency
19 issue for instance with a solid tumor. We
20 will get them in even if they work two years.

21 MEMBER ZIEMER: So we really have
22 already said we can't bound the blowouts then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as far as thorium is concerned?

2 DR. NETON: I don't think that
3 was the way we described it. As a matter of
4 fact I think the way it is discussed is that
5 it is one of these, there is no evidence of
6 these exceptionally high, because that
7 standard boilerplate when we talk about the
8 250 day requirement in our write-up. It says
9 we have evaluated the exposure scenarios and
10 we believed it was sort of a chronic exposure
11 scenario.

12 MEMBER ZIEMER: No, but someone
13 who qualifies for the SEC and they were
14 presumably exposed with a blowout too. You
15 are still saying we cannot bound -- based on
16 the uranium bioassay we can't bound thorium
17 dose?

18 DR. NETON: Correct.

19 MEMBER ZIEMER: So there is not a
20 correlation on uranium and thorium. I'm
21 trying to get a feel for it. I'm much more
22 comfortable if it's an unbounded incident than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one where we say well I know the dose was at
2 least this high. Because once you've bounded
3 it I think you are back to dose
4 reconstruction.

5 DR. MAURO: I'm not saying you
6 bounded it but we know something occurred
7 where the doses were exceptionally high and we
8 really can't bound it. We can't bound it
9 because of the nature of the individual
10 incident or the number of incidents. And in
11 the case of Ames, it is almost as if that we
12 all have the sensibility that we think
13 something happened here that certainly was in
14 a realm of a dose that was high, exceptionally
15 high and it was an incident and it was
16 uncontrollable. Now I keep thinking back to
17 something that we didn't bring up. That is,
18 they're looking for, okay, we know when it
19 appears. There's an incident, and everybody
20 knows this is pretty bad. It is when it
21 starts to get a little lower and when does it
22 become an incident of concern. Now you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 brought something up, like the last time we
2 talked about this, what's your trigger? And
3 the idea that you came up with, well something
4 would certainly be considered uncontrolled
5 incident if an individual got radiation
6 exposure during an incident which caused him
7 to have more than his allowable occupational
8 exposure. And the number of three rem full
9 body per quarter came up or five rem for the
10 year as being this is a circumstance where
11 clearly it wasn't my intention. It had to
12 have resolved from a breakdown of some kind of
13 controls. And quite frankly I am hearing a
14 number, three rem per quarter, which starts to
15 fall in the area where we generally have been
16 talking. It is not small. We are delivering
17 three rem. So I am struggling right now to
18 say what's the trigger. Okay, we've got an
19 incident report that just came out about
20 1960s, an incident report. And we know
21 something happened. We have some information
22 regarding what happened. The question we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ask ourselves is there reason to believe that
2 the exposure this person experienced as a
3 result of an incident could have put him what
4 would be allowed as the occupational limit at
5 that time? Is that a criteria that may
6 trigger? Yes, this person it falls -- it
7 meets all these criteria. I am testing the
8 waters to expand the generalization that we
9 are trying to get to.

10 MEMBER ZIEMER: Of course Mark
11 pointed out that trigger has changed over
12 time. You know you go back in the Ames
13 period. What were they working on? 50 rem a
14 year maybe?

15 MEMBER GRIFFON: Yes.

16 MEMBER ZIEMER: Yes. The thing
17 has come down for a while. It was a running
18 thirteen week rather than a calendar quarter.
19 So, in a thirteen week period the three rem
20 triggered them at the calendar quarter. So
21 March 31, you are okay. You can get three
22 there. And then you get three the next day,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it's all right. These things change. So I
2 don't think you can use that kind of a -- and
3 in current, modern times, what people call an
4 incident may be a few atoms of tritium down in
5 the creek by Savannah River. So I think the
6 concept of incident that we are talking about,
7 if we could agree in more general terms what
8 it is. A breakdown of controls. Sometimes a
9 breakdown of controls is very different than a
10 violation of regulations.

11 MEMBER GRIFFON: Yes.

12 MEMBER ZIEMER: I mean, your guys
13 are working and they are wearing a pocket
14 dosimeter and the pocket dosimeters says they
15 are five mR below the thing and they are okay
16 and then they send in their TLD badges and
17 they are 5 mR over and it is the thing of
18 record so it is reportable. The controls
19 haven't broken down but there is a technical
20 difference. So I don't think we want to mess
21 with those.

22 MEMBER GRIFFON: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: We are talking
2 about what's clearly a breakdown of controls
3 and I don't know how you define that. I think
4 intuitively you sort of know it when you see
5 it. The blowouts are an example. No one is
6 planning for that to occur. It is clearly an
7 accident kind of thing. It's not -- I don't
8 know.

9 DR. MAKHIJANI: There is some
10 modern DOE guidance about these things.
11 That's what Joe said. I haven't had time to
12 study this. It had things like loss of
13 radioactive material they received hundred
14 times. The quantity specified it, 10 CFR part
15 835.

16 MEMBER ZIEMER: But those are
17 microcuries.

18 DR. MAKHIJANI: Five hundred
19 millirem exposure in a short period of time.
20 No, I'm just saying that there are.

21 MEMBER ZIEMER: Those are
22 administrative incidents.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MELIUS: I think we'd be
2 better off to finding, describing at the upper
3 end, not a threshold. So it is similar to,
4 which is what we are trying to do with
5 criticality. We were naive about criticality,
6 but I think as I recall the discussion x years
7 ago, the rule was we will recognize it. That
8 was, it would be something similar. We didn't
9 have examples then.

10 DR. MAURO: I'm looking at the
11 protective action guides that the EPA wrote
12 and what you are saying is correct for the
13 public. But the criteria for the one to five
14 rem, I'm going to read them to you, acute
15 effects on health. This would be for an
16 individual now. We are talking about if a
17 person were to experience, acute effects on
18 health, those that would be observable within
19 a short period of time which I have a dose
20 threshold below which such effects are not
21 likely to occur should be avoided. Okay, so
22 acute effects and the other one, the risk of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 delayed effects, primarily cancer and genetic
2 effects. And it goes on to explain. So in
3 other words when they pick the one to five rem
4 that's why we are going to evacuate. It was
5 because there were concerned that if you don't
6 evacuate, people could experience two things
7 that we are very concerned with here. So at
8 least they made that judgment. They made that
9 call. And in an accident situation, primarily
10 for nuclear power plants, members of the
11 public who project are going to get exposures,
12 that could have acute effects and result in
13 risks of delayed effects, genetic and cancer
14 that are considered to exceed what is
15 acceptable. You evacuate. So I mean what I'm
16 getting at, we actually have some regulatory
17 precedent here.

18 MEMBER ZIEMER: You know I would
19 say on non-stochastic effects, if those occur.
20 I mean these are immediate effects. I would
21 call that an incident. I don't have any
22 trouble with that. One to five rem? Yes I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 can calculate a probability that cancer will
2 occur in 50 years in somebody and that's not
3 even calculated the way we do. I think that's
4 what they are talking about there.

5 DR. MAURO: They're doing both.
6 They are saying that, if you get one to five
7 rem, apparently there is some evidence that
8 you do see a subtle drop in white blood cell
9 count in five rem, acute. I remember
10 Casarett, Radiobiology 101. That's the lowest
11 I've ever seen it. But most people talk about
12 25 rem. We could debate that.

13 MEMBER ZIEMER: Well, they are
14 talking about stochastic effects.

15 DR. MAURO: But they also add in
16 one of the second criteria. This is EPA now.
17 The second criteria is also they pick that
18 number because they don't like the risk of
19 cancer at that dose. They are uncomfortable
20 with that.

21 MEMBER ZIEMER: But John, you
22 know very well the risk of cancer with a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 population of calculated risk. What's the
2 number? And if not.

3 CHAIRMAN MELIUS: We already have
4 a risk assessment so to speak. That's how the
5 dose calculations are done. So I think we've
6 got to be careful about bringing in a
7 different risk assessment, cancer risk
8 assessment as a criteria for this particular
9 part of the program.

10 DR. GLOVER: I would point out
11 even the missed dose for plutonium could take
12 bioassay can be tens to, you know, many dozens
13 of rem from missed dose from an incident. It
14 is very hard to do plutonium very well so you
15 can very quickly get into these numbers that
16 are just missed dose.

17 CHAIRMAN MELIUS: I'm trying to
18 come up with like sort of general criteria for
19 this based on our discussion. So, one is what
20 we've been talking about is what is an
21 incident? Can we come up with some general
22 descriptors that would help us identify what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 type of incident would qualify? Criticality
2 and so forth, lack of controls, some sense of
3 what the magnitude is. The second general
4 criteria would be that not able to, it is not
5 feasible to bound the dose, do the dose
6 reconstruction -- or not feasible to determine
7 the number of incidents of the person they've
8 been present at.

9 MEMBER GRIFFON: Well that's
10 bounding the dose.

11 CHAIRMAN MELIUS: Yes, part of
12 the bounding the dose but I think it, I guess
13 the way I have it written here is not feasible
14 to bound the dose for an incident or the
15 frequency. It is the same. You are right, it
16 is the same.

17 DR. MAKHIJANI: Just as a
18 supplement to your comment here, I think is
19 the way technically the language of that rule
20 reads to me is you can't avoid an individual,
21 case-by-case approach. It would be very hard
22 to come up with a rule like 250 days that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is always black and white. You know there is
2 documentation. Did they work for 250 days or
3 not. There is going to be a judgment if the
4 intent was you will know it when you see it.
5 Then you have to see it. Those -- then there
6 has to be documentation about an incident and
7 some judgment about how severe it was. I
8 think part of our problem has been there are
9 not enough examples in the rules and none
10 relating to internal dose about what severe
11 means. So maybe it might be useful to give
12 more examples as to what we need and include
13 internal dose. That was part of the intent of
14 how I heard what John was saying regarding
15 Ames. It is, this seems to be a case of we
16 know it when we see it and somebody was there
17 during an incident or in this case, because
18 incidents were not documented, we might make a
19 judgment about their frequency. If they were
20 there for a few days they're more likely to
21 experience an incident and do it that way.
22 But I don't think the dose reconstructor's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 judgment is avoidable in this case. I mean
2 you've got, if you are going to look at that
3 and interpret it in a way that we would be
4 talking about and say exceptionally high
5 exposures and we know it when we see it then
6 the dose reconstructor has to see it.

7 CHAIRMAN MELIUS: Or we have to
8 see it for a Class. We are trying to define a
9 Class. One way of defining -- that is what
10 came up with NTS, was that we really wouldn't
11 be able to see it until we were at a point of
12 doing individual dose reconstruction. So we
13 are saying we will have to do individual
14 83.14s or, you know, because it wasn't going
15 to be possible to find an incident, a
16 qualifying incident. It would be until you
17 couldn't do the dose reconstruction.

18 DR. MAKHIJANI: That is actually a
19 very good example because now we are in a
20 different place now with NTS than we were
21 then.

22 CHAIRMAN MELIUS: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAKHIJANI: How would you look
2 at the main variants where there were so many
3 people involved in being in the club? Would
4 that constitute an incident under what we are
5 talking about? I don't know.

6 DR. H. BEHLING: This is Hans.
7 Is it possible to bring in the Metallurgical
8 Laboratory at this point because that
9 represents a very, very different scenario
10 where we are not necessarily talking about
11 incidents but the conditions that over a short
12 period of time would have potentially
13 triggered a substantial dose from either
14 external or internal. I think in my White
15 Paper I give various examples of radium
16 sources for individuals who were exposed to
17 dose rates over an r per hour and over a
18 period of even a few days which resulted in a
19 significant external dose from radium. Also
20 we talked, in my report I talked about
21 tolerance doses and they even offered
22 tolerance doses for the maximum concentration

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of airborne material that one could inhale in
2 a given day in one of the examples that I
3 showed in one of the exhibit one was that the
4 air exposure for single day would have
5 resulted in a total intake of 280 microcuries
6 of iodine-131. That would have resulted in
7 excess of 300 rems to the thyroid. So those
8 are examples that are not necessarily
9 incidences in a classical definition. But at
10 the same time would have resulted over a very,
11 very short period of exposure in substantial
12 doses from both internal and external doses.

13 CHAIRMAN MELIUS: I was going to
14 try to do that next after we talk a little bit
15 out NTS. I'm glad you stopped at two
16 examples. Because I think it is another
17 situation. What has changed with NTS? What
18 else? Before we were talking about I think we
19 were mostly talking about the above ground.

20 DR. MAKHIJANI: Well before the
21 position was that we know enough to
22 reconstruct doses up to 1963. So if you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 internal dose data then presumably and NIOSH
2 already documents a number of these incidents.

3 CHAIRMAN MELIUS: Right.

4 DR. MAKHIJANI: And I don't
5 remember how many events there were but
6 between 1963 and 1970 but there are a number
7 of significant ones. And so if you have the
8 data to do that then the question about
9 separating incidents into an SEC doesn't
10 arrive because you already said that you have
11 the data to do that. And the thing that has
12 changed is now the number of radionuclides,
13 the short term to exposure, the fact that
14 exposures were mostly non-routine. I mean
15 that led to a special consideration for Nevada
16 Test Site. So I think the question of people
17 who were present less than 250 days but may
18 have been involved in one of the incidents is
19 quite interesting. It is a new context. At
20 least I think it is.

21 CHAIRMAN MELIUS: Yes. So I am
22 just trying to think of -- how does that, how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 do we think about those incidents in terms of
2 being extraordinary or whatever?

3 DR. MAKHIJANI: Baneberry was an
4 extraordinary venting. He had millions of
5 curies that were vented but I don't know how
6 we think about it in terms of this rule. I
7 don't have any particular. Jim might have.

8 DR. NETON: I'll defer to Sam.
9 He took the lead.

10 DR. GLOVER: I haven't looked at
11 the Baneberry that carefully so fortunately
12 it's -- go ahead.

13 DR. MAURO: I was going to say.
14 This does represent a very nice stepping
15 stone. What I mean by that is I think we have
16 a sensibility regarding Ames right now, even
17 though we haven't said anything definitive.
18 Now we move on, you leave Ames and you move to
19 NTS. You say okay, how were things different
20 here or the same? Well I would say in many
21 respects they are very similar. That is we
22 have from time to time an event where a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 substantial amount of radioactive materials
2 leaves the environment over a relatively short
3 period of time. In the case of Ames we all
4 accept that because of the special
5 calculations that Hans did that well yes we
6 all agree, that's a pretty big dose. Now
7 what's different here? Well, we all agree
8 that both during above-ground and below-ground
9 tests, of course they are all covered now
10 under the SEC, there were incidents whereby
11 there were ventings. Let's talk about
12 Baneberry as being an example. Now, the thing
13 that we haven't talked about, well the
14 Baneberry resulted in enough emission where
15 the doses that people might have experienced,
16 external/internal could have been
17 extraordinarily high, comparable to the kinds
18 of things we saw, we estimated for Ames. Now
19 I would argue that if we say yes to that then
20 we've established Ames as a stepping stone and
21 that would bring that stepping stone over to
22 NTS. Is it possible we would agree? I'm not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 saying we should. Is it possible we would
2 agree? Yep. The Baneberry would be something
3 like that where there is an incident,
4 uncontrolled, and from best we can tell, the
5 kinds of exposures that could have occurred
6 were pretty big. I don't have those numbers.
7 Those numbers may exist. But if we find that
8 they are in the tens of rems or even higher
9 delivered effective whole-body dose if you
10 want to use that as a criteria. That could
11 have occurred to some people who were present
12 during that. Well, as far as I'm concerned we
13 have just made another step in the process.
14 Now does that mean that applies to other
15 ventings? There are a lot of ventings that
16 have occurred. Yes, we've got a problem
17 there. I'm not sure. What I'm getting at is
18 it isn't a very nice progression to go.
19 That's why I like the idea that we worked out
20 Ames in my head and if there is agreement on
21 it. In my head, I'm working it out. I'm
22 talking --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (Laughter.)

2 CHAIRMAN MELIUS: Lobotomy.

3 DR. MAURO: I don't know if you
4 buy in to how I'm thinking but I lay it out.
5 This is where my thinking is taking me.
6 Whether you want to get on that roller coaster
7 with me, I don't know. But that's how I'm
8 thinking about it right now.

9 MEMBER ROESSLER: I think the
10 problem is that we each have our own head.

11 DR. MAURO: Yes.

12 MEMBER ROESSLER: Each of us
13 maybe have a different line or trigger point
14 for that thing you talk about as significant
15 dose, or big releases. Somehow we are going
16 to, if we are going that route we have to
17 define what we mean by that and then I think
18 we are all going to have a different --

19 DR. MAURO: Well I got to tell
20 you I threw it on the table. I mean, naked in
21 the world, this is what I think.

22 CHAIRMAN MELIUS: The problem is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're used to defining these things
2 quantitatively and we are in a situation where
3 I guess the first step is that you can't
4 quantify it, sufficient for dose
5 reconstruction. So I'm as interested is it
6 like NTS, what would we call an incident? Or
7 some other example but we wouldn't call it an
8 incident.

9 DR. MAKHIJANI: It might be some --

10 CHAIRMAN MELIUS: Extraordinary
11 incident.

12 DR. MAKHIJANI: -- NTS in that
13 regard because Baneberry was the last big
14 venting except I think there was one in 1986
15 that is regarded as extraordinary.

16 CHAIRMAN MELIUS: Most of the
17 other vents are usually regarded as small,
18 right? Would we agree on that? And they were
19 also -- most of them or many of them were
20 operational vents that were deliberate because
21 after Baneberry, mostly the tests were pretty
22 well contained. I think it was much less than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Baneberry in terms of total releases.

2 DR. ANSPAUGH: This is Lynn
3 Anspaugh. I would like to make a couple of
4 comments about that. You know there were some
5 Ploughshare events that took place in 1965 and
6 1968 and those vents were certainly comparable
7 to Baneberry. There were several significant
8 releases and a lot of insignificant releases
9 but if you wanted to define it an incident,
10 then you would have to define how large the
11 release was.

12 DR. MAKHIJANI: Yes, Lynn, that's
13 where I was going is what Jim asked is can we
14 say what are not large releases? And that's
15 why I, you know, after 1970 we know there were
16 many large ones because they were in the
17 millions of curies. But after December 1970
18 there were many what I think mostly we could
19 say were small and I don't know if you would
20 agree with that.

21 DR. ANSPAUGH: Well I agree with
22 that. You know the 1970 Baneberry event

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 resulted in a completely new operational mode
2 at the test site where they wanted to make
3 sure that never happened again and it didn't.

4 As far as atmospheric tests are concerned,
5 every time you set off a nuclear weapon, I
6 think that's an incident, isn't it?

7 MEMBER ZIEMER: Well, I guess you
8 also have to place the workers in some
9 location relative to that. I don't know in
10 Baneberry where they were, were there large
11 groups exposed or would we know in a given
12 claimant if they were actually exposed or not
13 or that was an unknown factor.

14 DR. ANSPAUGH: Baneberry exposed a
15 lot of people because the cloud went right
16 over a work camp. So there were I would say a
17 few hundred people who were exposed but the
18 doses were in the few rem level as nearly as I
19 remember.

20 MEMBER ZIEMER: But you're saying
21 we know what their doses were and we know who
22 the people were.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. ANSPAUGH: I think it's knowing
2 who the people were and they were all
3 screened. They were particularly concerned
4 about thyroid. They were all screened. Some
5 people were sent for whole body counts and
6 further analysis.

7 DR. GLOVER: I remember the NTS,
8 one of the issues that it made it an SEC was
9 we have all this bioassay data and because
10 there is a number of different incidents that
11 we couldn't necessarily link it to, the
12 analysis didn't really, wasn't conducive to
13 doing that type of work. If an incident with
14 linked whole body count data it becomes a
15 little more pliable to make some kind of
16 analysis. So there, the overall thing, the
17 250 days when you have a lot of these all
18 compiled together, to try to look at one.

19 DR. MAURO: So this short-lived, I
20 know during the decision to grant SEC status
21 to post-63, part of that had to do with this
22 mix of radionuclides, some of which can be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 relatively short-lived and therefore any chest
2 counter bioassay data really isn't going to be
3 too helpful. What I am hearing is if you have
4 an incident and you hit the person with a
5 whole body count and do whatever needs to be
6 done shortly thereafter, that probably may be
7 trackable. But if not, one could argue that
8 no, there are still these very short-lived
9 radionuclides that could have gone through and
10 even if it didn't measure the person say for
11 several days, a few days before he got him
12 into to the chest counter or whole body
13 counter, you could miss something important.
14 And then all of a sudden you could miss
15 something important. I'm not sure.

16 DR. ANSPAUGH: Well you know the
17 Baneberry was a very peculiar situation
18 because the people who were exposed were
19 substantially downwind of the actual vent
20 point. People who got the higher doses I
21 think were the ones who were very close to
22 some vents so that the concentration that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 were exposed to was much higher than the large
2 number of people who were exposed to
3 Baneberry.

4 MEMBER ZIEMER: But see here we're
5 talking about incidents where we know when
6 they occurred. We even have names for the
7 incidents. But you go to a place like Ames,
8 we don't have, you know, we don't have the
9 dean's blowout or the provost's blowout or you
10 know, name them whatever you want. We don't
11 even know when they occurred at Ames, nor
12 their magnitude, nor who was exposed to them.

13 I think in places like Nevada Test Site where
14 these things have occurred and they were
15 incidents but they are characterized in a much
16 better way. There may indeed be cases where
17 we can't bound the dose but at least we can
18 put people in locations at certain times and
19 do things with them. I'm not as concerned
20 about those kinds of incidents where we can
21 characterize them. I mean even the SL-1, we
22 know when that occurred, we know who the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 people were that were exposed there and
2 there's -- and the Oak Ridge impromptu barrel
3 reactor. We know who was there and how long
4 and the dose has been reconstructed. But what
5 we're concerned about are these incidents that
6 we can't characterize.

7 DR. MAURO: Well Jim --

8 CHAIRMAN MELIUS: But are we
9 because in some ways there are complementary.
10 The NTS you can't reconstruct the dose. We
11 said that, and yet we have people that worked
12 there for less than 250 days.

13 MEMBER ZIEMER: Right.

14 CHAIRMAN MELIUS: And so what do
15 we do about them? In Ames we can characterize
16 an incident but we, presumably can do the dose
17 for an incident, presume that, but we don't
18 know the presence, the number of the incidents
19 and therefore the total dose is impossible to
20 reconstruct. And so you know, do the people
21 from NTS, you know, what's the criteria there?
22 Are there criteria where people should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 qualify at less than 250 days? So the people,
2 you know, or those close to the incident, how
3 do we make that determination? Can that
4 determination then be applied based on is it
5 practical in terms of work records or other
6 information.

7 MEMBER GRIFFON: For example, if
8 they can show less than 250 days but they were
9 present at Baneberry or present at an incident
10 then what do you do?

11 DR. MAURO: What do you do?

12 MEMBER GRIFFON: You might say you
13 can bound that.

14 MEMBER ZIEMER: Well I don't know.
15 I don't know if you can bound it.

16 MEMBER GRIFFON: If I have enough
17 data.

18 MEMBER ZIEMER: But I don't know
19 if presence on the site is the criteria or
20 some location.

21 MEMBER GRIFFON: Or present at
22 the, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: That's a detail.

2 MEMBER GRIFFON: Right.

3 DR. MAURO: But isn't that what it
4 comes down to? You have a guy, let's say he
5 is covered by the SEC period under NTS, has
6 prostate cancer. Going to do his dose
7 reconstruction and it turns out in his
8 records, is information that he was present or
9 could have been present during Baneberry.
10 Okay? What do we do with that? And
11 reconstruct his doses without including
12 internal because you don't include internal
13 and you come up with a low dose. Meanwhile
14 can you reconstruct his dose from the
15 Baneberry incident. Do you have enough --

16 DR. NETON: That's exactly like
17 what Dr. Melius just mentioned. When you try
18 to do a dose reconstruction and you can't do
19 it -- and it could be based on presence. But
20 if you have sufficient monitoring data to
21 reconstruct it from the Baneberry you would do
22 it. They have it at SL-1. We reconstructed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 doses at SL-1. There was arguing one point
2 that we couldn't but we obtained enough data
3 for that particular accident.

4 DR. MAKHIJANI: Isn't part of what
5 the drift this discussion the you know it when
6 you see it, the idea that you can only make a
7 determination through a dose reconstruction in
8 an 83.14? Is that the drift of the
9 discussion?

10 CHAIRMAN MELIUS: No, I don't
11 think so. I think there is some general, will
12 be some general classes and there will be some
13 that may be only when you do an individual
14 dose reconstruction do you have enough
15 information to know that you can't.

16 DR. NETON: But I think it's
17 essentially what this entire discussion is
18 about is can you identify an incident that
19 would be like an 83.14? Even if Ames were to
20 be added, there has to be an 83.14 because
21 there is no Class based on an incident. Right
22 now there is a Class based on a chronic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exposure scenario. Can you identify 83.14
2 classes essentially that need to be added?

3 DR. MAURO: Is that the answer?

4 DR. NETON: Well that's what we're
5 talking about.

6 DR. MAURO: I mean in the end
7 bypass. Help me out, maybe I have the wrong
8 line of thought. In other words, every
9 claimant that shows up with a cancer, we can
10 try to reconstruct his dose. If you can't
11 because he was involved, there is information
12 on the record that he might have been involved
13 in an incident that we don't know how to deal
14 with. You grant him, he falls within this
15 Class. This Class called people who develop,
16 you know -- but no, wait a minute. Wait a
17 minute. That's right. Because if he is not
18 covered by the SEC, because he has prostate
19 cancer. You could certainly get an 83.13
20 petition for instance. I don't know that we -
21 -

22 CHAIRMAN MELIUS: I think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 actually, I thought we had, with Ames we had
2 reserved our review for follow up.

3 DR. MAKHIJANI: Yes, we did.

4 CHAIRMAN MELIUS: The statement
5 confused me a little bit earlier. I think we
6 have an active consideration for Ames for less
7 than 250 days.

8 DR. NETON: You're right. That's
9 correct. You're right. I forgot about that.

10 CHAIRMAN MELIUS: I was looking at
11 Emily. I wasn't sure if I understood that.
12 And the NTS one would, I think, I'm not sure
13 if we reserved that or what we actually
14 reserved with the above ground one because we
15 were actively considering it and our good
16 friend [identifying information redacted] was
17 reminding us they had concerns about it. It
18 is going back in time. I can't guarantee from
19 my memory but I think it's, but I mean that's
20 why I think go back sort of the criteria had
21 to be that one is, is it a big incident,
22 whatever you call that. Emily put the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulation you know it when you see it or
2 something? I don't think that will slide
3 through.

4 MS. HOWELL: No.

5 CHAIRMAN MELIUS: One or two
6 layers of --

7 MS. HOWELL: We don't all need to be
8 Potter Stewarts.

9 CHAIRMAN MELIUS: And secondly is
10 this issue, can you set criteria for the dose
11 reconstruction? Can you reconstruct our base
12 number of incident issue? And so the NTS
13 situation --

14 DR. MAKHIJANI: You did reserve it.

15 CHAIRMAN MELIUS: You make -- the
16 first criteria, yes. It could have been a big
17 exposure. Second, we may not know when we can
18 reconstruct it until they actually do. You
19 may not be able to define a Class ahead of
20 time. So it may just be something that would
21 come across in individual dose reconstruction.
22 Maybe that becomes a little bit bigger of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Class but it may not. It -- maybe it could
2 even be individual. As I recall when we were
3 discussing this, it was the ability among what
4 kinds of exposure monitoring individuals had
5 and the information where they were in
6 incidents.

7 DR. MAKHIJANI: You did reserve for
8 51 to 62 but less than 250 days at NTS.

9 CHAIRMAN MELIUS: Yes, Paul?

10 MEMBER ZIEMER: I sort of have to
11 think in specifics, though. Let me ask a
12 question this way. Let's take Ames. Suppose
13 we have a claimant who was there less than 250
14 days but who knew specifically, maybe we have
15 an affidavit that says, I was there during a
16 blowout or two blowouts. And we know that.
17 And you say but we can't reconstruct dose.
18 Suppose that occurs. Then it still reverts
19 back to the 250 day issue under the, if you
20 can't reconstruct dose and they were still
21 there less than 250 days, under the current
22 reg, you could not compensate. The only way

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you could would be if you had, if we had said
2 presence at a blowout qualifies.

3 DR. NETON: I'm not sure of that.

4 MEMBER ZIEMER: Well that's what
5 I'm asking. If you say I can't reconstruct
6 dose for an individual who was there in that
7 facility less than 250 days.

8 DR. MAURO: And has a cancer.

9 MEMBER ZIEMER: And has a cancer.

10 DR. MAURO: That's not covered, a
11 prostate cancer.

12 MEMBER ZIEMER: Well a covered
13 cancer.

14 DR. MAURO: Oh okay.

15 MEMBER ZIEMER: It's a covered
16 cancer.

17 MEMBER GRIFFON: Cancer, less than
18 250 days.

19 DR. NETON: You'd have to go back
20 and look at the reason that we decided why we
21 couldn't reconstruct dose. And typically it's
22 because there was no monitoring information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for an extended period of time.

2 MEMBER ZIEMER: Right.

3 DR. NETON: If someone presented
4 with an affidavit that said I was involved in
5 this, somewhat unique, or maybe not unique,
6 this exposure scenario, I suspect that we
7 would do something.

8 MEMBER ZIEMER: If you can't
9 reconstruct dose, then what?

10 DR. NETON: If you can't
11 reconstruct it, then yes there would be no
12 dose assigned for that person. But, that may
13 itself develop another Class. It would be a
14 Class of workers that we haven't previously
15 identified in our 83.13 evaluation. The 83.13
16 evaluation says there are no evidence in our
17 opinion of the incidents that led to this very
18 high dose. And so then if a claimant presents
19 while we are doing these with evidence of that
20 we would either have to be able to
21 reconstruct it or if you can't and then
22 recommend a Class.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ZIEMER: And then you find
2 the Class but does the Class always have the
3 250 day attached to it? That's what I'm
4 asking.

5 MR. KATZ: You don't have to
6 reconstruct it. You have to determine that it
7 meets the criteria.

8 DR. NETON: No, no. If we
9 reconstruct it, we don't even have to make a
10 determination.

11 MR. KATZ: But even if you
12 reconstruct it -- if you find you can't
13 reconstruct it, it's still -- you still have
14 to make that determination that this is a
15 discreet incident.

16 DR. NETON: Yes.

17 CHAIRMAN MELIUS: But I think at
18 Ames with the thorium you couldn't reconstruct
19 then you wouldn't and that's really the basis
20 for most of the exposure during the incident
21 also. You wouldn't, I mean I don't think they
22 need to pry or you wouldn't go very far

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 because the major dose would be
2 unreconstructable. I mean that would be a
3 determination made ahead of time that they
4 wouldn't even attempt to do the dose
5 reconstruction on the incident I don't
6 believe.

7 DR. NETON: Well, for the thorium.

8 CHAIRMAN MELIUS: Lacking any
9 evidence on a person's exposure history they
10 have these blowouts in their file. You are
11 right. We would just not do it. But if there
12 was a situation such as Dr. Ziemer suggested.
13 I have an affidavit. Five people saw me. I
14 was at this incident. We have to address it.

15 MEMBER ZIEMER: Yes, but if you
16 say then that I cannot reconstruct it. What
17 happens then? That's what I'm asking.

18 DR. NETON: Then, that's criteria
19 for, he doesn't make a judgment. It is very
20 high.

21 MEMBER ZIEMER: Under the current
22 rules unless you say that is an incident --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER GRIFFON: Like a
2 criticality.

3 MEMBER ZIEMER: -- then the 250
4 day issue has to be invoked.

5 CHAIRMAN MELIUS: Right. They can
6 make it independent of 83.14. They could make
7 it independent. I don't think they've ever,
8 they've never done that.

9 DR. GLOVER: It hasn't been done.

10 MEMBER ZIEMER: But it could be
11 done.

12 CHAIRMAN MELIUS: But it could be
13 done, right.

14 MEMBER ZIEMER: We don't say that
15 blowouts are incidents. They decide, the
16 person -- that takes care of cases where it is
17 unknown. Then you have the issues of well I
18 think I was but I don't know for sure issues.
19 Or I worked there six months and yes.

20 DR. ANSPAUGH: I think you'd also
21 have a problem with Ames in the Chicago Met
22 Lab that many of these claims are probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 filed by survivors and actual workers have
2 already passed away.

3 MEMBER ZIEMER: Understood, and
4 that complicates the issue because they don't
5 know whether the worker was present.

6 DR. MAKHIJANI: Yes, also I think
7 even in the simpler case say at Ames where the
8 worker has an idea that they were in a
9 blowout. It is highly unlikely they would
10 know there was a thorium blowout or uranium
11 blowout, you know, after 60 years. I mean
12 this is not -- one of the things that I kind
13 of try to think through to some extent was
14 thinking it out of the realm of number of
15 thresholds. If you say you can't reconstruct
16 dose, you already passed the stage where you
17 are putting numbers to things for whatever
18 bound you set. So, in the health endangerment
19 area then you are not trying to make a
20 radiation dose determination. You are trying
21 to make a circumstantial determination. In
22 the 250 day case, the circumstantial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 determination is, did you work there for a
2 certain amount of time. And in this case I
3 think we keep going back to the dose-threshold
4 issue because it says exceptionally high
5 exposure. So there is no escape from that to
6 a certain extent. But I think if the spirit
7 of the health we can't reconstruct dose is
8 maintained then an SEC has already been
9 granted by the site or certain group of four
10 persons. Then I think it may be more useful
11 to go to the circumstantial basis of present
12 during an incident. And would it be regarded
13 as serious and not by certain criteria that
14 aren't explicitly dose related because you
15 already said you can't reconstruct dose?

16 MEMBER ROESSLER: I thought it was
17 defined incident if we can't relate it to
18 dose. That's where I think our problem is.
19 We still get that. I can't get away from
20 that.

21 CHAIRMAN MELIUS: But I think
22 that's why the guidance or whatever we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have would say one is how to identify the
2 incident. What incident qualifies? Second,
3 we can't reconstruct the dose or the number of
4 incidents the person was exposed to. There
5 are cases where I think you may already have
6 the Class but you may be able to potentially
7 reconstruct the incident. And the third would
8 be some probability of being present at the
9 incident. So either documentation of the
10 incident, or, as in the case of Ames, where a
11 person worked during the time period when
12 there were -- I don't remember enough about
13 Ames to recall.

14 MEMBER ROESSLER: So we need to
15 define incident.

16 CHAIRMAN MELIUS: We have to start
17 with criteria for incidents, yes.

18 MEMBER ROESSLER: Yes.

19 CHAIRMAN MELIUS: We have to do it
20 non-quantitatively.

21 MS. HOWELL: Is it at all possible
22 to work backwards to say there are these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 quantifiable levels that we consider incidents
2 but what are the characteristics of those
3 aside from the dose exposure and if you could
4 look at it across the test sites. There is
5 always probably going to be exceptions to the
6 rules, but to say these are the things that we
7 see that qualify incidents and we know in a
8 handful of situations that it met this
9 quantifiable number that we were comfortable
10 with.

11 CHAIRMAN MELIUS: Certainly the
12 criteria we might have for incidents would
13 include a number of parameters to that.

14 MS. HOWELL: Can you arrive at the
15 parameters by, since everybody is so, having
16 such a hard time getting away from numbers?

17 CHAIRMAN MELIUS: I think the
18 numbers are going to be implicit. The problem
19 is when we make them explicit, then we get
20 sort of a slippery slope.

21 MS. HOWELL: But in the, no, because
22 I completely -- I recognize the problem with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that, not having explicit numbers when you get
2 to it, but can you just -- to start the
3 conversation.

4 CHAIRMAN MELIUS: No, no. That's
5 what we've done.

6 MS. HOWELL: Because you keep
7 talking about these blowouts, but I get the
8 impression that a blowout is different, at a
9 different site. So, a blowout at Ames seems
10 to -- you all seem to perhaps have an idea
11 that might be an incident but it is unclear to
12 me that a blowout at another site would be.
13 So what is it, was it about Ames that makes
14 that blowout an incident?

15 DR. H. BEHLING: Perhaps I can
16 just quickly give you an answer. It was based
17 on, as I said the data regarding a blowout at
18 Fernald. But it also was based on the actual
19 quantity of the uranium that was used in the
20 blowout.

21 DR. MAURO: It was big. Everybody
22 agrees those doses are big.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GLOVER: And there is no
2 bioassay.

3 DR. MAURO: Yes, so I mean the
4 funny thing about it is when you hear a
5 hundred rems, there is very little dispute.
6 And that's our only problem. We are trying to
7 say, can we come off that some. And I don't
8 think we are going to be able to do that.

9 CHAIRMAN MELIUS: But we can
10 describe it by examples and that will help to
11 find it and it is going to be a judgment that
12 we would have to make, I think.

13 DR. ANSPAUGH: I would also like to
14 bring up the issue of equity particularly
15 concerning Amchitka. Now there were no
16 incidents at Amchitka, and I was on the island
17 during the time between or before Cannikin
18 went off. And I can assure you everybody was
19 wearing a dosimeter, and I can almost
20 guarantee you that none of these things that
21 Frank Murkowski was alleged to have happened
22 really did. And I think that dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reconstruction and Rosalie Bertell did was not
2 a good job. I did read the paper carefully.
3 I don't believe it for a minute, though. Here
4 you have this precedent of granting an SEC
5 without the 250 day requirement to a site
6 actually had nothing, no reason at all to be
7 included, yet there it is. And so I think
8 there is a serious issue of equity here.

9 MR. KATZ: Lynn, I mean the
10 federal agencies cannot do what the
11 legislature can do. I mean they have, they
12 are not bound the same way as federal agencies
13 are in terms of their -- the basis for which
14 they can take actions like this. So the fact
15 that the legislator did what it did, it had
16 that authority to do that. And we can match
17 in terms of for equity reasons.

18 DR. ANSPAUGH: That brings me up to
19 the next thing on my mind which is one
20 solution to this is to ask Congress to simply
21 get rid of the 250 day rule.

22 CHAIRMAN MELIUS: I don't think,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it's not the Board.

2 MEMBER ZIEMER: That's your job,
3 Lynn, not ours.

4 DR. ANSPAUGH: Well, you know I've
5 listened to you guys worry about this for four
6 years and I don't think you are any closer to
7 resolution amongst yourselves and with NIOSH
8 than you were when you started. So I think
9 the only reason or solution is congressional
10 action.

11 CHAIRMAN MELIUS: Well, we'll see.
12 Some of us think we are closer, so we'll see.
13 And on that note, since it's almost noon
14 we'll take a break, call our congressmen. But
15 we can come back at 1:00. What I would like
16 to do at 1:00 is talk about the other example
17 we have which Hans described already but I
18 think we should need some further discussion,
19 which is the Met Lab and then secondly sort of
20 talk about general criteria or can we make
21 some progress on this area. So until 1:00.

22 (Whereupon, the above-entitled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 matter went off the record at 11:57 a.m. and
2 resumed at 1:03 p.m.)

3 MR. KATZ: Everyone welcome back,
4 this Advisory Board on Radiation and Worker
5 Health, SEC issues, Work Group and we've been
6 talking about 250 days, or less than 250 days
7 matter. And we are just ready to get started
8 again. Do you want me to check on anyone on
9 the phone?

10 CHAIRMAN MELIUS: Yes, let's
11 identify who is on the phone so we know.

12 MR. KATZ: So first of all do we
13 have any Board members who've joined us? Okay
14 and do we still have Dr. McKeel with us?
15 Folks from SC&A? Hans do we have you back
16 again?

17 DR. H. BEHLING: Yes you do.

18 MR. KATZ: Great. And Lynn
19 Anspaugh?

20 MR. ANSPAUGH: I'm here.

21 MR. KATZ: Great. Okay.

22 CHAIRMAN MELIUS: Okay. It just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 helps to recognize those. What we do this
2 afternoon, we failed to solve this problem at
3 lunch but we tried, was to move on and talk a
4 little bit about the Met Lab situation. I
5 think that's our other example that sheds
6 light or darkness on trying to solve this
7 problem. Yes Sam?

8 DR. GLOVER: Since I've come to
9 this issue sort of late in the game, I was
10 just going to make maybe a suggestion, good or
11 bad. We have an existing rule. Sometimes it
12 is unclear to me where, if we are talking
13 about changing the rule or if it's only
14 reviewing things under the existing rule or if
15 there are things about making suggestions to
16 maybe about how to make it fit better. Is
17 there any thought that you guys have had maybe
18 making like, here's a case study. If we use
19 it on the existing rule and then you are going
20 to propose language, things maybe we think
21 your rule could be done better. There are
22 certain things perhaps we take up that aren't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 covered under the existing, this rule, and how
2 that discussion could be done like whether it
3 is internal dose maybe or if it's
4 exceptionally high obviously is very hard to
5 quantify. And whether that needs to be
6 quantified perhaps better. But we thrown out
7 a bunch of case studies, some of them seem
8 like we are trying very hard to make them fit
9 under the existing rule but maybe the rule
10 needs to be clarified. So I just wasn't for
11 sure if -- how your Working Group was going to
12 be.

13 CHAIRMAN MELIUS: We're not sure
14 either. As I said earlier, I think what we
15 want to take is a broader look to what is, you
16 know appropriate for this program. But it is
17 in the context of what we have for the current
18 health endangerment regulation, the 250 day
19 and for the incident, part of that health
20 endangerment. Whatever conclusions we reach
21 may or may not require a change in the
22 regulation. I think we, we're not trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be that precise at this point in time. In
2 fact our discussions before this meeting I
3 think, the last meeting the full Board meeting
4 or what but Emily and I had a conversation of
5 the same. We are not going to try to do
6 something say to turn to Emily and say does
7 this meet the current regulations, if we word
8 it this way, does this meet the current
9 regulations? I don't think this judgment, if
10 you can necessarily opinion she can give us
11 immediately anyway. And secondly I don't
12 think that is the intent of what we're, we are
13 not trying to craft examples that don't fit
14 the rule. Let's try to get a little bit
15 broader than that but at the same time
16 understand that there's a context which is the
17 current regulation and at least in a broader
18 sense it should be consistent with what we've
19 done. We can say throw the whole thing out.
20 This current thing isn't workable but I'm not
21 sure at that point. I don't think anything
22 we've talked about so far is that distant from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what is in the current regulation. We are not
2 trying to fine tune that and I don't think
3 it's fair to ask Emily to give us an opinion
4 because we haven't been precise enough in what
5 we've said to really ask for an opinion and to
6 be able to judge that. That's my sense.
7 Emily is that fair?

8 MS. HOWELL: It's fair.

9 CHAIRMAN MELIUS: Okay.

10 MEMBER ZIEMER: And I agree with
11 that too. I think initially if you go way
12 back there were two things that we were trying
13 to do at the starting point. One was to sort
14 of pin down what an incident was because
15 that's one of the things that says, aside from
16 the 250 days if you have an incident. So we
17 are trying to grapple with that a little bit.
18 The other thing was I don't think initially
19 we recognized that Labor, I think Labor has
20 the ability to adjust the 250 days according
21 to the number of hours in the workweek. I
22 think we were concerned about places where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 people were there 24/7. At least early on we
2 thought the 250 days was calendar days. We
3 found that we don't really have to worry about
4 that if they can show that their work weeks
5 were longer. Those adjustments are made, I
6 think automatically by Labor in terms of what
7 they said. So it sort of evolved over a bit
8 of time.

9 CHAIRMAN MELIUS: Two other
10 comments. One is we said earlier I think we
11 recognized that we can't like say well this is
12 the 30-day SEC, this is a 60-day. That's
13 beyond what I think can be done under current
14 regulation. It is not possible to do under
15 the law I think. But it's not, it is a
16 definition of endangerment but not under the
17 current regulation. I think we all thought or
18 assumed that when we used the analogy or for
19 example criticality incidents with the
20 language there. We thought it was providing a
21 description or something in terms of least
22 doses and I don't think we quite recognized at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the time what a wide range of exposures
2 represented and it really didn't by itself
3 sort of narrow it down to the potential
4 situations that might qualify. Is that
5 helping you?

6 DR. GLOVER: Within the context
7 just explore the language that's fully in the
8 rule.

9 CHAIRMAN MELIUS: Yes.

10 MEMBER ZIEMER: How do we take
11 care of these kind of things like the blowout?
12 I think certainly it arose in that context.

13 CHAIRMAN MELIUS: Yes and I think
14 there may be some situations that can't be
15 covered by the current rule. I don't know.
16 Just because of some specific language in that
17 or because of what information is available. I
18 think situations are different and the Met Lab
19 is very different and that's why I thought it
20 would be helpful to talk a little bit about
21 that before we talk about more general
22 criteria or how to get it. Arjun do you want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to bring us up to date?

2 DR. MAKHIJANI: I actually haven't
3 reviewed the Met Lab situation. Maybe Hans
4 can do it.

5 DR. H. BEHLING: Okay. This was
6 a report that I had submitted for review back
7 in June of 2009 so we're almost coming up to a
8 year when the report was initially issued.
9 And I do believe that it was briefly discussed
10 at a previous meeting. However, at the time
11 when it was issued, I don't believe that NIOSH
12 had a reasonable chance to review it in it's
13 entirety. I remember Jim Neton making some
14 comments and also at the time he said he
15 needed to review in greater detail to perhaps
16 add additional comments regarding the validity
17 of some of the comments I had introduced in
18 the report. But for those who have had a
19 chance to read it, you realize that the Met
20 Lab was in fact the first incidence of AEC,
21 DOE issues that relate to the weapons program.
22 It started in 1942 and of course that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comprised one more thing. That is we were
2 very uninformed about a lot of things
3 involving radiation, especially in large
4 source-terms and quantities and some of our
5 information was extremely limited with regard
6 to what those radiations do to living cells,
7 to living organisms. And one of the things I
8 brought out in the report was the concept of
9 tolerance levels and they established
10 tolerance levels for external exposure for
11 airborne concentrations, for in body
12 concentrations, etc. And now in retrospect we
13 do come to realize that many of these
14 tolerance levels were either orders of
15 magnitude higher than what we would allow for
16 in current day standards and I provided some
17 examples about polonium and other particular
18 radionuclides where tolerance levels in the
19 body were more than, up to fifty thousand
20 times higher than what they would be allowed
21 in today's world. Also there were
22 misconceptions. For instance, one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 things that stood out was their concern about
2 radium. They considered radium to be ten
3 times more detrimental as an internal
4 radionuclide than plutonium. So given all
5 those things we have to realize that the
6 environment in which workers worked during
7 that time frame were quite different and they
8 were based on understanding that in today's
9 world we would potentially realize we are very
10 much in error. Tolerance doses whether it was
11 external/internal were very, very high.
12 Earlier this morning I identified for instance
13 one tolerance level that was identified in
14 behalf of iodine 131 where in a given day they
15 would allow up to two hundred eighty something
16 microcuries to be inhaled which translates to
17 over three hundred some odd rads to the
18 thyroid. So given that we realize that we
19 were dealing with a time frame when things
20 were quite different from what they are today
21 and the 250 day standard that applies across
22 the Board for all time periods may have to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 looked at in different terms when we go back
2 in time. And of course Met Lab is really
3 ground zero for the time frame of the weapons
4 production. And in my report I identified the
5 number of things in addition to tolerance
6 levels which gives sort of a qualitative
7 assessment as to how things were done during
8 that time. I also provided some additional
9 information regarding certain potential
10 exposures both external and internal in places
11 on page 22 of my report. I took some verbatim
12 statements out of some of the reports that
13 were available for review. And for external
14 exposures that involved sources of radium that
15 were used in a very careless way in handling
16 the radium sources people were exposed to
17 radium at a rate where they would exceed their
18 tolerance level for external radiation
19 exposure in a matter of an hour or two on a
20 daily basis. So one can conclude that on the
21 basis of just a single radium source that was
22 used for calibration and other purposes one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 could receive a fairly large dose from
2 external radiation in the matter of days to
3 weeks. In addition I talked about examples
4 about contamination level and of course
5 plutonium was used during those time frames
6 and there were levels of plutonium where
7 workers were monitored both at home as well as
8 at work and one of the examples that I
9 provided was part of Exhibit 4 and 5 that
10 talked about contamination levels of plutonium
11 that involved things such as and I'm looking
12 here at items that were assessed for
13 contamination levels in the individual, in one
14 of the worker's homes from the floor to the
15 table to the couch, kitchen tables,
16 refrigerator food and the quantities of
17 plutonium were found as contamination levels
18 were very, very high in the thousands. And we
19 still haven't quite figured out what the
20 metric was but obviously we speculated that it
21 was metric that would have translated into
22 sizable levels of contamination in a worker's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 home. And of course that would imply that the
2 worker was exposed to fairly large quantities
3 of plutonium in an airborne environment in
4 order to be transported from the workplace
5 into the home. In addition to that I also
6 provided some assessments of plutonium samples
7 in fecal samples that were collected for
8 several workers. And again when we talk about
9 a positive fecal sample one can reasonably
10 conclude that exposure was a relatively acute
11 exposure because of the relatively high
12 appearance rate of material that is either
13 inhaled, brought up in the upper respiratory
14 tract and swallowed or potentially transported
15 from a surface that's contaminated by hand to
16 mouth and then introduced into the
17 gastrointestinal tract. So when you have a
18 fairly high fecal sample that suggests the
19 presence of plutonium one can reasonably
20 conclude that those were also acute exposures
21 as opposed to long term low level chronic
22 exposures. And lastly I introduced a number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of documents that involved -- one of the
2 concerns at the time was obviously damage to
3 the hematopoietic tissues, meaning that there
4 was a risk to workers both external and
5 internal that might perhaps reduce the
6 circulating blood, peripheral blood cells and
7 that was one of their concerns and they would
8 test people routinely and in many instances
9 they did find people who had suppressed white
10 blood cell counts and again we suggest
11 relatively high doses in acute exposures. And
12 contrary to and at the expense of sounding a
13 little bit contrary to what John said, the
14 threshold for hematopoietic damage is not as
15 slow as we normally think. John mentioned
16 this morning about five rem or 20 rem. The
17 truth is when you really do hematopoietic
18 tissue damage what you really would like to
19 know is the starting point because you can
20 take a 100 people in any given room and even
21 have them relatively consistent in terms of
22 age and sex and so forth and your baseline in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 terms of what your neutral fills and your
2 basal fills and your lymphocytes and et cetera
3 will vary not only among individuals but even
4 for given individuals over time. And so
5 unless you have a baseline for that individual
6 you really have a very limited understanding
7 of what shift may occur as a result of
8 exposure. Now I did in my write up include
9 the Y-12 accident and in that particular Y-12
10 accident in 1958 they had the benefit of
11 baseline levels for a total of eight workers
12 who were exposed to the criticality accident.
13 Five of those individuals were exposed to
14 very high doses in the hundreds of rad but
15 three were exposed to lesser levels. And in
16 fact some of the earlier documents that I
17 looked at, NIOSH looked at those values as
18 well. But they had exposures among the three
19 people who had lower exposures. Their
20 exposures to photons and neutrons combined
21 were somewhere around at the high end 70 rem
22 whole body exposure external, photon/neutron.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And yet as a result of that high exposure
2 they observed no significant reduction in the
3 hematopoietic or in the cellularity of
4 peripheral blood cells. So that gives you an
5 indication that the sensitivity of the
6 hematopoietic tissue is not as high as we
7 think it is and in this case they clearly had
8 the ability to make that statement because
9 they had in fact the baseline values for those
10 three individuals and of course the dose
11 reconstruction generated a dose to the
12 hematopoietic tissues around 70 rads with no
13 significant reduction. And yet in the case of
14 the Metallurgical Laboratory we have people
15 there who did in fact show significant changes
16 in blood cellularity as a result of radiation
17 exposure. So in collective terms, not to
18 belabor this, we have instances where
19 exposures were potentially very high based on
20 tolerance levels. We have sources of
21 radiation exposure such as radium that would
22 have resulted in significant doses in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 relatively short periods of time days to weeks
2 perhaps. And we had fecal exposures and
3 potential contamination exposures of plutonium
4 that would have suggested very, very high
5 exposures as well as hematopoietic changes.
6 So given the variety of source-terms that were
7 available for work exposures and the potential
8 for acute exposures or acute exposures meaning
9 days to weeks.

10 CHAIRMAN MELIUS: We'll let you
11 and John figure out your threshold issue
12 later.

13 DR. MAURO: I defer to Hans.

14 CHAIRMAN MELIUS: I guess the
15 question though, Arjun and I talked about this
16 a little bit which is one reason we couldn't
17 focus on this initially is are these, are
18 these incidents? I think that's what is
19 brought up here. These are working
20 conditions. I think what Hans referred to as
21 a acute but acute over days or weeks of
22 exposure. Are they incidents and are the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 incidents and sort of fit the criteria we've
2 talked about this morning on incidents?

3 DR. H. BEHLING: I would say
4 probably not, because, as I said if these
5 exposures occurred over short periods of time
6 and the doses were large, it was probably more
7 a matter of our level of limited understanding
8 of issues and ignorance more than an
9 accidental event that triggered these
10 exposures. And in the classical sense, if you
11 want to classify an incident as something that
12 was unforeseen, unpredicted or there was no
13 conscious effort to allow this to happen then
14 clearly these cases would not qualify as
15 incident cases.

16 DR. MAKHIJANI: The difference
17 between say during testing where soldiers went
18 near ground zero because they were doing
19 exercises and somebody getting caught in the
20 Baneberry cloud. I mean exposures might be
21 comparable but one was not intentional and the
22 other one was intentional.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MELIUS: There's also an
2 issue of control measures.

3 DR. MAKHIJANI: Yes.

4 CHAIRMAN MELIUS: And the other
5 question I would have here is were some of
6 these exposures incidents in the way we've
7 been talking about it? I'm trying to remember
8 back.

9 MEMBER ZIEMER: The Oak Ridge one
10 was clearly an incident.

11 CHAIRMAN MELIUS: Yes, the Oak
12 Ridge one, but I'm talking about the Met Lab.

13 DR. H. BEHLING: Well Dr. Melius
14 you could potentially construe some of them as
15 sort of hybrids. For instance, they were
16 portholes for neutron exposures that people
17 simply walked by and there was a limited solid
18 angle for a fairly high neutron exposures.
19 Again, were the people aware that they were
20 potentially leaving themselves vulnerable to a
21 high neutron exposure by walking past these
22 beams of neutrons or was it again simply

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 indifference. It is hard to really label
2 these situations as being an incident when you
3 realize these were scientists. They knew they
4 were being exposed to neutrons but didn't
5 really care enough to worry about it.

6 CHAIRMAN MELIUS: Be careful about
7 the something else.

8 I also think we have to be careful
9 about how do we try to account for intent or
10 whatever in terms of any exposure. Be hard to
11 put that in a Class Definition. Unintended
12 exposure.

13 DR. MAKHIJANI: This neutron thing
14 is interesting because there were no radiation
15 controls. I don't know whether you call it
16 failure radiation control but clearly they
17 were in a hurry to do something. And they did
18 not, you know, they knew they were. They had
19 a certain number of neutrons. There was
20 neutron exposure incidental to that and not
21 part of the experimental setup. So,
22 conceivably you could consider that piece of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 evidence.

2 CHAIRMAN MELIUS: The failure of
3 controls what is the knowledge of appropriate
4 controls? Is our knowledge contemporary or is
5 it knowledge at the time? I think --

6 DR. MAKHIJANI: I think the whole
7 dose reconstruction is done on a contemporary
8 basis.

9 CHAIRMAN MELIUS: Yes.

10 DR. MAKHIJANI: I think the
11 radiation controls have to be taken on a
12 contemporary basis. We are kind of looking
13 back saying for a lot of reasons people were
14 exposed back then and we are going to
15 compensate them under certain conditions and
16 the dose reconstruction method using old data
17 but you are using modernized ERPs and you are
18 not using dose reconstruction methodology from
19 the time or the framework in the time or
20 anything like that. So I would say it would
21 fit the rest of the philosophy to say failure
22 of radiation controls would be by today's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 standards. How you actually factor that in
2 with exceptionally high exposures is obviously
3 very hard. But the radiation control piece I
4 would say should be by today's standards
5 because it fits.

6 CHAIRMAN MELIUS: But if and I
7 don't know the details of the work schedule
8 there and operational schedule to know that
9 but certainly a significant number of the --
10 say we agreed that those were incidents under
11 our best we have. A significant number of the
12 workers during that time period would, you
13 know, would potentially have been exposed.
14 There had been a probability that they would
15 have been exposed to one of those incidents.
16 And I don't know if we could document it or
17 not document it. So I think we would have to
18 make some assumption about that. And so under
19 that construct they could qualify. Some of
20 the longer term exposures, the acute closures
21 over weeks or something I think are harder to
22 think of as an incident, I guess.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAKHIJANI: Dr. Ziemer did back
2 then.

3 MEMBER ZIEMER: Did that?

4 DR. MAKHIJANI: Long things at an
5 incident of potential, longer than one hour,
6 one day, might be something less than 250.

7 MEMBER ZIEMER: Well we talked
8 about that earlier today too.

9 DR. MAKHIJANI: Right, that's what
10 I'm saying. And I think we came up with some
11 examples of that.

12 MEMBER ZIEMER: We are talking
13 about Metallurgical Lab. Those were, that was
14 controlled, those were accidental excursions
15 that was controlled. They were very carefully
16 adding fuel and making measurements and
17 approaching criticality and we all know that
18 the protective things were very crude. They
19 had the axe man. The guy with the rope and
20 what was it, the boron. A jug of boron or
21 something. Anyway, or cadmium rod, I forget
22 which is was. That was the scram system, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 guy with a hatchet and a rope. But the output
2 of that was very well documented. I mean they
3 are going to criticality. They were measuring
4 the multiplication. The neutron fluxes were
5 pretty well known, I guess.

6 CHAIRMAN MELIUS: But the control
7 of exposure was by today's standards would be
8 considered uncontrolled.

9 MEMBER ZIEMER: Well Hans talked
10 about the tolerance level and people thought
11 in those days there was a value below which
12 there were no effects. So, I think the dose
13 limits are very high. Those can be
14 reconstructed though, can't they? Where did
15 we end up in the Met Lab?

16 DR. NETON: Well I was just looking
17 at the ER right now and neither are internal
18 nor external is considered to be
19 reconstructable.

20 MEMBER ZIEMER: Why was the
21 external not?

22 DR. NETON: We only had one result

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for one person, one external badge. We had no
2 dosimeter data, except for that one person and
3 he was not monitored for neutrons.

4 CHAIRMAN MELIUS: An inadequate
5 source of information.

6 DR. MAKHIJANI: I think the control
7 system probably calculating, had to been
8 calculating neutron flux.

9 MEMBER ZIEMER: Basically it was a
10 criticality experiment where you keep adding
11 fuel and measuring the multiplication of the
12 neutrons.

13 MEMBER ROESSLER: Well I keep
14 thinking of what John Morrow said about
15 situations where we know it when we see it and
16 that's not the worst approach. When I look at
17 these time periods that we are dealing with on
18 anything and I think of 1942 to 1940 whatever
19 there was consideration of the job that needs
20 to be done, the lack of technology for making
21 these measurements and the lack of knowledge
22 about what the effects were. To me I start to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 factor that time period is one in which the
2 rules might be different for some other time.

3 Maybe the effects are not different but I
4 think time period we need to think about.

5 MEMBER BEACH: I have a question.

6 Jim, back in December 2008 when we started
7 talking about Met Lab we had four cases that
8 had less than 250. Do you know, probably not
9 offhand, if there has been any other cases
10 that have come in?

11 DR. NETON: I don't know.

12 DR. MAURO: Hans, didn't you have
13 an attachment to that report which listed a
14 number of workers that were there and how long
15 they were there?

16 DR. H. BEHLING: Yes I do. In
17 fact I think that was stricken because of the
18 Privacy Act issue but in one of the documents
19 I identified a citation of sixty some-odd
20 workers who by definition for being on that
21 list had been there for less than one year.
22 And one can obviously conclude that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 provides a termination date and you already
2 know when the starting date was so yes, there
3 were a substantial number of people who had
4 been employed for less than the year's time,
5 yes.

6 MEMBER BEACH: Thank you.

7 DR. GLOVER: Some of these
8 facilities because of the claimants.

9 DR. NETON: Those are not
10 claimants that Hans was referring to.

11 DR. GLOVER: A lot of college
12 professors, like the Los Alamos and there may
13 during the war effort time but people don't
14 hit the 250 days because of those.

15 MEMBER ZIEMER: Well, and in this
16 particular case once they showed that they
17 could produce the chain reaction then people
18 scattered. They built other piles at Argonne
19 and Oak Ridge, Hanford and a lot of those
20 people left for other sites anyway.

21 DR. H. BEHLING: Excuse me. I have
22 to correct myself. I said 67. Actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 paging to the portion of the report where I
2 identified and I'll read to you on page six
3 and seven of the report and it's called the
4 Metallurgical Project Personnel Report. They
5 identified 169 individuals who were classified
6 as resigned or cut off. And on the basis of
7 the termination dates and the start of the lab
8 they were all obviously people who were less
9 than 250 days at the facility. So 169 is the
10 number.

11 CHAIRMAN MELIUS: So there's some
12 probability where we can, could consider this
13 concluded. I agree with what Gen said, it
14 does seem something, I don't know if it's the
15 time period or what. To me it's the concept
16 of -- by modern standards of radiation control
17 it is uncontrolled and in a situation where
18 there would be exceptional exposure that
19 occurred and obviously not able to reconstruct
20 it all.

21 MEMBER ROESSLER: If you could
22 think of another word for uncontrolled.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: How much leeway, I mean
2 understand sort of the dilemma we have. We
3 have the information that has been
4 communicated to us regarding these various
5 sites and they are different. And we also
6 have the constraints imposed upon us by the
7 law, by the statutes and the regulations. And
8 clearly there is a certain amount of leeway I
9 presume we have within the definition of the
10 terms and the way in which the language is
11 structured. Could we actually reach a point
12 where we feel that for example, this business
13 of loss of control or breakdown or an
14 incident. These are terminologies that we are
15 sort of saddled with because the way in which
16 the regulations are written. But we just
17 heard a very interesting example of one where
18 really, everything was being done the way it
19 was suppose to be done, we just didn't have
20 the knowledge. So to what degree do we make
21 our judgments. Do we make our judgments --
22 let's say we are talking about this site.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Okay? We have 168 people that worked there
2 for less than a year. I'll just take a guess,
3 if it is the way it is now one out of four
4 probably developed cancer at some time in
5 their life. Throwing a number out. That's
6 what happens. In theory there may be some
7 fraction of that 40 people or whatever it
8 comes to. But and so common sense dictates
9 that here we have a significant population of
10 people that clearly probably were exposed to
11 substantial exposures while they were working
12 there based on the story that Hans just told.
13 Now are we at a place where but we can't
14 grant that SEC status because of the way that
15 the language in the law is written because it
16 just cuts us off. Could that happen here.
17 Can we just say listen, the language is the
18 language but we are not going to stop
19 ourselves and when we see a situation that has
20 to be fixed. I'm not, I've got to tell you
21 I'm not that worried about the language of the
22 law. I didn't mean it to sound the way it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sounded. I'm saying as a scientific body, as
2 a scientific body, we are deliberating over
3 what's the right way to deal with the problem.

4 Then once we discuss it and we come to place
5 where we feel that the way I have just done.
6 Certain people should be compensated.
7 However, we've got a problem. The law is a
8 little ambiguous here. Or the law is not
9 ambiguous and draws a line. You know, what do
10 we do in a situation like that and that is all
11 I'm saying. I think we might be there.

12 CHAIRMAN MELIUS: The regulations.

13 DR. MAURO: The regulations, the
14 laws.

15 CHAIRMAN MELIUS: The regulations
16 provide some guidelines for what has to be
17 met. I think do these situations we've talked
18 about all three of them, do they, with people
19 we think have exceptionally high exposures,
20 could they be addressed through the current
21 regulation? I don't know for sure because I
22 think they've got to get more specific about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 how we think they should be addressed and how
2 the Class is defined. But I think in a
3 general sense maybe they could be and they
4 probably could be. I don't think we would do
5 it quite the way you said John. Just hell
6 with the law.

7 MEMBER ZIEMER: I have an
8 additional thought. Let me approach it this
9 way. I'll ask Hans this question. Hans, the
10 old tolerance doses came out of what we would
11 now call the NCRP and they were related to X-
12 ray and radium things. They didn't have legal
13 force. Here we have a situation which
14 eventually led to the Atomic Energy Commission
15 but do you recall whether I know the Manhattan
16 Project eventually developed some dose limits.

17 But I'm not sure they even existed at the
18 time of the start of the Metallurgical Lab.

19 DR. H. BEHLING: No they did not.
20 I think they probably were recommendations and
21 I believe most of the recommendations were
22 geared towards external exposure and the use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of radium because those were the only areas
2 prior to --

3 MEMBER ZIEMER: Well I know the
4 tolerance dose was the NCRP concept that
5 certainly had no legal force.

6 DR. H. BEHLING: No.

7 MEMBER ZIEMER: What I'm sort of
8 getting to is I'm wondering if for the time
9 period that preceded legal dose limits. We
10 didn't have legal dose limits, I don't think,
11 at the time of the Manhattan Project. I
12 suppose one could argue that in the absence of
13 any legal dose limits, one might make the case
14 that exposures were not being controlled.
15 That is just a thought.

16 MS. HOWELL: The regulation doesn't
17 -- it talks about failure of radiation
18 controls. It doesn't speak to the absence.
19 Like we had --

20 MEMBER ZIEMER: Okay. I'm sort of
21 asking that question, yes.

22 MS. HOWELL: That's an issue. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean there are probably about five or six
2 phrases in the current regulation right now
3 that are undefined terms.

4 MEMBER ZIEMER: Failure of controls
5 not absence.

6 MS. HOWELL: Right, creating a
7 loophole.

8 MEMBER ZIEMER: Yes, yes, okay.

9 CHAIRMAN MELIUS: So is it failure
10 of controls that were placed or like what you
11 were saying current standards.

12 MR. KATZ: Current standards.

13 CHAIRMAN MELIUS: Current standards
14 or is it -- there were guidelines though.

15 DR. MAKHIJANI: There was a
16 plutonium guideline and --

17 MEMBER ZIEMER: Wait a minute. At
18 the time of the Manhattan Project there was a
19 plutonium guideline?

20 DR. H. BEHLING: There were just
21 basically tolerance levels and those are sort
22 of reference levels but again one would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 certainly not assign the horsepower to those
2 tolerance levels as we do to current
3 regulatory limits defined by the DOE or the
4 NRC. So one has to make a distinction between
5 what is a tolerance level and what is a
6 regulatory limit.

7 DR. MAKHIJANI: I agree with that.
8 I was just saying in terms of trying to make
9 the situation more comparable to failure of
10 radiation control, a guideline is obviously
11 not a regulation enforceable in that sense but
12 I think in 1941 actually went back to the
13 radium dial painters situation and tried to
14 assess what the limit.

15 MEMBER ZIEMER: Yes the old Robley
16 Evans radium thing and everything else was
17 kind of related to that.

18 DR. MAKHIJANI: And I think they did
19 set a guideline for plutonium on that basis in
20 '41.

21 DR. H. BEHLING: Except it was
22 considered one tenth as toxic as radium. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the guideline was obviously a goofy one
2 because it obviously didn't make or account
3 for the higher level of radiotoxicity for
4 plutonium.

5 MEMBER ZIEMER: Of course
6 plutonium available at that time was like
7 nothing, micrograms or something.

8 DR. MAKHIJANI: The only thing that
9 I would suggest that maybe a stretch of the
10 definition of failure to impose certain or
11 failure to enforce some kind of radiologic
12 controls is to expand the definition saying
13 the failure to have a dose limits to begin
14 with would not constitute in a broader sense
15 the failure of radiation controls when you
16 have no dose limits to speak of. You would
17 think it would be an extension of the
18 definition.

19 DR. GLOVER: I would toss out
20 though that stretching the thing versus
21 rewriting it I think the Agency is much more
22 comfortable with you making something that is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consistent with the feeling of the Board
2 versus stretching the rule into areas where it
3 is not meant to have gone. We have
4 circumstances now that you have found that it
5 didn't perhaps cover. But I'm afraid if we
6 stretch it, Emily is going to say that we do
7 have a law we have to follow.

8 MS. HOWELL: Right.

9 CHAIRMAN MELIUS: But I do think
10 it's also -- the problem with that approach,
11 Hans, is I mean it still begs the question of
12 well is it an exceptional incident? Is it not
13 reconstructable and so forth? So it's not
14 just you know whether or not there were
15 regulatory limits in place. What were the
16 actual exposures at the time and can we or can
17 we not reconstruct them. We have to be
18 careful that we don't put forth the stretch
19 criteria in a way that then allows everything
20 in and this becomes where we end up having to
21 screen every potential acute exposure up
22 there. I think at some point we, what's going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to be key here is how do we know it when we
2 see it? How do we describe that in a way that
3 there's a, that it, I can't say threshold, but
4 it's a limited universe that we really can all
5 agree on would qualify. Because I think the
6 other criteria would follow from that. Then
7 could there be situations that don't meet the
8 regulatory definitions of incident and so
9 forth that ought to be compensated in some way
10 with short term exposure. There may be. I'm
11 not sure we -- I don't think we've ruled them
12 out but at least the three we've talked about
13 I think there's some reasonable possibility
14 that they could be dealt with in terms of the
15 rule. I think we have some work to do to get
16 there. So I don't want to jump ahead too far
17 on that.

18 DR. NETON: I just was thinking
19 while you were talking that it seems in this
20 instance -- I remember reviewing the original
21 Hans' report and one of the compelling
22 arguments I think that meets one criteria

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 possibly which is exceptionally high because
2 of the lymphocyte blood cell depression that
3 occurred in these workers. I think that's
4 actually one of the examples offered up in the
5 regulation as evidence of exceptionally high
6 exposure. So it seems like it meets, could be
7 exceptionally high criteria. I'm not sure it
8 meets discreet incident or failure of
9 radiological control. Maybe one of those
10 three seems to be there.

11 CHAIRMAN MELIUS: Maybe in the Met
12 Lab we are not going to be able to tell if
13 that is a -- it could have occurred from acute
14 exposures, these porthole incidents. Those
15 may be incidents. I'm not sure but we may not
16 be able to tell for the individual worker
17 there, and we may have to say well but there's
18 a probability that they could have been
19 exposed there. It's a complicated situation,
20 we have limited individual information.

21 MEMBER ZIEMER: Do we know in the
22 Met Lab if once they achieve criticality did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they do further criticality experiments? I
2 got the impression that once they achieved
3 that they started work on the reactors, the
4 real reactors and the Met Lab stuff with the
5 other stuff.

6 DR. NETON: I don't know, but my
7 impression was that these large external
8 exposures were not necessarily the result of
9 the criticality but these radium sources that
10 Hans was talking about where they could have
11 received, I forget what his calculations was,
12 a thousand R in a day or something like that.

13 MEMBER ZIEMER: And I think
14 probably Arjun is correct that although they
15 may not have formal dose limits, they did have
16 the guidelines. There was a reason that they
17 were up on the balcony away from and they had
18 some idea and actually didn't stay at
19 criticality very long once they achieved it.
20 I mean, they were there and then they shut
21 down and they drank their wine and went home.
22 That's how the story goes pretty much. So I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 guess in my mind I'm certainly comfortable
2 with using non-stochastic effects as evidence
3 of a high dose and saying that would be a
4 criteria without anything else and you
5 wouldn't be able to reconstruct it but it's
6 got to be "high" if it is causing, certainly
7 -- and certainly in those time frames. It is
8 not like today where you can find a couple of
9 chromosome breaks. I mean, if they could see
10 blood changes in the 40s they must, they've
11 got to be over 50, maybe in the 100s.

12 MEMBER ROESSLER: Because as Hans
13 talked about the changes in response with
14 individuals too, you have a to put a big range
15 on that.

16 MEMBER ZIEMER: Yes.

17 DR. H. BEHLING: And it's
18 important to note that really their focus and
19 concern during those periods of time early on
20 was really not towards cancer or other
21 stochastic effects. They were really looking
22 only at the potential avoidance of acute

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 radiation exposure issues.

2 MEMBER ZIEMER: Right.

3 CHAIRMAN MELIUS: So we've solved
4 that.

5 (Laughter.)

6 MEMBER ZIEMER: We don't currently
7 have a criteria for the less than 250, the
8 presence of or do we? The presence of non-
9 stochastic effects as a criteria for
10 eligibility?

11 CHAIRMAN MELIUS: Yes, that's one
12 of them.

13 DR. NETON: Well one of the
14 examples offered in the regulation was like a
15 criticality and I forget the exact findings.
16 Maybe someone could pull it out. It talked
17 about blood cells.

18 MEMBER ZIEMER: So that's already
19 in place.

20 CHAIRMAN MELIUS: But it's tied to
21 the incident issue. So that's the, I think,
22 maybe more of a hurdle.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ZIEMER: Oh, but evidence
2 of an incident --

3 DR. NETON: I'm not actually sure
4 it's actually in the regulation or the
5 preamble.

6 MS. HOWELL: It's in the preamble

7 CHAIRMAN MELIUS: The preamble.

8 DR. NETON: It's in the preamble.

9 DR. MAKHIJANI: I don't believe it's
10 in the regulation.

11 CHAIRMAN MELIUS: It's in the
12 preamble.

13 MR. KATZ: That's in the preamble.

14 CHAIRMAN MELIUS: That's right.

15 MEMBER ZIEMER: What does it say?

16 MR. KATZ: The regulation itself
17 doesn't go to that.

18 CHAIRMAN MELIUS: It's in the
19 preamble

20 MEMBER ZIEMER: But the preamble
21 expresses intent.

22 DR. NETON: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: The thing with the
2 white blood cell changes and measurable
3 somatic effect lost your internal -- I mean
4 it's a step from the external.

5 MEMBER ZIEMER: It's one indicator.

6 DR. MAKHIJANI: Right.

7 MEMBER ZIEMER: It's not the only
8 one necessarily.

9 DR. MAKHIJANI: Right.

10 MEMBER ROESSLER: So that helps us
11 with the Met Lab, but it doesn't help us with
12 this. Jim wanted for us to come up with some
13 general.

14 MEMBER ZIEMER: But that's a fairly
15 general one.

16 CHAIRMAN MELIUS: Yes, it's one.

17 MEMBER ZIEMER: Is it already
18 included by being in the preamble or not?
19 Does it have to be explicit?

20 MR. KATZ: Well it's already
21 considered in effect that's already under
22 consideration at DCAS because that's in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 preamble. It might even be addressed in their
2 guidelines too.

3 DR. GLOVER: If it's a point that
4 we are still discussing it here, then it may
5 not hurt to have it, that's your magnitude of
6 large, right? It is one of the things that
7 says what do we mean by big, we agree that
8 seems to make --

9 CHAIRMAN MELIUS: The description
10 of the -- you know it when you see it. That's
11 one of the things you see.

12 MS. HOWELL: The failure of
13 controls is the actual language at the reg.

14 DR. NETON: 83.10 actually includes
15 white blood cell depression. Section I,
16 medical evidence that one or more members of
17 Class may have incurred a high level of
18 radiation dose from the incident such as
19 depressed white blood cell count, associated
20 with radiation exposure for the application of
21 chelation therapy.

22 DR. MAKHIJANI: So that is internal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 dose.

2 DR. MAURO: That's the internal.

3 MEMBER ZIEMER: Which means they've
4 taken steps to do something, so it indicates
5 an incident.

6 DR. MAKHIJANI: This goes along the
7 line of what I was saying earlier in the
8 morning. There are specific guidelines that
9 call for medical intervention, and chelation
10 is one of them. So if you want to go away
11 from a quantitative dose idea because you
12 can't reconstruct the incident and you know it
13 happened, you've got to establish presence
14 somehow, an affidavit, somebody said they were
15 there or a record or special incident index.
16 I mean you have to have something like that,
17 otherwise you can't get there. But I think --

18 MEMBER ZIEMER: Or these medical
19 records.

20 DR. MAKHIJANI: Or medical records.

21 CHAIRMAN MELIUS: But I think there
22 are members of the Class. You don't have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 document it for every Class member.

2 DR. MAKHIJANI: I think chelation,
3 internal dose could be gotten at.

4 DR. MAURO: I've got to tell you
5 that's very important because, you know, we
6 have had some strong arguments regarding
7 external, whether that's captured by the
8 definition. I have to say this is the first
9 time I have heard some language bringing
10 internal into the picture.

11 MEMBER GRIFFON: We forgot that was
12 in there.

13 MEMBER BEACH: So when did
14 chelation come into play though? This was in
15 '42 to '46.

16 DR. NETON: John's right. It
17 clearly, I don't think the intent of the
18 regulation was to discount internal. I think
19 the way it was defined as a discrete incident
20 sort of precludes these expended internal
21 exposures that give you very high doses. That
22 is sort of the disconnect in my opinion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: So you would agree
2 then -- see one of the things that is a little
3 disturbing right now. I went through three
4 examples, and I sort of stuck my neck out.
5 That sounds like the first one, Ames, you got
6 to pay those guys. You know the second one,
7 Baneberry my goodness. That was pretty bad. I
8 don't know how high the doses were, but they
9 sounded like they were pretty serious. Now we
10 hear this story. Now in each one of these
11 cases, I'm not afraid to -- you got to pay
12 those guys. They came down with cancer and
13 they were there for less than 250 days, and
14 the guy has one of the list of cancers. So in
15 my mind I just heard three examples that
16 scream to me it is the right thing to do.

17 Now, quite frankly I haven't heard
18 anybody around the table say the same thing.
19 Do you agree? In light of what we know, we
20 know a lot about the subject do you think that
21 the right thing to do here is at least in
22 those three cases notwithstanding what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 regulations say. Granted, I know we are
2 trying to get to the big picture. But I'm
3 staying to the small picture. We just went
4 through three cases. I know how I come out on
5 the three cases. I don't know where everybody
6 else comes out on the three cases. I know, it
7 seems obvious to me. Now, what that tells us
8 about the generalities is other matters, but
9 if some folks don't believe every one of those
10 cases warrant granting a SEC for those people,
11 then we are still at, like, square one to me.
12 I know what that tells me.

13 MEMBER ZIEMER: Well, I think on
14 the first one the difficulty was establishing
15 presence in those logs, right?

16 DR. MAURO: Well, that's the
17 mechanics of it. If it can be established
18 that a person were present when one or more
19 blowout occurred, even though he was there for
20 less than 250 days and we know that. But we
21 also know that he got one of the listed
22 cancers, as far as I'm concerned, we're done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 That is the right thing to do.

2 MEMBER BEACH: Well we can
3 establish the dates of the blowouts or the
4 dates in between when those blowouts occurred
5 fairly well, can't we?

6 DR. H. BEHLING: Not really, no.

7 MEMBER BEACH: No, not really?

8 DR. H. BEHLING: No. We just know
9 that they occurred at a fairly consistent
10 frequency and from the records with Dr.
11 Spedding who was the head of that department
12 there at Ames he in his own personal accounts
13 and memoirs talks about the frequency and he
14 cites the one day when they had six explosions
15 in a single day. And there is persistent
16 reference to the frequency of these blowouts.

17 So one could reasonably assume that in any
18 given, let's say 30 day period there was at
19 least perhaps one blowout, so establishing a
20 person's presence at the site for 30 days
21 would almost reasonably guarantee you that he
22 was there doing at least one blowout.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ZIEMER: Well, that's right
2 back where we were talking about before.
3 That's Dr. Melius', you know, what's the
4 probability you got exposed to one blowout? If
5 you were there 30 days it is one and 60 is two
6 and so on. Okay.

7 MEMBER GRIFFON: I was just going
8 to answer John's question. For me, I think
9 Ames fits it, I'm convinced anyway. But for
10 Nevada Test Site, I'm not sure. I mean
11 there's some subtleties on these other ones I
12 think. What I heard on Nevada Test Site is
13 that if you are involved in an incident, if I
14 understand it right, the Class was defined
15 because of this -- having several sort of
16 acutes and the difficulty in reconstructing.
17 But if you could show presence from what I'm
18 hearing from folks on the phone as well as in
19 the room is that if you were at one of the
20 incidents, they did do a fair amount of follow
21 up immediately on some of these, so there may,
22 may be records to reconstruct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAKHIJANI: I think in Baneberry
2 did.

3 MEMBER GRIFFON: Yes. I'm not
4 sure it's true.

5 DR. MAKHIJANI: I'm not sure that
6 there was an intercept between the people and
7 events in the same way.

8 MEMBER GRIFFON: So I don't know if
9 you were just out there for one event and you
10 worked there 20 days or whatever and were
11 involved in one of the events and but they did
12 follow up immediately and your records have
13 enough to reconstruct. So yes I can't answer
14 that so easily for Nevada Test Site is what I
15 am saying. And then the last one, I guess my
16 trouble with the last one in Met Lab is the
17 same question Jim is raising is that sure you
18 had the medical effects there, which is a
19 strong argument for it but then it doesn't
20 seem like there's any discreet incident that
21 caused it necessarily so you had a longer term
22 exposure maybe.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. H. BEHLING: Mark, except that
2 when you have a suppression of lymphocytes and
3 neutrophils it's usually a strong indication
4 of a short term exposure, and I'm going back
5 to criticality accidents but also the Marshall
6 Island experience that I studied intensely and
7 you probably would not get a significant
8 suppression of blood cells if you were
9 chronically exposed even to substantial doses.

10 They would appear to be short term duration
11 exposures that would significantly suppress
12 neutrophils of lymphocytes.

13 CHAIRMAN MELIUS: That's a good
14 point.

15 MEMBER GRIFFON: I mean how did
16 they decide to take those measurements anyway?

17 It obviously wasn't just a regular physical,
18 was it?

19 DR. H. BEHLING: No, no. They
20 would routinely get people down there and
21 assess their peripheral blood much like you do
22 when you take an annual physical exam.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER GRIFFON: But it is routine?

2 DR. H. BEHLING: Peripheral blood
3 sample and put it on a slide and count the
4 number of cells and determine what the number
5 of cells are per unit volume, per milliliter,
6 and determine whether or not this differs
7 from a baseline value which they had and then
8 come to some conclusion that radiation might
9 have been or likely have been the cause of
10 that suppression.

11 MEMBER GRIFFON: No I'm just saying
12 they didn't do it in response to a known
13 excursion or whatever?

14 DR. MAKHIJANI: In Baneberry, they
15 did.

16 MEMBER GRIFFON: I'm talking about
17 the Met Lab.

18 DR. H. BEHLING: Well, I think in
19 Met Lab it may have been something that was
20 done more or less routine that says, okay
21 we're concerned about the avoidance of non-
22 stochastic effect and so rather than let's say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have a bioassay every -- pretty much I think
2 you have to look at the serological tests at
3 the Met Lab much like you do a bioassay. You
4 schedule people every 30 days to see what
5 their excretion rate is for a certain isotope
6 in urine or something else and I think this is
7 basically how they assess people in those days
8 for peripheral blood disorders. It would be
9 used as a bioassay test.

10 MEMBER ROESSLER: They had animal
11 studies that they probably were basing it on?

12 DR. H. BEHLING: Yes, absolutely.

13 MEMBER ZIEMER: Well and keep in
14 mind they didn't -- there was no lifetime
15 exposure records kept. Everybody thought it
16 was like a weekly limit and as long as you
17 controlled that and didn't have any stochastic
18 or non-stochastic effects in a week you were
19 okay. There were no lifetime limits. People
20 didn't keep them, and I might add, I entered
21 the field in the 50s, we were still taking
22 baseline blood counts on every rad worker.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 You had that in the files in case you
2 suspected it.

3 DR. MAURO: So you had baseline?

4 MEMBER ZIEMER: Yes.

5 CHAIRMAN MELIUS: So what do we do
6 next?

7 DR. MAKHIJANI: Do you want some
8 exploration, some kind of guided exploration
9 of these three things?

10 CHAIRMAN MELIUS: No, I have an
11 answer.

12 DR. GLOVER: Rhetorical.

13 MEMBER ZIEMER: We have to guess
14 the answer.

15 CHAIRMAN MELIUS: Paul will stay
16 after class and complete his napkin. It's got
17 many sides. This isn't -- I'm not going to
18 say who should do this and talk about how to
19 do this, one of the things I think we need to
20 document, let's call it a guidance document
21 that tries to capture what we've talked about
22 in a general sense. How high is high enough?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And some of the other sort of baseline
2 criteria and I think we need to probably
3 include in that some thought -- Emily alluded
4 to the five key words or whatever they are in
5 the current regulation and sort of flesh that
6 out, at least take those into account in
7 writing up this guidance document. The second
8 thing I think we need to do is refresh
9 ourselves on the three examples based on what
10 we've discussed today, the three sites. And
11 are they -- have we in our discussions have we
12 characterized -- we all agree on the
13 characterization of them in terms of that they
14 would fit this loose construct that we have of
15 how we would approach this issue. Some of
16 that, well can we really not count the number
17 of incidents at Ames. Were they that high?
18 And things like that just to make sure we are
19 factually in agreement on what we know and
20 don't know about all three. I think the
21 Nevada Test Site is going to be the harder one
22 because it's just bigger and more complicated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Maybe that will be harder to do that. Then I
2 think we need to bring the two together with
3 another meeting. I would like to put the goal
4 of trying to have something to present to the
5 Board, including potentially if we agree on
6 it, that we would be able to make SEC
7 recommendations on these sites by the August
8 meeting. I do think we need to bring this to
9 the Board for discussion. We've spent a long
10 time on it. It is difficult but I think at
11 least for the people at Ames and Met Lab and
12 NTS at least to have a path forward on those
13 and relatively soon. I'm not quite as sure
14 that we would be ready for Nevada Test Site by
15 August, but we could be. The facts are less
16 clear.

17 DR. MAKHIJANI: I think the
18 documentation on Baneberry is there and, Lynn,
19 are you still there? Lynn, are you familiar
20 with all the documentation from Baneberry that
21 we could kind of guide us?

22 MR. ANSPAUGH: I'm fairly familiar

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with the documentation on Baneberry but I
2 certainly have it within my files.

3 DR. MAKHIJANI: Okay. Maybe we
4 could put it together for you. We can try.

5 CHAIRMAN MELIUS: Yes.

6 MEMBER ZIEMER: What are we looking
7 for there? Is that the only one we were
8 looking at?

9 DR. MAKHIJANI: Well all three in
10 fact, right?

11 CHAIRMAN MELIUS: All three. Let's
12 back up a little bit. I think on Ames, I
13 don't think, I think we have enough
14 documentation. I think we need to refresh our
15 memories and sort of re-look at that and make
16 sure that what we, the way we've talked about
17 it is accurate. It has been a long time since
18 we talked about it. The same on Met Lab. It
19 is a little bit more recent but I think I
20 certainly need to refresh on that and how this
21 could fit into this issue. And then the third
22 one I think is the Nevada Test Site. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that, I don't think we've ever documented
2 that, at least taking into account some of the
3 recent findings in terms of SEC and so forth
4 with that. That's what has changed with the
5 Nevada Test Site. As a result of the work on
6 the SECs there, I think there may be more
7 other documentation out there that we didn't
8 have before when we considered, which was over
9 two years ago, maybe even longer with that. So
10 that may require an updated document from SC&A
11 on that.

12 MEMBER GRIFFON: And do you have in
13 mind too that that first part, I agree with
14 that, drafting of a guidance.

15 CHAIRMAN MELIUS: Yes.

16 MEMBER GRIFFON: A straw man, sort
17 of, but are you going to task that?

18 CHAIRMAN MELIUS: I was going to do
19 that.

20 MEMBER GRIFFON: Okay.

21 CHAIRMAN MELIUS: We would do that
22 as a group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER GRIFFON: It might be useful
2 to have a written thing to start from.

3 CHAIRMAN MELIUS: Yes and I'll do
4 a first draft and then work off of that,
5 certainly primary author, to get moving
6 forward. I think it is important that we sort
7 of be collaborative from the Work Group but
8 also with NIOSH on that so that when we get to
9 the point of having to agree to this at a
10 Board meeting that it is something that we
11 have generally agreed on. We can disagree
12 about at some point about the application,
13 criteria and so forth but it's something that
14 we agree and certainly on the initial examples
15 that is something that everybody is
16 comfortable moving ahead with. Or if there
17 are differences, then we can focus on those
18 differences and try to figure out how to
19 resolve them because it may be is this an
20 incident, it's not incident, things like that.

21 DR. MAKHIJANI: I'm just trying to
22 be clear. The way I read what you are saying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is we're trying to do two things. One is get
2 clear enough on -- for now -- NTS aside. Get
3 clear enough on Ames and Met Lab so you can
4 take the less-than-250 day recommendation to
5 the Board that there were significant
6 incidents here. One way or the other, you
7 should recommend it since those things were
8 left pending and the second thing is like
9 considering those two, do some guidelines
10 emerge for the bigger picture? Is that the
11 purpose of this?

12 CHAIRMAN MELIUS: No, well the
13 purpose, you are correct, but I think the
14 timing is wrong. I think they need to be done
15 in parallel so that when we get to a Board
16 meeting in August, we can present our Work
17 Group's consensus to the extent we have a
18 consensus on the guidelines. And NIOSH, we
19 have consensus with NIOSH on that also, in a
20 general sense. And that we have a
21 recommendation that the lawyers feel is
22 legitimate under the regulations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER GRIFFON: So for the --

2 CHAIRMAN MELIUS: But I think the
3 criteria are important because if we are
4 presenting examples, I think we need to be
5 able to say this is the universe where they
6 are going to fit and you know, maybe it is,
7 you know, we will know it when we see it but
8 we'll narrow it down so we don't have to --

9 MEMBER ROESSLER: So somebody else
10 can know it when they see it.

11 CHAIRMAN MELIUS: Right. There may
12 be examples but we don't want to have to re-
13 screen every --

14 MS. HOWELL: But the answer -- I'm
15 sorry. The answer could then be, we figured
16 out what we know when we see it but it doesn't
17 fit within the regs so here are our
18 recommendations to change.

19 CHAIRMAN MELIUS: Yes, or this part
20 of it does, this part of it doesn't.

21 DR. MAURO: A little help on the
22 NTS side. Now, what I heard is that we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at least one event, Baneberry, where we all
2 suspect that there were considerable quantity
3 releases. There is some evidence that there
4 was some follow-up to dose reconstruction.
5 That is, the people that they thought might
6 have experienced fairly large releases and
7 they may very well be feasible for certain
8 people who were involved in that event to have
9 the doses reconstructed. Now, but of course
10 they have also at the same time fall within
11 the scope of the SEC. So we have this person
12 say we feel we can reconstruct his dose from
13 Baneberry but at the same time he's going to
14 be granted SEC.

15 MEMBER GRIFFON: Not if he was
16 only there for 30 days.

17 DR. MAURO: Okay but if it was less
18 than, okay. So where -- let me play this out
19 in my head. So here we have this person at
20 Baneberry. We reconstruct his dose. We know
21 we can reconstruct his dose and he is there
22 for less than 250 days. He is either

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 compensated or not. Everything is pretty
2 straightforward. Now, but there are the other
3 people that might have been involved in
4 Baneberry that say they were. Let's say they
5 say they were but may have been. But they
6 didn't get this treatment, a good follow-up, a
7 reconstruction of doses. What happens to
8 them? And I would say the same thing goes for
9 other incidents beside Baneberry.

10 MEMBER GRIFFON: Well I don't know
11 that they can't bound their doses. I don't
12 know.

13 DR. MAURO: So you would say --

14 MEMBER ZIEMER: I would think you
15 could bound them in that case of Baneberry
16 only, right?

17 DR. NETON: We'd have to look at
18 it. If you recall that the reason we added
19 the SEC Class is because the monitoring
20 programs appear to be incident-driven. We
21 couldn't reconstruct chronic exposure models
22 based on that. So we, we have a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bioassay data that is collected in response to
2 known incidents. So, I am not sure where that
3 goes.

4 CHAIRMAN MELIUS: It may come down
5 to what was collected on a particular
6 individual. Some individuals may have been,
7 had adequate data and some may not.

8 DR. NETON: I think it's open. We
9 haven't really looked at it.

10 CHAIRMAN MELIUS: Yes, I mean that
11 is sort of that is one of the things that I
12 thought we had talked about a couple of years
13 ago. That may have been where we --

14 DR. GLOVER: On the Ames discussion
15 that we've had, whether they fit in or not,
16 there was a lot of conjecture back and forth.
17 Well let's forget about them having bioassay
18 and imagine if it was these things. We do
19 need to make sure we very carefully review the
20 records because there is bioassay for these
21 people. We do have groups of uranium bioassay
22 and so we will start composing that. There is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a lot of hypothetical discussions and so we
2 need to make sure we are very careful about
3 the record.

4 DR. NETON: At Ames, we clearly
5 indicated that we could reconstruct uranium
6 exposure with incidents or not. I did go back
7 and look at the document and it appears, I
8 recall now that the uranium monitoring
9 program, as Arjun suggested, ended very early
10 on. The uranium production program, 1943 time
11 frame, and it was primarily thorium after
12 that, through 1955. So there is the
13 disconnect. So we may have a lot of uranium
14 bioassay but only for the very early periods.

15 How that is relevant to the thorium-
16 production period, I don't know, but as Dr.
17 Melius suggested I think everyone needs to go
18 back and look.

19 CHAIRMAN MELIUS: I think we can
20 go back and clarify maybe, there's lots of
21 possibilities. Maybe it is just a certain
22 time period, I don't know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER GRIFFON: Are you asking
2 for all parties to go back to these documents
3 that have been written already or are you
4 asking for, I mean, I thought what might be
5 useful is an executive summary of the relevant
6 facts for each one at Ames -- especially Ames
7 and Met Lab. Nevada might be a broader thing
8 that SC&A has to look at.

9 MEMBER BEACH: Well SC&A put
10 together a report in October 2007 that showed
11 various different claims and different
12 incidents based on what you are talking about
13 now, that I was just looking up.

14 DR. MAKHIJANI: For the Nevada Test
15 Site?

16 MEMBER BEACH: Yes.

17 DR. NETON: That was criticality-
18 based though.

19 DR. MAKHIJANI: No, no. no. We
20 actually had a separate report, the one that
21 Josie is referring to on Nevada Test Site
22 where I believe we compiled all the incidents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at Nevada Test Site. So there is a special
2 report we did on Nevada Test Site. It may not
3 cover all the bases that you want covered, but
4 there is one to start from.

5 CHAIRMAN MELIUS: Let me ask the
6 Work Group. Would it be useful to have a,
7 given all the documentation there is on,
8 actually on all three of these sites --
9 Nevada, I definitely thought there was a need
10 for a further document focused on this. Would
11 it be helpful for Ames and Met Lab to have
12 something that at least summarizes what's
13 there?

14 MEMBER GRIFFON: I thought it would
15 be useful and I think you can juxtapose the
16 cases. This one had this kind of a situation.
17 You know you had the blowouts at Ames. You
18 had the -- they are very different situations
19 that we considered in considering our less-
20 than-250 day policy. So it might be
21 reasonable to summarize. When I say relevant,
22 as they apply to our decision on this 250 day

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 criteria. We don't need all the detail. We
2 can refer back to the big reports for that.

3 CHAIRMAN MELIUS: One of the
4 problems we've had with the 250 day issue is
5 that we go from site to site and, by the time
6 SC&A does a report, NIOSH responds and we have
7 a discussion, somebody goes off and does
8 further work. Then we jump to another site.
9 And then we lose track of the earlier site.
10 So maybe a three-part report from SC&A that
11 would deal with Nevada Test Site, Met Lab and
12 the Ames from the perspective -- see how
13 quickly we forget about these things? From
14 the perspective we've been talking about.

15 MEMBER GRIFFON: And you'll start
16 an initial draft of the overall guidance.

17 CHAIRMAN MELIUS: And I'll start
18 an initial draft, like I said, like an outline
19 at first for that.

20 MEMBER GRIFFON: And can I ask
21 before I forget to ask this question of Emily.
22 The five key words or phrases. I think I've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 got three.

2 MS. HOWELL: Yes, I'm just pasting
3 this off of 83.13(c)(3)(I): presence, health
4 endangerment stuff. So discrete incidents,
5 exceptionally high-level exposures, similarly
6 high-level exposures.

7 MEMBER ZIEMER: What is that?

8 MS. HOWELL: It says, the full
9 phrase is such as nuclear criticality
10 incidents or other events involving similarly
11 high-level exposures. So the issue with that
12 is, it's more how do similarly high levels of
13 exposure compared to exceptionally high levels
14 of exposure. Are they the same? Are they
15 different and et cetera? Then failure of
16 radiation protection controls versus, in the
17 next sentence, unprotected exposure. Again
18 are they the same or are they different? And
19 presence.

20 MEMBER ZIEMER: What was the
21 fourth one? After failure?

22 MS. HOWELL: Failure of radiation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 protection control and then there is no
2 absence. Absence is not in there. And
3 unprotected exposure.

4 MEMBER BEACH: If you look at the
5 conference call notes from January 4. That
6 full paragraph is in there if anybody has
7 that.

8 DR. MAURO: Say that again.

9 MEMBER BEACH: It was a conference
10 call to prepare for this meeting to bring Sam
11 up to date on January 4. And that's the
12 pending, notes conference call on 250 day SEC,
13 January 4, 2010 final. And that whole
14 paragraph is in there.

15 MS. HOWELL: So the fourth was
16 failure of radiation protection controls. The
17 next one was unprotected exposure. And then
18 the last one, which is six actually is
19 presence. And with presence I think you need
20 to verify that is, you know, instantaneous
21 presence of like one second, to think about it
22 practically speaking, not just technically

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what scientifically makes sense. But then how
2 do you apply these practically, because that's
3 where some of this stuff you guys have
4 mentioned today, that's where like the rubber
5 meets the road. Some of what you are talking
6 about may make sense from a scientific
7 perspective but in terms of practical
8 application it is a little unclear.

9 MEMBER ZIEMER: What was the very
10 first one on your list?

11 MS. HOWELL: Discrete incidents.

12 MEMBER ZIEMER: Oh, discrete.

13 MR. KATZ: Can I point out these
14 terms that you've listed, they are not
15 independent criteria. A bunch of this is an
16 example, all laid out as an example. If I
17 could just read. For Classes of employees
18 that may have been exposed to radiation during
19 discreet incidents likely to have involved
20 exceptionally high-level exposures such as
21 nuclear critical incidents or other events
22 involving similarly high levels of exposures

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 resulting from the failure of radiation
2 protection controls. Such as is always is an
3 example of that initial.

4 MS. HOWELL: Right but when we, I'm
5 saying this because we've actually gone
6 through hypotheticals and tried to apply these
7 hypotheticals that DCAS has provided for us
8 and so I'm just saying like we need to think
9 about, those are the individual phrases that
10 are strung together in this example, but we
11 need to think about the individual phrases too
12 because we were just having, that's where I'm
13 talking about practical application being
14 difficult. I know that they are all modified
15 with likely to, such as, and that's a whole
16 other kettle of fish.

17 DR. MAKHIJANI: In making these
18 summaries it might be helpful if we made a
19 table, a side by side table to feature these
20 cases so you can look at them. I mean, not
21 every element in the table might be filled
22 because there may be question marks in some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 them. If we had a side by side, you know, in
2 relation to some of these terms, we could
3 maybe --

4 DR. MAURO: I agree with that but
5 that can't happen until you have your
6 narrative.

7 CHAIRMAN MELIUS: Let's do the
8 narrative first then, and then go back and
9 also be a little careful about doing legal
10 interpretations.

11 DR. MAKHIJANI: No I wasn't talking
12 about legal interpretations. I was talking
13 about putting the characteristics of the
14 incidents side by side so you could see in one
15 table.

16 CHAIRMAN MELIUS: The ghost of
17 counsel past to haunt you.

18 MEMBER ZIEMER: I think tying these
19 together though as you suggested is an
20 important factor because I continue to see
21 assertions, for example from petitioners, that
22 failure of rad controls are grounds for an SEC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and that would be for example, failure to take
2 a leak test within six months and it was a
3 week over. And therefore, so it's got to be
4 tied to something that has a particular
5 outcome.

6 CHAIRMAN MELIUS: It's also why I
7 hesitate to tie it to an operational or
8 regulatory guidance document. It really
9 follows different legal bases. Is everybody -
10 - were you trying to get this done by making
11 significant progress by August?

12 MEMBER ZIEMER: Yes.

13 DR. MAKHIJANI: August. So you
14 would want a report for that?

15 CHAIRMAN MELIUS: Yes.

16 DR. MAKHIJANI: And the Working
17 Group meeting before the August?

18 CHAIRMAN MELIUS: Yes.

19 DR. MAURO: Is this an SC&A report?

20 CHAIRMAN MELIUS: The summary is
21 an SC&A report. The guidance summary, the
22 summary is an SC&A report. The guidance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 document is not. That is a Work Group --
2 that is a NIOSH collaboration.

3 DR. MAKHIJANI: And Jim, when you
4 say summary, the Baneberry piece, were you
5 looking for more of an elaboration on that as
6 a separate document than the summary of
7 everything we've got?

8 CHAIRMAN MELIUS: No, the summary
9 would include -- you decide whether to use
10 part one or part two. I think we need some
11 more -- I don't think we have as good detailed
12 documentation for Nevada Test Site in the
13 context of this 250 day issue as we do for Met
14 Lab and --

15 DR. MAURO: So it's factual
16 information. I just want to make sure I got
17 this right. Factual information, for example,
18 of the list of events that are identified,
19 obviously, and the degree to which -- how much
20 information do we have regarding those events
21 that represent a resource to make a judgment
22 whether or not it is adequate. We wouldn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 make this judgment, whether or not this, we
2 have this situation where there is adequate
3 information to reconstruct a person's dose and
4 place a bound on the event. So what we are
5 really summarizing is factual information that
6 is available on the record. We are compiling
7 it in a way that is crosscutting to all the
8 matters that we are concerned with as it
9 applies to 250 workdays. So it is almost like
10 a repackaging of the information in a
11 different way.

12 MEMBER ZIEMER: I think we have the
13 information we just need to get it.

14 DR. MAURO: Repackaged, so in a --

15 DR. MAKHIJANI: It's just a summary
16 of what we know and what we don't know from
17 the reports we've already done.

18 DR. MAURO: Within the context of
19 the 250 workdays.

20 MEMBER GRIFFON: As it is relevant
21 in making the 250 day decision, yes.

22 DR. MAURO: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER GRIFFON: I think for the
2 Nevada Test Site, you keep talking about
3 Baneberry but there are other events before
4 that. And then what might be relevant is each
5 one of these incidents we know a) there is a
6 good log of all personnel that were in the
7 area and b) we know that they all got in vivo
8 rate, you know. But that not might be true
9 for all the incidents.

10 CHAIRMAN MELIUS: The population
11 was closed.

12 MEMBER GRIFFON: Right, right.

13 CHAIRMAN MELIUS: We will have to
14 address that we are thinking about this.

15 MEMBER GRIFFON: Right.

16 DR. MAKHIJANI: We've certainly done
17 enough work on these three sites that this
18 should be able to give you the ability to pull
19 it all together for a summary.

20 CHAIRMAN MELIUS: Right.

21 DR. MAURO: It is re-crafting it
22 out there in a way that is more useful to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 folks.

2 MR. KATZ: I'm just wondering, Jim,
3 whether it might be helpful when they get to
4 addressing the issue of what information is
5 available for reconstructability of these
6 doses for example, with Bainbridge and so on,
7 if we want them to be in some sort of
8 communication with DCAS since there is not
9 going to be -- you don't have a lot of time
10 for iterative process, but if DCAS folks view
11 things differently in terms of whether all
12 those records are there to reconstruct, for
13 example Bainbridge, if they view that
14 differently than SC&A you don't want to have
15 an iterative process of getting to the end of
16 that question, right?

17 CHAIRMAN MELIUS: Right, yes.

18 MR. KATZ: So do you want some
19 consultative process from SC&A?

20 DR. MAURO: We don't there to be
21 any disagreements on the factual information.

22 It is essential that, when we bring that from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there --

2 CHAIRMAN MELIUS: Some of the
3 factual may be so detailed or so you just
4 can't get to it right now. I think -- so we
5 shouldn't spend a lot of time.

6 MEMBER GRIFFON: Right.

7 CHAIRMAN MELIUS: Are we going to
8 be able to reconstruct from this -- I mean
9 have some technical consultation start and
10 then if we get stuck we are going to have to,
11 we deal with it with the Work Group and it may
12 be that with Nevada Test Site we are not going
13 to -- I'm not sure August is feasible. It may
14 be or may not be. Like these other two sites,
15 I don't think there is any more factual
16 development needed at these other two sites.

17 DR. MAKHIJANI: No.

18 CHAIRMAN MELIUS: It's not a
19 question -- except Jim may need to refresh on
20 the particularly on both of them.

21 DR. MAKHIJANI: I need a little
22 guidance. We are doing these summaries of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 existing reports. Some of these reports
2 haven't gotten out for DOE review and so on.
3 Now we are going to summarize them. Can we
4 put them on the O: drive? Do we need to send
5 them for DOE review? How can we have a
6 technical call and NIOSH can't see it?

7 MS. HOWELL: Why would NIOSH not be
8 able to see it?

9 DR. NETON: I think we should be
10 able to see it.

11 MS. HOWELL: Because it is at DOE?

12 DR. NETON: No, no. We are all
13 government employees.

14 MS. HOWELL: Yes.

15 DR. MAKHIJANI: Just a process
16 point, if something is at DOE review can NIOSH
17 see it while it is at DOE review or do we
18 wait?

19 MS. HOWELL: That's a question --

20 DR. NETON: It just can't be
21 circulated external to the Working Group
22 that's all.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER GRIFFON: You can share it
2 on the O: drive or whatever.

3 DR. MAKHIJANI: Something that's in
4 DOE review can still be shared with the
5 Working Group?

6 MS. HOWELL: DOE not PA.

7 DR. MAKHIJANI: Yes, not PA.

8 DR. NETON: We routinely send these
9 reports to the Board while DOE review is being
10 conducted as long as it is held internally.
11 That's not a problem.

12 DR. MAURO: When we think, when we
13 have compiled, I've been working real close
14 with Joe on this. When we assembled from
15 whatever sources there are, whether it is
16 interviews, data capture and we write a report
17 where we have collective, disparate sources,
18 factual information put into one place, it has
19 to go to DOE before it goes to NIOSH or
20 anybody else. It has to go to DOE for
21 clearance. However, if we prepare a report
22 from material that's already been cleared and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 published and on the website --

2 DR. NETON: That's not what I was
3 talking about.

4 DR. MAURO: Okay, good. And I
5 would say right now my instincts tell me
6 whatever we prepare is going to result from
7 materials already cleared and already in the
8 public domain, it is just re-crafting it. So
9 there is no DOE --

10 DR. MAKHIJANI: I just wanted us to
11 be clear on that.

12 DR. NETON: For the record, that's
13 what I was talking about.

14 DR. MAURO: I'm sorry.

15 DR. MAKHIJANI: If there are several
16 steps then the time table is less feasible and
17 then we can just stick it on the O: drive and
18 then Jim and Sam, and everybody can see it.

19 MEMBER ROESSLER: Jim, are you
20 thinking of a teleconference Work Group
21 meeting before August? Then should we pick a
22 date?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MELIUS: No, we will pick
2 date next week in Buffalo. Plus, my calendar
3 is out in my car.

4 MEMBER ROESSLER: Okay.

5 CHAIRMAN MELIUS: Good. Anything
6 else? If not, we can adjourn.

7 DR. MAKHIJANI: Broadly, you'll
8 schedule for July, right?

9 CHAIRMAN MELIUS: There may be,
10 there's a possibility we may try to do
11 something -- a short conference call in June
12 of the Work Group to talk about the guidance
13 document. But in terms of the SC&A report and
14 the application, that's July. So no vacations
15 this summer.

16 MS. HOWELL: So the meeting
17 regarding Dow in July would also be
18 teleconference or are we having two separate
19 meetings or one meeting?

20 CHAIRMAN MELIUS: I don't know yet.
21 Most likely it will be a teleconference. I'm
22 not sure what they are going to find when they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 open that box.

2 MS. HOWELL: That's fine.

3 CHAIRMAN MELIUS: I'm skeptical
4 that they'll share it. If there is
5 information that may be useful there that they
6 can get through declassified and that process
7 will take some time, in which case I'm not
8 sure we will be able to do it in July. If
9 they determine there's nothing there, then it
10 maybe. Okay.

11 MR. KATZ: Thank you, everybody.

12 CHAIRMAN MELIUS: Thank you
13 everybody.

14 (Whereupon, the above-entitled
15 matter went off the record at 2:33 p.m.)

16

17

18

19

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com