

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON SEC ISSUES

+ + + + +

FRIDAY
JULY 30, 2010

+ + + + +

The Work Group convened via teleconference at 1:00 p.m. Eastern Daylight Time, James Malcolm Melius, Chairman, presiding.

PRESENT:

JAMES MALCOLM MELIUS, Chairman
JOSIE BEACH, Member
GENEVIEVE S. ROESSLER, Member
PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
ISAF AL-NABULSI, DOE
HANS BEHLING, SC&A
SAM GLOVER, DCAS
STU HINNEFELD, DCAS
EMILY HOWELL, HHS
JENNY LIN, HHS
ARJUN MAKHIJANI, SC&A
JOHN MAURO, SC&A
DAN McKEEL, Petitioner for Dow
MICHAEL RAFKY, HHS
LaVON RUTHERFORD, DCAS

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:03 p.m.)

3 MR. KATZ: Okay. So this is the
4 Advisory Board on Radiation and Worker Health,
5 the SEC Work Group. And let's begin with roll
6 call.

7 We are discussing sites today:
8 NTS, Electro Met and Ames. So, please, when
9 you -- when we go through roll call, please
10 address conflict of interest, as well.

11 Someone has a line open that has
12 feedback. They either have their speaker
13 phone and -- I'm not sure what, but I'm
14 hearing myself every time I speak.

15 So, everyone who is not speaking,
16 mute your phone. If you don't have mute, use
17 *6. Thank you.

18 Okay. Roll call, then, beginning
19 with Board Members, with the Chair.

20 CHAIRMAN MELIUS: Yes. Jim
21 Melius, and I have no conflicts.

22 MEMBER BEACH: Josie Beach. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have no conflicts with Ames, Met Lab or Nevada
2 Test Site.

3 MEMBER ZIEMER: Paul Ziemer, no
4 conflicts with either of those labs.

5 MEMBER ROESSLER: Gen Roessler, no
6 conflicts with anything, I don't think.

7 MR. KATZ: All right. NIOSH ORAU
8 team?

9 MR. HINNEFELD: This is Stu
10 Hinnefeld. I don't have any conflicts from
11 those sites.

12 DR. GLOVER: Sam Glover, no
13 conflicts with those sites.

14 MR. RUTHERFORD: LaVon Rutherford,
15 no conflicts with those sites.

16 MR. KATZ: SC&A team.

17 DR. MAURO: John Mauro, SC&A, no
18 conflicts.

19 DR. MAKHIJANI: Arjun Makhijani,
20 SC&A, no conflicts with those sites.

21 DR. BEHLING: Hans Behling, SC&A,
22 no conflicts.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: Okay. That was Hans
2 Behling, I think?

3 DR. BEHLING: Yes, it is.

4 MR. KATZ: Thanks. Okay. How
5 about federal officials for HHS or the other
6 departments, including contractors.

7 DR. AL-NABULSI: Isaf Al-Nabulsi,
8 DOE, no conflicts.

9 MS. HOWELL: Emily Howell, HHS.

10 MS. LIN: Jenny Lin, HHS.

11 MR. RAFKY: Michael Rafky, HHS

12 MR. KATZ: Okay. And I should
13 note I'm Ted Katz. I'm the Designated Federal
14 Official for the Advisory Board. I have no
15 conflicts.

16 And then, any members of the
17 public on the line who want to identify
18 themselves?

19 DR. McKEEL: This is Dan McKeel.
20 I'm the Petitioner for Dow.

21 MR. KATZ: Dan. Very good. That
22 does it with roll call and it's your agenda,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Jim.

2 CHAIRMAN MELIUS: Okay, thanks, I
3 believe all the (Telephone interference.) of
4 that draft document which is entitled,
5 guidelines for inclusion, the SEC, for workers
6 with less than 250 days of qualified
7 employment.

8 So, you should have received that
9 draft document, the redraft earlier this week.

10 COURT REPORTER: Excuse me,
11 Chairman Melius. This is the Court Reporter.
12 I was temporarily disconnected. If you
13 wouldn't mind restarting your statement, I
14 apologize.

15 CHAIRMAN MELIUS: That's okay, I
16 was temporarily disconnected a few minutes ago
17 also.

18 Everyone should have received two
19 documents that we are going to discuss that
20 talk about Work Group members. One was a
21 redraft that I did of the guidelines for the
22 inclusion in the SEC for workers with less

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 than 250 days of qualified employment.

2 I sent out an earlier draft to
3 receive comments from the Work Group Members
4 and from NIOSH on that and then incorporate
5 those comments into the new draft which I sent
6 out on Monday to everybody.

7 And then the second document is a
8 document that SC&A did which reviewed the
9 three sites, and sort of summarized some of
10 the previous documents on -- that SC&A had
11 developed on that.

12 And the title of that document,
13 which is dated July 2010, is review of three
14 case studies examined for addressing
15 guidelines for possible addition, blah, blah,
16 blah. And do that -- and, Gen, did you get a
17 copy of that?

18 MEMBER ROESSLER: I did, thanks to
19 Ted. He sent it.

20 CHAIRMAN MELIUS: And I was
21 emailing this morning. I was tied up in a
22 meeting, couldn't access the documents so I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 asked Ted to, so --

2 MEMBER ROESSLER: Yes. I
3 appreciate that. I don't know why I never got
4 it. It was not in my files anywhere.

5 CHAIRMAN MELIUS: Yes. I couldn't
6 even look back into the distribution of it to
7 see how it went out and so forth. And that, I
8 think, serves as sort of a background document
9 to the revisions that I did to the guidelines.

10 So the document -- let me talk a
11 little bit about those revisions to that
12 because they are -- because they were
13 significant revisions. I'd appreciate the
14 comments from Work Group members and from
15 NIOSH.

16 The first draft they did was
17 actually based on the transcript of our
18 previous meeting and I so tried doing that to
19 make sure the discussion there and then based
20 on the documents to try to then reorganize
21 that better and focus it better on the issue
22 of less than 250 days of exposure and some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the considerations that, you know, we had
2 talked about them were important there, but at
3 the same time, you know, they needed --
4 several of those concepts that were summarized
5 in the first draft really needed the
6 clarification and I think I tried to achieve
7 that.

8 One is by, you know, focusing a
9 little more clearly on what's in the
10 regulations and focusing on, you know,
11 discrete incidents as the basis for this
12 potential determination.

13 Secondly, in terms of looking at
14 sort of the health endangerment issue and how
15 we would judge that, I added some examples
16 from -- some of which we had discussed before
17 and some of which I took from the longer
18 background of documents that SC&A had prepared
19 we just -- I just mentioned to that.

20 And there are a few other changes
21 there, so I think it's -- hopefully, it's a
22 better document, more focused and better --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 more understandable to people and more useful.

2 So, I guess at this point I would
3 welcome any comments from the Work Group
4 members on that and on the new -- the revised
5 documents, if you have any.

6 Does anybody have any comments on
7 that document, the guidelines document?

8 MEMBER BEACH: Jim, this is Josie.

9 I thought the overall document was good.
10 There were just a couple of little wording
11 errors, but -- that I noticed.

12 CHAIRMAN MELIUS: Yes. I guess
13 this might be easier if you sent those by
14 email. I caught a couple so I made some --

15 MEMBER BEACH: Okay. Yes.

16 CHAIRMAN MELIUS: -- already, but
17 if there are any general comments it would be
18 probably more helpful for the purposes of this
19 call.

20 MEMBER BEACH: Well, in the second
21 page I was just wondering, under the first
22 paragraph where it -- you were talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 incidents, exposures resulting in these
2 incidents, and then you say SC&A working
3 paper.

4 And then I was wondering, November
5 17th, 2006, was that just an incident? I was
6 trying to go back through the document to see.
7 It just kind of left me wondering.

8 CHAIRMAN MELIUS: No. The -- I'm
9 sorry. I may not have had that or -- there
10 was an SC&A working paper from November 2006
11 called Parsing Health Endangerment Criteria.

12 MEMBER BEACH: Oh, okay.

13 CHAIRMAN MELIUS: It was -- came
14 from when we were first -- the Work Group was
15 first discussing this 250-day issue, and we
16 went through it and analyzed, and in
17 particular what I was referencing that for was
18 we had reviewed -- summarized some of the
19 information on criticality incidents.

20 MEMBER BEACH: Okay.

21 CHAIRMAN MELIUS: And realizing
22 that's when this part of the discussion of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that and the follow-up to that was sort of we,
2 you know, realized that criticality incidents
3 involved a -- potentially a wide range of
4 exposures.

5 MEMBER BEACH: Okay. Thank you.

6 MEMBER ZIEMER: This is Ziemer. I
7 think probably if Josie doesn't have that, we
8 probably should make -- have SC&A send her a
9 copy.

10 It's good background information.

11 I think there was a list of, for example, of
12 all of the -- I think the test of the -- the
13 specific tests as well as incidents such as
14 Ames or events such as those at Ames and
15 others.

16 So, it was a pretty extensive
17 compilation of a number of situations where
18 there might have been high individual
19 exposures, just to give us some fodder for
20 thought, I think.

21 DR. MAKHIJANI: This is Arjun.

22 Dr. Ziemer, there were actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three or four different reports. One was the
2 one that --

3 MEMBER ZIEMER: That's right.
4 There were.

5 DR. MAKHIJANI: -- Dr. Melius was
6 referring to, which was the survey of
7 criticality incidents. Then the one you were
8 referring to, I think, was a specific report
9 to NTS, and then there were two reports on
10 Ames.

11 MEMBER ZIEMER: Oh, yes.

12 DR. MAKHIJANI: And I'd be happy
13 to collect them and send them --

14 MEMBER ZIEMER: Probably it would
15 be good if Josie had all of the materials --

16 DR. MAKHIJANI: I will collect
17 them right now and email them to her.

18 MEMBER ZIEMER: Yes.

19 MEMBER BEACH: Thanks. And I
20 honestly think I probably have most of them.
21 It just -- it wasn't clear to me what that
22 was. So, thank you for the explanation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MELIUS: Yes. And that
2 has -- one that's referenced in the guidelines
3 as a listing. It's based on tables with a
4 listing of a number of criticality incidents,
5 both in the United States and in other
6 countries that have been documented.

7 It gives some idea of what was the
8 potential level of exposure, something about
9 the incident, criticality incident itself, and
10 how many people exposed and things like that.

11 And I think it was just sort of
12 useful to get a better understanding on the
13 range of exposures that might be associated
14 with such an incident.

15 MEMBER ROESSLER: Jim, this is
16 Gen. I have a -- sort of a general comment.
17 I did -- I looked at your first -- well, one
18 version, earlier version of the guidelines,
19 and then this recent one, and it's
20 substantially improved.

21 I particularly like the four
22 examples, because they do give real situations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 where I think this can apply, the biological
2 dosimeters, the early exposure estimates and
3 so on.

4 But what I'm having a hard time
5 trying to figure out what's going to happen
6 from here on if we adopt this. It seems to me
7 that this is going to be an individual
8 decision, certainly good examples and good
9 guidelines, but it still is going to be a
10 really difficult thing to put into, you know,
11 really make work.

12 CHAIRMAN MELIUS: I agree. And
13 we've struggled with this for quite a while,
14 and I think we hope to have something, I would
15 say more -- a little bit more straightforward,
16 and I think, you know, discussions in the Work
17 Group at the last meeting, we had a -- I think
18 we came to the realization that there was no
19 easy way of doing this, and that it was going
20 to be looking at individual situations and
21 evaluating them in the context of some overall
22 guidelines.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But those overall guidelines would
2 not -- hopefully they'll be helpful, but they
3 want -- it will still take a close examination
4 of the particular situations in order to be
5 able to reach a conclusion, and to make a
6 decision on it.

7 Hopefully, it can be done in a way
8 that looks at the overall site, that it
9 wouldn't have to be, you know, sites where
10 there's multiple incidents we'd be able to
11 sort of sort through it in some way, but I'm
12 not really even sure of that because the so-
13 called discrete incidents at a particular site
14 can vary quite a lot.

15 And even deciding what's a
16 discrete incident may be difficult,
17 particularly in some of the early sites where
18 the -- you know, the records aren't very
19 descriptive of what -- where -- situations
20 where people are being exposed. I think in
21 particular the Metallurgical Lab situation.

22 So, you're correct. I mean, Gen,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's going to be a case-by-case, and hopefully
2 we can come up with at least a framework for
3 making that judgment. It would be -- mean
4 that we could at least be consistent about how
5 we do it compared to the claimants that are
6 involved in those incidents.

7 So we're at least reaching that
8 and maybe after we go through some examples or
9 situations and by that it will come easier,
10 but it is not a simple straightforward
11 situation.

12 MEMBER ROESSLER: Well, then let
13 me ask a follow-up question. When a decision
14 needs to be made, will it be our Work Group
15 making the decision? Will it be -- or making
16 a preliminary decision, then presenting it to
17 the Board, or just what will the procedure be?

18 CHAIRMAN MELIUS: I think it may
19 be that or the situations for the sites that
20 have already been referred to the Work Group,
21 which are the, I think, the three that are
22 listed there, Ames, Metallurgical Labs and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Nevada Test Site.

2 It also may be that some of these
3 may be situations where NIOSH will now go back
4 and look at some of these sites themselves and
5 then say, well, maybe, you know, this is --
6 and I think we have to decide how that process
7 will work.

8 But I think that would be in the
9 future. Now, again, I think I'd like -- I
10 think it would be good if we -- the Guidelines
11 were helpful enough that another -- other work
12 groups, for example, were looking at sites
13 would -- where there are -- there have been
14 incidents, it might fit into this situation or
15 these guidelines -- we'd also make a judgment.

16 They do or they do not. Or, they, you know,
17 do or do not warrant further examination, and
18 then I think we need to make a judgment as to,
19 you know, whether -- what's the best place for
20 the Board to -- the most efficient way and the
21 best case for the Board to be able to do that.

22 I mean, one example even came up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the review paper that Arjun did, SC&A did,
2 on Nevada Test Site was that where the above-
3 ground testing we had started to look at some
4 of the incidents there that might fit under
5 these criteria, but for below-ground,
6 underground testing, we had not, and there may
7 be -- very well be incidents there that might
8 -- and we may want to at some point charge
9 SC&A with reviewing those, those incidents in
10 more detail.

11 But I know it's not a yes or no
12 answer, and I think we need to decide and --
13 something we need to, you know, possibly make
14 a recommendation to the entire Board in terms
15 of how we think these should be handled.

16 MEMBER ROESSLER: Okay, that helps,
17 Jim.

18 CHAIRMAN MELIUS: Yes. I don't
19 think that's going to be -- we need to try to
20 work -- at least my feeling would be we --
21 this Work Group, to try to at least work
22 through the one -- the situations that have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 been referred to us already, which are Ames,
2 Met Lab and Nevada Test Site.

3 DR. MAKHIJANI: Dr. Melius, may I
4 ask a question on the last paragraph of your
5 guidelines, the last definition issues
6 paragraph --

7 CHAIRMAN MELIUS: Yes.

8 DR. MAKHIJANI: -- if it's
9 appropriate for me to do so?

10 CHAIRMAN MELIUS: Go ahead, Arjun.

11 DR. MAKHIJANI: Yes. Were you
12 thinking of two different kinds of approaches
13 here, one where, you know, workers were
14 employed at the site or involved in, you know,
15 substantive activities there relating to the
16 production or testing or whatever, would be
17 covered if they were less than 250 days
18 because there's evidence of incidents and a
19 separate category of SEC possibilities that we
20 know there was X incident where a radium
21 source was not handled according to the rules
22 and the doses were exceeded and there were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 white blood cell changes, so anybody who was
2 involved in that incident can file an SEC
3 petition?

4 Were you thinking of two separate
5 categories or just around specific incidents
6 and you have to file a specific petition or --
7 I'm wasn't quite clear about that.

8 CHAIRMAN MELIUS: Well, it may not
9 be clear. What I was trying to address there
10 was, I think that the way the -- the way I
11 understand the regulations are that the
12 regulations apply to a single discrete
13 incident.

14 And yet we had situations where
15 there may -- in our Work Group, this past Work
16 Group meeting in discussions we talked about
17 situations where there are multiple discrete
18 incidents.

19 DR. MAKHIJANI: Right.

20 CHAIRMAN MELIUS: Ames was one
21 example.

22 DR. MAKHIJANI: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: And I think I
2 was -- what I was trying to clarify there was
3 that that -- the first draft I had that mixed
4 in with the guidelines to, you know, the
5 judgment of a discrete incident and that, I
6 think, was confusing and probably wasn't
7 appropriate, was that, trying to at least put
8 a place-holder in there that at some point we
9 would have to also take into account the fact
10 there may be multiple incidents that we --
11 there would be different amounts of
12 information available about what extent people
13 would be present at those incidents.

14 There would also be questions
15 about how many incidents was a person exposed
16 to and so forth.

17 So the health endangerment
18 criteria really just would apply to a discrete
19 incident, but it might be that when we then --
20 say, we had a finding that there was a
21 discrete incident with high exposures that
22 there -- that in terms of developing the Class

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Definition, of how that -- how to make that
2 operational, one would then at that point be
3 taking into account the fact that there were
4 multiple incidents.

5 So, you are correct, Arjun, that
6 there are, I think, a few situations that we
7 would have to wrestle with. One is -- I guess
8 I'm thinking of Ames where there were like
9 multiple incidents and it was sort of how do
10 we -- or how to deal with that.

11 And then the second one, second
12 Class Definition issue is maybe that we would
13 have a single discrete incident, but we would
14 also -- or maybe more than one, but -- at a
15 site, but we would -- there would also be
16 uncertainty about who was present at that
17 incident.

18 To know that there was, you know,
19 a certain work force that was there during a,
20 obviously a certain time period, but exactly
21 who was included in that work force was
22 unclear.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And that came out of looking at
2 some summary that you did on Met Lab and on
3 Nevada Test Site where it appeared that at
4 least on some of the incidents there was
5 uncertainty as to about who was present and
6 how we would then -- you know, if we had made
7 a determination that that would involve that
8 incident which involved health endangerment,
9 we then would have to figure out how do we
10 make a -- we determine who was there and focus
11 that Class in an appropriate way.

12 It obviously wouldn't be
13 absolutely everybody who had ever worked or
14 whatever, but it would be during a time period
15 and some way of trying to focus that more.

16 Again, it would depend on the
17 amount -- the records that were available on
18 that incident.

19 MEMBER ZIEMER: Dr. Melius, can I
20 comment also? This Ziemer.

21 CHAIRMAN MELIUS: Yes, please.

22 MEMBER ZIEMER: I think one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 items we need to keep in mind that, Arjun, is,
2 I believe under the law we can't sort of add
3 up smaller incidents and say that these
4 constitute a bigger one or something like
5 that, partially because we don't know the
6 magnitude of these to start with.

7 But if there are -- in my mind, if
8 there are multiple incidents you could perhaps
9 talk in terms of the increased probability of
10 someone being subject to an incident during
11 the period under 250 days.

12 So, it might -- and I suppose we'd
13 have to hear from counsel on this as to
14 whether legally you can approach it this way,
15 but if you had a site with a single incident,
16 you'd have to be able to place someone there
17 at that incident in terms of time and
18 location.

19 But if you had a site like Ames
20 where you had multiple incidents and you
21 weren't quite sure how -- who was there, when
22 and so on, it seems to me at least

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 conceptually, if there were multiple incidents
2 you could talk about the probability that
3 someone might have been exposed to an incident
4 during their working periods.

5 If, perhaps -- perhaps it might be
6 approached that way. I'm not sure from the
7 legal point of view if we have to actually
8 confirm that a person has been at an incident
9 or whether we can talk in terms of the
10 likelihood that they were there if an incident
11 or multiple incidents occurred during the year
12 of their work.

13 MS. HOWELL: Dr. Ziemer, this is
14 Emily.

15 MEMBER ZIEMER: Yes.

16 MS. HOWELL: And I kind of offer a
17 partial response to what you suggested.

18 MEMBER ZIEMER: Yes.

19 MS. HOWELL: I want to clarify,
20 you're correct. You cannot, under the current
21 regulation as written, add up multiple
22 discrete incidents. They are a discrete

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 incident and if the person was present during
2 that, then you're establishing that there was
3 a high enough exposure to meet the regulatory
4 standards and they would be added that way.

5 So, you can't add the multiple
6 ones. Now, what you're -- what I think you're
7 discussing alternatively is kind of
8 considering discrete incidences that maybe
9 were high, but not exceptionally high --

10 MEMBER ZIEMER: No, I'm not
11 thinking of that so much as simply the
12 likelihood that they were there during one of
13 the discrete incidents.

14 And of course, if there are
15 multiple incidents during the year and you
16 don't know specifically either when the
17 incidents occurred, only that they did, it
18 seems to me that if there are multiple
19 incidents, the likelihood that a person was
20 there for one of them becomes greater.

21 MS. HOWELL: Well --

22 MEMBER ZIEMER: I don't know if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one could think of it that way. I mean --

2 MS. HOWELL: I think -- I think,
3 you know, the Board can consider that when
4 reaching a health endangerment determination
5 in 250 days, but it shouldn't be the sole
6 criteria.

7 MEMBER ZIEMER: Oh, no. No, I
8 just --

9 MS. HOWELL: And the other thing,
10 I guess, is that I would say you have to
11 separate a discrete incident from an
12 exceptionally high exposure.

13 I mean, for the present criteria
14 to be met, you have to meet both of those
15 requirements. But I can envision a situation
16 where you may have a series of what you would
17 consider discrete incidents, and that it was
18 not a chronic, day-to-day exposure, but they
19 did not meet the exceptionally high exposure
20 threshold.

21 And there may be some wish to kind
22 of aggregate those exposures, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unfortunately, under the current regulatory
2 language, we're left with either being able to
3 get there through the 250 days and, you know,
4 when you're looking at that for an SEC Class
5 under 250 days, then a series of the three
6 incidents is like one of many factors you may
7 consider, and then there's presence for a
8 single discrete incident with exceptionally
9 high exposures.

10 So, I don't know if I'm really
11 answering your question or not. Some of this
12 we're going to have to kind of look at more as
13 we hear more from all of you.

14 DR. MAKHIJANI: Dr. Ziemer. This
15 is Arjun. Because the thing I was thinking
16 of; at Ames, there were many blowouts. I
17 guess you have to judge whether a single
18 blowout qualified, but there are no records of
19 who was present, but we know that generally
20 the work force who worked there was liable to
21 be present at some time or other.

22 But in the Met Lab there was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cyclotron exposure with blood changes and as a
2 result, I believe there was a discrete set of
3 people who were there at that incident, and
4 not everybody who worked at Met Lab, so I
5 guess then you have to find out who they were.

6 They have to be something --
7 presumably something like two kinds of
8 situations that could be characterized by
9 these extremes where we don't know -- we can't
10 place the individual which had incidents, many
11 of them, each of which was big and then the
12 second where you might have had only one
13 incident with a discrete population present,
14 maybe only one worker.

15 MEMBER ZIEMER: Yes.

16 CHAIRMAN MELIUS: This is Jim
17 Melius. I would go back to Emily's comments
18 and Dr. Ziemer's comments.

19 I guess what I was thinking, and I
20 wasn't -- I didn't state it properly in that
21 last paragraph, but was that there would be a
22 situation where there might be multiple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discrete incidents with exceptionally high
2 exposures at a site.

3 Now, exactly how we would handle
4 that, I think we would need to work out, but
5 that would be -- it would seem to me that
6 there might be some way of not accumulating
7 those, but including them in one
8 recommendation, that those people would really
9 be all captured under a single -- might be
10 captured under a single Class Definition, and
11 that might involve what you were referring to,
12 Dr. Ziemer, sort of the probability that they
13 were exposed, but it wouldn't be the issue
14 where we would have multiple discrete
15 incidents and we were, you know, adding those
16 up.

17 It would be rather where there
18 would be multiple discrete incidents that
19 would -- that would fit our criteria for
20 having exceptionally high exposure.

21 MEMBER ZIEMER: Yes. I was
22 thinking along the same lines and postulate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that they are all high enough to be
2 exceptional, and then talk about -- if you
3 don't -- if you can't specifically place
4 people there, but you're able to say it's
5 likely that they wouldn't have been exposed to
6 one of those -- because that's all it takes,
7 is one.

8 CHAIRMAN MELIUS: Right.

9 MEMBER ZIEMER: During the course
10 of their work of less than 250 days.

11 CHAIRMAN MELIUS: Yes.

12 MEMBER ZIEMER: That's the way I
13 was thinking about it, particularly if there
14 were multiple ones, then the likelihood, in
15 principle, goes up.

16 CHAIRMAN MELIUS: And sort of
17 operationalize that at these sites where
18 there's not good records, you would have some
19 sort of a Class Definition that would try to
20 capture those with the -- yes, those people
21 that had a significant probability of having
22 worked during the time and having been exposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to multiple -- you know, at least one and
2 maybe more of these incidents.

3 It would meet with the -- the
4 first step, I tried to -- one of the major
5 significant changes I made in the guidelines
6 was to separate that out.

7 In the first draft I had the two
8 concepts mixed, and I thought it was confusing
9 and wasn't appropriate. Really the first
10 threshold, you know, it was a discrete
11 incident with exceptionally high exposure.

12 MEMBER ZIEMER: Well, in that last
13 paragraph, Jim -- this is Ziemer again.

14 CHAIRMAN MELIUS: Yes.

15 MEMBER ZIEMER: -- the third
16 sentence that says this would depend on the
17 level of documentation available for
18 determining whether a worker was present at
19 each discrete incident.

20 It seems to me we don't want to be
21 left with showing that they are present at
22 each one if there are multiple ones.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Yes.

2 MEMBER ZIEMER: You only have to
3 show one, I guess.

4 CHAIRMAN MELIUS: Right.

5 MEMBER ZIEMER: Or high
6 probability of one, maybe.

7 CHAIRMAN MELIUS: Right.

8 MEMBER ZIEMER: I'm just wondering
9 if we would say each are at a discrete
10 incident.

11 CHAIRMAN MELIUS: I think we --
12 the notes I just made were, present at one
13 discrete incident with exceptionally high
14 exposure. That paragraph needs to be
15 rewritten. I made a few other changes as we
16 were just talking.

17 MEMBER ZIEMER: Yes.

18 CHAIRMAN MELIUS: But what I was
19 trying to do was to move that issue to --
20 really comes up under -- I think more likely
21 to come up under a Class Definition. Or,
22 frankly, I think it might come up under the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue of when NIOSH, the Board or whatever --
2 we're trying -- we're looking at the -- once
3 we can reconstruct the dose to begin with, it
4 complicates that also.

5 Other comments from the Work Group
6 members or -- Stu, you were saying how -- I
7 actually missed some of the sign-ins. I'm not
8 even quite sure who's on the phone.

9 MR. HINNEFELD: Yes. This is -- I
10 am here and Sam is on as well. I had to
11 unmute there for a minute.

12 CHAIRMAN MELIUS: Okay.

13 MR. HINNEFELD: Yes, I think there
14 are -- yes, I guess, there -- it would seem to
15 me that there would need to be some discussion
16 about those -- the kinds of questions that are
17 being talked about here, and I guess from our
18 standpoint we would feel like there should be
19 some differentiating factors from a situation
20 where there were, you know, incidents of --

21 You know, one of the
22 differentiating factors among sites where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's going to be potential harm from
2 presence versus other sites there there's not.

3 You know, that's kind of our interest here,
4 is to be able to apply this because I agree
5 with you, Dr. Melius, is that with a set of
6 criteria in hand, it would be something that
7 we would expect to incorporate into our work,
8 I think, if we, in fact, adopt a set of
9 criteria, or either the same or similar.

10 If we adopt those, then that would
11 be something we intend to incorporate into our
12 work, and it would seem like the key element
13 in here is what distinguishes one category
14 from the other, one category meaning potential
15 for harm as defined by 250 days and the other
16 category where potential for harm is presence.

17 So, you know, we struggled with
18 what we can do with this, with this decision,
19 given the current language of the regulation.

20 You know, it just doesn't -- it's not very
21 helpful trying to sort out the kind of
22 situations we're trying to sort out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, I guess the only other thing I
2 would comment is I know that Jim Neton
3 participated in a number of these discussions
4 before this new conflict policy came out, and
5 we've determined it would be conflicted on the
6 250-day decision, on that decision, 250-day
7 criteria.

8 Now, if we can arrive at some 250-
9 day criteria, Jim is not conflicted at any of
10 these three sites, and I think his
11 contribution to that discussion would be
12 pretty valuable when we get these sites to --
13 site-specific or any site-specific discussion
14 where he's not conflicted.

15 CHAIRMAN MELIUS: Well, you -- I
16 don't mean to put you on the spot too much,
17 but it's actually a more general question for
18 everybody.

19 Do those examples, four examples
20 there, capture -- I don't think we can reach
21 a, you know -- you obviously -- I don't think
22 you have a quantitative threshold.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. HINNEFELD: Right. Right.

2 CHAIRMAN MELIUS: But do those
3 examples adequately capture everybody's sort
4 of sense of what an exceptionally high
5 exposure would be?

6 MR. HINNEFELD: Well, yes, number
7 one, which talks about a decreased blood cell
8 count is -- I think that's even an example
9 that's cited in the existing regulation.

10 The part about the administration
11 of chelation therapy gives me a little pause
12 because I believe there were a variety of
13 thresholds for chelation therapy that were
14 adopted at various times and where some sites
15 were very cautious to introduce a medical
16 intervention, and would only do that if they
17 had evidence to believe there was a pretty
18 significant exposure.

19 Other sites were apparently very
20 liberal with chelation intervention, and you
21 know, just maybe a potential indication of
22 exposures to a transuranic with introduced

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 chelation.

2 And so, to me, that one -- the
3 part about the administration of chelation
4 therapy being evidence of an exceptionally
5 high exposure concerns me a little bit.

6 CHAIRMAN MELIUS: I don't
7 disagree. I was tentative about that and I
8 tried different wording and it's hard without
9 a specific example, so --

10 MR. HINNEFELD: Right. The -- I
11 think there's been some discussion about the
12 Ames Laboratory exposure of scenarios from a
13 blowout. I haven't participated in that and
14 am not completely up to speed on that.

15 I think we would not argue that a
16 blowout, a thorium blowout would probably
17 represent the potential for, you know,
18 exposure, a significant exposure, and then it
19 comes sort of down to a definition of what an
20 exceptionally large exposure, which is sort of
21 a subjective -- I think I heard it described
22 as a subjective scientific judgment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, I don't have a strong opinion,
2 I guess, either side of that. Again the
3 Metallurgical Laboratory talks about events
4 that led to peripheral blood changes and I
5 think that's probably one in line with the
6 existing language.

7 The Nevada Test Site, without
8 knowing more about it -- I apologize, I don't
9 know more about the specifics. An exposure
10 rate, in and of itself, to me, doesn't speak
11 to an extremely large exposure.

12 And so, I would need to know more
13 about the incidents and the duration. And
14 also, these apparently were documented
15 incidents and were the names documented and
16 are we just talking about a few people on this
17 event and a few people on that event, and they
18 spent quite a, you know, a significant amount
19 of time in there, and the radiation monitor
20 showed up and said, gee, you guys shouldn't be
21 here.

22 Or, this, you know, is just a --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 because I know there were certain documented
2 events that seem like maybe we could get into
3 this if the exposure time was sufficient.

4 But I don't know how that becomes
5 -- it's important information for the people
6 that we -- that we can identify them or, if we
7 can't, the people who are on-site that day,
8 but it's hard -- seems to be a real broad or
9 far-reaching question. So, like that's -- I'm
10 ambivalent on that one for a couple of
11 reasons.

12 CHAIRMAN MELIUS: And that's
13 helpful too, I'm trying to excerpt from the
14 SC&A report to have specific situations, and
15 it may be that we, you know, need to work
16 through the -- once we've worked through the
17 individual sites and made a determination, you
18 know, reach some agreement on that, then we'll
19 have a -- be better able to state the
20 criteria, so to speak --

21 MR. HINNEFELD: Yes. One would
22 hope. And it is -- this whole question is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 chicken and egg. You know, what do you --
2 what gets set first.

3 CHAIRMAN MELIUS: Yes.

4 DR. MAKHIJANI: Dr. Melius, may I
5 make a procedural suggestion? It might be
6 that NIOSH or Stu and his crew would go
7 through these tables one through four that we
8 have in our report, because there are specific
9 incidents mentioned there.

10 Maybe bin them in three bins, you
11 know, one where you know it's exceptionally
12 high exposures in an incident, then you can't
13 identify the people, but a large number of
14 people were there and you really can't
15 identify the people.

16 The other is where, with a
17 discrete incident like this cyclotron
18 incident, where you know that a discrete
19 population would have been present, but maybe
20 you know them -- who they are -- maybe not.

21 And then there may be cases where
22 there may not be incidents, where there may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not be exceptionally high exposures, and we
2 could get a view -- and there are some
3 opinions expressed here in the comments column
4 in terms of our interpretation based on the
5 past.

6 I went through the past
7 transcripts of the discussions, including the
8 last Working Group discussion, and tried to
9 come up with things that are in the comments
10 column and exposure levels and the relative,
11 whether they were exceptionally high or not.

12 So you have the views that we've
13 been able to extract from the past discussions
14 so that might kind of move the specific
15 discussion from these sites forward.

16 MR. HINNEFELD: Well, I think that
17 would be useful for the discussion, to be
18 honest, for us to take that on, and also it
19 would give maybe the opportunity to rely on
20 people like Sam with a little bit more
21 background and maybe a couple of the other
22 guys with some more expertise in this, and try

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to reach some -- you know, maybe our thought
2 process will be illustrative as we go through
3 those and this binning process, the thing that
4 we have to think about to do that may be
5 illustrative to us in sort of differentiation
6 criteria.

7 CHAIRMAN MELIUS: I think, you
8 know, our past troubles with this issue have
9 been Work Group meetings, and we engage -- we
10 either try to engage in coming up with some
11 straightforward criteria, and fail on that,
12 then we try to engage the specific site
13 situation.

14 And then we sort of feel
15 uncomfortable because we don't know what the
16 criteria, you know, are --

17 MR. HINNEFELD: Right.

18 CHAIRMAN MELIUS: -- and I think
19 we need to sort of approach them both together
20 and I think we're trying to do now, and I
21 think that would be a way of doing that.

22 Paul, Gen, or anybody else have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comments on those examples or --

2 MEMBER ZIEMER: This is Ziemer. I
3 had a comment similar to Stu's on number four
4 in terms of expressing things in terms of dose
5 rates rather than total doses.

6 Actually, tens of r per hour, it's
7 not uncommon for normal operations to occur at
8 those levels and, of course, the worker doses
9 are restricted by time so that you can
10 maintain levels below some specified total
11 dose.

12 Obviously, if you're up in the
13 hundreds of r per hour, that's a different
14 situation. But, I guess probably expressing
15 entry into an area in terms of dose rates
16 certainly can be a little misleading if we
17 take that out of the context of, you know, in
18 some stay time.

19 So, I wonder if there would be a
20 better way of expressing that on that
21 particular one, you know.

22 DR. GLOVER: Dr. Melius, this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Sam Glover. Would it be okay to offer a
2 comment?

3 CHAIRMAN MELIUS: Sure would.

4 DR. GLOVER: Okay. For the -- one
5 of the things that -- just a suggestion. You
6 know, we had a list of a series of examples
7 that are sort of being offered as evidence and
8 if they were to go back to the Work Group -- I
9 know there's a lot of specifics like back and
10 forth between NIOSH, Jim Neton and them, what
11 were the realistic exposures.

12 And SC&A's reports, we haven't
13 commented on the realistic -- on the realism
14 of any of these. You know, they've sort of
15 been offered in a hypothetical, you know, if
16 this was like this, and we all agreed that
17 this is what happened, then would you all
18 agree to it.

19 We really haven't tried to respond
20 to their specific examples, and almost the --
21 could you take the -- you know, other than
22 number one, they're not hypothetical. They

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are offered into evidence. The Ames Lab, Met
2 Lab, NTS.

3 So, rather than having the
4 specific examples, could we take your criteria
5 and then go back to the Working Group and then
6 make it, after they get fleshed out or worked
7 out against the criteria here, they could
8 potentially become examples for your -- you
9 know, they could be then modified to include
10 after they've been fully worked out.

11 CHAIRMAN MELIUS: Yes -- no, I
12 think that was one of the things we wanted to
13 be able to do. At the same time, I think, in
14 the guidelines themselves that we needed to
15 maybe -- we can't describe the whole
16 situation, you know, then the guidelines would
17 go on for pages and pages and then be less
18 useful.

19 So, it was sort of excerpting
20 that. I mean, for example, in Ames, I mean,
21 when I tried to say there was the estimates of
22 the intake, that was the SC&A estimates. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 wasn't saying that was the only estimate.

2 And, you know, these are
3 situations where we can't reconstruct dose, so
4 there's going to be multiple estimates. But I
5 think eventually we should, you know, reach
6 agreement on is that a fair, you know,
7 description of how we would reach, you know, a
8 conclusion on a particular incident.

9 So those will change over time and
10 we should go back and forth on. I just wanted
11 to get some place to get a starting point for
12 each of the sites and so forth.

13 DR. MAURO: Dr. Melius, this is
14 John Mauro. If I may add an observation also.

15 CHAIRMAN MELIUS: You sure may.

16 DR. MAURO: When I read the
17 section where you have the four examples, when
18 you come to two, three and four, when I read
19 it, I wasn't really sure whether you were
20 using those examples as places where we know
21 discrete incidents occurred, where the
22 potential for substantially high or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exceptionally high exposures might have
2 occurred.

3 But we're not necessarily saying
4 they did occur, and therefore, use these as
5 examples of when it's clear and unambiguous
6 that we have situations where you would say,
7 yes, this meets the criteria as opposed to
8 just examples of incidents.

9 I guess that was one of the things
10 I wasn't quite sure, you know, how those
11 examples were intended to be used.

12 The second related item is -- and
13 this is based more on the -- my recollection
14 of the discussions regarding Ames and Met Lab
15 and Nevada.

16 I believe, and certainly not
17 everyone may see it this way, but I believe
18 there was a general consensus that the
19 individual exposures at Ames would seem to
20 lean toward something that one would consider
21 yes, this is -- meets these exceptionally high
22 exposures under the conditions that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 defined by the rule.

2 The same thing goes at Met Lab. I
3 think the tendency during our conversation was
4 yes, because basically of the blood, the blood
5 count.

6 However, I would say that we're a
7 little bit more in an ambiguous area when it
8 comes to the report that we submitted
9 regarding the Nevada Test Site. I don't -- I
10 think there was mixed sentiment on which of
11 those various incidents that were discussed in
12 our reports tended towards being more like
13 250-day or not.

14 So -- and I just wanted to offer
15 that up as an observation.

16 DR. GLOVER: See, one of the
17 things is the reality as we dig into these and
18 we sort of talk about these examples, like for
19 Ames, I believe the blowouts for uranium, we
20 have bioassay for.

21 So then we get into, you know,
22 which specifics and I'm just hesitant -- it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would be almost nice if we -- if the examples
2 -- and again, this is just my comment, if they
3 were more less Ames-related and maybe, okay,
4 take from Ames if you were to see this, if
5 this is really where it comes down, then this
6 would be, you know, versus saying this is what
7 we saw at Ames. So, that's just my
8 suggestion.

9 MEMBER ROESSLER: This is Gen. I
10 have a comment, too, as long as you're talking
11 about Ames.

12 First of all, I like examples, and
13 I think it gives us the guidelines sort of
14 thing we have, indicators that they were high
15 doses, high exposures and here's some samples
16 of it.

17 But on the Ames one, I have kind
18 of a problem with that. If you're going to
19 work on it some, in the last sentence there
20 where it says blowouts. The intakes were on
21 the orders of tens of nanocuries, and that's
22 one criteria in itself, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 That one, I think, you'd have to
2 specify what radionuclide it is. I have a
3 hard time, just looking, tens of nanocuries
4 and saying, oh, oops, that's really high,
5 unless you're more specific.

6 Then, in the second part of that
7 sentence, it doesn't seem to read well to me
8 or something. On the order of tens of
9 hundreds or shouldn't that be --

10 CHAIRMAN MELIUS: It should be or,
11 I think.

12 MEMBER ZIEMER: Or tens to
13 hundreds.

14 CHAIRMAN MELIUS: No, it's order
15 of tens or hundreds of.

16 MEMBER ROESSLER: Or hundreds of.
17 Okay.

18 I offer that, if you're going to
19 be, you know, looking at that one in more
20 detail.

21 CHAIRMAN MELIUS: That's -- I
22 excerpted these from Arjun's SC&A tables and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was -- tried to condense a, you know, a fairly
2 -- a lot of information from a table into a
3 sentence or two, and probably failed.

4 DR. MAKHIJANI: The radionuclide
5 involved is thorium.

6 CHAIRMAN MELIUS: Thorium, yes.

7 DR. MAKHIJANI: And its decay
8 process.

9 CHAIRMAN MELIUS: And so that was
10 the previous, I think the column in the table,
11 and so I didn't include the column, I included
12 a sentence from the comment and so forth. But
13 those are all kind of helpful suggestions.

14 Yes, you know, what Sam was
15 saying, if -- we may get to a point where we
16 would have some nonspecific examples. I'm
17 just a little reluctant, because I want to --
18 I think we need to stay focused -- at this
19 point we need to also stay focused on the
20 actual incidents that we're going to have to,
21 you know, evaluate.

22 And I don't -- I hate to put up a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hypothetical that's, you know, everyone agrees
2 it's a, you know, horrendous dose and, you
3 know, it would qualify, but if it's not
4 something we're really going to deal with,
5 then I think the -- I don't think it's that
6 helpful.

7 I think the thing, we can come up
8 with hypotheticals about what's an
9 exceptionally high exposure, but it's more
10 specific situations that -- that we could
11 have, but there's probably some balance there
12 that we need to reach between sort of reality-
13 based and hypotheticals.

14 So, the more general criteria help
15 us in dealing with new situations. It does
16 not -- I think one thing we're seeing is that
17 the situations are -- are reversed, which is
18 what makes them harder to, more difficult to
19 deal with.

20 DR. MAKHIJANI: Dr. Melius, this
21 is Arjun -- I don't know if people have had
22 time to go through the report that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 submitted, but there's a lot more in it than
2 Ames. And there are, for instance, Nevada
3 Test Site, a shot 4 incident where the highest
4 exposure was 39 rads in one incident, and the
5 next was 28 r.

6 And then there was an incident
7 during the underground testing period that
8 didn't involve testing actually, it involved a
9 cobalt-60 source, and there was a hand dose of
10 1200 rem and a pelvic dose of 42.5 rem,
11 although the badge, overall badge dose was
12 less than ten rem.

13 And so, there are a number of very
14 specific things that I think it would be
15 useful to know whether they are exceptionally
16 high exposures or not, and then there are
17 actual documentation of where, in some cases,
18 of what the red blood cell changes or using
19 current NCRP guidelines whether chelation
20 therapy might be administered.

21 And I had Joyce actually do those
22 specific research on when it is administered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and some of those references are provided, and
2 so it might help focus things.

3 MEMBER ZIEMER: This is Ziemer.
4 One comment. I think we are going to have to
5 be very cautious in making sure that we avoid
6 cases where you're actually able to bound the
7 dose and put numbers on it, because that kind
8 of defeats the whole purpose of the thing.

9 In fact, if we start -- if we end
10 up doing that, then we have put a number on
11 the issue of -- of health endangerment which
12 currently does not have a real number attached
13 to it, which is also bothersome, of course,
14 from a scientific point of view.

15 But, if these incidents can be
16 bounded, then that -- you don't need an SEC.
17 All you need is a person to show his presence,
18 anyway. But -- or actually you can find
19 doses.

20 But, somehow -- and it's fine on
21 examples, but I think we're going to have to
22 be careful so that we don't end up saying,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 okay, once you pass some number, it's -- you
2 have either health endangerment or you're at -
3 - you're at an incident.

4 I don't know how we avoid that,
5 but I think that's a problem from a legal
6 point of view.

7 CHAIRMAN MELIUS: Oh, yes. No, I
8 think your -- that's a very good point, Dr.
9 Ziemer. This is -- we're not even --
10 shouldn't even be talking about this situation
11 unless we were unable to reconstruct the dose,
12 and that's sort of the threshold to even get
13 into this discussion, and so -- correct.

14 And so, it's not -- it's always
15 going to -- it should always be a situation
16 where we, you know, have to, you know, we
17 don't have a good accurate estimate of the
18 dose, can't reach it, so --

19 MEMBER ZIEMER: Right.

20 CHAIRMAN MELIUS: And so, if it
21 weren't, it would be, I mean, somewhat easier,
22 though, putting a number on endangerment has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 its own difficulty. But, again, we just
2 wouldn't be there.

3 MEMBER ZIEMER: Yes, but, for
4 example, at Ames, if we say the estimate is
5 tens of nanocuries or tens to hundreds of rem,
6 we put some boundaries on things here, and
7 that's -- that's what I'm concerned about,
8 that it looks -- on the blowouts, we have
9 numbers of orders of tens of nanocuries of
10 thorium.

11 I don't know how you avoid that,
12 but --

13 CHAIRMAN MELIUS: I tried to use
14 examples where there was -- one is to always
15 include a range so it doesn't imply that we
16 know it is, you know, 500 or more or something
17 like that.

18 MEMBER ZIEMER: Yes. No, I
19 understand that. I'm just struggling with how
20 to end up with a document that sort of meets
21 the legal need and satisfies it
22 scientifically, that -- because what we mean

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 by high dose, number one, becomes somewhat
2 subjective, but it's sort of like I don't want
3 to define it, but I know it when I see it.

4 We all have kind of an intuitive
5 feel for what that is, but it may be different
6 from everyone. But I think we -- we certainly
7 want to avoid having a sharp number in here.

8 DR. BEHLING: This is Hans
9 Behling. Can I make a comment on those few
10 statements by Drs. Ziemer and Melius regarding
11 Ames?

12 CHAIRMAN MELIUS: Sure.

13 DR. BEHLING: The numbers that you
14 see quoted in that point that Arjun made is
15 really a number that has a basis of empirical
16 values and applied to all site-specific hidden
17 parameters, and let me explain.

18 In the original report that I
19 wrote that defines those numbers, what I took
20 was a blowout that was documented at Fernald,
21 and there are empirical data regarding that
22 blowout in terms of which fraction of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 material actually became airborne and was not
2 recovered.

3 And then I tailored that fraction
4 to actual quantities that are being used at
5 Ames for both thorium processing and uranium
6 in their blowout and took empirical data
7 involving the facility at Ames that would
8 perhaps then define an air concentration if
9 there was X number of kilograms of material
10 blowout, a portion of that went airborne and
11 then I looked at the actual physical
12 dimensions of the lab report at Ames where
13 that material would have become airborne and
14 then used a reasonable approach to quantifying
15 what an inhalation intake would have been
16 resulting from that blowout.

17 And I did -- I believe I used 15
18 minutes as an exposure because not only were
19 these people there as scientists, but because
20 it was also a facility that was covered by
21 secrecy, they, the scientists, themselves had
22 to actually act as their own personal fire

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 department so they were not in a position just
2 to run out and vacate the premises.

3 And so, that's the basis for those
4 numbers. So, reasonable assumptions that
5 derive through those numbers.

6 MEMBER ZIEMER: Well, this is
7 Ziemer, and I agree with that, Hans. I'm
8 saying what you've done -- basically done a
9 good job of reconstructing the dose, and
10 we're, in a sense, concerned about events
11 where you can't do that.

12 DR. BEHLING: Yes.

13 DR. GLOVER: This is Sam Glover.
14 One of the things that, you know, I think we
15 were concerned about is what is in from that
16 one. How that -- you know, as you said you --
17 we just didn't know how many occurred, and so
18 therefore that's one of the reasons why I
19 believe it was added as an SEC.

20 So, here we're stringing together
21 potentially a series of these potentially
22 notable events and, you know, one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 things that we've -- that we've brought up a
2 few times is that there are limitations to the
3 existing regulations, and some of these things
4 may be that the Board, you know, your input on
5 revisiting the regulations on how internal
6 dosimetry is handled for these incidents or,
7 you know, the regulation may not be adequate
8 to handle some of these things.

9 DR. BEHLING: But -- this is Hans
10 Behling again. Again, what I tried to do was
11 both a ratio and time frame regarding incident
12 because, in my calculations I actually
13 differentiated exposure, internal exposure,
14 again, time-integrated exposure over a one
15 year, five to ten years from an incident that
16 in a short duration of 15 minutes, I believe
17 it would be integrated doses for one year,
18 five years, ten years for an inhalation period
19 of 15 minutes, and then also added to that
20 perhaps the time interval between frequent
21 blowouts measured at 30 days.

22 So, there were two discrete dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 estimates, inhalation estimates with regard to
2 the first 15 minutes, and then a subsequent
3 integration dose with the next period of 30
4 days, and from the table you will come to the
5 realization that the dominant dose comes from
6 the first 15 minutes of exposure following a
7 blowout.

8 And that certainly would qualify
9 for a discrete incident.

10 So, we can reasonably conclude
11 that these blowouts were routine and that they
12 occurred over periods from the early 40s to
13 the 50s during the time that they were
14 processing uranium and thorium, and so that
15 any person who may have worked there for even
16 as little as one or two months will probably -
17 - or it is a high probability that they may be
18 exposed to at least a single event, and that
19 single event would have resulted in
20 significant internal exposures.

21 DR. GLOVER: This is Sam Glover
22 one more time. I just -- you just said one or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 two months, and so that's different than
2 presence.

3 And so this is, again, one -- a
4 potential discussion of presence versus the
5 250 days, and where we may have some
6 limitations on some of our language, you know,
7 the current criteria.

8 And also, again, the level of
9 detail that we're getting into is just another
10 area where I think it, you know, it would be
11 very helpful to go back to the Working Group
12 and get all the people who really -- because
13 this is very detailed, and we're offering into
14 evidence a lot of different calculations, and
15 we've had discussions back and forth on some
16 of these things.

17 I think, you know, getting someone
18 like -- if we had a set of criteria without
19 these specifics and said, okay, let's try to
20 use this and somebody like Jim Neton, then,
21 could -- you know, because he's participated
22 in hundreds of hours of this stuff, and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 don't have the benefit of that all the time.

2 And not including him and the
3 agency, I think, at least from my personal
4 view is, that limits some of our -- our
5 feedback, and I think that's -- that would be
6 a shame.

7 MS. HOWELL: And this is Emily. I
8 have to -- I was -- Hans was going in and out
9 through a lot of what he was saying, so I'm
10 not sure if I heard correctly, but I have to
11 pick up on what Sam's already mentioned about
12 some of these measurements being -- while I
13 gather that Hans is saying that the majority
14 of the doses he's estimating was from a 15-
15 minute incident, there were still some that
16 were calculated over a 30-day period or one-
17 or a two-month period for internal dosing.

18 We get into this very difficult
19 area with the regulation as it's currently
20 written when we start estimating those kinds
21 of numbers in order to justify a discrete
22 incident because I'm not sure -- and I'm not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 giving a definite opinion on this right now,
2 but I'm concerned about whether or not you
3 could do a calculation that's taking into
4 consideration some period of time that is
5 beyond the actual discrete incident and how we
6 have to define that term, to establish that
7 something was a discrete incident.

8 DR. BEHLING: Well, I guess I had
9 mentioned, the dose from a single blowout
10 does, in fact, involve the first 15 minutes so
11 -- if you want to drop off the balance of the
12 30 days that I used as an arbitrary value
13 between subsequent blowouts, that would be
14 fine, because it really wouldn't matter much.

15 The dominant dose from a single
16 blowout is the dose that a person would
17 receive in the first 15 minutes.

18 DR. MAKHIJANI: This is Arjun.
19 Just a little bit of history here, because
20 there has been a lot of water over this dam.

21 Not only SC&A did do reports, but
22 NIOSH has done one report also in which they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 had somewhat different numbers than Hans', but
2 generally concurred with the idea and pointed
3 out there were differences between Fernald and
4 Ames.

5 But the most important thing I
6 want to point out here is that NIOSH also did
7 another calculation based on some limited
8 thorium bioassay data from the 50s, assuming
9 that it arose from an incident and calculated
10 some doses, although we didn't know whether it
11 arose from an incident.

12 And those doses were in the tens
13 of thousands or thousands of rem and were
14 considered implausibly high. So, it's
15 actually not the case that the examples and
16 the approach that was used to illustrate the
17 doses were likely to be high, at least so far
18 as the existing work is concerned.

19 CHAIRMAN MELIUS: One of the
20 problems that we have, we do have a lot of
21 history and a lot of discussion. I have a
22 couple of comments because I think we also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 need to sort of define a way to work with
2 this.

3 And I'm not sure at this point
4 whether trying to go back and rehash
5 everything is the most efficient way of
6 approaching this. And I don't -- the agency -
7 - the government's got to make up its own mind
8 when Jim Neton is conflicted or not
9 conflicted.

10 I don't think -- I don't want to
11 have us in a position of having to, you know,
12 do something on that basis. That's something
13 for the government to decide, you know, your
14 rules on conflict and bias and just do that.
15 I mean, we just proceed and then you can
16 handle that accordingly.

17 I think we do have time set aside
18 on the agenda for Idaho coming up to this.
19 We've had other sites fall by the wayside that
20 we thought were going to take up a fair amount
21 of time at this meeting, so at least
22 theoretically we have more time than we even

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 originally set aside for this.

2 So, I think we should try to use
3 this time, you know, as best we can, because
4 it's -- our agenda is -- I have a feeling that
5 because we're -- our agenda may be a little
6 lighter than expected for Idaho. It means
7 it's going to be heavier than expected in our
8 next meeting, I believe, in New Mexico.

9 So, I think we should try to make
10 progress in this, and what I was thinking of,
11 and I would be interested in feedback from the
12 Work Group Members and so forth, is -- is that
13 we, one, have a discussion with the Full Board
14 on at least the guidelines, the general
15 outline of the guidelines. Maybe not the
16 specific wording yet, of every part of it, but
17 at least the general outline of that, of where
18 we think we're going.

19 And I have one question related to
20 that, but I'll get back to that in a second.

21 Then, that would be followed by --
22 and I'd ask the SC&A to do a presentation that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would review the three sites, at least to the
2 extent that they've been, you know, worked up
3 so far and there's information.

4 So, you know, we present the
5 information we have on these sites and how it
6 might, you know, why we're concerned and what
7 information there is on discrete incidents at
8 those sites.

9 I understand there's a question
10 earlier that, at least on the Nevada Test
11 Site, I don't think there's been as much of a
12 focus on this so far, so we may be based on
13 the underground testing, so it may not be as
14 complete.

15 But I think it would be worth
16 spending some time -- remember we have four
17 new Board Members that have had no involvement
18 with this or at least very little. I'm not
19 sure -- remember when Henry Anderson left the
20 Board, how much we discussed while he was
21 still on the Board the first time.

22 But I think it would be worth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bringing -- talking about these examples and
2 summarizing information so at least all the
3 Board Members are on the same level of
4 information about these and understand the
5 situation better so that we will be able to
6 move forward more efficiently in the following
7 meetings.

8 Does that make sense to Dr. Ziemer
9 and others?

10 MEMBER ZIEMER: That sounds fine
11 to me, Jim. I also wondered -- I thought that
12 maybe Stu was suggesting that perhaps the
13 NIOSH group also wanted a chance to review in
14 more detail the July 13th document of SC&A.

15 Did I understand that correctly,
16 and maybe have some response to that as well?

17 CHAIRMAN MELIUS: Yes.

18 MR. HINNEFELD: Yes. Well, you
19 know, what I said -- I'm sorry. Did you want
20 me to say something?

21 CHAIRMAN MELIUS: Yes, I did. I
22 was going to say -- what I was going to, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, introduce you, introduce that and it is
2 -- I mean, I don't think we're expecting
3 necessarily a written response and time is
4 relatively short, so I don't want to put too
5 much of a burden on you.

6 But if there at least could be
7 some general response to that and --

8 MR. HINNEFELD: I think -- I think
9 we can have some, you know, spoken response to
10 the -- to the example that the stand will be -
11 - I think it will be similar to what I said on
12 the phone earlier, and I think we can fill it
13 out, you know, a little bit more.

14 And then, if -- you know, if
15 that's how you want us to present it. I think
16 our position going in here is that, you know,
17 we're not telling them to stay hard and stand
18 hard and fast, and Ames has to be the rule
19 because that's not what we're saying.

20 We're trying to come up with a
21 position that seems kind of logical and is
22 compliant with the law, and we're having a lot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of trouble doing that.

2 So, yes, but we don't have -- and
3 in particular with some of the specific
4 examples and any kind of reservations that we
5 might have about the specific examples or sort
6 of endorsement that we might have of those
7 specific examples, I would think that we would
8 do.

9 Now, we discussed earlier about
10 the possibility of us binning all the events
11 described in the Table One of the SC&A
12 documents from the three sites and some of
13 those situations they've described were not
14 carried forward into the examples.

15 So, shall we, for the meantime,
16 between now and the Board meeting, we'll just
17 worry about the examples that were written in
18 the 250-day criteria documents.

19 But would you like us to proceed
20 with some sort of binning after that or do you
21 feel like that's been sufficiently binned and
22 discussed?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MELIUS: Well, I'd
2 actually reverse that, I think, for the
3 purposes of the meeting, the Board meeting. I
4 think if you could focus on the SC&A
5 documents, and that, I think, would be the
6 basis for the SC&A presentation at the
7 meeting, at the Board meeting.

8 MR. HINNEFELD: Okay.

9 CHAIRMAN MELIUS: That describes
10 the site and so it's more being able to --
11 we're not proposing anything, I mean, so it's
12 not, you know, does this fit or not fit or
13 whatever, but it's more, you know, are we
14 capturing what's important about those --
15 those sites and, you know, the information
16 that if it's available, it's relevant, a
17 judgment on 250 days.

18 And the guideline itself, you
19 know, I've been looking for both the NIOSH,
20 SC&A and this Work Group to, you know, let's
21 see if we can, you know, come up with better -
22 - how do we improve those examples and come up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with better -- better illustrated examples
2 that would go on to the guidelines.

3 DR. MAURO: Dr. Melius, this is
4 John. I'd like to make an affirmative
5 statement regarding the examples and how they
6 are used here, because I think as long as the
7 examples that are mentioned in the criteria,
8 the draft criteria document, go toward just
9 examples of circumstances which create
10 candidates for consideration for the 250-day.

11 Not that they are examples of when
12 250 should be granted, but circumstances,
13 different kinds of circumstances that have
14 arisen in the past where consideration needs
15 to be given as opposed to a determination has
16 been made.

17 And I think these are very good
18 examples. And, unfortunately, I think during
19 the course of this conversation we -- we went
20 into a level of granularity regarding each
21 example that started to drive us in the
22 direction that implied we were concluding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that, yes, we should grant the 250-day for
2 this -- under these circumstances.

3 I'd sooner think that the examples
4 will serve us well as situations under which
5 it would be appropriate to consider that these
6 kinds of circumstances, and -- but of course,
7 on a case-by-case basis, the collective
8 judgment would have to be made whether that
9 meets a threshold.

10 Now, the kinds of thresholds that
11 you attempted to include, I think, you know,
12 regarding blood count, but avoiding dosimetric
13 circumstances -- I guess what I'm getting at
14 is, if we use the examples more as situations
15 where this -- where these issues become of
16 concern as opposed -- that's where the value
17 lies and where I think they have a home in the
18 criteria document.

19 MR. HINNEFELD: Okay. So, then,
20 John, you're proposing that the examples in
21 Table One of your report, then, are examples
22 that you would --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: I'm sorry. No. I'm
2 talking not so much about our work, but the
3 draft --

4 MR. HINNEFELD: Okay. So you're
5 talking about examples in the 250-day criteria
6 document?

7 DR. MAURO: Yes. Yes. Right. In
8 other words, citing these examples in the 250-
9 day criteria document, the one we have in
10 front of us right now, these three examples,
11 as circumstances where -- which -- which
12 trigger the concern that requires
13 investigation is how I read this, but it's
14 clear from, you know -- but as you read it and
15 our conversation as it progressed, it wasn't
16 clear that that was the intent.

17 I think -- I think it's right now
18 there's probably very little disagreement
19 amongst everyone on the phone that these three
20 examples, Ames, Nevada Test Site and the
21 Metallurgical Lab are circumstance --
22 situations existed there where certainly this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is something that requires consideration.

2 How that's resolved, now, you
3 know, is really -- the degree to which the
4 criteria document could lay out
5 nonquantitative criteria for helping the
6 decision-makers when they -- on a case-by-case
7 basis.

8 You know, that -- you know, and
9 that -- I think that's what was trying to be
10 done here. So, I think this draft is not that
11 far away from serving the purposes of a
12 guideline, but I -- but the work that it
13 sounds like that you were about to engage in
14 or will be engaging in, Stu, goes more toward
15 your folks making a judgment whether you think
16 this particular incident that occurred at this
17 particular location, you would feel
18 constitutes something that warrants, you know,
19 designation that's meeting the 250-day
20 criteria.

21 So, I mean, I think that's a
22 different subject. I don't know if everyone's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 following this distinction I'm making here.

2 CHAIRMAN MELIUS: Can I maybe
3 clarify what my -- my view of this would be,
4 is that the SC&A documents, Table One, sort
5 of, those would be what I would call a good
6 candidate, where this should be considered,
7 and what we want in the guidelines are, at
8 least at this point we should be trying to
9 achieve our very good candidate -- I mean, a
10 level above that.

11 It should be selected out of there
12 where -- where the, you know, at least in our
13 judgment now we really sort of focus
14 specifically on these that these would be very
15 good candidates, really, highly -- seems
16 people would highly consider that they would,
17 you know, have met this criteria.

18 And when we've then gone to work
19 and eventually looked at the three sites in
20 greater detail, some of these may fall out
21 because, you know, maybe the dose could be
22 reconstructed or, yes, maybe the -- you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 people are, you know, that at the site, they
2 really worked -- you know, the exposure was
3 only a very short time or something -- there's
4 other reasons, so these don't capture all of
5 the information.

6 We never will, but maybe we'll
7 have better ones or whatever. But they should
8 be sort of a higher-level candidate. And I'd
9 like to make them better, you know, more
10 helpful examples, but it really won't be until
11 we've sort of gone through the effort on all
12 the sites.

13 What I'm, you know, more
14 interested in is, sort of, NIOSH being able to
15 raise the issues on the SC&A White Paper
16 things that ought to be thought about at these
17 sites. Again, not that it's, you know,
18 point/counterpoint or whatever, but -- but
19 these situations, and that we're all sort of
20 agreeing on the -- at least the general
21 outline of facts for those sites and how we
22 should approach this -- this, without having

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 done, you know, what we will need to do on the
2 individual sites.

3 Is that helpful or clarifying?

4 MEMBER ZIEMER: Well, this is
5 Ziemer. I think it is, and I think John's
6 point is also well made. And what you would
7 do, then, in the document with the examples is
8 move away from some of the specific numbers
9 and describe them more qualitatively as the
10 types of events that could lead to, quote,
11 high exposures. I think John's point is also
12 well made.

13 CHAIRMAN MELIUS: This --

14 MEMBER ZIEMER: But a discussion
15 of the document would be helpful because, in a
16 sense, we have to get a feel for -- from the
17 real world about what that really means, I
18 guess.

19 CHAIRMAN MELIUS: And what I think
20 we would do would be to have at least a -- you
21 know, I'll revise this draft document and
22 circulate it to the other Board Members.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Everyone has it, but the presentation would be
2 a little bit more general.

3 And I guess my other question -- I
4 don't mean to put Emily on the spot, but I
5 will -- at this point, I mean, Emily, are you
6 comfortable with the general outline of this
7 approach, not the -- not even the wording or
8 anything like that, but is this something that
9 at least you feel comfortable that the Board
10 should be discussing and not getting us
11 totally astray?

12 MS. HOWELL: Well, I mean, I
13 think, you know, we've all said that this has
14 been something that has been difficult for the
15 agency to apply, and so I think that these
16 conversations are good.

17 I have a few concerns about some
18 of the specifics of the guideline document. I
19 mean, I -- I guess what I would say is, you
20 have -- we can't really -- I can't give you an
21 opinion in a hypothetical situation.

22 I can say that the conversation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you're having is fine and you need to have it.

2 It's kind of like when we get to a final
3 document and consideration of actual classes
4 or incidents, it will be easier for us to
5 speak to those specific situations.

6 You know, and I feel like there's
7 two different things going on. There's the
8 guidelines document that you've revised, and I
9 think it's much better in its revised form
10 but, like I said, I do still have a couple of
11 concerns.

12 And then there's a factual
13 application document that SC&A has produced.
14 So, I -- you know, like I don't know that I
15 can give you a much more thorough response
16 than that right now.

17 CHAIRMAN MELIUS: That's fine.
18 That's just -- I don't think we can expect
19 more. In time. There is -- I mean, I just
20 want to mention this, I think there's also --
21 there is a sort of timeliness issue we've been
22 wrestling with this a long time and, we know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it's difficult but it's not something we
2 should be very leisurely about, I think.

3 You know, I think we need to try
4 to resolve it to the extent that we can, to
5 the extent that we can do that within the, you
6 know, the current regulations is sort of the
7 quickest way of doing that because -- and we
8 can -- we can, on some of these sites we go --
9 we may reach a different, you know, situation
10 where they really ought to -- you know, 250
11 days may not be appropriate for a site but,
12 that's going to -- it needs to be dealt with.

13 It has to be dealt with through a regulation
14 change at the designated time.

15 Then, what I would propose and,
16 again, a suggestion, would be a presentation
17 on the guidelines, what the Work Group has
18 been doing to the Full Board, and then a
19 presentation by SC&A on the -- a summary on
20 the three -- three sites so that we all have a
21 common ground or at least the general facts
22 about -- about these sites, and how the 250-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 day issue arises there and so forth.

2 And then, you know, it -- whatever
3 response, contribution NIOSH, you know, you
4 feel comfortable to make by that time --

5 MR. HINNEFELD: Okay. I
6 apologize. My phone dropped the call there
7 for a little -- a few minutes ago, and so --
8 well, this is -- when you say on the three
9 sites, so is this all of the Table One event
10 that -- in SC&A's report where they have these
11 three sites and then they -- from each site
12 they have a number of situations as sort of
13 candidates and sort of our reaction to those?

14 CHAIRMAN MELIUS: Yes. But the
15 Table Three --

16 MR. HINNEFELD: I think it's Table
17 One.

18 DR. MAKHIJANI: Yes. There are
19 several. There are four tables.

20 CHAIRMAN MELIUS: Four tables.

21 MR. HINNEFELD: Table One is just
22 Ames.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: Two for NTS and
2 one for Ames.

3 MR. HINNEFELD: Oh, okay.

4 CHAIRMAN MELIUS: And there's two
5 --

6 MR. HINNEFELD: Okay. Okay. Got
7 you. Got you. Okay.

8 CHAIRMAN MELIUS: I'm not sure
9 that Arjun correctly -- Table Four, I mean, I
10 think, which is the underground testing, it
11 could be mentioned. That really isn't
12 something that's been developed in as much
13 detail as the others. Correct?

14 DR. MAKHIJANI: Yes. You're
15 absolutely right. There's actually one
16 incident involving an external dose that's in
17 underground testing period and I mentioned it
18 a little bit earlier.

19 The internal dose which would
20 involve much of the discussion, I think we
21 have not explored for incidents. We -- I just
22 have given a couple of examples where the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 doses could be inferred to be substantial, but
2 we don't actually know whether they were
3 associated with incidents, because we haven't
4 looked into it.

5 DR. MAURO: Stu, this is John. I
6 think that we would all be interested in
7 NIOSH's perspective if those example incidents
8 that we characterize, or circumstances,
9 because I would call the Met Lab more of a
10 circumstance than an incident for reasons that
11 are apparent when you read the report.

12 Whether or not those
13 circumstances/incidents as we described them
14 in those tables, that you would agree that
15 they are candidates, you know, and warrant and
16 merit discussion within the context of 250
17 days, or do you feel that there may be some of
18 them that you say yes, certainly do, but
19 others you do not feel that way and why, and
20 that will help drive us toward a consensus on
21 at least calling out how we're looking at this
22 and try to achieve a place that would have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 some conciliation where we can agree.

2 You know, where is the glass half
3 full? Of course, from then on, whether or not
4 -- once they're a candidate and we agree
5 they're a candidate, then of course it becomes
6 -- we start the difficult task of, you know,
7 whether or not, yes or no.

8 And perhaps, as you do that, as
9 the Work Group or the Board does that, it will
10 help move us in the direction of developing
11 general criteria that can be a little bit more
12 explicit.

13 Sort of like, almost an iterative
14 process of driving us toward not only the
15 resolution of which candidates should be
16 granted SEC status of less than 250 days, but
17 it will simultaneously drive the process of
18 setting those criteria that could be expressed
19 in general terms.

20 Allow the chicken and the egg to
21 move forward together. I mean, it's -- let it
22 emerge from the process. Unfortunately, we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 trying to do this in a linear way. Let's pick
2 the criteria and then apply the criteria and
3 make a judgment, and that's a problem.

4 And -- or -- it's really
5 inappropriate for us to go ahead and
6 collectively make a judgment without the
7 criteria.

8 So, either way, we're -- we have a
9 problem. But if somehow we can allow the
10 process to unfold and starting with an
11 agreement amongst all concerned, what
12 represents examples that, yes, you and the
13 Board and everyone involved feel, certainly,
14 are situations/criteria that warrant
15 consideration.

16 MR. HINNEFELD: Okay. We'll
17 proceed along that path, then, and see what we
18 can do by the Board meeting. We'll -- yes,
19 you're right. I understand --

20 (Telephone connection with Mr.
21 Hinnefeld was lost.)

22 CHAIRMAN MELIUS: Did we lose --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ROESSLER: Is everybody
2 gone?

3 DR. GLOVER: I'm still here.

4 DR. MAURO: Yes. I'm still here.

5 I think --

6 MEMBER BEACH: I'm still here.

7 CHAIRMAN MELIUS: I think Stu --

8 MEMBER ROESSLER: Stu dropped out.

9 CHAIRMAN MELIUS: Yes.

10 DR. MAURO: Did we lose Stu? Stu,
11 are you there?

12 DR. GLOVER: I think we lost Stu.

13 MR. RUTHERFORD: Yes, it was Stu.

14 MEMBER ROESSLER: While he's gone,
15 this is Gen, I'll make a comment. Since we
16 are going in the direction of this coming up
17 at the Board meeting, which I think is really
18 good to get insight from the new Board
19 Members, and let others look at it again.

20 I'd recommend to Ted, when he
21 sends out a new agenda, that he include the
22 files, particularly that White Paper from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 SC&A, the July paper we've been talking about.

2 And also included are -- make sure
3 that people who don't use their CDC addresses
4 or email addresses for one reason or another
5 have that document.

6 MR. KATZ: Yes, Gen. I'm here.
7 There's no question that these documents have
8 to go to all the Board Members.

9 CHAIRMAN MELIUS: Right.

10 MEMBER ROESSLER: Yes. And sort
11 of recommend somehow or another that they --
12 that they look at some of them before the
13 meeting. It will be much more productive if
14 they've looked at them.

15 MR. HINNEFELD: Yes, this is Stu.

16 MR. KATZ: Again, I'm --

17 MR. HINNEFELD: I'll send Verizon
18 a nasty note.

19 MR. KATZ: Gen, I'm happy to send
20 an encouraging note with the documents.
21 Anyway, so I'll take care of that.

22 CHAIRMAN MELIUS: Certainly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. GLOVER: And would it -- this
2 is Sam Glover. I had one on regards to our
3 presentation. Do you want us to really focus
4 on how well those -- we believe the sort of
5 merit versus getting into the details, because
6 there have been disagreements over, you know,
7 how we come to these different numbers.

8 It gets into the details, you
9 know, so that a lot of facts put into evidence
10 here, where we would get into in a Work Group
11 meeting and actually, okay, what do we know or
12 don't know about a thing, about a particular
13 circumstance.

14 So, I just want to make sure how -
15 - how -- where you want us to go in our
16 discussions. It's really with regard to
17 helping your criteria statement or is this
18 really towards reviewing that particular site
19 when we may bring other arguments to bear?

20 CHAIRMAN MELIUS: It's the former.
21 It's dealing with the guidelines, so it's not
22 all the details of the -- each incident.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. GLOVER: Okay.

2 MEMBER ZIEMER: And this is
3 Ziemer. I might add, Sam, I think part of
4 this is to inform the Board Members of the --
5 both the nature of the issue and where we are
6 on it and maybe some additional insights, but
7 they don't necessarily need all the -- all of
8 the detail at this point --

9 MR. KATZ: Right, Jim.

10 MEMBER ZIEMER: -- on the
11 calculations.

12 CHAIRMAN MELIUS: And so what I
13 would add, I can't remember if it's on the
14 agenda, but we would not be trying to make a
15 recommendation on any of these sites at the
16 Idaho Board Meeting.

17 MR. KATZ: Right. Right. Jim,
18 this is Ted. I was planning, based on this
19 discussion, to revise the agenda so that it's
20 very clear that this is a general discussion
21 of the guidelines with specific examples in
22 that discussion, but I wouldn't lay it out as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I have it now where I have Met Lab and Ames'
2 SEC petition laid out with the opportunity for
3 petitioner to comment, because it's really not
4 -- not that kind of session.

5 CHAIRMAN MELIUS: Right. Correct.

6 And I would just add to that, at least my
7 prioritization on the three sites sort of
8 going forward from here, is I think we should,
9 you know, then we need to move on Ames.

10 I think that's the -- at least the
11 most straightforward in terms of there's
12 really one type of incident to deal with. Met
13 Lab has some different situations, incidents,
14 whatever you want to call them, that sort of
15 would be next, because I think at least
16 they're -- we know what we know there. But I
17 think it takes a little different approach.

18 And then Nevada Test Site, I think
19 we have some more -- have some more work to do
20 so that will take a little bit of more work
21 before we can get there. But I think that
22 would be the general order of how we would try

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to resolve this.

2 Now, you know, it may not work,
3 but let's see how it does going forward.

4 DR. MAKHIJANI: Dr. Melius, just a
5 minor suggestion on that last part. On NTS,
6 as you've said several times, we really
7 haven't done work on the underground testing
8 period for this, but there is some -- we did
9 an explicit report on the atmospheric testing
10 period that can be drawn on, for less than 250
11 days.

12 CHAIRMAN MELIUS: Yes, and I think
13 it would be worthwhile going forward -- on the
14 underground in terms of a report. I'm just
15 trying to think what's the best timing on
16 that.

17 DR. MAKHIJANI: Right.

18 CHAIRMAN MELIUS: It certainly
19 would be best not to start it before we at
20 least have the benefit of some Board
21 discussion at the next meeting.

22 DR. MAKHIJANI: No, no, no. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 agree with that because, you know, we've done
2 a lot of reports that we're still discussing.

3 I just -- I just was pointing out that.

4 CHAIRMAN MELIUS: Yes.

5 DR. MAKHIJANI: In the context of
6 your discussion we have some numbers from the
7 atmospheric testing.

8 CHAIRMAN MELIUS: Yes. Since the
9 Board Meeting is coming up, let's -- we'll
10 sort of make a note and let's -- possibly make
11 that assignment at the Board meeting and see
12 where we are at that point.

13 Does that make sense, Ted?

14 MR. KATZ: Yes, that makes perfect
15 sense to me.

16 CHAIRMAN MELIUS: Any other
17 comments or questions?

18 (No response.)

19 CHAIRMAN MELIUS: I thank
20 everybody for their attention on a Friday
21 afternoon.

22 If there are no more comments or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions, we will see everybody in Idaho in a
2 couple weeks.

3 (Whereupon, the above-entitled
4 matter went off the record at 2:42 p.m.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com