

UNITED STATES OF AMERICA  
CENTERS FOR DISEASE CONTROL

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

77th MEETING

+ + + + +

THURSDAY  
MAY 26, 2011

+ + + + +

The meeting convened at 8:30 a.m.,  
Central Daylight Time, in the Crowne Plaza St.  
Louis-Downtown, 200 North Fourth Street, St.  
Louis, MO, James M. Melius, Chairman,  
presiding.

PRESENT:

JAMES M. MELIUS, Chairman  
HENRY ANDERSON, Member  
JOSIE BEACH, Member  
BRADLEY P. CLAWSON, Member\*  
R. WILLIAM FIELD, Member  
RICHARD LEMEN, Member  
JAMES E. LOCKEY  
WANDA I. MUNN, Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

PRESENT: (continued)

ROBERT W. PRESLEY, Member

GENEVIEVE S. ROESSLER, Member

PHILLIP SCHOFIELD, Member

PAUL L. ZIEMER, Member\*

TED KATZ, Designated Federal Official

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

## REGISTERED AND/OR PUBLIC COMMENT PARTICIPANTS:

ADAMS, NANCY, NIOSH Contractor  
AL-NABULSI, ISAF, DOE  
DANIELS, DOUG, NIOSH  
ELLISON, CHRIS, DCAS  
GLOVER, SAM, DCAS  
HINNEFELD, STU, DCAS  
KOTSCH, JEFF, DOL  
KINMAN, JOSH, DCAS  
LEITON, RACHEL, DOE  
LEWIS, GREG, DOE  
LIN, JENNY, HHS  
MAKHIJANI, ARJUN, SC&A  
McFEE, MATT, ORAU Team  
NETON, JIM, DCAS  
RABINOWITZ, RANDY  
RAFKY, MICHAEL, HHS  
ROLFES, MARK, DCAS  
RUTHERFORD, LAVON, DCAS  
STEINBERG, GARY, DOL  
STIVER, JOHN, SC&A  
TAULBEE, TIM, DCAS  
WADE, LEW, NIOSH Contractor

\*Participating via telephone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 P-R-O-C-E-E-D-I-N-G-S

2 CHAIRMAN MELIUS: Good morning  
3 everybody. We'll get started now. Relatively  
4 -- as I told you relatively short agenda for  
5 this morning.

6 MEMBER MUNN: We're here for the  
7 party.

8 CHAIRMAN MELIUS: Here for the  
9 party? Is there a party later? I was going  
10 to say we're all probably out at the airport.  
11 By the way, if any of you are interested,  
12 Mark Griffon did make it out of town last  
13 night, so he made it to Washington.

14 Few hours late, but he emailed me  
15 late and said that he -- he did make it. We  
16 had saved a place at dinner for him thinking  
17 that he would be coming back and join us. But  
18 he did make it to that.

19 This morning we have just one  
20 agenda item. But first, Ted, do your --

21 MR. KATZ: Right. We have a very  
22 short agenda here. We're just doing quality

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of science review, the ten year program  
2 review. But let me check on the line and see  
3 if we have Board Members with us.

4 MEMBER ZIEMER: Paul Ziemer here.

5 MR. KATZ: Welcome, Paul.

6 MEMBER CLAWSON: Brad Clawson.

7 MR. KATZ: Welcome, Brad. Any  
8 other Board Members? Very good. Let's get  
9 going.

10 CHAIRMAN MELIUS: Okay, this  
11 morning we're going to talk about one -- one  
12 report that on the quality of science, part of  
13 the ten year review.

14 And Doug Daniels was good enough  
15 to change his itinerary and come into -- just  
16 that be able to come in and present to us  
17 today. I think it's a interesting report, and  
18 I thought it would be like helpful in some of  
19 the things we need to consider as well as give  
20 us a chance to ask questions and -- and  
21 understand it better.

22 So, Doug. Thank you. Welcome.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MR. DANIELS:     Well, thank you.  
2                   And I'd like to thank everyone for inviting me  
3                   here. I had a wonderful day of travel last  
4                   night. And that's the first time I've ever  
5                   traveled 300 miles, at 1,400 miles. So it was  
6                   great. Fantastic.

7                   But I'm glad to be here this  
8                   morning --

9                   CHAIRMAN MELIUS:   Where'd you come  
10                  through?

11                  MR. DANIELS:     Well, I flew from  
12                  Cincinnati to -- to St. Louis via Louisiana.

13                  CHAIRMAN MELIUS:   Well, thank you  
14                  for taking -- taking the trouble. Lew came  
15                  via Peoria.

16                  MR. DANIELS:     My name is Robert  
17                  Daniels. I am a NIOSH employee. I'm not  
18                  assigned to the Division of Compensation  
19                  Analysis and Support. I work with a -- a  
20                  colleague, Dr. Henry Spitz, University of  
21                  Cincinnati professor of Nuclear Engineering,  
22                  to do the quality of science element of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 ten year program review report.

2 Just briefly on this slide, Dr.  
3 Howard initiated this program review in  
4 February of last year as part of his -- our  
5 commitment to the highest quality science and  
6 NIOSH programs, and also to recognizing the  
7 importance of program transparency and the  
8 need to be responsive to stakeholders and  
9 members of the public and claimant.

10 So -- so it was an effort put in  
11 place to improve the program. The quality of  
12 science was a key element of this program  
13 review. There are several facets to the  
14 review.

15 The one we're talking about today  
16 is the review on the quality of science, which  
17 is a rather broad term. So the -- at the  
18 time, there were many questions on using  
19 exposure proxies and dose reconstruction. And  
20 so we thought that the best focus for our  
21 review was to also look at methods of indirect  
22 exposure assessment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           As you know, NIOSH is charged with  
2 providing reasonable estimates of dose to  
3 cover employees under the Act. So for us,  
4 reasonable, we determine to mean well based in  
5 science, obviously is an important tenet as  
6 well as timely and fair.

7           And that's the essence of NIOSH  
8 dose reconstruction. NIOSH is charged with  
9 evaluating the completeness of the individual  
10 monitoring data for -- for claimants and  
11 providing remedies when there are gaps in that  
12 information.

13           And that therein lies the use of  
14 indirect methods to fill these data gaps. So  
15 the scope and conduct of the review, quality  
16 of science, as I said, is a very -- is very  
17 broad.

18           We narrowed it to indirect  
19 exposure assessment methods, and more  
20 specifically, looking at -- at coworker and  
21 surrogate data use. Now, the dose  
22 reconstruction program makes a distinction

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 between those where surrogate data is  
2 referring the information from facilities  
3 other than the covered facility of the covered  
4 worker.

5 And coworker data, as you would  
6 expect, it's -- it's exposure data from  
7 similar workers within the facility. There  
8 were two of us working on it, myself and Dr.  
9 Spitz.

10 I focused on issues related to  
11 coworker models, and Dr. Spitz, working  
12 independently, was looking more into the  
13 issues of surrogate data. So you could  
14 imagine if you read the report, there -- there  
15 certainly is a lot of redundancy where we --  
16 we talk about the same -- the same things a  
17 number of times throughout the report.

18 That's to be expected given the  
19 fact that we were actually working independent  
20 for most of the time on the report until we  
21 brought it together in a single document.

22 It has been reviewed. It's still

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 draft. I consider it still draft anyway,  
2 because the public -- the docket's still open  
3 for public comment. So -- so as we get public  
4 comment, I've been making revisions.

5 I think the latest revision has  
6 been posted on the -- on the docket for -- for  
7 continued review. And however, it's -- it's  
8 not finalized yet. It was reviewed by my  
9 management team as the internal review.

10 We did have some scientific peer  
11 review on it. We did not have any review or  
12 comment from members of Office of Compensation  
13 Analysis and Support. So it's -- it's  
14 independent of that office.

15 And of course, public comment is  
16 ongoing. So the structure of the report is --  
17 is -- there are three key elements. The first  
18 is the general program where we discuss our  
19 findings regarding the scientific basis of --  
20 of the dose reconstruction program's use of  
21 indirect exposure assessment methods, the  
22 quality of the documentation that's used in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 conducting those reconstruction, and the  
2 review process that is part of that system.

3 The second part was -- was  
4 specifically looking at external radiation  
5 coworker analysis. So again, I'm parsing  
6 things down to look very narrowly at a single  
7 component of the dose reconstruction program,  
8 which is external radiation coworker analysis.

9 We looked at the scientific  
10 methods that were used as well as we  
11 replicated a model that was used by the NIOSH  
12 dose reconstruction program for -- for the Oak  
13 Ridge gaseous diffusion plant.

14 The third element was public  
15 comment. We reviewed a number of comments  
16 that were received in regard to the -- the  
17 program review. This is prior to the first  
18 publication of the draft report we have now.  
19 And I summarized those comments in the -- in  
20 the back portion of the -- of the report to  
21 give you an idea of stakeholder concerns.

22 And then there is a summary of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 findings and recommendations. And then  
2 finally, there is an appendix on surrogate  
3 data use in the end of the report.

4 So, general findings, first it's  
5 noteworthy that the dose reconstruction  
6 program has made a number of accomplishments  
7 since its beginnings. There have been over  
8 24,000 dose reconstructions at the time of the  
9 report.

10 The report is -- is getting close  
11 to a year old now. So certainly that number  
12 has increased since. The group itself has  
13 made several advancements in exposure  
14 assessment methods.

15 And they've made these methods  
16 available to other researchers outside of dose  
17 reconstruction. So they have contributed to  
18 the scientific literature in a number of ways.

19 And many of the methods that were developed  
20 essentially in support of the compensation  
21 program are now being used in other sciences.

22 So that's a key accomplishment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 They gathered an enormous amount of  
2 information on the U.S. Atomic Weapons Workers  
3 program. I do believe there's hundreds of  
4 thousands of images.

5 I think the last count was 300,000  
6 images on the Department of Energy documents  
7 that have been collected in support of this  
8 program as well as other key sources of  
9 information.

10 That will be useful for science as  
11 well as compensation. And they've developed  
12 and published over 100 technical documents on  
13 dose reconstruction, and made these documents  
14 available to the public and other researchers.

15 General findings on authority, it  
16 was obvious that epidemiologic studies also  
17 rarely benefit from complete exposure  
18 information. So it wasn't a stretch to see  
19 that many of the methods that are used under  
20 dose reconstruction were developed during  
21 epidemiologic studies.

22 And they basically have started

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 with those methods and enhanced them  
2 specifically to support individual dose  
3 reconstruction for compensation purposes. So  
4 there's a lot of similarities in the science  
5 with regard to methods of indirect exposure  
6 assessment.

7           There's a firm foundation within  
8 the Act for using the supplement data for  
9 indirect exposure assessment. The use of  
10 information from coworkers is clearly  
11 authorized.

12           And although it's not specifically  
13 stated in the Act, the use of data from other  
14 facilities, it -- it seems to be referred to  
15 such that you can provide data to complement,  
16 but not supplant to plan information from --  
17 from preferred sources.

18           So there's a hierarchical tree of  
19 data used. And where there are gaps it seems  
20 perfectly acceptable, at least from a  
21 scientific perspective, to use data from other  
22 facilities and other workers to fill these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 gaps in our information.

2           General findings and documentation  
3 of the -- the program itself uses a -- a  
4 process that's similar to standing -- standard  
5 operating procedures that you would see in a  
6 high functioning industrial setting.

7           There's a very layered structure  
8 of policies, plans and procedures. They have  
9 systems in place to standardize the use of  
10 terms and the format of the documents. The  
11 documents are internally reviewed prior to  
12 issuance.

13           There are sign offs. There are --  
14 they are controlled. Nevertheless, given the  
15 vast number of documents and the vast number  
16 of document authors, there were some  
17 inconsistencies between documents.

18           And the content of documents, in  
19 some cases, varied markedly, even though they  
20 had similar uses. So -there could be room for  
21 improvement in future revisions to maybe clean  
22 some of that up.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           One other noteworthy component of  
2 this was we noted that even those these are  
3 controlled documents that are -- and industry  
4 settings, standard operating procedures are  
5 routinely reviewed and revised, given the  
6 dynamics of a system.

7           We would expect that those  
8 reconstruction could be dynamic as well. And  
9 so we -- we thought that perhaps revisions, in  
10 some cases, were infrequent and there could be  
11 improvements made there.

12           Methods, it's very clear that in  
13 dose reconstruction, there's a graded approach  
14 applied that attempts to balance precision and  
15 accuracy with fairness and efficiency. So  
16 there's a give and take with respect to the  
17 scientific rigor that's done for dose  
18 reconstruction.

19           It's also clear that when in doubt  
20 there's always attempts made for claimant  
21 favorability and decisions and assumptions  
22 that are made. However, even though claimant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 favorability, in most cases, could be  
2 intuitive, it has rarely been quantified in  
3 NIOSH dose reconstruction.

4 So we feel that there is room for  
5 improvement in this area to where they could  
6 start looking at trying to quantify a margin  
7 of claimant favorability in certain  
8 circumstances.

9 Better assessment of bias may  
10 greatly improve the competence of the program  
11 and reinforce assertions of claimant  
12 favorability. What I'm speaking of here is  
13 it's recognized in the case of NIOSH dose  
14 reconstruction in contrast to epidemiologic  
15 research.

16 We're interested in risk to  
17 individual. So small biases could play a  
18 large role in adjudication. So I think it's  
19 important to -- to give more emphasis in  
20 trying to quantify these biases.

21 So in the -- in the end of the  
22 report there was series of specific findings

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and recommendations. We had two on  
2 documentation. There were two on peer and  
3 stakeholder review. And there were seven on  
4 methods validation.

5 I'm briefly going to go over these  
6 methods a little bit now. They're quite  
7 detailed in the report. So in documentation,  
8 we found that the system provided documents  
9 that were clear and concise and relevant to  
10 the points of views.

11 However, we did note several, or  
12 not several, there were errors and  
13 inconsistencies among some of the documents.  
14 One of the key findings, at least with regard  
15 to documents, is the fact that they use a  
16 hierarchical system of records where they have  
17 a parent Technical Basis Document.

18 And then in turn, they derive more  
19 site specific information from that. And they  
20 will refer back to the parent, which is a good  
21 approach to -- to eliminate redundancy in  
22 documentation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           However, it's also -- there are  
2           pitfalls there to where you can carry on  
3           inconsistencies in children documents, or  
4           perhaps you revise the parent and not revise  
5           the documents that are referring the parent.  
6           And so you have inconsistencies.

7           So we thought they could improve  
8           upon that by developing a system to monitor  
9           layered documents and effectively revise  
10          documents. Have a way to trip which documents  
11          are affected by revision of another.

12          Revisions lack timeliness, and in  
13          some instances appeared unresponsive to  
14          concerns raised in previous reviews. Again,  
15          this goes back to the revision process.

16          One of the things we found was as  
17          in any scientific process, there's a very  
18          deliberate manner in which certain science  
19          issues are resolved between the Board and the  
20          Division of Compensation Analysis and Support  
21          staff, as well as the Board's contractor,  
22          which is great.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           That process itself has really  
2 benefitted the quality of certain documents.  
3 But it does slow the revision process down.  
4 Another problem that appeared during our  
5 review is there was a concern that certain  
6 revisions could trigger more work, even if  
7 that revision really didn't play a key role in  
8 dose reconstruction or the dose estimates that  
9 are provided under that document.

10           So what I'm saying here is that  
11 it's recognized that if we make changes to our  
12 methods that we have to evaluate the impact on  
13 the program from those changes.

14           And there's a very deliberate  
15 process in doing that, which if the worse  
16 substantive changes, which would require  
17 reopening a claimant's file, then there is a  
18 process in place to do that.

19           But on the other hand, when there  
20 are revisions that are necessary, which are  
21 minor technical inaccuracies, let's say, that  
22 are well known, that have been identified by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 stakeholders or other members of the public,  
2 there's a reluctance to make those changes  
3 early on, waiting for more substantive changes  
4 later because it invokes this process of  
5 reevaluating the claims.

6 So that doesn't seem to be an  
7 efficient way of handling certain non-  
8 substantive revision. It would seem prudent,  
9 especially given the fact that these documents  
10 are available and these inconsistencies have  
11 been identified by claimants and other members  
12 of the public that we could better revise  
13 those in a more timely manner without, you  
14 know, waiting for the final substantive  
15 revision.

16 And of course, another -- another  
17 finding was that many of these documents have  
18 not been reviewed since they've been first  
19 issued. Some of these documents have gone  
20 five or six years and haven't been revised or,  
21 to our knowledge, reviewed for revision.

22 So although several documents,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 well over 130, let's say, documents have been  
2 reviewed by the Board, and well over 500  
3 findings have come as a result of those  
4 reviews, and a lot of those documents have  
5 been revised, there still are a great number  
6 that are left to be reviewed and revised.

7           So our key recommendations were to  
8 put in place some sort of process to recognize  
9 interrelationships between documents and avoid  
10 these transfers of technical inaccuracies that  
11 we found on our review.

12           We suggest including periodic  
13 reviews by subject matter experts to uncover  
14 inconsistent and erroneous text. And we  
15 suggest avoiding delays in correcting  
16 technical inaccuracies, especially if they  
17 really clearly have no impact on the  
18 claimant's dose estimates.

19           The review process -- the current  
20 review process for dose reconstruction  
21 documentation is internal only, although the  
22 documents are all available for review by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Board. And the Board has reviewed many of  
2 them.

3 So there is no requirement for  
4 external scientific or stakeholder review. We  
5 noted that many of the documents have  
6 benefitted from the Board's review, although,  
7 as I mentioned before several have not been  
8 reviewed.

9 Information is inconsistently  
10 sought from stakeholders and only after  
11 publications. So we were a little bit  
12 concerned in the instance where we -- it  
13 seemed in the sake of expediency.

14 We published a number of documents  
15 to get the process going. And then after the  
16 documents were available there were comments  
17 received by former workers and other members  
18 of the public, which suggested that we could  
19 have done a better job.

20 So at this point it seems like  
21 there was advantages, at least from a  
22 scientific perspective, to get more feedback

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 prior to publication. Now that we have a  
2 working body of documents, it would seem the  
3 emphasis should be placed on getting that  
4 feedback and organizing that feedback to where  
5 we can effect revision as needed.

6 Right now there's a weekly define  
7 process for comment resolution. When I say  
8 that that's mostly in regard to public  
9 comment. We'll receive several comments from  
10 the public and former workers.

11 And they're handled individually,  
12 usually by a letter. It would be better to  
13 track these, if possible, in a more efficient  
14 means, and to see if it's necessary to effect  
15 changes to these documents based on the new  
16 information that's provided.

17 This was a problem with another  
18 dose reconstruction program from DETRA. They  
19 also had a number of comments about weekly  
20 taking advantage of worker input.

21 So here's an opportunity to  
22 improve the signs by improving the use of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 worker input in the -- in the current  
2 documentation. We recommend that you seek  
3 external peer review on science documents that  
4 have not been reviewed by the Board.

5 So as I said before, there a  
6 number of documents that haven't been  
7 reviewed. It would seem to be wise to look  
8 for independent scientific peer review on  
9 those documents as a means to sort of catching  
10 up and cleaning shop, with respect to external  
11 science review.

12 Expand reviews to systematically  
13 solicit input from peers and stakeholders on  
14 important scientific individuals prior to  
15 publication. Again, better use of -- of  
16 information from former workers and other  
17 members of the public.

18 And develop a more formal process  
19 to handle comment resolution. That would  
20 readily document the resolution that has been  
21 made, the actual comment, the source of the  
22 comment and what changes have been made.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Methods.       Dose estimates from  
2 independent modeling were comparable, but on  
3 average less than the dose reconstruction  
4 results. So what I'm talking about here, we  
5 did a replication model of the K-25 coworker  
6 study, and using the methods that are outlined  
7 by the Division of Compensation Analysis and  
8 Support, but using other data sources and  
9 other means to complete that replication.

10                   And in essence, we got the same  
11 answers. We got the same estimates that DCAS  
12 came to in their models, although on average  
13 they were less than. So the conclusion is  
14 that their coworker models are reproducible,  
15 and supported their claim of claimant  
16 favorability.

17                   However, we did note that there is  
18 room for improvement in these models. Some  
19 models lack information on source data  
20 assumptions, statistical methods and  
21 limitations.

22                   These types of things, I think,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 would be readily identified in scientific peer  
2 review. Validation was inconsistent or absent  
3 from some models.

4 So I think from a take-home  
5 message, if I really wanted to stress any  
6 facet of this report, the most important  
7 finding was that a great number of things have  
8 been done in support of the program in  
9 expeditious manner and keeping with timely and  
10 efficient dose estimates for covered workers.

11 However, the time might be now to  
12 focus more on validating these methods. There  
13 has been limited work done in the -- in the  
14 indirect exposure assessment methods that have  
15 been used in trying to validate the margin of  
16 safety, if you will, for claimant  
17 favorability.

18 I think that's the key here. How  
19 bounding is bounding? So if I were -- on this  
20 slide if I were to just emphasize one point,  
21 it would be the validation was inconsistent or  
22 absent and where there is room for improvement

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in this area.

2 So of course that goes back to  
3 recommendations as I just said. We think you  
4 could -- we could do a lot more in assessing  
5 the validity of these estimates. And there  
6 were some wonderful comments raised by Dr.  
7 Richardson on this area, suggesting using some  
8 of the modeling that has been done in  
9 epidemiologic research as a gold standard, if  
10 you will, and making comparisons.

11 And that's somewhat what was done  
12 in this report. But it gives an idea of how  
13 much the bias is away from the null, assuming  
14 that we do have claimant favorability in our  
15 dose estimates.

16 So we think that we could do more  
17 in quantifying the coverage anomalies and  
18 limitations in the data that are selected, you  
19 know. In any model, the model is only as good  
20 as the data that's going in it.

21 So there should be some more  
22 discussion in these coworker models and some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 more review -- some more critical review on  
2 the data that are being used.

3 Examine between and within worker  
4 variance components of the coworker models.  
5 What I'm speaking of here is a lot of the  
6 coworker models are based on standard  
7 statistical models, which rely on dose  
8 distributions.

9 And what isn't really clear is the  
10 fact that those distributions within a worker  
11 group, let's say millwrights compared to an  
12 office worker, are going to differ.

13 So there's opportunities to  
14 improve the estimates based on looking at  
15 different strata. And so we're suggesting to  
16 look at those between worker strata as well as  
17 looking at within worker, because the  
18 statistical models may assume there's no  
19 correlation from year to year for a worker.

20 And in fact that's not the case.  
21 In some cases it has been identified that some  
22 workers are dose-prone. So you really need to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 consider correlations.

2 I think intuitively when we look  
3 at the external coworker model, which the  
4 premise is you take the 95th percentile of  
5 each year. And if you were to sum those up  
6 over all years, that would be a conservative  
7 estimate.

8 But there are ways that you can  
9 judge the amount of conservatism in that  
10 estimate, based on looking at these different  
11 strata. Use well defined gold standards.

12 Again, this goes back to the issue  
13 of using epidemiologic information as sort of  
14 a gold standard to do your comparisons and to  
15 judge validity. And it goes back to what I  
16 said very early on in the discussion, quantify  
17 the degree at which claimant favorability is  
18 achieved.

19 You know, we talk about it all the  
20 time. It's inferred. Some of the estimates  
21 are clearly claimant-favorable estimates, yet  
22 we haven't really spent enough time, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 believe, in trying to quantify that claimant  
2 favorability.

3 At the very end of the report --  
4 oh, sorry. Excuse me. Okay. At the very end  
5 of the report I tried to summarize the  
6 stakeholder comments that were on the docket  
7 at the time that I did the report.

8 And in essence, and these  
9 certainly aren't surprising, but it was  
10 recognized that dose reconstruction is a  
11 lengthy and complicated process. And we know  
12 that. We know that it's very difficult to do  
13 individual dose reconstruction in a way that's  
14 simple to understand.

15 So I'm not quite certain how much  
16 we can work to improve upon that. But it is  
17 recognized that that, of course, is an issue  
18 with the claimants. And then the second one  
19 is comments were wary of differences and  
20 facility and jobs that may be inadequately  
21 addressed in current models, using coworker or  
22 surrogate data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So this goes back to really two  
2 issues. One issue is the use of input from  
3 the workforce in the models that have been  
4 developed. Have we assessed all the  
5 scenarios? Are there other scenarios that are  
6 put out by the workforce that may not be  
7 covered under the current model?

8           Those types of things, a  
9 systematic approach to that, and weeding out  
10 those things would improve this bullet, I  
11 believe. And the second thing is a judgement  
12 on claimant favorability.

13           If we're going to assert that we  
14 are claimant-favorable, then some efforts to  
15 validate these dose estimates in a means to  
16 quantifying that claimant favorability would  
17 go a long way in doing that.

18           So with that I believe that was  
19 the end of my slide. And thank you.

20           CHAIRMAN MELIUS: Thank you. And  
21 Wanda, then Jim.

22           MEMBER MUNN: Mr. Daniels, I want

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to thank you for the obvious effort that you  
2 and Dr. Spitz have put into this. I have so  
3 much to say about it that I would delay the  
4 departure of about 90 percent of this Board if  
5 I were to actually launch into it.

6 And I hesitate to do that,  
7 specifically because I have not given the  
8 original document the amount of study that I  
9 need to do. But the tension that is  
10 frequently spoken of here, with respect to  
11 timeliness as opposed to completed science, is  
12 more than amply demonstrated by your notes  
13 here.

14 It raises an enormous number of  
15 questions, not the least of which from some  
16 perspectives would be how would you propose to  
17 do some of the things that you are suggesting  
18 be done here?

19 For example, the quantification of  
20 how favorable is favorable, boggles the mind  
21 when one begins to imagine how one would  
22 address that question. I have a very simple

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 question to begin with. This is an easy one.

2 Over on your general findings  
3 authority you said epi studies rarely benefit  
4 from complete exposure information. Are you  
5 saying they rarely enjoy complete exposure  
6 information?

7 Am I misreading the word benefit?

8 I cannot imagine how one would not benefit  
9 from complete exposure information if one  
10 could only get it.

11 MR. DANIELS: Well, I agree with  
12 your statement at the end there. Yes, what I  
13 meant to say was that --

14 MEMBER MUNN: The last one.

15 MR. DANIELS: Right. An  
16 epidemiologic study, especially an  
17 occupational epidemiologic study, we very  
18 rarely have complete monitoring information on  
19 any individual.

20 MEMBER MUNN: That's all I needed  
21 to hear. The use of the word benefit was what  
22 raised the question in my mind. Why would it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 not benefit. You're saying you seldom enjoy  
2 that --

3 MR. DANIELS: That's correct.

4 MEMBER MUNN: That plethora of  
5 information that we would all like to have.  
6 Did either of the preparers go so far as to  
7 suggest some metric by which this assessment  
8 of quantity of bias could be addressed?

9 MR. DANIELS: Right. That's a  
10 very good question. And I do understand the  
11 difficulties that I raise by suggesting  
12 improved validation of these methods.

13 It's impossible to truly validate  
14 because we don't have true dose. However,  
15 putting that aside, if you really look at what  
16 was done through the report, I took a very  
17 crude approach to validating the K-25 external  
18 coworker model.

19 What I did was I replicated the  
20 model with another data source and compared  
21 those results to measured value. And then by  
22 looking at that, I can judge whether or not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you would expect, in the case of the coworker  
2 model that is based on a claimant favorability  
3 that the values would be biased high from the  
4 coworker model compared to my model.

5 And they were. So that was a very  
6 crude approach. What I'm suggesting could be  
7 done is more detail -- is given the fact that  
8 in epidemiologic analysis, let's say the  
9 Savannah River cohort for example.

10 There was a cohort study. And  
11 there was great efforts made in doing dose  
12 assessment and constructing exposure estimates  
13 for every individual at Savannah River, based  
14 on their measured data as well as missed dose  
15 from non-measured doses.

16 That could be a gold standard,  
17 which could be used as a basis for comparison  
18 to estimates derived from a coworker model.  
19 That would be one way of determining, you  
20 know, if there is claimant favorability in the  
21 estimates, and to what degree.

22 Now, certainly there is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       uncertainty in that estimate of claimant  
2       favorability. But at least you get an idea.  
3       Are we talking about a factor of two or are we  
4       talking about a factor of three?

5                   It would be, I think, important to  
6       at least try to get our arms around that to  
7       some extent. So there are a number of methods  
8       that could be used to independently -- and I  
9       would suggest that this would be done  
10      independent of -- not within DCAS, but perhaps  
11      look at other persons to take a crack at  
12      validating their models.

13                   And I think that would go a long  
14      way in assurances of claimant favorability.  
15      So that's just one example. I think, you  
16      know, that was the reason why we replicated  
17      the K-25 coworker model, was first off, wasn't  
18      reproducible.

19                   And I get the same numbers. And  
20      second off, are the estimates accurate? And  
21      when I say accurate, in the context of biased  
22      high, biased away from the null. So that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 kind of what were trying to do with that part  
2 of the analysis.

3 CHAIRMAN MELIUS: Okay, Gen?

4 MEMBER MUNN: Oh, I haven't  
5 anywhere near stopped. However, as I -- as I  
6 said to begin with, this could go on from this  
7 chair for a long, long time. And I don't want  
8 to do that. There were several more questions  
9 that I have -- if we run out of time. Go  
10 ahead.

11 CHAIRMAN MELIUS: We had said we'd  
12 go to 10:30.

13 MEMBER MUNN: Go ahead.

14 CHAIRMAN MELIUS: But I wanted --  
15 I assumed you were just going to do one  
16 question.

17 MEMBER MUNN: No. I have about  
18 eight. But that's --

19 CHAIRMAN MELIUS: You can submit  
20 more to the record.

21 MEMBER MUNN: We won't attempt to  
22 do that. I'll provide written comments.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRMAN MELIUS: Gen?

2 MEMBER ROESSLER: I think one of  
3 the really high points in this whole program  
4 has been in the advances in science that have  
5 come about through this, particularly in  
6 retrospective dose assessment.

7 I think you call it exposure  
8 assessment. But I'm going to -- I'm going to  
9 call it dose assessment. And in fact, I think  
10 we should recognize the peer review  
11 publications that have come about as a result  
12 of some of the science.

13 And I'm familiar with the ones  
14 that have appeared in Health Physics. My  
15 question is have there been publications in  
16 other peer reviewed journals?

17 MR. DANIELS: Yes. Of course you  
18 are referring to the one special series that  
19 was published in the Health Physics B

20 MEMBER ROESSLER: Well, I'm -- in  
21 particular. But there have been other ones  
22 too.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           MR. DANIELS:   Certainly.   And as  
2   well, the recent report by the NCRP on dose  
3   reconstruction has a large section devoted to  
4   dose reconstruction for compensation purposes,  
5   which is largely a result of the work that the  
6   Division of Compensation Analysis and Support  
7   has done.

8           CHAIRMAN MELIUS:   Henry?

9           MEMBER ANDERSON:   My question was  
10   most of your slides here in presentation  
11   focused on coworker models.   And I'm more  
12   interested in the surrogate data use.   And if  
13   you could give us some examples of other  
14   surrogate data use.

15           NIOSH has industry-wide studies  
16   that, you know, have studied across all sorts  
17   of industries.   I'm just not that familiar  
18   with that surrogate data -- using data at one,  
19   you know, chemical factory has been assigned  
20   to do epi studies at another chemical factory  
21   manufacturing the same products and things  
22   like that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   So what were your comments  
2 regarding surrogate? Coworker is pretty well  
3 recognized and has been used. But going  
4 afield for surrogate data is somewhat unique,  
5 I think, to this program.

6                   MR. DANIELS: Yes. It's very  
7 interesting you say that, because in the  
8 exposure assessment sciences they really don't  
9 distinguish between surrogate and coworker  
10 data. It's all forms of indirect exposure  
11 assessment.

12                   Exposure proxies. And in some  
13 cases, the proxies are coming from, you know,  
14 other buildings, other facilities within the  
15 industry. One key example is in the petroleum  
16 industry looking at benzene.

17                   A lot of the exposure matrices  
18 that were developed in support of the health  
19 effect studies for that industry are based on  
20 maybe one facility that actually had some  
21 monitoring data.

22                   And then they would just as we've

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 done in dose reconstruction, apply those in  
2 similar work locations across the industry.  
3 And so it's more common than you would expect.

4 I do list in the report, in the  
5 section discussing epidemiologic methods,  
6 several studies that have been done using both  
7 nearby methods, coworker methods and surrogate  
8 data use.

9 So there's a number of examples in  
10 there.

11 CHAIRMAN MELIUS: Dr. Lemen?

12 MEMBER LEMEN: To follow up on Dr.  
13 Anderson's comments of which go along the same  
14 lines that I have, first of all I'd like to  
15 say you've put in a lot of work on this. And  
16 I appreciate that.

17 And it's a very useful document  
18 for the Board to have. As far as surrogate  
19 data though, when you state -- I think on page  
20 A-12 is just an example that the use of  
21 surrogate data to estimate occupational radon  
22 exposure for workers who were unmonitored or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 inadequately monitored is a conventional  
2 practice that is successfully used by  
3 governmental agencies in epidemiological  
4 studies to determine risk to humans.

5 I wouldn't totally disagree with  
6 that. But I would say is that I still think  
7 that NIOSH has not understood, in this  
8 program, that we're not doing epidemiological  
9 studies.

10 What we're doing is compensating  
11 people. It may fine to use the surrogate data  
12 for an epidemiological study with all the  
13 caveats that are connected with that so that  
14 the reader can do it.

15 But when we're dealing with  
16 compensating individuals in individual  
17 facilities, to me I still have a major problem  
18 with the surrogate data usage. And I think  
19 that it may be a welcome tool to  
20 epidemiological studies.

21 But I don't think it's a welcome  
22 tool to those that are going to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 compensated. And I'd really like to see this  
2 report focus more on the pitfalls of surrogate  
3 data than it has. Thank you.

4 CHAIRMAN MELIUS: I mean, another  
5 way I thought about that is, because I share  
6 some of Dick's concerns, is that I wouldn't  
7 necessarily view what's been going on in the  
8 exposure assessment in epidemiological studies  
9 translate into dose assessment, and for this  
10 program, is necessarily the gold standard.

11 But I think the methods that -- I  
12 think it may be the silver standard or it's --  
13 it ought to be at least as good as that. And  
14 the way I thought what your recommendations  
15 were very helpful were helping to think about  
16 the kind of validation and the kind of  
17 evaluation that needs to go on at least  
18 achieve that.

19 It ought to include that, because  
20 when we have disagreements within the Board or  
21 our contractor and DCAS over, it's usually  
22 questions of whether it's uncertainty or lack

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of information and we're trying to apply a new  
2 method or a different approach.

3 And we really haven't undergone  
4 the kind of review and validation in a broad  
5 sense that would be helpful for that. And I  
6 thought that your comments were -- some of the  
7 analysis that you were very helpful in that  
8 regard.

9 You know, thinking of the example  
10 you used on benzene. And actually, in  
11 epidemiology you have the same sort of problem  
12 we face. It's limited data in a lot of  
13 facilities.

14 And if you look at, at least, the  
15 criteria the Board came up with, and I believe  
16 somewhere with NIOSH came up with for  
17 evaluating surrogate data, those criteria for  
18 evaluating are similar.

19 You know, how similar are the  
20 facilities? Were they built the same time,  
21 same kind of industry. I think it's -- may be  
22 more variabilities or pretty special

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 facilities.

2           You know, the DOE facilities, if  
3 you're familiar with. But, you know, there  
4 are similarities to what may be found in  
5 industry. There are other studies. I can  
6 think of where, you know, you may be doing  
7 epidemiologic study at multiple facilities.  
8 You may have good exposure information for  
9 three or four. You apply that to the two that  
10 don't, you know, that have weaker data.

11           Or you may do it on the basis of  
12 who have done a better assessment of a certain  
13 part of the workforce or something. And it's  
14 clearly a gradation.

15           It's not, you know, yes or no or  
16 black and white in terms of evaluating that.  
17 But -- but I think some of that thinking  
18 transferred over, I think, would be very  
19 helpful.

20           MR. DANIELS: I agree. You know,  
21 and I do understand your concern about using  
22 surrogate data for individual risk assessment,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you know. The slides -- I tried to keep the  
2 slides short.

3 But there is a section on the  
4 report talking about differences between  
5 epidemiologic approaches and individual risk  
6 assessment. I think key to this is the fact  
7 that, you know, small biases and individual  
8 exposure assignments in support of an  
9 epidemiologic study really won't play a large  
10 role in the outcome of the risk that you get  
11 from that health effect study.

12 But that's not true in the case of  
13 individual exposure assessment. Small biases  
14 could certainly have an effect on  
15 adjudication. So when you're working in the  
16 tail end of an exposure distribution, as you  
17 are in the case of trying to determine  
18 bounding doses, you know, a lot of the  
19 assumptions that you make in modeling fall  
20 apart.

21 And so we got to be wary of that.

22 And that's why, I think, the validation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 component is so important, because we aren't  
2 working with means and medians anymore. We're  
3 working at the tail of distribution. And we  
4 need to be sure that what we say is bounding  
5 is indeed bounding.

6 CHAIRMAN MELIUS: No, I thought  
7 that was a very good way of looking at --  
8 looking at that. The other part of your  
9 report that I thought was -- was helpful, and  
10 I don't know if there's others have reaction  
11 to, was sort of the document updating issue  
12 and then science and so forth, because I think  
13 due, you know, largely to how busy and how  
14 much work there is in this program, we've not  
15 kept up with that.

16 And I think, frankly, the Board is  
17 at fault also. You know, we've -- we've  
18 tended to divide up our reviews. We do dose  
19 reconstructions reviews. We look at certain  
20 issues. We do Site Profiles. We look at  
21 certain issues.

22 We do procedures. We look at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 certain issues. We don't always pull those  
2 altogether. And we often do those reviews in  
3 isolation. And we struggle with the issue of  
4 continually updating and -- and so forth.

5 And I think some more systematic  
6 approach that would involve, you know, you  
7 said not only -- so the current structure of  
8 the Board, the Board's contract. But  
9 additional, you know, external peer review, I  
10 think, would be very helpful to this program.

11 Dr. Ziemer, Brad Clawson you're on  
12 the phone? I don't know if you have  
13 questions. I'll give you the opportunity  
14 then. Got a couple more.

15 MEMBER ZIEMER: Paul Ziemer here.

16 And I just have a couple I'll at least have,  
17 you know, one question and make a comment if  
18 that's all right.

19 CHAIRMAN MELIUS: Go ahead.

20 MEMBER ZIEMER: Well, first I  
21 wanted to thank Dr. Daniels for an excellent  
22 presentation. My sort of question right now

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is I like the question you raised, how  
2 bounding is bounding.

3 In your mind, is that the same  
4 issue as the quantitation of claimant  
5 favorability? Is that another way of stating  
6 it or are you thinking of two different things  
7 here?

8 MR. DANIELS: No. That was the  
9 same. That's the same.

10 MEMBER ZIEMER: Okay. That's --  
11 that's what I thought, but I wanted to make  
12 sure that that was just another way of talking  
13 about quantitating claimant favorability.

14 The other -- if I can just have  
15 one other minor question right now. And this  
16 relates to slide 14 and the -- the discussion  
17 on -- on what you call the weakly defined  
18 process comment resolution.

19 I did notice that you were  
20 focusing a lot there on public comment  
21 resolution, which we're starting to do a  
22 little better, I think, with our matrix of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 tracking these.

2 But is -- were your comments here  
3 today mainly focused on that sort of thing or  
4 what -- what was the -- what was your  
5 conclusion in terms of comment resolution as  
6 it's formerly done with our contractors and  
7 the agencies and the Board?

8 We have a rather elaborate -- it's  
9 not necessarily well-defined. It may be  
10 weakly defined. But it operates much like  
11 peer review in science where you have a -- a  
12 give and take and try to resolve specific  
13 issues and questions.

14 Did you have any particular  
15 comment on that part of the -- of the  
16 methodology that is used to resolve scientific  
17 issues?

18 MR. DANIELS: Yes. We did look  
19 into that, and we noted that the Board tends  
20 to resolve -- have scientific debate in  
21 resolve issues in a Work Group format. And  
22 not all Work Groups are equal. Not all Work

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Groups manage themselves the same way.

2 So what happens in some cases is -  
3 - is there have been instances where comments  
4 have -- have come about, which may have been  
5 transferred to another Work Group or may be  
6 sitting in a Work Group or may be or not as  
7 well documented in that Work Group, the  
8 process of resolving them as another Work  
9 Group.

10 So there's a lot of, you know,  
11 personal -- the Work Groups themselves,  
12 there's a lot of individuality in the Work  
13 Groups. So what we're suggesting is a better  
14 way, maybe would be at least reporting to a  
15 centralized place to where you could track  
16 these comments and track the resolutions  
17 accordingly, and -- and show some, you know,  
18 expediency in getting things revised.

19 So -- so that's what we saw in  
20 that part B

21 MEMBER ZIEMER: Right. It seems  
22 to me that that may be every bit as important

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 as the process for handling the public  
2 comments, many of which in the public arena  
3 have to do with how the program operates  
4 rather than necessarily scientific issues.

5 But certainly some consistency  
6 from Work Group to Work Group in terms of  
7 identifying those issues and having a more of  
8 a structured process for resolving them. And  
9 thank you.

10 CHAIRMAN MELIUS: Brad, do you  
11 have questions?

12 MEMBER CLAWSON: Well, yes. I  
13 would also like to thank him for bringing his  
14 report, because, you know, it's given us all  
15 food for thought on this and while we were  
16 just talking about of the Work Groups being  
17 individual differences.

18 You know, we can always see that  
19 and we can always improve. I'd like to echo  
20 what Dr. Lemen said that I have an awful lot  
21 of issues. I know that we have to be able to  
22 use coworker data and so forth like that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           But one of the other ones that  
2 bothers me is the coworker data. When a lot  
3 of these plants were looking back, 40, 50  
4 years, the names have changed and so forth  
5 like that.

6           And working in the industry  
7 myself, I've seen so many times that you may  
8 call somebody a chemical operator or a fuel  
9 handling operator or whatever. But their name  
10 has changed and their tasks have changed over  
11 time of what -- what they actually did and  
12 where they went.

13           They sometimes feel that because  
14 they can put this name on them and put them  
15 into these buildings. But these, you know, we  
16 need to spend a little bit more time. And I  
17 feel to check out where they've been.

18           I know that we've got to use  
19 coworker data. But sometimes we generalize  
20 them too much, and I don't think that we  
21 really capture what really goes on in there.  
22 But I -- I think as -- as what you said, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Board takes a little bit of criticism on this  
2 too.

3 I know this was a NIOSH review.  
4 But also two of those areas we can improve.  
5 And I appreciate what was brought to us  
6 instead. That's it.

7 CHAIRMAN MELIUS: Phil?

8 MEMBER SCHOFIELD: I'd like to  
9 think coworker data particularly gives me a  
10 lot of -- I'm a little suspect at times on  
11 that. But surrogate data, in particular  
12 though, because you have the issues of time,  
13 distance and shielding.

14 And from one facility to another,  
15 even with similar materials there's a good  
16 chance of large variabilities, particularly  
17 when you're looking back 20, 30 years or more.

18 This becomes a real factor and what people  
19 are exposed to, in particular when it comes to  
20 their compensation.

21 CHAIRMAN MELIUS: Wanda, would you  
22 like the last -- no? Bill?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MEMBER   MUNN:        I    think   not.  
2    Futile.  Thank you.

3                   CHAIRMAN  MELIUS:     I    knew   you'd  
4    never forgive me.

5                   MEMBER   FIELD:       Dr.  Daniels,  I  
6    thank you.  I think this is -- this is very  
7    helpful to have a fresh look.  Someone coming  
8    from of sort of the outside and giving it a  
9    fresh look and sort of a different  
10   perspective.

11                   I have a question on slide number  
12   seven.  I just -- probably just more of a  
13   clarification.  But at the bottom it says the  
14   use of surrogate data is an acceptable  
15   scientific approach provided that the data  
16   complement but not supplant information from  
17   preferred sources.

18                   And I'm just wondering for the  
19   word supplant, do you mean take the place of?  
20

21                   MR.  DANIELS:  Yes.

22                   MEMBER  FIELD:    Okay.  And what --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 what happens in the case from your reviews if  
2 you don't have data to complement, that  
3 there's just a lack of data?

4 MR. DANIELS: Well, I think  
5 there's a process in place. If you don't have  
6 data to do dose reconstruction then that --  
7 that process is Special Exposure Cohort. So I  
8 think that's what's laid out in the Act. And  
9 that would be the direction to go.

10 CHAIRMAN MELIUS: I believe Dr.  
11 Wade wants the last comment.

12 DR. WADE: I'd like to just very  
13 quickly. Four things. I'd like to Doug  
14 personally for his efforts in coming here and  
15 being with us. Doug did end his opening speak  
16 to the fact that he was focusing on indirect  
17 exposure assessments.

18 But I think if you read the report  
19 he was commenting upon the quality of science  
20 in the program overall. And the lastly, with  
21 regard to comments, the external review of the  
22 document is open.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So if anyone would like to make a  
2 comment or a suggestion, I'm sure that Doug  
3 would take that to heart and modify his report  
4 based upon what you would say. So I think you  
5 have the ability to impact the substance of  
6 Doug's report.

7           We then have the ability to impact  
8 what John Howard would do relative to the  
9 recommendations that Doug makes by commenting  
10 upon those as well. So there's opportunity  
11 for this process to continue to improve in  
12 ways that Board Members might like to see it.

13           And I would ask you to take  
14 advantage of that. And thank you again.

15           CHAIRMAN MELIUS:       Yes.       Yes.  
16 Thanks very much. I told Lew that I and maybe  
17 others had questions about sort of using  
18 internal people to do some of these -- these  
19 reviews. And I thought that your report, and  
20 in fact, some of the others also sort of  
21 showed that someone withing NIOSH could do a  
22 fair and, you know, I thought very good

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 assessment of the program.

2 So in the spirit of peer review,  
3 which I think we're used to, but it's not  
4 always done in other settings as well. But it  
5 was a very good -- good report that you and  
6 Dr. Spitz did.

7 And I thought some very good  
8 recommendation, very perceptive about -- about  
9 the program. And we do appreciate that.

10 MR. DANIELS: Thank you.

11 CHAIRMAN MELIUS: We have anything  
12 else? Okay. Yes, Josie?

13 MEMBER BEACH: I just wanted to  
14 make sure that we had tasked SC&A for Sandia  
15 National Labs. It wasn't really clear to do a  
16 -- the Site Profile Review and prepare a  
17 matrix.

18 CHAIRMAN MELIUS: Yes, we did it  
19 yesterday.

20 MEMBER BEACH: Okay. I just  
21 wanted to make sure.

22 CHAIRMAN MELIUS: We set up the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Work Group.

2 MEMBER BEACH: Well, the Work  
3 Group was going to be set up at the next  
4 meeting.

5 CHAIRMAN MELIUS: Meanwhile we  
6 tasked SC&A. Yes.

7 MEMBER BEACH: Just wanted to be  
8 clear. Thank you.

9 CHAIRMAN MELIUS: SC&A has already  
10 done the Site Profile.

11 MEMBER BEACH: The review would be  
12 just do the matrix?

13 CHAIRMAN MELIUS: The matrix,  
14 correct. Yes.

15 MEMBER BEACH: Okay. Thanks.

16 CHAIRMAN MELIUS: Good. Anyway,  
17 thanks, everybody. And hope everyone makes it  
18 out of here fine. And we will see you all in  
19 -- for an extended -- possibly extended visit  
20 to, hopefully not extended by the weather, but  
21 extended by the agenda in the Tri-Cities.

22 MEMBER MUNN: In the Tri-Cities.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 And I will make every effort to see that the  
2 day prior to our meeting is a tour day.  
3 Something quick. Which I think we all should  
4 take into consideration in planning our --  
5 I'll try to get back to you on that as quickly  
6 as possible. But you should keep it in mind.

7 MR. KATZ: I mean DOE is working  
8 on setting up a tour --

9 MEMBER MUNN: Yes.

10 MR. KATZ: -- for the day before.

11 So that's -- that's a fact. And folks that  
12 are interested in having that tour on the  
13 Board, please let me know, as well as folks  
14 from SC&A who would like to join that and  
15 folks from DCAS.

16 It would probably be good to get a  
17 head count of how many people are interested.

18 MEMBER CLAWSON: Hanford has asked  
19 me that as soon as we can get a head count  
20 they'd appreciate it so they would be able to  
21 accommodate how many people want to be able to  
22 go.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MEMBER MUNN:     Brad, if you're  
2     doing this, I'm not.

3                   MEMBER CLAWSON:   Well, I started  
4     this a couple of months ago for the tour. And  
5     I've been in contact with our point out there,  
6     and she -- we've already got it set up for the  
7     day before, but she just wanted to get a head  
8     count the closer we got to this so she could  
9     make sure if she needs big bus or just a van.

10                  So     I would really encourage,  
11     especially the new Board Members that haven't  
12     been there. This is an excellent tour that  
13     they do. And Hanford's marvelous site, and  
14     they've accomplished a lot of things in their  
15     in their life up there. I highly recommend it  
16     to anybody.

17                  MEMBER MUNN:   Brad, why don't you  
18     send an email and tell me what you have  
19     planned, because I would like to coordinate  
20     your plan with what I had anticipated for the  
21     rest of the day. Thank you.

22                  MEMBER CLAWSON:   Okay. I'll be in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 contact with you.

2 MR. KATZ: I'd like to be the loop  
3 too, please. So let's all get coordinated  
4 here on this. Thanks.

5 MEMBER MELIUS: I can just  
6 envision the three tour buses crashing into  
7 each other meeting at the -- the B

8 MEMBER CLAWSON: We just had a --  
9 we just had comments on this about how we're  
10 suppose to get together. So I'll let you know  
11 when I've got, I believe her name is Spills --  
12 Spells or something like that, that I've been  
13 dealing with up there.

14 And she basically set up B

15 CHAIRMAN MELIUS: Brad, why don't  
16 you do this offline with everybody okay?

17 MEMBER CLAWSON: Okay.

18 CHAIRMAN MELIUS: Get coordinated  
19 with them. Thank you. Bye. Meeting is  
20 adjourned.

21 (Whereupon, the above-entitled  
22 matter was adjourned at 9:35 a.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)