

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

URANIUM REFINING AWE WORK GROUP

+ + + + +

MEETING

+ + + + +

MONDAY,
NOVEMBER 21, 2011

+ + + + +

The Work Group met in the Zurich Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., Henry Anderson, Chairman, presiding.

PRESENT:

HENRY ANDERSON, Chairman
R. WILLIAM FIELD, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
DAVID ALLEN, DCAS
TERRIE BARRIE*
HANS BEHLING, SC&A*
ANTOINETTE BONSIGNORE*
CLARISSA EATON*
MARY GIRARDO*
LARA HUGHES, DCAS*
JOSHUA KINMAN, DCAS Contractor*
JENNY LIN, HHS
JOHN MAURO, SC&A
JAMES NETON, DCAS
LAVON RUTHERFORD, DCAS
BILL THURBER, SC&A*

*Present via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

C-O-N-T-E-N-T-S

Call to Order	4
Hooker Electrochemical	
Surrogate data review (SC&A paper) ...	5
Petitioner issues (October 2 email) .	10
WG Recommendation to Board	44
Electro Metallurgical	
DCAS progress report	44
United Nuclear	
Air concentration data	48
(1961-62) (DCAS paper)	
Exposure potential	114
to transuranics (DCAS paper)	
Petitioner issues (DCAS paper)	122
Thorium intakes (DCAS paper)	150
Path forward/action items	163
Baker-Perkins	
Summary of SC&A	168
Site Profile Review (SC&A paper)	
Path forward/action items	175
WG Plans	179
Adjournment	183

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 9:01 a.m.

3 MR. KATZ: All right. Well, let's
4 get started.

5 Let me remind everyone on the
6 line, except when you are addressing the
7 group, would you please mute your phone? If
8 you don't have a mute button, press *6. That
9 will mute your phone. Press *6 again and it
10 will unmute your phone. And please do not put
11 the call on hold at any point, but hang up and
12 dial back in, if you need to leave the call
13 for a bit.

14 Much thanks.

15 And, Andy, it is your agenda.

16 CHAIRMAN ANDERSON: Yes.

17 First on our agenda is a
18 continuation of the Hooker Electrochemical.
19 For those on the line and others, you may
20 recall at the last Board meeting we made a
21 presentation on the SEC petition. And the
22 evaluation by the Subcommittee, as reported

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 out to the Board, was a recommendation that a
2 special cohort petition be denied, that it was
3 feasible to reconstruct doses.

4 One of the major issues on the
5 reconstruction of the doses was the use of
6 surrogate data. There was some discussion at
7 the Board meeting, and the overall Board
8 tabled the motion to deny the petition and
9 asked our Work Group to expand upon the
10 surrogate air-sampling use by NIOSH. And we
11 tasked SC&A to draft a memo detailing the
12 approach that they had used and how the
13 surrogate data was used and why this was
14 feasible and an appropriate application of the
15 Board's surrogate data criteria. That memo
16 was sent around. I believe that was on the
17 website, isn't it, as well?

18 MR. KATZ: Yes.

19 CHAIRMAN ANDERSON: That was
20 completed September 22nd and posted then.

21 And then, there had been not
22 enough time for the minutes from the previous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Subcommittee meeting to be posted so that the
2 petitioners had adequate time to review and
3 comment.

4 And so, we really have two issues
5 on the agenda today. One is to have SC&A give
6 a brief update on their draft memo, and then
7 to respond to the emails that we got from the
8 petitioners and respond to any other
9 petitioner issues that they may wish to raise.

10 John?

11 DR. MAURO: Yes, Bill Thurber
12 prepared a memo dated September 22nd, where he
13 details explicitly the data that he compiled
14 on the various sources, surrogate sources, and
15 compares that data to the data that was used
16 by NIOSH.

17 And I will turn it over to Bill to
18 give the details. Hopefully, everyone has a
19 copy of the September 22nd memo. That might
20 be helpful.

21 But, Bill, could you take it from
22 here?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. THURBER: Okay. In NIOSH's
2 original document, they went through the
3 available literature from sites that were
4 performing similar operations to the operation
5 at Hooker, which involved handling this so-
6 called C2 slag. The sites included Electro
7 Met, Mallinckrodt, and Fernald.

8 They determined, based on their
9 review of a number of documents, that there
10 were, as I recall, about 18 samples that they
11 felt were appropriate surrogates to be used in
12 calculating what the likely exposure was at
13 Hooker. So, they took this cohort of samples,
14 they calculated the 95th percentile value, and
15 they came up with a number of 806 dpm per
16 cubic meter, which is a key input parameter to
17 estimating the internal exposures.

18 In our review of the Hooker data,
19 we had a somewhat different take on what data
20 was relevant and what data was not. Again,
21 these are somewhat subjective technical
22 judgments. And so, we were not necessarily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 criticizing the dataset that NIOSH selected,
2 but, rather, saying we have looked at the data
3 and we think there are some additional samples
4 that should be included.

5 And so, we came up with a dataset
6 of 67 samples initially. From that dataset,
7 we calculated that the 95th percentile was 555
8 Dpm per cubic meter, which was lower than the
9 NIOSH number, and suggesting that the number
10 that NIOSH had come up with was certainly
11 claimant-favorable.

12 When the Board asked that this
13 matter be reviewed back in September, we went
14 back and looked through the data again and
15 found a couple more pieces of information that
16 we thought should be included. We determined
17 on the basis of our revised dataset that the
18 95th percentile value was 759 Dpm per cubic
19 meter as compared to the NIOSH value of 806
20 Dpm per cubic meter. We concluded that the
21 95th percentile wasn't terribly sensitive to
22 what we characterized as reasonable, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 differing technical judgments in sample
2 selection. So, we felt that the NIOSH value
3 was appropriate.

4 And that kind of summarizes it. We
5 did provide some arguments as to why we felt
6 it was appropriate to include particular
7 samples and not, but I won't belabor you with
8 all those details unless you want to discuss
9 them.

10 CHAIRMAN ANDERSON: Yes, I think
11 one of the issues at the Board meeting was
12 NIOSH's original use of a relatively small
13 number of samples. I think your redo, as well
14 as the first look, even if you expanded that
15 to be 67 or more samples, as you say, the 95th
16 percentile seemed to be relatively stable. So,
17 I think that was very helpful and gives
18 greater credence to the use of this surrogate
19 data.

20 Bill, do you have any questions?

21 MEMBER FIELD: No. I think it was
22 pretty clear. It looked like the impact of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 using various samples is not that great.

2 CHAIRMAN ANDERSON: Yes. Anyone
3 else have questions?

4 (No response.)

5 So, pretty much, as I understand
6 it, we now have a better record and
7 documentation as to the surrogate data
8 available and its applicability to Hooker
9 Electrochem. I think that has certainly at
10 least increased my confidence in the use of
11 that.

12 The other issue we have is the
13 petitioners' issues. We got an email, and
14 then I don't know if we want to respond to
15 that first, if one of you, NIOSH, want to
16 answer? A number of questions were raised. I
17 think that we can answer them, but if you
18 would maybe go through that? And then, we
19 will ask the petitioners on the phone if they
20 have additional questions.

21 MR. ALLEN: Okay. You want to go
22 through --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ANDERSON: Yes.

2 MR. ALLEN: -- just one after
3 another?

4 CHAIRMAN ANDERSON: Sure.

5 MR. ALLEN: Yes. This is an email
6 from October 2nd, is that correct?

7 CHAIRMAN ANDERSON: Yes.

8 MR. ALLEN: Yes. And do you want
9 me to read the petitioners' --

10 CHAIRMAN ANDERSON: Sure. It is
11 relatively short.

12 MR. ALLEN: Okay. She bulletized
13 this and numbered them 1 through 10.

14 On the first one, it was, "We, the
15 petitioners, do not accept NIOSH's
16 presentation which claims that there was not
17 enough exposure of uranium to cause illness
18 and death."

19 In response, we would just like to
20 say that is not NIOSH's position.

21 CHAIRMAN ANDERSON: Yes.

22 MR. ALLEN: Our position has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the dose can be estimated, not whether it
2 is high or low.

3 And the second one, it is, "We,
4 the petitioners, do not accept SC&A's
5 participation in the presentation. We are
6 convinced by the matter in which this was" --
7 or, I'm sorry -- "We are convinced by the
8 manner in which this was handled that none of
9 those tasks had their hearts in what they were
10 doing. This is no way to do an independent
11 study.

12 "True research would demand that
13 any new research being done would start from
14 scratch and turn a blind eye and a deaf ear to
15 all that NIOSH (Allen) had done in favor of
16 their own study. Once accomplished, then the
17 two would be compared showing differences and
18 similarities. This was not done. Instead,
19 SC&A kept saying that they were not told to do
20 this or that. This shows that they simply
21 went through the motions and the Work Group
22 fell in line."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I don't know if it is best for
2 NIOSH to respond to this one or not, but, I
3 mean, that is essentially what they said. SC&A
4 was not tasked to do that. And primarily, I
5 think the law itself basically says that we
6 will evaluate petitions and the Advisory Board
7 will review those evaluations and make a
8 recommendation to the Secretary. And this is
9 all part of that process. I don't think there
10 is anything anywhere that mentions or even
11 suggests an independent study.

12 MR. KATZ: I mean, I would just
13 add to that, SC&A was tasked with evaluating
14 NIOSH's petition evaluation, reviewing it
15 independently and coming to its own
16 conclusions, as it does for many, many, many
17 petitions that the Board considers. And SC&A
18 conducted that work independently and brought
19 its conclusions to the table, and those
20 conclusions are a matter of record in the
21 transcripts as well as in the SC&A reports.

22 Jim?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: I just have a quick
2 question. I am a little confused as to which
3 document Dave is working from because I have
4 an October 2nd email that is very different
5 from that one.

6 CHAIRMAN ANDERSON: Yes, I do too.

7 DR. NETON: Which --

8 MR. ALLEN: Maybe I have got the
9 wrong one here.

10 DR. NETON: I mean, I think you
11 have answered some that need to be
12 addressed --

13 MR. ALLEN: Yes.

14 DR. NETON: -- but not the ones I
15 thought were going to be discussed.

16 MR. ALLEN: This one had a title
17 on it. It was from the petitioner. This has
18 the title, "Response to Work Group denial of
19 SEC petition for all workers in all locations
20 of Hooker Chemical."

21 DR. NETON: What is the date on
22 it?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: This was submitted to
2 the Advisory Board August 24th, 2011. So, I
3 have got the wrong one here.

4 DR. NETON: Well, there is another
5 one here.

6 CHAIRMAN ANDERSON: Yes.

7 MR. ALLEN: I'm sorry. Let me
8 find the right one.

9 DR. NETON: Yes, the one I have
10 was actually sent to Josh.

11 CHAIRMAN ANDERSON: Yes, by Mary.

12 DR. NETON: Yes.

13 MR. ALLEN: Okay, I have got that
14 one here.

15 MR. KATZ: Okay.

16 MR. ALLEN: I'm sorry.

17 CHAIRMAN ANDERSON: Well, I think
18 we addressed some of those points before. But
19 the petitioners are on. If they want us to
20 respond, I mean, to your 10 points, we could
21 do that.

22 MR. ALLEN: We can do them all.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ANDERSON: Yes.

2 MR. ALLEN: Sure.

3 CHAIRMAN ANDERSON: But that isn't
4 what I had here.

5 MR. ALLEN: Okay. My fault. I'm
6 sorry. I had the wrong one here.

7 CHAIRMAN ANDERSON: Yes.

8 MR. ALLEN: The October 2nd email,
9 it is an email to Josh Kinman. He is our SC&A
10 or SEC -- what do we call him?

11 MR. KATZ: Petition counselor.

12 MR. ALLEN: Petition counselor,
13 yes.

14 Do you want me to read the email
15 here? It is an email that points to a couple
16 of different links. I can read it because it
17 is short.

18 CHAIRMAN ANDERSON: Yes. That's
19 why I said, "Read it."

20 (Laughter.)

21 Then, when you started this other
22 one, I thought that sounded like an earlier

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one that was quite a bit longer.

2 MR. ALLEN: Okay. This email
3 says, "Hi, Josh. I would like some answers.
4 Found the following links which showed that
5 there is a possibility that Hooker employees
6 were exposed to other harmful substances in
7 addition to uranium.

8 "Hooker was involved in the
9 cleanup of a storage dump in suburbs close by
10 here." And this was coming from near Niagara
11 Falls. "The University of Rochester used this
12 area as a burial waste material" -- "for
13 burial of waste material." Sorry.

14 "Since this SEC includes all
15 workers in all Hooker locations, this part of
16 its history must also be included for
17 consideration. NIOSH is using surrogate data
18 from Mallinckrodt because supposedly
19 Mallinckrodt performed a similar process.
20 However, Mallinckrodt also had thorium
21 exposure. Since Mallinckrodt had thorium
22 exposure and performed the same operation as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Hooker, it is reasonable to assume that the
2 workers at Hooker would have been exposed to
3 thorium.

4 "Was Hooker responsible for
5 thorium waste listed in the report?"

6 And then it says, "The first link
7 is as follows," and it provides a web address.

8 And then it goes on to say, "In
9 this link above, Hooker is mentioned all over
10 the place and, in addition to thorium, the
11 exposure to cesium, strontium, and a host of
12 other radionuclides are considered."

13 "Secondly, it goes to the Advisory
14 Board approval of SEC for Lake Ontario
15 Ordnance Works located in a suburb close to
16 here. The reason for the approval was that
17 there was no record and dose reconstruction
18 could not be done."

19 And then, it provides another web
20 address.

21 Then it goes on to say, "Please
22 advise me if this new information can be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 included in a discussion of Hooker
2 Electrochemical by the Advisory Board Work
3 Group and SC&A.

4 "I would appreciate it if you
5 would forward this email to NIOSH, the Work
6 Group, and SC&A. Since the Advisory Board has
7 already considered the Work Group's denial of
8 the SEC petition, it would also be appreciated
9 if they were advised of this new information.

10 "Thanks for your assistance."

11 I don't know if I can say the name
12 or not.

13 That's it for the email.

14 I have looked through the two
15 links in this email and read through this, and
16 I think there is some confusion that there was
17 a -- I'm not sure of the first burial site
18 that she is talking about. Hooker was
19 involved with two distinct burial sites. One
20 was Love Canal. And through searching, we
21 have never found any information that any
22 radionuclides were buried at Love Canal.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Plenty of chemicals, but we haven't found any
2 radionuclides associated with Hooker or
3 anybody.

4 The other burial site in that
5 vicinity that Hooker was associated with was
6 Lake Ontario Ordnance Works, and Hooker was
7 actually the prime contractor for some period
8 of time there at Lake Ontario Ordnance Works.
9 Under EEOICPA that is a separate site and, as
10 she mentions in the email here, that was made
11 a Special Exposure Cohort for all the
12 different radionuclides buried there with no
13 dosimetry data and not a lot of information as
14 to exactly what was there and how much and how
15 it was contained, et cetera.

16 I didn't see anything in these
17 links that pointed towards the Hooker chemical
18 plant in Niagara Falls itself, the one that we
19 are interested in.

20 Perhaps petitioner is on the
21 phone; maybe she can point us to that or
22 describe what she is looking at here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But it also says, it started off
2 with something about exposure to other harmful
3 substances in addition to uranium. She goes
4 on to mention strontium, et cetera. I don't
5 know if she is completely talking about other
6 radionuclides or she is talking about other
7 chemicals.

8 Right now, this program, at least
9 the NIOSH part of this program does not handle
10 the chemical exposures. It is purely
11 radiation dose reconstruction. So, I didn't
12 dig into the chemical exposures in any of
13 these documents. It is outside of our
14 authority.

15 CHAIRMAN ANDERSON: And the
16 thorium issue? I mean, the use of surrogate
17 data is really used for specific activities in
18 handling --

19 MR. ALLEN: Yes.

20 CHAIRMAN ANDERSON: -- rather than
21 the overall facility, which at Mallinckrodt
22 was somewhat different than --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Right. At Hooker
2 Electrochemical, they were essentially
3 shoveling or unloading drums of mag fluoride
4 and digesting it and redrumming the
5 concentrate after they had dissolved it. And
6 we used that type of work at Mallinckrodt, but
7 there were many other things they did at
8 Mallinckrodt we didn't use.

9 Any questions?

10 CHAIRMAN ANDERSON: Not from me.

11 MR. KATZ: Why don't we see, if no
12 one here has questions, why don't we see if
13 the petitioners have questions --

14 CHAIRMAN ANDERSON: Sure.

15 MR. KATZ: -- about what they just
16 heard from Dave?

17 CHAIRMAN ANDERSON: Okay, it is
18 open to those of you on the phone, if you have
19 questions or comments.

20 MS. GIRARDO: Hello.

21 CHAIRMAN ANDERSON: Yes, we hear
22 you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. GIRARDO: I am curious if you
2 read the article.

3 MR. ALLEN: Yes, we did.

4 MS. GIRARDO: Yes, you read the
5 article, and you still don't see that there is
6 a connection to Hooker?

7 MR. ALLEN: There is mention of
8 Hooker in burial, but primarily it was talking
9 about Lake Ontario Ordnance Works. It was
10 talking some about the chemical burials in
11 Love Canal.

12 MS. GIRARDO: I know, but it was
13 Hooker employees who were the cleanup crew.

14 MR. ALLEN: Yes, at Lake Ontario
15 Ordnance Works. That is a covered --

16 MS. GIRARDO: No, but the petition
17 specifies the workers in all locations.

18 MR. ALLEN: Yes, but --

19 MS. GIRARDO: So, you can call it
20 a technicality if you want, but this does
21 prove that they were in that location. They
22 were Hooker employees. They were getting paid

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from Hooker.

2 MR. ALLEN: And --

3 MS. GIRARDO: So, just to discount
4 them and say that that was Ordnance, that
5 doesn't make sense.

6 MR. ALLEN: Well, it wouldn't make
7 sense if we were to just discount them, but we
8 are not. If they were working at Lake Ontario
9 Ordnance Works, then DOL can verify their
10 employment at Lake Ontario Ordnance Works. It
11 is already -- whether they were working for
12 Hooker or somebody else -- it is already a
13 Special Exposure Cohort. So, they are already
14 covered under that.

15 And we are not allowed to combine
16 sites into one petition. We have to have
17 these separated. Lake Ontario Ordnance Works
18 has already been settled quite a while back,
19 and this is for the Hooker chemical plant on
20 Buffalo Avenue.

21 MS. GIRARDO: Oh, man. It still
22 doesn't make any sense.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: So, Mary --

2 MS. GIRARDO: If they are Hooker
3 people and they are working at a location and
4 getting paid by Hooker, then they should be
5 all part of the same complex.

6 MR. KATZ: Mary, Mary, what Dave
7 is trying to tell you -- this is Ted Katz --
8 is that those people you are concerned about
9 are covered. In fact, they are part of an SEC
10 Class already, and were they to apply, make
11 claims to the Department of Labor, they would
12 be categorized as covered by that Class and
13 they would be compensated if they meet the
14 conditions for being covered by that Class.

15 So, those people you are concerned
16 about, they are covered already. They are not
17 losing out here. They are already covered by
18 an SEC Class.

19 MS. GIRARDO: Divide and conquer.

20 I would like to request that,
21 since I have been having difficulty getting a
22 response from Freedom of Information regarding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 emails -- it has been three months now -- that
2 no decision be given to the Advisory Board at
3 this point, until that is cleared up.

4 MR. KATZ: Well, the Advisory
5 Board had this on the agenda for December.
6 This Work Group will report out to the
7 Advisory Board. And certainly, we can notify
8 the Advisory Board that you have a Freedom of
9 Information request in and that it is your
10 desire that the Advisory Board not take action
11 until you have responses to that. We can
12 certainly make the Advisory Board aware of
13 that.

14 MS. GIRARDO: Okay.

15 MR. KATZ: Okay?

16 MS. GIRARDO: And I am not
17 understanding this information on the 95th
18 percentile where it is favorable to the
19 claimant. What do you mean by "favorable to
20 the claimant?"

21 MR. ALLEN: I think that was
22 Bill's report, but favorable to the claimant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 just meant -- and Bill can correct me if I am
2 wrong -- he pulled up the data and added some
3 additional air samples, eliminated some
4 others, using a slightly different
5 professional judgment, and found that the
6 numbers are fairly similar, that he ended up
7 with this new dataset, but they were actually
8 a little bit lower than what we used in the
9 TBD. And by lower, he said that the TBD was
10 claimant-favorable since it gave a slightly
11 higher number.

12 MS. GIRARDO: When you say
13 "claimant-favorable," do you mean for dose
14 reconstruction or for SEC?

15 MR. ALLEN: For dose
16 reconstruction.

17 MS. GIRARDO: I think the needle
18 is stuck. Okay. All right.

19 MR. KATZ: Let me just ask NIOSH,
20 for when we have the Board meeting, could you
21 just update the Board when Hooker comes up on
22 the status of the FOIA, just so that they know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 when it was received and where it is in the
2 process, and a sense of what the FOIA covers,
3 too, so that they understand what information
4 is being sought that the petitioner hasn't
5 received?

6 DR. NETON: This is Jim Neton.

7 I will take that on.

8 MR. KATZ: Thank you, Jim.

9 DR. MAURO: Mary, this is John
10 Mauro.

11 When we review NIOSH's strategy
12 for surrogate data and the use of data,
13 whether it is on the real site with real
14 measurements or it is surrogate data from
15 other sites, one of our greatest concerns
16 always has been, when you use that -- let's
17 say it is air-sampling data, dust loading
18 data. And you're saying, well, we're going to
19 assign some person exposure to a certain level
20 of airborne radioactivity. Our concern always
21 has been that, when there is any uncertainty
22 as to what level a person might have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 experienced, we like to see them assigned the
2 high-end value. That is, we don't want to
3 assume they are exposed to the typical value.
4 It is possible that he had a job that put him
5 in a place where he experienced high-end
6 values.

7 And the 95th percentile simply
8 means that they are really taking the highest
9 of the various values that were observed and
10 they are assuming that that person was exposed
11 to that high level day-in and day-out every
12 day, which we consider to be quite a bounding
13 analysis. In other words, we are really
14 giving the claimant the benefit of the doubt
15 and assigning an exposure that is at the high
16 end of the distribution.

17 So, SC&A is very comfortable with
18 that strategy when you have the data. Now SEC
19 issues arise when you don't have the data. As
20 you probably heard from around the table, we
21 are in the world of surrogate data, and the
22 Board is very, very concerned that when you do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 use surrogate data, data from another site,
2 that you do it very carefully.

3 So, we were tasked to look very
4 carefully at both.

5 MS. GIRARDO: Well, I don't deny
6 that you were very careful, but the use of
7 these three companies that you have got, the
8 rule of three, these people are all over the
9 place as far as location.

10 And Mallinckrodt is so far away. I
11 don't understand where the basis comes for
12 using these companies, how you determine which
13 companies you are going to use. Do you just
14 draw them out of a hat? Or do you go all over
15 the country to find somebody?

16 All of these examples that were
17 used were the rule of three, and they had to
18 be within a certain location and within the
19 same state. That was the farthest that they
20 went. They didn't go into Missouri.

21 I mean, how can you use
22 Mallinckrodt on that basis? What is the rule

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for surrogate data? How do you determine
2 which companies you are going to use? Is it
3 the rule of three? And if one is only good,
4 what happens to the other two? Fernald is
5 still not kosher. Electro Met, you're still
6 deciding that today.

7 I just don't understand how you
8 operate. I mean, how can you pick these
9 companies out and then base Hooker with these
10 companies when Hooker did not have any records
11 whatsoever, and you're picking it out from the
12 air? I know you are very scientific people. I
13 know you are educated. I don't doubt all
14 that.

15 But the point is, what is the
16 rule? Is it the rule of three?

17 MR. KATZ: Mary?

18 MS. GIRARDO: If it is the rule of
19 three, you don't have three.

20 MR. KATZ: Mary, Mary?

21 MS. GIRARDO: Yes?

22 MR. KATZ: Folks are trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 respond to you, if you will give them a
2 chance.

3 DR. NETON: Ms. Girardo, this is
4 Jim Neton.

5 The rationale behind how we apply
6 surrogate data has been described in an
7 Implementation Guide that we wrote some time
8 ago. I think it is IG-004, yes.

9 And the Board also has our own
10 criteria, but at the end of the day, both the
11 Board's and NIOSH's guidance are very similar.
12 They are very prescriptive in the sense that
13 we have to have data from a similar operation.
14 In this particular case, it is the dumping of
15 drums of uranium during a similar time period,
16 which in this case these are contemporaneous,
17 in a similar operation, I mean with
18 ventilation and everything like that
19 considered. So, they are prescribed. I would
20 encourage you -- it is out there on our
21 website -- to read the Implementation Guide.

22 But I am confused as to what you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mean by this rule of three. I don't know
2 where that is coming from.

3 MS. GIRARDO: Why do you have
4 these three companies? Why not six? Why not
5 seven? Why not one? Why not three? I mean,
6 I don't understand. It's called the rule of
7 three.

8 DR. NETON: Well, there is no --

9 MS. GIRARDO: I'm sorry. I'm
10 sorry, but if you have these companies that
11 are still up for grabs here, and you are only
12 basing it on Mallinckrodt, then you don't have
13 three companies. So, which is it? Must you
14 have only one? Must you have three? I am
15 saying if it is the rule of three, you only
16 have one because you can't point out the other
17 two.

18 DR. NETON: I'm sorry, but there
19 is no rule of three. If you look at the
20 Implementation Guide, one needs to find a
21 facility that is very close in its operation
22 to what we are trying to use the data --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. GIRARDO: I'm sorry, I
2 disagree. That is not what it says. They
3 used the thing about the railroad, the mines,
4 all this stuff, and that wasn't what they
5 said. It had to be, the farthest they could
6 go was within the same state; they couldn't go
7 out of the state. And you've gone all over
8 the place with these things.

9 DR. NETON: I'm not familiar with
10 what document you are talking about. If you
11 can cite it, maybe we could --

12 MS. GIRARDO: Well, it is
13 surrogate data. It is the stuff that was
14 supplied to me. I found it on my own and it
15 was supplied to me by your NIOSH people.

16 DR. NETON: Do you know the name
17 though?

18 MS. GIRARDO: You check it out. It
19 is called the rule of three.

20 DR. NETON: Well, I wrote the
21 Implementation Guide.

22 MS. GIRARDO: What I want to know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is, why do you have three people, three
2 companies, and two of them haven't even been
3 decided on yet? How can you judge Hooker on
4 material that hasn't even been evaluated yet;
5 no decision has been made?

6 So, I'm sorry, I'm going to cut
7 out of this because I don't want to get a
8 heart attack.

9 Thank you very much.

10 CHAIRMAN ANDERSON: Are there
11 other petitioners on that have questions or
12 would like to make comments?

13 MS. BARRIE: This is Terrie
14 Barrie.

15 Am I allowed to ask a question?

16 CHAIRMAN ANDERSON: Yes.

17 MR. KATZ: Yes, of course.

18 MS. BARRIE: Okay. Has thorium
19 presence at this site been absolutely ruled
20 out, that there was no exposure?

21 MR. ALLEN: We have found no
22 evidence that they ever worked with thorium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We have the contract for what they did do, and
2 it was contaminated magnesium fluoride for
3 about, if I remember right, an 18-month period
4 when they were trying to concentrate it with
5 some waste hydrochloric acid they had from
6 another process.

7 So, they essentially took the mag
8 fluoride, dissolved what they could of the
9 magnesium fluoride, thus, concentrating the
10 uranium slightly. And then, they packaged
11 that up and shipped it back off.

12 MS. BARRIE: So, thorium wasn't
13 involved with this place at all?

14 MR. ALLEN: Definitely not with
15 that operation, and we haven't found any other
16 operation with the Atomic Energy Commission or
17 MED.

18 MS. BARRIE: Okay. And the other
19 thing, I want to follow up with what Mary
20 said. I do have a concern about using Electro
21 Met and Fernald data because what Mary said
22 was that data has not been signed off by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Work Group as being valid.

2 So, I would consider, I question
3 the use of that data until, well, your Work
4 Group and Fernald's Work Group has made a
5 decision on the SEC petition.

6 And that is all I really have to
7 say, and thank you for allowing me to talk.

8 MR. ALLEN: Well, in response to
9 that, the mag fluoride at Electro Met and at
10 Fernald and even at Mallinckrodt were very
11 small operations compared to what they did on
12 the site, and the exposures are much smaller
13 than handling pure uranium compounds. This
14 was a uranium-contaminated mag fluoride. It
15 had about .2 percent uranium in it.

16 So, all we really have to do is
17 look at those particular operations. In this
18 case, it was just handling of this stuff,
19 emptying drums, filling drums, shoveling
20 stuff, et cetera. And I don't think that the
21 Work Groups on those sites are actually
22 looking at those operations as something they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cannot estimate the dose for. They are
2 looking at the bigger picture on those sites
3 and uranium bioassay, et cetera, that covers
4 everything, of which this would be a very tiny
5 amount of what the uranium intakes they would
6 get at those sites.

7 MS. BARRIE: Okay, I understand
8 that, but can you guarantee that the data that
9 you are using from these two sites is
10 accurate?

11 MR. ALLEN: I don't know about
12 guarantee, but the comments that Mary made
13 were actually that these are different sites,
14 over a course of several years, similar
15 material, and they are all coming up with
16 roughly the same airborne activity, kind of it
17 is almost like a QA on their programs and on
18 their samples, that they are all relatively
19 similar, even though it is different people,
20 different sites, different operations all
21 handling this type of material.

22 CHAIRMAN ANDERSON: Is the Fernald

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 committee going to meet before --

2 MR. KATZ: No.

3 CHAIRMAN ANDERSON: No? Because
4 one thing would be to query them. I mean, we
5 have heard about the reliability of the
6 Fernald data. At least indirectly we have
7 been told that these particular samples and
8 these activities are not the type that are
9 potentially questioned.

10 And it would be helpful if the
11 Committee actually could respond and say this
12 particular set of surrogate data that we are
13 using from Fernald are not the types of
14 samples that they are questioning. I think it
15 was mostly the biomonitoring that they were
16 concerned about, wasn't it?

17 MR. ALLEN: No, I think it was the
18 air sampling. They never addressed it much in
19 that Work Group because there was so much
20 uranium bioassay that the air samples were
21 irrelevant.

22 CHAIRMAN ANDERSON: Were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 irrelevant.

2 MR. ALLEN: They weren't really
3 taking that.

4 CHAIRMAN ANDERSON: So, you have
5 looked at the reliability of that and --

6 MR. ALLEN: Yes, we looked at what
7 the allegation was --

8 CHAIRMAN ANDERSON: Yes.

9 MR. ALLEN: -- where it came from,
10 et cetera.

11 CHAIRMAN ANDERSON: Yes.

12 MR. ALLEN: And it was actually an
13 affidavit from a particular guy at Fernald
14 that took air samples, and he said he was
15 required by his boss to go back and redo a
16 sample that came out high, he remembers on one
17 occasion, and it was with the F-machines,
18 which was plant 5.

19 CHAIRMAN ANDERSON: Yes.

20 MR. ALLEN: These air samples here
21 were taken at plant 8 that we dealt with. That
22 guy, the allegation was one time, and it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 green salt, plant 5.

2 DR. NETON: But it was a much
3 later time period.

4 MR. RUTHERFORD: Yes, a later time
5 period.

6 MR. ALLEN: Well, the affidavit
7 didn't actually have any time period on it.

8 DR. NETON: It wasn't in the
9 fifties.

10 MR. ALLEN: Well, this guy worked
11 there in the fifties on into the seventies.
12 So, I don't know the timeframe. That
13 particular document didn't mention what the
14 timeframe was that it had, but definitely
15 wasn't a similar situation.

16 And like I said, the air samples
17 are very similar to what they are getting in
18 different states and different companies with
19 this material. For the dataset that is used
20 for the appendix, if you simply remove the
21 Fernald data and analyze what is left, the
22 numbers actually go down. It is virtually the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 same number, but it is a slight decrease.

2 CHAIRMAN ANDERSON: Okay.

3 MR. KATZ: I think it is useful
4 for either the Work Group or NIOSH to report
5 out on that too.

6 CHAIRMAN ANDERSON: Yes. Yes.

7 MR. KATZ: That was a question.

8 CHAIRMAN ANDERSON: Yes, we will
9 include that, yes. So, the whole Board can
10 decide whether they want to put this on hold,
11 yes.

12 DR. NETON: Well, a lot of this
13 may have to do with the FOIA request status.

14 CHAIRMAN ANDERSON: Yes. Exactly.

15 DR. NETON: But it is good to get
16 this on the table at the same time.

17 CHAIRMAN ANDERSON: Yes, yes.

18 MS. BARRIE: Thank you.

19 CHAIRMAN ANDERSON: Thank you.

20 Yes, we haven't resolved it here,
21 but we will discuss it further.

22 MS. BARRIE: I appreciate it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thank you.

2 CHAIRMAN ANDERSON: Yes.

3 Any other comments, questions?
4 Feel free to speak up. You don't even have to
5 identify yourself. We want to get all of the
6 questions because I will carry these forward
7 to the full Board since our Committee is only
8 three individuals.

9 (No response.)

10 MR. KATZ: Any other questions
11 from Bill Field?

12 MEMBER FIELD: No, I'm good.
13 Thanks, Ted.

14 MR. KATZ: Thank you, Bill.

15 CHAIRMAN ANDERSON: So, I think,
16 again, Bill, I don't know if you have heard
17 anything here that would change your view on
18 the petition, but at this point I think we now
19 have further documentation on use of the
20 surrogate data, which I think actually
21 strengthens this as an example of how one can
22 use surrogate data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 At least in my mind, I am still
2 comfortable with going back to the Board with
3 our recommendation of denial of this portion
4 of the Hooker site. Are you in agreement with
5 that?

6 MEMBER FIELD: Yes, Andy, I am in
7 agreement. It sounds like there's just a few
8 issues that need to be clarified.

9 CHAIRMAN ANDERSON: Yes.

10 MEMBER FIELD: I am in total
11 agreement with you.

12 CHAIRMAN ANDERSON: Okay. Thank
13 you.

14 So, next up is Electro
15 Metallurgical, and there has been a
16 reassessment of that site. We got an email
17 about that.

18 DR. NETON: Yes, Jim Neton.

19 I think everyone has probably seen
20 the email that was distributed --

21 CHAIRMAN ANDERSON: Yes.

22 DR. NETON: -- I think it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 November 16th.

2 CHAIRMAN ANDERSON: Yes.

3 DR. NETON: But we have sort of a
4 rationale behind our reassessment of the
5 Electro Metallurgical facility. It is a
6 covered site from 1942 to 1952, I believe,
7 that timeframe, 1953.

8 And originally, our position was
9 that we could reconstruct the internal
10 exposures for all years for that facility. It
11 was primarily based on our use of some fairly
12 abundant air sample data that was taken after
13 1947, I believe around the 1948 timeframe.

14 Even though we did have bioassay
15 in the earlier time period, it was somewhat
16 limited. We didn't have job titles associated
17 with any of those bioassays. So, we were, by
18 and large, relying on a backwards
19 extrapolation from the 1948 timeframe, the
20 earlier years.

21 Part of the rationale, of course,
22 was that the processes would be similar. In

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 our subsequent review of documentation that we
2 obtained, it became clear that in 1947 there
3 was a health and safety assessment facility
4 and various improvements were made in the
5 processes. Presumably, they would lower
6 exposure. So, we could no longer rely on the
7 post-1947 data to back-extrapolate in those
8 time periods.

9 That is where it left us. So, at
10 this point, we are proposing that a Class be
11 added from 1942 to 1947. We still can
12 reconstruct doses from 1948 until the 1952
13 timeframe.

14 So, at this point, we will be
15 revising the Evaluation Report for Electro
16 Met.

17 Are we going to have this ready
18 for the next Board meeting? I don't recall
19 that --

20 MR. RUTHERFORD: No.

21 DR. NETON: No, we won't have this
22 ready for the next Board meeting, but as soon

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as we can, we will have --

2 CHAIRMAN ANDERSON: Yes.

3 DR. NETON: -- a revision put out.

4 At that point, it will to be presented to the
5 Board --

6 CHAIRMAN ANDERSON: Yes, yes.

7 DR. NETON: -- with our
8 recommendations. So, that is where we are
9 with Electro Met.

10 CHAIRMAN ANDERSON: Are there any,
11 Bill, do you have any questions?

12 I think we will wait to see your
13 presentation, but it is good to have this
14 update. We will certainly not do anything
15 further here until we get what that is.

16 Bill, do you have any questions?

17 MEMBER FIELD: No, I agree with
18 your thinking, Andy.

19 CHAIRMAN ANDERSON: Oh, okay.

20 MR. KATZ: Do we have any Electro
21 Met petitioners on the line?

22 (No response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Okay. If we do have any Electro
2 Met petitioners, and you have any questions
3 about this, this is a good time to ask.

4 CHAIRMAN ANDERSON: Just as far
5 as, for those of you who are, for a timeframe
6 this won't be on the agenda at the meeting in
7 Tampa in December.

8 So, the earliest would be
9 February.

10 DR. NETON: There will be an
11 update.

12 CHAIRMAN ANDERSON: Yes. Yes,
13 right, just an FYI, an informational update.

14 MR. KATZ: Okay.

15 CHAIRMAN ANDERSON: Okay. Next is
16 United Nuclear, and we have a number of White
17 Papers that have been developed on this.

18 Take it away.

19 MR. RUTHERFORD: This is LaVon
20 Rutherford.

21 I'll start with the air-
22 concentration data for 1961 and 1962. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 issue was brought up, the concern that we
2 extrapolated -- we had bioassay data post-1962
3 and we had bioassay data pre-1961. We
4 developed a distribution, and we extrapolated
5 back through 1961 and 1962.

6 The question that was brought up
7 was whether the air-concentration data really
8 supported what we were doing, extrapolating
9 through that period. So, what we did was we
10 went back and we looked at the air
11 concentration. We actually went back and we
12 took the data and looked at the available data
13 in 1961. We found there were 310 samples
14 taken during that period.

15 We looked at locations that they
16 were taken, the red room, green room, blue
17 room, item 1 plant, pellet plant, laundry
18 area, warehouse area, the blender room, the
19 guard station, and the office area. So, we
20 looked at all those locations to ensure that
21 we were covering a broad scheme with the air
22 sample data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We looked at various studies that
2 were done, integrated dust exposures for
3 workers for that period. We looked at actual
4 dust studies done at the pellet plant. We
5 went through all of those.

6 Actually, if you have the report
7 in front of you, you can go through this as
8 well. Table 1 actually identifies air sample
9 data points for each location, and it
10 identifies the number of data points that we
11 had.

12 The red room was called out
13 specifically because it was the workers that
14 worked in the red room were the workers who
15 were identified as potentially having high
16 exposures, and that caused the reinstatement
17 of the bioassay program in 1962. So, we
18 looked at the number of points that we had
19 there. We had quite a few air data points in
20 the red room.

21 We also had the green room. You
22 can see the data points all the way through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 each one of those stations.

2 So, we wanted to make sure that we
3 had adequate data points for each of those
4 locations where we had the higher
5 concentrations.

6 Then, we looked to see if the air
7 sample data correlated with the plant
8 activities. Again, the red room was chosen as
9 a potentially high area because it was the one
10 where the individuals were noted to have
11 contaminated themselves, and we had high urine
12 bioassay samples from those individuals, once
13 the bioassay program was reinstated.

14 Again, if you go through the
15 report, Table 2 actually has locations and
16 air-concentration values. These are actually
17 sample points that were above. There was an
18 administrative control level of 110 Dpm per
19 cubic meter for low enrichments, and for high
20 enrichments it was 220 Dpm per cubic meter.

21 These are actually sample points
22 and concentrations for areas that were above

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that administrative control level. You can
2 see that, if you went through, the red room
3 actually makes up about 27 percent of the
4 exposures that are above the ACL that they
5 were using. However, there are some high
6 concentrations in the blue room as well, if
7 you look through that.

8 Then, we took those and we
9 actually looked at, we developed a
10 geometric -- we actually did a distribution on
11 those. The entire dataset had a geometric
12 mean of 20.3 Dpm per cubic meter with a GSD of
13 4.8. The red room by itself had a geometric
14 mean of 32.2 Dpm per cubic meter with a GSD of
15 3.4.

16 And there was also, as I
17 mentioned, integrated air data, worker
18 exposure air data. We did a geometric mean on
19 that of 35.8 Dpm per cubic meter, which kind
20 of correlates well with the red room, with the
21 low GSD.

22 And then, ultimately, if you come

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 back onto Table 3 in the back, we actually did
2 a comparison of the data points, the geometric
3 means, the 95th percentile, and then to the
4 intake numbers that we have identified in TBD
5 -- it is on TBD now. It is not 6001.

6 But if you look at the 95th
7 percentile air data for all locations, the red
8 room and the worker data, and then you compare
9 those intakes to the intakes that we have in
10 6001, which are derived based on the bioassay
11 data, it fits right in between the Type M and
12 the Type S. But if you assumed it was Type S,
13 it would be much less; the 95th percentile of
14 the air data is much less than the Type S. If
15 Type M, the air data is a little bit above
16 that. So, you can see that, anyway, by
17 looking at that.

18 Also, something we sent out late
19 in the game is a graph that we put together.
20 We wanted to actually take and compare the
21 intakes. We wanted to graphically show this.
22 Instead of just putting it down in numbers, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 wanted to graph out the air data we had from
2 1960, which is when we still had -- or
3 bioassay data stopped at the end of 1960. So,
4 we wanted to include 1960 in this and then go
5 through the period when we have no bioassay
6 and then include the first year when we get
7 bioassay again in 1963, late 1962/early 1963
8 period.

9 And so, we graph that out. If you
10 look at that graph, you will see we have the
11 Type S geometric mean bioassay line and then
12 we have the Type M as well. You can see how
13 the air data for the most part runs right
14 along the line with the Type M and actually
15 mostly is below -- there are some data points
16 above the Type S, but not many.

17 And really, actually, in 1963, the
18 actual numbers of air sample data points we
19 have significantly increased because when they
20 recognized they had that concern with higher
21 intakes than what they had thought they were
22 getting, they actually increased the amount of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 air sampling further in 1963. So, we have a
2 lot more data points in 1963. That is why you
3 see that.

4 All right, that's about it. Do
5 you want to add anything to it, Jim?

6 MR. KATZ: Dave?

7 DR. MAURO: Yes, we had a chance
8 to look this over on the weekend. Hans
9 Behling and I both looked at it.

10 What we see are some problems.
11 Ultimately, when you say, so what are we
12 looking at, well, we have got these couple of
13 years where we don't have bioassay data, the
14 argument being made that, well, but we have
15 got lots of bioassay before and afterwards,
16 and we have air-sampling data that is
17 continuous across.

18 And the process you went through
19 is to look at the air-sampling data. At the
20 back-end of the process, you conclude that the
21 geometric mean of the air-sampling data with a
22 standard deviation of 5 is probably a good way

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to assign.

2 Hans took a fairly close look at
3 it. Quite frankly, his original look at it
4 goes back to 2009, the report.

5 And I would like to turn it over
6 to Hans, and he could explain some of the
7 reasons why he has some concerns with this.

8 Hans, are you available?

9 MR. KATZ: You may be on mute,
10 Hans, *6 if you are on mute to come off mute.

11 DR. BEHLING: Okay, you're right,
12 I was on mute.

13 Just to give you an overview, we
14 agree pretty much with what you stated in your
15 summary as well as in your White Paper
16 regarding the issue of what data is most
17 claimant-favorable.

18 As I pointed out in my original
19 review of the United Nuclear facility -- and
20 this goes back to September 2009, so it is
21 more than two years old -- I identified the
22 fact that, in comparing the air-sampling data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with bioassay data, there was in many
2 instances very, very poor correlation. So, I
3 do agree with the need to look at the bioassay
4 data urinalysis as a way of trying to fill in
5 the gaps.

6 But among the things that we had
7 previously discussed was, if there is bioassay
8 data available, that should be used because
9 oftentimes that may very well be empirical
10 data for a given individual, may supersede the
11 values that were provided as part of the
12 cohort model in Table D-1.

13 One of the things that I had done
14 in assessing the usefulness of that data was
15 to actually go back and identify among some of
16 the workers what their exposure was in terms
17 of their urinalysis data and then compare that
18 to what the cohort model would predict would
19 be a usable number if you didn't have the data
20 for them.

21 And in my initial write up, I
22 looked at two particular individuals. For

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those who may have access to the original
2 write up that, as I said, goes back to
3 September 2009, I had identified two
4 individuals who were operators and they were
5 identified not by name, but by code. The
6 first operator was AAA and the other one is
7 BBB; in other words, A-A-A and B-B-B.

8 I looked at the actual data that
9 was available in their behalf that included
10 bioassay data, urinalysis data, before the two
11 timeframes or before the timeframe of June
12 1963 and after June 1963. If you look at, if
13 you have access to that report, under Table 3,
14 there was a large number of bioassay data
15 available for both time periods.

16 And so, what I did was I used
17 their actual empirical bioassay data, and
18 using IMBA, I calculated what would have been
19 the expected inhalation data for those two
20 individuals for the two timeframes, prior to
21 June 1963 and post-June 1963, and then
22 compared the actual values that I generated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from IMBA and compared that to the recommended
2 values that are identified in Table D-1. And
3 I came up with the following:

4 Again, those numbers were
5 summarized in Table 3 of my report. Actually,
6 no, I'm sorry, not Table 3, Table 4.

7 In Table 4, the recommended daily
8 inhalation dose based on the cohort model that
9 NIOSH generated, the inhalation for an
10 operator would have been 12,590 Dpm per day.
11 If I actually used the empirical urine data
12 for that individual prior to 1962 and 1963,
13 and put that into the IMBA model and calculate
14 what IMBA would have calculated for Type S, I
15 would have calculated 42,670 as opposed to
16 12,590. So, we are talking about a full
17 factor of 3.4 higher values that you would
18 generate from actual data, if you had that
19 data available.

20 If you actually, then, decided,
21 no, it is not Type S, let's go for Type M, the
22 recommended value out of Table D-1 would have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 been 13,490. No. No, I'm sorry. If you
2 calculate the value based on the empirical
3 urine data, I would have calculated an intake
4 of 13,490 Dpm per day as opposed to the
5 recommended value from Table D-1 of 872. That
6 would mean that I would underestimate that
7 individual's exposure by a factor of more than
8 15-fold.

9 And the same thing applies to
10 operator BBB, B-B-B. I did the same thing
11 there. I looked at the empirical urine data
12 prior to June of 1963, and I calculated what
13 his intake would have been based on empirical
14 urine data, and compared that to the
15 recommended value, as defined in Table D-1.
16 And again, for Type S, you would have
17 underestimated the dose by a factor of 1.7. If
18 you go for Type M, the underestimate would
19 have been a factor of 7.6.

20 And what it comes down to, just to
21 put everything in a nutshell, is that, for
22 those people for whom you may not have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 urinalysis data, the use of the surrogate data
2 or cohort data, as defined in Table D-1, may
3 very well underestimate the actual inhalation
4 dose by a substantial margin. In the case of
5 the two operators I calculated, it could be as
6 high as 15-fold.

7 And so, when we use the GM, that
8 is, the geometric mean of the distribution, we
9 may, in fact, underestimate the dose to a
10 given person for whom we have no empirical
11 urinalysis data by a substantial amount.

12 As NIOSH did concede, if there is
13 urinalysis data available, it would obviously
14 be used as opposed to the values defined in
15 Table D-1.

16 The question, however, now -- and
17 I guess John will talk about that -- is the
18 use of a geometric mean appropriate for those
19 individuals where there may be an insufficient
20 or no available data to assign an intake that
21 is based on the geometric mean? And I think I
22 will pass that discussion onto John.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: Well, before John
2 goes, I have a question, though, Hans, or Dave
3 maybe does, will go first.

4 MR. ALLEN: I was just going to
5 point out that, again, we are comparing, we
6 are assigning a full distribution, and he is
7 comparing the 50th percentile, the geometric
8 mean, to one of the higher people, which is at
9 the far end of the distribution that we used.
10 We use those urinalyses for determining the
11 distribution. Yes, 95th or 99th percentile is
12 higher than the 50th percentile. We will give
13 you that.

14 DR. MAURO: Well, you know, we
15 have been in this position before. When you
16 are in a situation where you have airborne
17 activity, you have your distribution, and you
18 are going to say I am going to place someone
19 in that environment and we are going to
20 reconstruct his dose, and we know that there
21 is variability in time and location; you pick
22 the geometric mean or capture the distribution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with your standard deviation.

2 I guess this goes to the heart of
3 really a philosophy. Now when you do that,
4 the reality is the real person could very well
5 have been exposed for time periods and
6 locations where the airborne activity was
7 substantially higher than the geometric mean.
8 And I have to tell you this is one of those
9 problems that sort of tied my brain into a
10 knot.

11 For that particular person, who
12 you don't really know where he was, when he
13 was in a particular location, but one would
14 argue that, yes, it is very likely, there's a
15 50 percent probability that his real geometric
16 mean was higher for him by a factor of --
17 well, there's a 50 percent chance that the
18 real number that he experienced was higher, 50
19 percent that it is lower.

20 Now does somehow assigning a
21 geometric standard deviation of five solve
22 that problem? Something about that disturbs

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 me. See, I would say that now, if you were to
2 run a PoC or, say, we could run a case, in one
3 case we say, okay, let's go with a fixed value
4 of 95th percentile.

5 CHAIRMAN ANDERSON: And that's
6 95th percentile of the geometric mean or --

7 DR. MAURO: Exactly. Of the full
8 distribution.

9 CHAIRMAN ANDERSON: Okay.

10 DR. MAURO: In other words, for
11 the full distribution. For the full
12 distribution, and the full distribution is a
13 bunch of measurements, many different times,
14 different places. And we have a guy that we
15 don't know where he is, you know, when he was
16 there.

17 All right. So, the reality is, if
18 I was going to come up with a best estimate of
19 what I think that guy might have experienced,
20 I certainly would pick the geometric mean. And
21 if I was to assign an uncertainty on what I
22 think a guy's best estimate is, I would do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exactly what you did. In other words, for the
2 typical person that worked in that facility
3 over that time period, I would do exactly what
4 you did.

5 However, that is not what we are
6 asking. We are asking, no, we want to make
7 sure that we place a plausible upper bound for
8 everyone. In other words, we want to make
9 sure that we don't underestimate anybody, or
10 there is a high level of confidence we are not
11 underestimating.

12 So, I find myself in a place where
13 I say I would have used the 95th percentile of
14 the distribution put in there, unless I know
15 otherwise, unless I know, no, no, no, he was
16 not in the work zone, based on knowledge of
17 his job. And if we don't have that knowledge,
18 then I would ask myself -- but let me go
19 further.

20 If I were to run a PoC on a guy,
21 and in one case I were to assign him a
22 geometric mean with a geometric standard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 deviation of five on that airborne activity,
2 as opposed to, no, I am just going to fix him
3 at the 95th percentile and hit him with that
4 as if the entire time period he was at the
5 upper 95th percentile, I suspect that we are
6 going to come up with a higher PoC.

7 DR. NETON: Yes, we have been
8 through this before, John.

9 DR. MAURO: We have, and I don't
10 think we resolved it.

11 DR. NETON: Oh, we had. I thought
12 we had. And maybe this one is a little
13 different twist on the same old issue. And
14 that is, if we have a complete bioassay record
15 over a long period of time for a lot of
16 workers, we are assigning the 50th percentile
17 with full distribution. We agreed to that a
18 long time ago, unless there is some indication
19 in the guy's file that he should be at the
20 95th percentile.

21 We made some exceptions in the
22 past. For example, Rocky Flats, when there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 were questions about the adequacy of the data
2 that we had, we went for the 95th percentile.
3 But, by and large, where we have a complete
4 set of bioassay records, we would use the 50th
5 or the full distribution, recognizing that
6 most of the workers, the workers that weren't
7 monitored weren't usually the ones that had
8 the high-end exposure.

9 Now this situation is a little
10 different because you've got a gap with no
11 monitoring results. And so, I will
12 acknowledge that this is a somewhat different
13 situation.

14 So, I guess I need some
15 clarification of what are we assigning here
16 exactly, then, because I am not --

17 MR. ALLEN: Well, the numbers Hans
18 mentioned from the table in the appendix or in
19 the TBD or the geometric mean, we are
20 assigning a GSD, we are assigning a log-normal
21 distribution with a GSD that was calculated --

22 DR. NETON: Well, the numbers that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I am seeing here are like 12,590 dpm.

2 MR. ALLEN: Yes, that is one of
3 the numbers he mentioned.

4 DR. NETON: Now that is pretty
5 darn high.

6 MR. ALLEN: Oh, yes. In fact, if
7 you use the air samples, there was a problem
8 that -- LaVon, you can correct me if I am
9 wrong -- the red room was the green salt? Is
10 that correct?

11 MR. RUTHERFORD: No, the red room
12 was the highly-enriched uranium.

13 MR. ALLEN: Was it the green salt?
14 Or am I thinking of a different --

15 MR. RUTHERFORD: I think you are
16 thinking of --

17 MR. ALLEN: Okay. Never mind.

18 (Laughter.)

19 DR. NETON: Well, the 12,590
20 represents what? Is that the --

21 MR. ALLEN: The geometric mean
22 intake dpm per day.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. BEHLING: For the operator.

2 MR. ALLEN: Right, for the
3 operator.

4 DR. NETON: Hans, when you did
5 your reconstruction, how did you do that?
6 Because I am confused. You had data at the
7 beginning and data --

8 DR. BEHLING: Yes, I had data
9 which are defined in Table 3. Admittedly,
10 there were only a limited number of urinalysis
11 data for both the operator AAA and BBB. I
12 think for the AAA operator, I had a total of,
13 let's see, seven urinalysis data that predate
14 June of 1963. And on the basis of those seven
15 urinalysis data, I used the inverse
16 calculations that would end up with an intake
17 of 42,670 dpm per day, which is about 3.4
18 times higher than the --

19 DR. NETON: Assuming a chronic
20 exposure over a long period of time?

21 DR. BEHLING: Well, there were,
22 obviously, many more exposures post-June of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 1963.

2 DR. NETON: No, no, let's go back
3 to the beginning, the pre-1963 timeframe.

4 DR. BEHLING: Yes.

5 DR. NETON: You said you had seven
6 or so samples.

7 DR. BEHLING: Yes, an they start
8 on December 10th, 1962 and then go to, the
9 last one of the seven ends up on May 29th,
10 1963.

11 DR. NETON: And you fit a chronic
12 exposure function through all of those
13 samples?

14 DR. BEHLING: I don't recall
15 exactly. It goes back two years now.

16 DR. NETON: This is very
17 important, Hans, because if you did anything
18 with acute, I can understand why you are
19 getting what you did. Because if I am seeing
20 these people having intakes of 12,590 dpm per
21 day, the urine concentrations on a chronic
22 basis would be pretty large. I am curious as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to what those urine concentrations were in the
2 1963 period that you are saying --

3 DR. BEHLING: Well, I actually
4 used the urine concentrations, and they are a
5 part of Exhibit 3 in my write up. So, you can
6 actually look at the dates and the --

7 DR. NETON: I haven't looked at
8 this for a while, but I am not skeptical; I
9 guess I am just confused as to how you could
10 get such high numbers, given the type of
11 intakes that we are seeing, we are applying
12 here. There may be a difference in the way we
13 would apply a chronic exposure model to this
14 person versus the way you did your analysis.
15 That is all I am saying.

16 DR. BEHLING: Well, let me just
17 give you an example. For instance, the second
18 urine sample for that individual, the AAA
19 operator, that was taken February 11th, 1963,
20 he had 2,125 dpm per liter in his urine. And
21 that is a very, very high excretion rate.

22 DR. NETON: Right. Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: I think the fair
2 question here is that, when we went through
3 our calculations for these two people, if you
4 were to use the surrogate model for these two
5 people, you would have underestimated the
6 intake, using the model that Hans used,
7 whether that was some combination of acute or
8 chronic or just all chronic. Granted, that is
9 unknown right now. We would have to go back
10 and look at that calculation.

11 So, I guess we are not
12 disagreeing. What we are saying is that, to
13 the extent to which we researched this paper
14 over the weekend and went back to our original
15 work that we did quite a while ago to see if
16 it rang true, namely, does it appear that by
17 using the chronic approach with your
18 distribution, you would be giving the benefit
19 of the doubt to all these workers that don't
20 have bioassay data?

21 And from the work that was done
22 before, it appears that, at least in those two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cases, it wouldn't. And so, we are left in a
2 place where we are not seeing parity between
3 some people that we did look at before. It
4 appears that they would have been assigned a
5 much higher intake for them.

6 Now, of course, you are going to
7 actually do it for them because you have the
8 data. But let's say you didn't have the data
9 for them.

10 MR. RUTHERFORD: I've got a
11 question. So, is the question really solely
12 tied to the two years when we don't have data?

13 DR. MAURO: Yes.

14 MR. RUTHERFORD: Okay. And I just
15 wanted to make sure that was --

16 CHAIRMAN ANDERSON: Because
17 everybody else has --

18 MR. RUTHERFORD: Right, right. I
19 just wanted to make sure that that is the only
20 thing you are questioning right now.

21 DR. MAURO: Yes. And this
22 business of the geometric mean, I know we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discussed this before, and there's judgments
2 made on when do you use -- and certainly, we
3 are in full agreement when there is good
4 reason to believe the 95th percent to not
5 applied to a particular category of worker.
6 But we are talking about the worst workers
7 right now.

8 DR. NETON: Yes. No, I
9 acknowledge that this is somewhat different
10 because we have got a gap with no monitoring
11 data.

12 DR. MAURO: Right, right. So, I
13 guess, like I said, we did this over the
14 weekend. Hans I know did put some time in and
15 think about it and talk about it, to say, how
16 should we represent our concerns? I think we
17 have done our best to communicate that. Maybe
18 we ought to sniff this out a little further.

19 MR. ALLEN: I think there's two
20 big points here that you are not mentioning or
21 I am thinking about different than you are
22 anyway.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Point No. 1 are the highest
2 monitored guys that you looked at or some of
3 the highest ones.

4 DR. MAURO: They were cherry-
5 picked.

6 MR. ALLEN: They were cherry-
7 picked, sure.

8 DR. MAURO: Yes. No question.

9 MR. ALLEN: I mean, they are the
10 high-end of the distribution. But the key
11 point is they were monitored.

12 DR. MAURO: Right, I agree.

13 MR. ALLEN: And they are at the
14 high-end of the monitored people.

15 DR. MAURO: Right.

16 MR. ALLEN: And many other people
17 were monitored and got considerably lower
18 numbers, meaning the odds of finding somebody
19 not monitored that was in that high operator
20 position routinely all the time is almost --

21 DR. MAURO: That is one of our
22 classic presumptions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Okay.

2 DR. MAURO: The guys that were
3 monitored were the bad actors.

4 MR. ALLEN: Well, I mean, why
5 would you monitor people if you are going to
6 ignore the high ones?

7 DR. MAURO: Well, see, here's the
8 dilemma we ran into, too: usually, you pick
9 the people that are in the area with the
10 highest. In other words, the reason you are
11 monitoring these guys is you expect them to be
12 routinely in the place with the highest
13 airborne activity and, therefore, let's keep
14 an eye there.

15 But in the very same report that
16 Hans wrote, usually we couldn't even find a
17 correlation between airborne activity and
18 urine sample concentrations. I mean, if you
19 go back to the September 2009, we are
20 concerned that --

21 DR. NETON: But usually the
22 airborne way over predicts intakes because you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are not taking a particle size distribution.
2 You are oftentimes defaulting on very
3 insoluble materials when it is not.
4 Respiratory protection is oftentimes used,
5 which we never take credit for.

6 So, I am not surprised that we
7 don't find correlations between airborne and
8 urine samples. I would submit that it is most
9 often the case that the high values are the
10 ones that you are over predicting intakes
11 using air concentration data.

12 MR. ALLEN: Because of the short
13 duration --

14 DR. MAURO: Yes. I have to say, I
15 recall -- and, Hans, you have to help me -- I
16 recall your graph with the lines and the
17 circles in one of the reports. And it was
18 sort of all over the place. It wasn't that it
19 was consistently that the bioassay was under
20 the air. In other words, the air always
21 overestimated it.

22 Hans, if you are on the line, I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 trying to find the graph that I remember
2 reviewing, and it is not in the actual report
3 that I am looking at right now.

4 MR. ALLEN: Well, John, if that is
5 true, you are just saying the airborne has a
6 higher uncertainty.

7 DR. BEHLING: No, John, there was
8 no graph. In fact, you have to go back to, if
9 you have my report, go back to page 13 and
10 look at Exhibit 2, where I have a series of
11 operators, and they also provide you data with
12 regard to what their excretion rates were for
13 various timeframes.

14 DR. MAURO: Yes.

15 DR. BEHLING: And then, I looked
16 at those and compared those against the air-
17 sampling data that were reported, and I
18 selected two cases where the air
19 concentrations were high that were assigned to
20 them and the urine excretion rates are very
21 low, and the opposite was true, where you had
22 low air concentrations assigned to them and,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 yet, there were urine data that suggests there
2 was substantial exposure due to excretion
3 rates. And I concluded that the air
4 concentration and urine data had a very, very
5 poor correlation.

6 DR. MAURO: Well, that is part of
7 the story, too.

8 DR. BEHLING: And that's on Table
9 2 where I identify four operators, Operator
10 No. 19, 33, 34, and 36.

11 DR. NETON: I just wanted to take
12 a look at that, the data in the report.
13 September 2009, it looks like.

14 CHAIRMAN ANDERSON: Can we proceed
15 and do an update of this? I mean, it is a
16 good discussion, but I don't see us heading
17 toward a resolution on the 1961-62 without
18 having you drill down what are these issues.

19 Yes, I haven't looked at that. So,
20 I don't remember it, either.

21 MR. ALLEN: Well, maybe we can
22 push the discussion into a slightly different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 direction here because, I mean, this whole
2 95th percentile, et cetera, all we are talking
3 about here are the numbers that we would
4 assign to unmonitored workers.

5 CHAIRMAN ANDERSON: Yes. I mean,
6 I think what would be helpful to me is to try
7 to break these out as to what because it
8 doesn't apply to everybody here.

9 MR. ALLEN: What I was going to
10 say is, is it an SEC issue? Can't it be done
11 and we disagree on the value?

12 DR. MAURO: I would say no. I
13 mean, I jump to that pretty quickly, as you
14 know, but it seems to me we have got a
15 tractable situation here. It is just a matter
16 of judgments on what are you going to assign.

17 The other thing that I was going
18 to ask that I would be interested in seeing
19 is, when you fill in this little hole where
20 you only have air-sampling data, do we have a
21 continuation of air-sampling data to go pre-
22 1962?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: That's what we do
2 have.

3 DR. MAURO: Go right through it
4 and go through 1962 and then on.

5 MR. ALLEN: Yes, actually, what we
6 did at that last, yes, if you look at that
7 last graph, we actually wanted to include the
8 year prior to when bioassay stopped; 1960 is
9 included in this, and then the year after
10 bioassay, it was kicked back in.

11 And if you look at that data, I
12 mean, the air sampling, it looks pretty --

13 DR. MAURO: That was one question
14 I had.

15 MR. ALLEN: Well, that was the
16 question we had.

17 DR. MAURO: Yes. So, there is
18 nothing unusual about 1962. It was just like
19 every --

20 CHAIRMAN ANDERSON: Right. The
21 facility was operating just like it did
22 before.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: Right.

2 DR. MAURO: Okay. So, that being
3 the case, that puts you in a very stable
4 situation. What that means is that there's
5 nothing about those years that are weird.
6 Therefore, if somehow we could feel confident
7 that we could place a plausible upper bound on
8 before and after, well, the same plausible
9 upper bound would apply to the ones in
10 between.

11 DR. BEHLING: You know, John, I
12 disagree to some extent.

13 DR. MAURO: Sure, Hans.

14 DR. BEHLING: Again, I want to go
15 back to my initial report. If you look at
16 page 11 of my report, I take direct quotes
17 from letters that were written and memoranda
18 that were written. And it turns out that 1960
19 was a very, very unusual year for high
20 airborne exposures. At the same time, it is
21 also that timeframe, 1960-61, during which we
22 have no bioassay data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, what it comes down to -- and
2 again, I want to wrap everything into a single
3 story here -- there was poor correlation
4 between bioassay data in years before and
5 after these two years. So that, when you only
6 have air concentrations, you can't really make
7 any strong conclusions about what they would
8 really turn into or translate into with regard
9 to intake. And that is really where we are.

10 We are basically looking at urine
11 data pre and post those two years and trying
12 to establish what the exposure might have been
13 during those two years when we only had air
14 concentration. But it turns out that those
15 two years, 1960 and 1961, were unusually high
16 air-concentration data. And yet, we have no
17 bioassay data, and the correlations between
18 air and bioassay data are very poor. And that
19 is the dilemma we are in.

20 MR. ALLEN: That is not really the
21 dilemma we are in because we do have bioassay
22 data in 1960. It didn't stop until 1961.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Right?

2 MR. RUTHERFORD: Yes, that is
3 correct.

4 MR. ALLEN: And I thought you said
5 you had compared them, actually.

6 DR. BEHLING: No, I only compared
7 the two operators, AAA and BBB. I only had a
8 very limited amount of data that predates June
9 of 1963. In other words, the tail-end of 1962
10 and the first five months of 1963.

11 MR. ALLEN: Okay. That is when
12 they started it back up, but they did have
13 bioassay data up until 1961. So, in 1960 they
14 actually had bioassay data.

15 And as far as the correlation
16 between urinalysis and air samples, anytime
17 you have a facility that has multiple
18 operations where you get a short-term high
19 airborne in one area and long-term lower
20 airborne in another area, and somebody is
21 going between areas, you do get a wide
22 uncertainty in the values you would detect.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And that is why bioassay is inherently an
2 integrated intake, and that is a much better
3 analysis.

4 DR. MAURO: I guess --

5 MR. ALLEN: But the air sample
6 graph that Bomber put out here, the key thing
7 isn't so much to estimate the intake from the
8 air samples as to show is there a trend up or
9 down from 1960 through 1963, and it is a
10 fairly straight line.

11 DR. MAURO: Well, apparently, what
12 is important in these situations is making
13 sure we agree on the facts.

14 MR. ALLEN: Yes.

15 DR. MAURO: And then, of course,
16 interpreting what is important.

17 Right now, we do have a
18 disagreement on the facts, right?

19 CHAIRMAN ANDERSON: We should be
20 able to resolve that.

21 DR. MAURO: We have failed to
22 resolve. Hans makes a point, no, it looks

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 like they had a couple of years that are
2 pretty nasty and they may have fallen in the
3 time period that is of concern. But you are
4 saying, no, that is not the case. That is
5 easy enough to find out. Let's get that
6 straightened out.

7 Then, just another think piece
8 related to this is that, if I were doing this,
9 I would say, listen, let's assume, one, that,
10 yes, the nature of the operations were such
11 that they were continuous and nothing unusual
12 about those years. Because if there was
13 something unusual about those years, there is
14 a problem. But if there is nothing really
15 unusual about those years, where we don't have
16 the bioassay data, then I ask myself the
17 question, well, what would I do?

18 I would say, well, I would go
19 collect the bioassay data of all those workers
20 around those years. Let's have a lot of
21 bioassay data. And I would estimate the upper
22 95th percentile intake rates, chronic intake

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 rates, for those workers, and I would say I am
2 going to use that for the years -- I wouldn't
3 even look at the air data. I would go
4 straight to the bioassay data and say here are
5 the chronic intake rates or the intake rates
6 for hundreds, or whatever the number of
7 workers you have, just before and maybe just
8 after the time period where you don't have
9 bioassay data and say, listen, one thing is
10 for sure, if I assign all the workers I don't
11 have bioassay data for those two years, I am
12 going to give them the upper 95 percentile
13 intakes for the workers that I do have
14 bioassay data for around those years. And I
15 know that the air dust loadings were basically
16 the same continuously through.

17 I'm done. That is how I would
18 have come at it. I mean, no one could argue
19 with that.

20 Now I don't know where we would
21 come out on that, but that seems to be -- you
22 know, you need to get away from the air-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sampling data. You go straight to the
2 bioassay data. That is the stuff we kept.

3 Anyway, I am going to say, this is
4 how conceptually I would have come at the
5 problem. I may have also done it the other
6 way to see how they compare. There is almost
7 like two ways at coming at the same problem.

8 But I guess this is the thinking
9 that we would do over the weekend.

10 CHAIRMAN ANDERSON: I think some
11 examples would be useful.

12 DR. MAURO: Well, see, Hans'
13 example, I agree. Now Hans picked two
14 examples that show that, if it turns out those
15 people were not bioassayed --

16 DR. NETON: That is my question.
17 How robust are the bioassay data sets on
18 either side --

19 DR. MAURO: Yes, yes.

20 MR. RUTHERFORD: Actually, we have
21 got numbers. I can tell you.

22 DR. NETON: There's large numbers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of people being monitored.

2 MR. RUTHERFORD: Now just give me
3 one minute here.

4 MR. ALLEN: Well, if I remember
5 right, it started up in --

6 MR. RUTHERFORD: 1957, yes.

7 DR. NETON: I am not worried about
8 1957 --

9 MR. RUTHERFORD: But they were
10 coming towards the end of the startup phase or
11 '59 issue --

12 DR. NETON: But what I am saying
13 is, let's say we have very robust monitoring
14 data, large sections of the workforce on both
15 ends.

16 DR. MAURO: Both ends.

17 DR. NETON: And then, they didn't
18 monitor anybody in the intervening period. And
19 if we do what you suggest, that means we
20 construct their exposures in the middle. You
21 really have reconstructed exposures of the
22 most highly-exposed people, you know, if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have very robust datasets. And then, we are
2 in the same situation as we are at other sites
3 where I think the 50th percentile is probably
4 reasonable.

5 MR. RUTHERFORD: All right. So,
6 if you go -- I am going to just roughly start
7 at 1959 because we had 138, 60, 106. The
8 period between 1961 and 1962, actually, at the
9 end of 1962 when they kicked back in, they
10 jumped up and they did 196 just in that end
11 period. Then, in 1963, we get a huge increase
12 to 1730 bioassay samples, and it stays all the
13 way --

14 DR. MAURO: So, you've got those
15 samples?

16 DR. NETON: Yes. Yes, we have
17 those.

18 DR. MAURO: So, you've got the
19 data. See, to me, you have got the bioassay
20 data. So, let's, right off the bat, I would
21 say, given the bioassay data, there is no SEC
22 issue here. You have got a little hole in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bioassay. What are we going to do about that?

2 Now you would argue that you would
3 go with the geometric mean. And I would say,
4 well, why would you do that? In other words,
5 I am saying, what about some of those people
6 in there that you have bioassays year after
7 year and then you skip, then there is a hole,
8 and then --

9 DR. NETON: You don't know,
10 though.

11 DR. MAURO: Why would you use --

12 DR. NETON: I mean, let's take a
13 hypothetical example where you had everybody
14 monitored that were the highest-exposed
15 workers on one end and everybody that was
16 highly exposed monitored on the other end. Why
17 would you give the unmonitored workers the
18 95th percentile?

19 DR. MAURO: Well, I am saying,
20 let's say it turns out within that population
21 of highest-exposed workers, the operators, and
22 you have got, let's say, 100 measurements,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 okay, for workers. And we go Worker No. 1,
2 and we rack them up. Here's the intake for
3 the highest guy, the intake for the second-
4 highest guy, the third-highest guy, all right,
5 now all the way down. And here is our 50th
6 percentile, right? Forget about running the
7 log normal. Just right smack dab in the
8 middle.

9 Let's say, well, you are saying
10 now along comes a guy that we don't have data
11 for. You know, we don't know what his intake
12 was. But we do know that here's the rank
13 order of 100 people. Why would you give him
14 the one in the middle?

15 DR. NETON: Because what if it was
16 an administrative person?

17 DR. MAURO: If he was, then I
18 would agree with you, right.

19 DR. NETON: What if it was a
20 security guard?

21 DR. MAURO: But I am saying it
22 wasn't.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: But we are not giving
2 him the guy in the middle. We are giving him
3 the distribution. That gives him a
4 possibility of the high-end and a possibility
5 of the low-end.

6 DR. MAURO: No, but what you
7 didn't -- see, it is just like the external
8 that you do it with. I mean, the reality
9 is --

10 DR. NETON: But we're not --

11 DR. MAURO: Maybe we will never
12 agree, and that is okay.

13 DR. NETON: The nice thing about a
14 probabilistic model, which is the whole risk
15 models are based on that, we don't give high-
16 end values for all the individual exposure
17 parameters in the risk model.

18 What you are arguing is that we
19 should behave differently when the
20 dosimetry --

21 DR. MAURO: But you kick off the 1
22 percentile upper end.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: That is what we do
2 with --

3 DR. MAURO: To account for the
4 fact that there is individual variability in
5 the risk coefficient.

6 DR. NETON: And the same logic
7 applies to the dose models.

8 DR. MAURO: Well, now we are
9 getting into the regulatory interpretation. I
10 am going to look over here.

11 (Laughter.)

12 I see it as this: the way I read
13 the rule is that, when you are reconstructing
14 the person's dose, you have to err on the side
15 of the person to give him the highest-
16 plausible dose that applies to that person.

17 MR. ALLEN: Absolutely not. You
18 are definitely misinterpreting it.

19 DR. MAURO: Then, for nine years I
20 have been off-base.

21 MR. ALLEN: Yes.

22 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: See, to me, when you
2 don't know, you don't say, "I am going to"
3 -- because what that means is there is a 50
4 percent chance that you have underestimated
5 his dose.

6 MR. ALLEN: If you point to the
7 rule and read that section again, read the
8 section around it, et cetera, it is saying we
9 can end our research by giving worst-case
10 conditions. That is the thing we are pointing
11 to. And it says that we can consider the
12 research done if we consider worst-case
13 conditions. Plausibly-bounding worst-case
14 conditions I think is what --

15 MR. KATZ: If that is the only
16 information you have.

17 DR. MAURO: Right. Isn't that
18 what I just said?

19 DR. NETON: But the law says we
20 should provide reasonable estimates of dose. I
21 mean, that is what it says.

22 DR. MAURO: Yes, but --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. ALLEN: We are saying that
2 that is only a reason to end the research. You
3 can't then do more research to say we could
4 have made it higher. More research means it
5 is almost got to go lower.

6 DR. MAURO: I will do it. I mean,
7 I am just trying to be clear. I am thinking
8 about, I have got 100 guys that work in these
9 rooms, I've got 100 of them, and they are all
10 the operators. These are the bad actors,
11 okay? We will grant it.

12 And then, I say, all right, and I
13 look at their average intakes based on
14 bioassay data, becquerels per day, over a
15 period of a year or two, whatever. And I have
16 numbers that start over here, the highest, and
17 go down. All right, now I have got that, and
18 everybody is in pretty good shape. And for
19 those people, when you reconstruct their dose,
20 you are going to use the one that applies to
21 him because you have the data.

22 Then, along comes two or three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 guys that worked in that time period. I don't
2 have any bioassay data. I say, "Well, but I
3 want to assign some number to him." Or I'm
4 going to say some intake. What is the intake
5 I am going to assume that they had in that
6 time period?

7 According to your argument, you
8 would use the geometric mean, the guy in the
9 middle. You pick the 50th guy. But you would
10 try to take him into consideration, but we
11 will assign him the standard deviation on him
12 because we don't really know. He could have
13 been --

14 DR. NETON: We're not assigning it.
15 It is calculated.

16 DR. MAURO: No, no. The one based
17 on the distribution that you see from your
18 rank order.

19 Now I would say that is one way to
20 deal with the uncertainty. The other way to
21 deal with it is simply say: well, listen, we
22 don't know where that guy worked. We don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know where he would fit in from the highest to
2 the lowest. I am going to give him the 95th
3 percentile.

4 DR. NETON: I think we are in
5 agreement here because at other sites where we
6 have said, if it is clear that a person was,
7 say, a chemical operator at a facility --

8 DR. MAURO: Right.

9 DR. NETON: -- and they lost the
10 bioassay record, we would assign the 95th
11 percentile.

12 DR. MAURO: Now that is where I am
13 on this. I don't think -- we have no argument
14 there. If you know this guy was an
15 administrative assistant and never went into
16 the operation area, you don't give him the --

17 DR. NETON: That is where we have
18 measurement.

19 DR. MAURO: Well, but it appears
20 that you didn't do that here.

21 DR. BEHLING: John, just to remind
22 everybody, we are segregating the workers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 based on their job classification. So, when
2 we look at these numbers that are identified
3 of 12,590 as a GM value to be assigned, we are
4 not assigning that to an office worker or a
5 secretary. These are operators.

6 CHAIRMAN ANDERSON: The issue is
7 it is not a generic -- it is assignment of the
8 50 percent to everybody. That is what it says
9 in this thing.

10 DR. MAURO: You understand what I
11 am saying? I don't think we are being
12 unreasonable, but you understand our concern?

13 DR. NETON: Yes, I understand. I
14 think we need to go back. I need to refresh
15 myself a little more with Hans' original
16 analysis and how he did it.

17 DR. BEHLING: And let me also
18 point out something else. I think Jim Neton
19 made a comment that I think is appropriate,
20 but potentially flawed. When you said we only
21 really focus our attention on those people
22 that are potentially likely to have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 highest exposure, that may be due to air
2 sampling.

3 And as I said, if you look at
4 Table 1 in my report, I identified air-
5 sampling data for 1960 for a whole bunch of
6 operators. And then, on the far end of the
7 page there in the last column, I identified
8 urinalysis data that was also available.

9 And you find, based on the
10 correlation between air concentrations to
11 which these people were exposed and that were
12 assigned an air concentration value, if you
13 compare that to their actual empirical
14 urinalysis data, you find very poor
15 correlation, which means potentially the
16 following:

17 They may have identified workers
18 in areas where there are known measurements of
19 high air concentration and said, "You will
20 submit to a urinalysis because we think you
21 may be the maximum-exposed individual." But,
22 as that table also shows, there may be poor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 correlation, meaning that a person may have
2 had high exposure that involved areas where
3 the air concentrations apparently were not at
4 a level that would raise a red flag.

5 And yet, as it turns out, as I
6 pointed out in one of the tables, when I
7 compared them, they identified four
8 individuals, two of which had high air
9 concentrations and, yet, had low urine
10 excretion, and the reverse was they had high
11 urine excretion and low air concentration. So,
12 they may have selected people for urinalysis
13 on a basis of air concentration that turned
14 out to be a poor indicator for exposures.

15 MR. ALLEN: But you admittedly
16 picked the high guys to analyze there.

17 DR. BEHLING: Of course. Yes, I
18 took the extremes. No, there is no question
19 about that.

20 MR. ALLEN: Yes, I understand
21 that, and I would, too, you know, to try to
22 test the limits there. But if we could go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 through and show a relatively-consistent
2 urinalysis on the majority of these people,
3 would that not prove that they knew what they
4 were doing as far as picking the high guys?

5 DR. BEHLING: Yes, on average,
6 yes, always. I mean, if we always look at
7 what is representative of a population, an
8 average value with a standard deviation might
9 be appropriate. But, as I pointed out, there
10 may be individuals such as our AAA and BBB
11 operators whose exposures, based on empirical
12 urinalysis, would suggest a much higher intake
13 than are being assigned by the geometric mean.

14 MR. ALLEN: But I am saying, if
15 AAA is relatively consistent throughout time
16 and BBB is relatively consistent and Employee
17 A, B, C, they are all consistent with
18 themselves across time, then we do have the
19 high guy, and he is AAA and BBB. The other
20 guys that are sampled by the company are
21 lower. So, presumably, the ones that are not
22 sampled would be even lower yet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. BEHLING: Well, this is
2 exactly the point I just made. You may not
3 have sampled everyone that should have been
4 sampled because you may have falsely assumed
5 that air concentrations are necessarily a good
6 indicator for expecting them to submit urine
7 samples.

8 MR. ALLEN: But you have already
9 said that doesn't correlate with AAA and BBB.
10 So, they couldn't be consistent with everybody
11 across time if air samples is what they used.

12 DR. MAURO: Wait. You said
13 something that is very important. The people
14 that are of concern that you are going to
15 reconstruct the doses for this two-year time
16 period where you don't have bioassay data, do
17 we know who they are and do we have their data
18 for 1959, 1960, 1961, bioassay data?

19 DR. NETON: It is probably a
20 mixture.

21 DR. MAURO: I mean, if you know
22 who they are. I mean, when you think about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it, see, the way I look at it is forget about
2 the air-sampling data. I mean, you have
3 bioassay data. And if you have bioassay data
4 for a guy for 1957, 1958, 1959, 1960, and
5 then, all of a sudden, you don't have anything
6 for 1961 and 1962, then you have got it for
7 all. You're done. So, I don't know why you
8 even go to the air data.

9 MR. ALLEN: Well, we don't for
10 guys with the bioassay data.

11 DR. NETON: In the bioassay data,
12 you are never going to be --

13 DR. MAURO: I thought the problem
14 was --

15 DR. NETON: It is the people when
16 there is no one with any monitoring data at
17 all.

18 DR. MAURO: Okay. So, then, I
19 misunderstood. So, they are a different group
20 of people that were not monitored. So, it
21 wasn't that you have -- I thought it was a
22 time period that was gone. So, now you have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 group. Okay, right.

2 DR. NETON: See, we would do what
3 Hans did to calculate a guy's intake, if he
4 had bioassay data before and after.

5 DR. MAURO: Right. Okay. So, now
6 you say, okay, now we have got a group of
7 people that, for some reason, don't have
8 bioassay data, and they didn't have any before
9 and after.

10 DR. NETON: There you go.

11 (Laughter.)

12 DR. MAURO: Not only is it 1962
13 and 1963, they don't have any.

14 MR. KATZ: They are unmonitored
15 workers.

16 DR. MAURO: The unmonitored
17 workers.

18 DR. NETON: That has been my point
19 all along.

20 DR. MAURO: Believe me, I am
21 trying to understand. Your position is that,
22 well, this group of people that don't have any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bioassay data for this time period, I am
2 assuming that they don't have any bioassay
3 period for an earlier time period or they
4 don't have any bioassay data -- that is what
5 you are saying -- or very little. So, they
6 are a special group of people.

7 And your argument is maybe the
8 reason they didn't have that bioassay data was
9 they were people that didn't really have much
10 potential for exposure. I didn't see that
11 case made.

12 DR. BEHLING: Well, you know, I
13 still have a problem with us making that
14 assumption, John. It would be okay if you
15 were talking about the secretary or the office
16 worker. But when you have a chemical operator
17 and that is his job justification, if there is
18 no bioassay data, you would have to question
19 why. Is it data missing or is there an
20 oversight that says he should have been
21 monitored but somehow or another he was not? I
22 don't know how to answer that question when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you have someone who is --

2 DR. MAURO: That is a great
3 question. That is a reasonable question.

4 CHAIRMAN ANDERSON: Is that a
5 hypothetical?

6 DR. NETON: Yes, I don't know.
7 That might be a hypothetical. There may be
8 none. I don't know. We need to follow up.

9 DR. MAURO: Yes. I think we are
10 really trying to come to closure on this in a
11 way that we are all comfortable with. And I
12 understand where the holes are now. Okay.

13 CHAIRMAN ANDERSON: So, moving
14 forward, yes, the action item is, John, you
15 guys are going to redo the --

16 DR. MAURO: Well, I don't know if
17 there is anything to do. I think it is in
18 Jim's court.

19 DR. NETON: Not me, but someone
20 else is going to look at the analysis. Yes,
21 we need to go back and reexamine Hans'
22 original analysis --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ANDERSON: Yes.

2 DR. NETON: -- of September 2009,
3 his bioassay analysis.

4 DR. BEHLING: And I would just
5 like to ask Jim, or whoever is going to do
6 that, take a look at the operators because
7 they are obviously the --

8 DR. NETON: Yes, I agree. Yes, we
9 will look at the operators and we will look to
10 see if that really does apply, yes.

11 MR. KATZ: So, it is more than
12 just Hans' analysis, the discussion here, too.
13 It is the issue of who is this set of workers.

14 DR. NETON: Exactly how it is
15 going to apply.

16 CHAIRMAN ANDERSON: Especially in
17 the context of the SEC, I guess.

18 DR. NETON: We have got a handle
19 on what we need to do, to look at.

20 CHAIRMAN ANDERSON: Okay. The
21 next issue, then -- I think we have got what
22 is going to happen.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER FIELD: Andy, can I ask a
2 question?

3 CHAIRMAN ANDERSON: Oh, sorry, go
4 ahead, Bill.

5 MEMBER FIELD: Yes, looking at
6 this, now one of the items that we are looking
7 at is nuclear air-concentration data, correct?

8 MR. KATZ: Right.

9 MEMBER FIELD: Okay. If you look
10 at Table 1 there, the first two rooms there,
11 the red room and the green room, it is my
12 understanding these are the rooms that had the
13 highest potential exposures.

14 MR. KATZ: Right.

15 MEMBER FIELD: Okay. What I am
16 trying to figure out is, why in 1962 is there
17 one-fifth less sampling and one-ninth in 1962,
18 but yet other rooms, where I am assuming there
19 is less exposure, the number of air samples
20 went up? I am just trying to figure out why
21 there are so few in 1962 as compared to 1961.

22 MR. RUTHERFORD: I think part of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that just may be the data available to us, but
2 I am not sure other than that.

3 DR. MAURO: When I was reading the
4 text with this, I remember that the argument
5 was made that we wanted to save some money.
6 This was back in the DOE days. They said,
7 "Listen, let's cut back on the bioassay
8 program." There were actually some worries
9 there. They said, to conserve resources,
10 maybe we could cut back on the amount of
11 bioassay data because we have a whole lot of
12 bioassay data. And then, of course, that
13 turned out to be a problem because, after that
14 hiatus, they realized that there were some
15 really significant intakes.

16 But what I didn't know is that it
17 had to do with particular rooms. That is
18 interesting.

19 DR. NETON: Well, if you look, it
20 is most interesting as well -- I am just sort
21 of reading this on the fly -- the number of
22 integrated personal dust exposures went up by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 an order of magnitude. It may be that they
2 supplanted the six monitoring stations with
3 these combination BZGA samples that they put
4 on the workers.

5 Because it is a tremendous
6 increase. It went from 132 in 1961 to 1847 in
7 1962. And based on the footnote I see here,
8 we are not exactly clear what they calculated,
9 how they calculated those values.

10 MEMBER FIELD: It is just
11 interesting, in some of the rooms the sampling
12 actually went up.

13 DR. NETON: Yes, the pellet plant
14 went up.

15 MEMBER FIELD: But it is
16 surprising to me that in the green room for
17 1962 there are only four observations.

18 DR. NETON: Right, but 1962 is
19 where they quit taking bioassays, though,
20 right?

21 MR. RUTHERFORD: So, 1961 they
22 quit taking and restarted back in late 1962.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: For some reason -- and
2 maybe this is something we can dig out of the
3 records -- what these integrated personal dust
4 exposure samples were. I mean there is a
5 tremendous number of samples.

6 MEMBER FIELD: I think that would
7 be really helpful to know.

8 CHAIRMAN ANDERSON: Yes, I agree.

9 DR. NETON: Yes, I mean, because
10 if you have got 1800 personal air samples that
11 include a large part of BZ samples --

12 DR. MAURO: So, they kicked in
13 this big BZ program and knocked down on the
14 bioassay program.

15 DR. NETON: Yes, and the
16 individual fixed-station samples, as Bill
17 points out.

18 DR. MAURO: That is an interesting
19 story.

20 DR. NETON: Yes, yes. So, we need
21 to figure that out a little better.

22 MR. KATZ: Okay. So, that is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 another action item for DCAS. I've got it.

2 CHAIRMAN ANDERSON: Okay. Let's
3 maybe take a break --

4 MR. KATZ: Yes.

5 CHAIRMAN ANDERSON: -- for about
6 10 minutes, yes. Then, we will go through the
7 transuranic material.

8 MR. KATZ: So, I am just putting
9 the phone on mute while we are on break.

10 (Whereupon, the above-entitled
11 matter went off the record at 10:42 a.m. and
12 resumed at 10:56 a.m.)

13 MR. KATZ: This is the Advisory
14 Board on Radiation and Worker Health, the
15 Uranium Refining Work Group.

16 CHAIRMAN ANDERSON: And we are
17 still discussing United Nuclear. We have the
18 second White Paper by Chris regarding
19 transuranic from recycled uranium buried at
20 United Nuclear.

21 MR. RUTHERFORD: Yes, this is
22 LaVon Rutherford.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 One of the issues brought up by
2 the petitioner was the potential for
3 transuranics from recycled uranium buried at
4 United Nuclear. We went back and we actually
5 looked at that a little more in-depth. We
6 also looked at how we handled that in OTIB-4.

7 If you look at the White Paper,
8 the White Paper actually identifies in Section
9 3 some site sampling and analysis that we did
10 or that was done during the decommissioning
11 project, a characterization report, and a
12 number of different surveys and such that were
13 done to determine the activity concentrations
14 of various radionuclides at this site.

15 If you go to Table 1 in the
16 report, you can see that the average soil
17 concentrations from groundwater surface --
18 they actually did a radionuclide analysis on
19 that. They had americium, neptunium,
20 plutonium, tech-99, thorium-232 and
21 uranium-234, -235, and -238. And they had the
22 concentrations from those. That was from a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Westinghouse August 2009 report.

2 If you follow along in the report,
3 you will actually look at the activity
4 fractions relative to the total uranium. We
5 did a comparison of that in Table 2.

6 And then, we took OTIB-4 and we
7 compared the activity concentrations
8 recommended from OTIB-4, which is based on, I
9 think, depleted uranium, if I remember
10 correctly, and those concentrations, and we
11 compared them and recommended the use of
12 OTIB-4 concentrations and showed that the
13 OTIB-4 concentrations are significantly higher
14 than the actual activity concentrations that
15 were derived from, or activity fractions that
16 were derived from the soil concentrations.

17 I would point out that the report
18 points out that, when you are dealing with
19 higher-enriched UF₆, the recycling project or
20 the actual production of that UF₆ drops the
21 recycled contaminant significantly through
22 that process, and that is confirmed in a DOE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 report as well, and it is also cited on the
2 front of the White Paper.

3 That is pretty much it.

4 John?

5 DR. MAURO: I just had one
6 question. I agree with you regarding you are
7 working with the back-end of processing that
8 started with ore.

9 MR. RUTHERFORD: Right.

10 DR. MAURO: But you are not
11 starting with material on that --

12 MR. RUTHERFORD: Right.

13 DR. MAURO: But is there any place
14 where, if you had some RU in the material that
15 showed up and you were working with whether it
16 was UF6 or UF4, whatever it is you are working
17 with, and I guess you are mainly reducing it
18 here --

19 MR. RUTHERFORD: Right.

20 DR. MAURO: You are bringing it
21 down to a metal. Is there any part of the
22 process which would result in side streams,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not with separated out in concentrate, any RU,
2 you know, the way that happens in other
3 places?

4 MR. RUTHERFORD: Right. Yes, we
5 didn't identify any, but what we did point out
6 was the fact that the concentrations that we
7 found in the soil -- and we also recognize
8 that some, we do believe that there was
9 material sent from Mallinckrodt that was
10 buried on the site that actually had a higher
11 concentration of the recycled contaminants.
12 And those were buried on the site as part of
13 that as well.

14 And the fact that dealing with the
15 high-enriched material, as I mentioned, it
16 drops those contaminants significantly, to the
17 point. So, we didn't identify a specific
18 process that could have concentrated those.

19 DR. MAURO: Okay. And so, the
20 main philosophy is that the place where you
21 can have the highest amount of RU material
22 that might have shown up is at Mallinckrodt.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. RUTHERFORD: Right. And that
2 was buried.

3 DR. MAURO: And that was buried.
4 Any other RU that might have been associated
5 with the actual product that was processed, if
6 anything, is going to be lower than that.

7 MR. RUTHERFORD: Right.

8 DR. MAURO: First of all, it
9 started off lower as a product --

10 MR. RUTHERFORD: Right.

11 DR. MAURO: -- when it started in
12 the system. And second, you don't know of any
13 process whereby the process reduces UF4 and
14 UO2 that would be a way in which that stuff
15 would be extracted out and generate
16 concentrations which might have been higher
17 relative to uranium, higher than what you
18 actually saw in the Mallinckrodt? I mean,
19 that is the only place where I see an
20 ultimate --

21 MR. RUTHERFORD: Right. Yes.

22 DR. MAURO: You understand what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I --

2 MR. RUTHERFORD: Yes, I know where
3 you are going with it. The same thing with
4 Fernald, I believe.

5 DR. MAURO: Yes.

6 MR. RUTHERFORD: I mean, I wasn't
7 involved in that. But, no, I think we haven't
8 identified anything.

9 Now I do want to point out, you
10 will notice I think the thorium numbers were
11 higher, the activity fractions of thorium were
12 higher in the burial than the OTIB-4 values,
13 but that is because of the thorium process
14 that actually occurred onsite.

15 DR. MAURO: Oh, yes, thorium is --

16 MR. RUTHERFORD: Right.

17 DR. MAURO: -- that's another
18 White Paper, a different one, right?

19 MR. RUTHERFORD: Yes. Oh, yes, I
20 would just point out, if you had a question,
21 why one of those was higher. Okay.

22 CHAIRMAN ANDERSON: So, at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 very end, you talk about the thorium
2 processing. Is there going to be another
3 White Paper on that?

4 MR. RUTHERFORD: Oh, we are going
5 to talk about that one.

6 CHAIRMAN ANDERSON: There is?

7 MR. RUTHERFORD: There is a White
8 Paper on the thorium.

9 DR. MAURO: The Casey-Davis White
10 Paper.

11 CHAIRMAN ANDERSON: Oh, okay.

12 DR. MAURO: Okay. Okay, fine. I
13 have to say, as far as SC&A is concerned, I
14 mean, I have got to tell you I didn't look at
15 that one paper. I don't know if anyone else
16 did in the group. I understand what you are
17 saying.

18 We agreed with the fundamental
19 idea that when you are starting with UF6 and
20 UF4, you are not starting with something that
21 is going to develop an upper value, and that
22 the Mallinckrodt waste would certainly be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bounding.

2 And that is your plan, to go with
3 that ratio?

4 MR. RUTHERFORD: Yes, OTIB-4
5 ratio, which is actually significantly higher
6 than the ratios we had in the soil.

7 DR. MAURO: All right. Then, I
8 have to say, I mean, I jumped to the
9 conclusion pretty quick. I like it.

10 MR. RUTHERFORD: All right.

11 DR. MAURO: Okay.

12 CHAIRMAN ANDERSON: Bill, do you
13 have any comments on this White Paper?

14 MEMBER FIELD: No, I don't.

15 CHAIRMAN ANDERSON: Okay. So,
16 that takes us, do we want to do thorium before
17 petitioner issues or the petitioner issues?

18 MR. KATZ: So, is that closed?

19 CHAIRMAN ANDERSON: Yes, I think
20 we got the detailed discussion that we wanted.

21 MR. KATZ: Okay.

22 MR. RUTHERFORD: It doesn't matter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to me, whichever one you want to go to.

2 CHAIRMAN ANDERSON: Okay. Well,
3 we may have petitioners still on. So, let's
4 do the petitioner issues.

5 MR. RUTHERFORD: Okay. Now do you
6 want me to go through each one of these in
7 here, because there's about six pages of them?
8 But I'll tell you what we did.

9 CHAIRMAN ANDERSON: Yes.

10 MR. RUTHERFORD: And if there are
11 specific ones we want to talk about, we can
12 talk about them.

13 The question came up -- and I
14 think it may have been Hans, it may have been
15 somebody else within SC&A -- and identified
16 that they were concerned that it wasn't clear
17 from the Evaluation Report that we'd actually
18 pulled out all the petitioner issues and
19 addressed all the petitioner issues.

20 CHAIRMAN ANDERSON: Yes.

21 MR. RUTHERFORD: So, what we did
22 was we went back and we took the petition,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 broke it down, and we pulled out everywhere
2 where we saw a place in the petition that had
3 an issue, and then we tried to address each
4 one of those.

5 I mean, you can look at the first
6 one, recycled uranium. We put a White Paper
7 out on that one. There is a number of these.
8 There are issues of workers working with bare
9 hands. There are issues associated with
10 whether we had bioassay for everyone. There
11 are some issues about the chemicals and people
12 being exposed to a number of different strong
13 mineral acids, and so on. And we pointed out
14 that we do not dispute that chemical exposures
15 occurred at the site. However, that is not
16 part of what we are dealing with here.

17 It talked about workers
18 potentially taking contamination home, and we
19 addressed that as well.

20 So, there's a number of issues in
21 here. If anyone has any specific one they
22 would like to discuss, we can discuss those.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ANDERSON: I guess what I
2 would do is, since this really is responding
3 to the petitioners --

4 MR. RUTHERFORD: Right.

5 CHAIRMAN ANDERSON: I would ask,
6 if there are petitioners on the phone, if they
7 have specific comments or would like
8 clarifications of any of your comments.

9 MR. KATZ: Bill, do you have any
10 questions about the responses to these?

11 MEMBER FIELD: No, I don't.

12 CHAIRMAN ANDERSON: I think it is
13 helpful to have broken out the issues as you
14 saw them. And now, if something has been
15 missed or there are new issues, if the
16 petitioners have them, it would be helpful to
17 hear.

18 MR. KATZ: So, for petitioners, if
19 you have seen the responses from DCAS, do you
20 want to raise additional questions or
21 questions about their responses?

22 MS. EATON: This is Clarissa Eaton

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on behalf of the petitioners. Can you hear
2 me?

3 MR. KATZ: Yes. Thank you,
4 Clarissa.

5 MS. EATON: Thanks.

6 Yes, real quick, I only wanted to
7 mention the chemicals. I made mention of that
8 to make a point that this site was so badly
9 contaminated, not only onsite but offsite as
10 well.

11 And how do I know that? I know
12 that because I was one of the twenty-two homes
13 that had my well, my private well, impacted
14 with about two pages of five-syllable
15 chemicals that I couldn't even pronounce.

16 The site's monitoring records, as
17 Hans has so graciously interpreted, that the
18 poor correlation of what little data they
19 have, it doesn't make sense.

20 There's a lot of things I would
21 like to say. I am a little hesitant to say
22 them right now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But I think there is a reason that
2 the monitoring data ended in 1960. We know
3 when things are in a process of being done to
4 protect one's own entity, that business
5 practices so easily go astray.

6 Westinghouse, who is also the
7 administrator of the documents, at first were
8 stated that didn't exist, and then truckloads
9 come into the picture. Westinghouse, being
10 the administrator, who has been cited in other
11 states for falsifying documents, that is an
12 issue.

13 We have already got one source
14 deemed unreliable, but now we are dealing --
15 thank you, Westinghouse. Anyway, to make a
16 long story short, we have a lot of unreliable
17 people.

18 What is that noise?

19 MR. KATZ: Somebody was doing
20 something with their phone. All we know --

21 MS. EATON: I am not surprised.

22 MR. KATZ: Well, it is someone on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the line here, most likely a person in the
2 public's phone because it is probably not a
3 government phone. But, please, if you are
4 listening in, please mute your phone.

5 There it goes. Thank you,
6 Clarissa. Go ahead.

7 MS. EATON: Well, back to what I
8 was saying --

9 This is unbelievable.

10 MR. KATZ: It's okay, Clarissa. We
11 are here. We hear you.

12 MS. EATON: I think at this point
13 I am going to turn it over to any employees.
14 Are there any employees on the line?

15 MALE PARTICIPANT: Yes, ma'am.

16 MR. KATZ: Do you want to, whoever
17 that is who said, yes, ma'am, do you want to
18 identify yourself? You are welcome to make
19 comments as well.

20 MALE PARTICIPANT: Well, I got
21 back from break a little late. But I don't
22 know where you took from break and where you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are now.

2 But, yes, I was an employee of
3 United Nuclear from 1962 to 1966. I have
4 submitted a petition.

5 And there are several -- I don't
6 know exactly -- I would like to talk a little
7 bit about bioassay reports. On my report,
8 they took my bioassay results and did my
9 reconstruction from that. Then, I got my
10 report back, and they said they had a total of
11 nine bioassay samples taken in that four-some-
12 odd years and that, of that, six were over the
13 limit.

14 And so, they were going to include
15 the other three. They would conclude and give
16 me those higher results that I had gotten from
17 my previous one, previous high ones, and they
18 would use that to calculate that.

19 And my point being, I am not sure
20 that is a good practice of just assigning, out
21 of the air, numbers to fill in holes. That is
22 one of my concerns.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And there were, along the lines of
2 what Clarissa was saying, there were many,
3 yes, many practices -- and I am not a
4 complaining employee, believe me -- but the
5 company treated me good.

6 The old Atomic Energy Commission
7 days were a lot different than they are now,
8 and the practices were a lot -- the companies
9 were treated a lot differently. We had no
10 surprise inspections, for example. We always
11 knew they were coming in. The AEC always
12 cleaned everything up.

13 I know this is all just
14 speculation. It is not complaining, believe
15 me. But the practices are different now than
16 they used to be, and I am well aware of that
17 fact.

18 I do believe what Clarissa is
19 saying, that in all honesty, that the company
20 were CYA a little bit when it came to the
21 1962-1963, the air sampling and the missing
22 portions of certain records. You just have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think that, from what you see and what you
2 hear and what you know personally.

3 What I haven't heard discussed
4 here a lot today is the item plant. The item
5 plant is where I worked for three years. The
6 item plant was high-enrichment. It was of
7 Navy nuclear fuel. It was a confidential
8 nature. I don't know whether I can discuss
9 that here or not. I assume -- I don't know.
10 But it is hard to discuss something in detail
11 when you don't know whether you are limited to
12 that or not for security reasons.

13 But we made high-enrichment fuel
14 for nuclear subs and aircraft carriers, and so
15 forth. It was all in one place. It was
16 called the item plant. I don't hear a lot of
17 discussion about the item plant. I hear red
18 room. That was high-enrichment scrap
19 recovery. I hear green room and blue room. I
20 am familiar with all of these. But those were
21 lower enrichments.

22 They were segregated by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enrichments, as you go up the line. The red
2 room and item plant were the most critical
3 high-enrichment areas.

4 And then we had the thorium
5 problem in the pellet plant during 1964. I
6 was there for that also.

7 But the item plant, it seems that
8 nobody wants to discuss item plant: the
9 practices and the exposure rates. In the item
10 plant, they were supposed to monitor your
11 intake. If your exposure came up, they would
12 move you to the blue room or the green room or
13 out in the yard, or so forth, which made
14 sense. I mean, I am not complaining about
15 that.

16 But there were some of us who
17 spent our whole time there because we were the
18 QA portion, we were the QC portion, we were
19 the sampling portion, we were the monitoring
20 portion, and the item plant technicians. We
21 were one per shift.

22 We stayed there. We never got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 moved, except if we didn't have an order. For
2 example, we went over into the pellet plant in
3 1964 for a little while and helped them with
4 their pellets and the thorium process. Lucky
5 us, I guess, but we were just fortunate.

6 But the item plant I never hear
7 really discussed. And I would like to hear
8 more about the item plant, and the workers
9 there had to be highly exposed. We had the
10 green uranium dioxide of a certain enrichment,
11 high enrichment. It went all the way up to
12 the finished, processed product for the
13 reactors. We were exposed to it all.

14 And the red room, yes, I hear
15 about it. That was probably because the three
16 or four employees who were exposed highly to
17 this red room made all the headlines as far as
18 the AEC is concerned and Oak Ridge, Tennessee.
19 And these people were taken down for whole
20 body counts and all that.

21 But, there again, the item plant
22 was never really openly discussed that I hear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in any of these meetings. Maybe I am just
2 missing something here.

3 But there are a lot of loopholes
4 in our process of monitoring in the item
5 plant, I can guarantee you.

6 But I listen to your conversations
7 about -- I know you have the statistics and
8 you have to put probabilities and all this in
9 your background investigations, but it doesn't
10 account for everyday workers. It doesn't
11 account for someone who has been there,
12 exposed. It didn't account for an uptake, for
13 example, in -- we worked 12-hour days, 10- and
14 12-hour days. When we had a project for the
15 Navy, we wouldn't get a weekend off for eight,
16 ten weeks.

17 But when they calculate your
18 exposure record, it is done on a day basis, a
19 40-hour workweek. That don't make sense to
20 me, either, but it is just another thing that
21 I know here.

22 I am not faulting any of you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 gentlemen for your background analysis and all
2 your extrapolations and all, but I don't think
3 it takes into consideration the physical
4 locations of the furnaces and the pots and the
5 process of acid leaching and the process of
6 making this material as an exposure for each
7 individual little area in that item plant.

8 If we take the exposure records
9 of, for example, the people in the item plant
10 and the red room, lump them together -- and I
11 was, on my evaluation, by the way, I was
12 classified as an operator because they did
13 that. They allowed that, and that's great.
14 That's fine. That was, I understand, the
15 greatest exposure that they had assigned to
16 me.

17 That did allow for that 12,000, I
18 think, Dpm per cubic centimeter that the
19 previous gentleman had talked about. He
20 calculated that it was 42,000 potentially
21 instead of 12,000. So, I was at least given
22 the 12,000 there on that part.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But I think I would like to hear
2 more discussion and more looking into the item
3 plant and red room, where the high exposure
4 rates were. It is a matter of record. Or
5 excuse me. It should be easy to find that
6 those two areas were absolutely the highest
7 enrichments, so they had to be the highest
8 exposure, internal exposures especially.

9 CHAIRMAN ANDERSON: Thank you.

10 Go ahead.

11 MR. RUTHERFORD: Yes, this is
12 LaVon Rutherford. I will respond to that.

13 And you make a good point on the
14 item plant; there's not a lot of discussion. A
15 lot of that has to do with its classified
16 nature, as you know.

17 What we do have, I do want to
18 point out, we do have air-monitoring data from
19 the item plant. In fact, one of our reports
20 we put out -- and I know Clarissa got it and
21 the other petitioners got the reports -- you
22 will notice on our air-concentration report

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that we have on Table 2 it identifies the
2 different rooms that had samples that were
3 above administrative control level. And one
4 of those samples is from the item plant.
5 Actually, I think a couple of the samples are
6 from the item plant.

7 And so, we do have air-monitoring
8 data from that plant. Also, as you pointed
9 out, we do have bioassay data from individuals
10 that worked in that plant. So, we do have
11 that data to reconstruct that internal dose as
12 well as the external monitoring data from
13 those individuals as well.

14 And I think we have enough
15 information that we could, I mean if it became
16 necessary, we could identify a lot of
17 individuals that specifically worked in the
18 item plant through their CATIs and their
19 bioassay data and through their claimant
20 records.

21 But I do understand your
22 frustration there. Because of its being a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 classified nature, we haven't discussed it a
2 lot.

3 DR. MAURO: Bomber, I have a
4 question for you.

5 MR. RUTHERFORD: Sure.

6 DR. MAURO: On this window where
7 we don't have the bioassay data, do we know
8 that it includes workers that worked at the
9 item plant?

10 MR. RUTHERFORD: Yes, we have --
11 oh, do we know if -- actually, we have air
12 monitoring. That is actually part of this.

13 DR. MAURO: Okay, so part of the
14 data.

15 MR. RUTHERFORD: Yes, is in there,
16 yes. Yes.

17 MALE PARTICIPANT: They quit the
18 air sampling because of financial. It was
19 financial. I mean the bioassay.

20 MR. RUTHERFORD: Right.

21 MALE PARTICIPANT: They would say
22 they didn't need it. The air sampling was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 okay to do. And then, they got in trouble in
2 a very short period of time when they found
3 out they should have had it because they were
4 getting some exposure rates that just didn't
5 match up in the air sampling. So, they went
6 back to it.

7 They were forced to go back to it.
8 That was part of an agreement they had with
9 the AEC and the government, that they had to
10 go back to that. They had to -- they were
11 getting in trouble.

12 And they came out and they
13 inspected. I wasn't privy to the meetings,
14 but I do know, as a result of that, we
15 initiated that back in.

16 But, yes, it is frustrating when
17 your topic can't get discussed. I don't know
18 how to get around that, to tell you the truth,
19 but I just want to make sure that the item
20 plant is part of the situation that is of a
21 separate classification than an office worker
22 or a guard or one of, I call them, non-exposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 people.

2 MR. RUTHERFORD: Not to interrupt,
3 but, you know, if you think it would be very
4 helpful, we could set up a classified
5 interview with you where you could freely
6 speak about the item plant and speak -- in
7 fact, I could do that interview and be a part
8 of that interview. I am cleared to do that.

9 So, if you think it would be
10 helpful, we could set that up.

11 MALE PARTICIPANT: Well, do you
12 think it would be helpful?

13 MR. RUTHERFORD: Well, I think,
14 sure, any more information is always helpful.
15 So, yes.

16 MALE PARTICIPANT: Because I can
17 walk you step-by-step through that process
18 from the time it comes in the door until it
19 goes out the door.

20 MR. RUTHERFORD: Oh, yes.

21 CHAIRMAN ANDERSON: Yes, that
22 would be very helpful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MALE PARTICIPANT: I can tell you
2 the tech specs on it and everything else you
3 want to know.

4 (Laughter.)

5 Then, you would be in the same
6 boat I'm in.

7 MR. KATZ: So, what is the best
8 way, LaVon, for this fellow to contact you?

9 MR. RUTHERFORD: Actually, can
10 you --

11 MR. KATZ: Let's not do it on the
12 line --

13 MR. RUTHERFORD: No.

14 MR. KATZ: -- an open line.

15 MR. RUTHERFORD: No, but if I can
16 get his --

17 MS. EATON: I will forward you the
18 information.

19 MR. KATZ: Thank you, Clarissa.

20 MR. RUTHERFORD: Thank you. I was
21 hoping you were going to jump in there.

22 And then, I will contact you, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we will work it out. We will get it set up.

2 MALE PARTICIPANT: Okay.

3 MS. EATON: If I could interject
4 for a moment, that is another good point. I
5 wonder how many sources have you used to get
6 this information. I mean, it just seems like
7 we went from no information to a host of
8 information, but do we really have all the
9 information? Because if there's people like
10 him, I am sure there's 20, 30 more. Have
11 these people been contacted?

12 And Ed's private cases, you know,
13 with the adjudicator, they were very
14 understaffed and they didn't even contact some
15 of the people that he listed as references.
16 Those people were never contacted. Or at
17 least Ed was told by those people they never
18 once got a phone call on his behalf.

19 And then, I found out from the
20 adjudicator that they were understaffed
21 somewhat, which I get. You know, that's the
22 times we're in.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But do we have all the sources?
2 What sources do we have? Because we know the
3 data is insufficient.

4 MALE PARTICIPANT: Well, Clarissa,
5 this is Brian again, but you are talking about
6 Ed. I was one of the people who he put down
7 as someone who would know the process and the
8 facts of the area, and I was never contacted.

9 MS. EATON: Yes. See, so I don't
10 know. I hate to be a skeptic, but at this
11 point, I am just thinking about all the time
12 and resources wasted on something that is so
13 clear.

14 The housekeeping was terrible. I
15 mean, it was so terrible it went offsite, you
16 know. I mean, the cards are all on the table
17 here.

18 I just feel so bad for
19 'identifying information redacted'.
20 'identifying information redacted', my
21 petitioner 'identifying information redacted',
22 who has prostate, kidney and now liver cancer,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you know, he is not in a good place. I think
2 we should get this together, all of us.

3 MALE PARTICIPANT: For one
4 example, Clarissa, let me just say it happened
5 to me, and, believe me, I am not a complainer.
6 It is that, if you look back over your career,
7 we used to have a Geiger counter, and you guys
8 may have heard this before. As we would come
9 through to leave, we would take our smocks off
10 and our clothes off, and then we would wash
11 our hands and we put our hand under the Geiger
12 counter. It was permanently mounted on the
13 door to the exit to the guard station.

14 Well, if you pegged that Geiger
15 counter, if it alarmed, you washed your hands.
16 You washed your hands in the sink right next
17 to you. You would try it again as soon as you
18 dried your hands off. If it rang it the
19 second time, you washed your hands again. If
20 the third time, you went on home and signed
21 your name. That was it.

22 I mean, times were different then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 than they are in a nuclear facility now,
2 gentlemen. I'm 69 years old. I am sure you
3 guys are a lot younger. But I can remember
4 those days.

5 And there were air-line masks on
6 occasion, and there were some respirators on
7 occasion, but it wasn't nothing like today.

8 If you are going to recalculate,
9 if you are going back into dose
10 reconstruction, in my opinion, just my
11 opinion, you have got to mentally put yourself
12 back in the time in which it occurred, in the
13 sixties, not in 2011.

14 And I know you guys are educated
15 in 2011 times with the Nuclear Regulatory
16 Commission, but you have to put your mind
17 back. And I think that is what I hear people
18 saying, there's frustration. We are hearing
19 you say, well, we can monitor all this from
20 20, 30 years ago and we can tell you that,
21 yes, this was your exposure and to a 50
22 percent probability that your cancer was not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 caused by this.

2 Okay, I am sure you can
3 mathematically justify that number maybe, but
4 you didn't live in those times under those
5 conditions, under those rules and regulations.
6 And those companies at the time were wanting
7 to survive. They were wanting to make money.

8 And to be honest with you, we
9 didn't know any different. We did what we
10 thought was the best.

11 But I don't see how you can
12 reconstruct something -- I just don't see how
13 you can reconstruct something when the rules
14 were so loose. If you could put that in
15 today's timeline, then, yes, I agree you could
16 reconstruct it, but you couldn't in those
17 days.

18 I know guys that took pellets
19 home, for crying out loud, because they put
20 them in their pocket and walked home with
21 them. They brought them in the next day.
22 There was no monitoring.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And they say, yes, your
2 urinalysis. Well, in four years and
3 something, I had, they say, they say that I
4 had nine records. Well, six of those were
5 over the limit, and they gave me credit for
6 those and they brought three up that weren't
7 and said, okay, you're fine. Here's what
8 you've got.

9 That doesn't -- how many thousands
10 of hours were put in the place, and how do you
11 account for the air sampler that may have been
12 up in the corner in the item plant? We knew
13 it was there, had that little air sampler
14 running 24 hours a day. Your HP guy would
15 come and take his little sample, smear sample.
16 But his face wasn't on the side of that air
17 hood eight hours a day, six days a week, or
18 whatever it was, at all times. You might get
19 the influx of a spike, but not, I say under
20 normal conditions, there was no monitoring
21 done like there is today.

22 I will get off my soapbox, but I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 wanted to make a point. I am not hearing all
2 that. I am hearing mathematics and
3 calculations, and I don't hear about rules and
4 relaxation of the rules. And that I think is
5 what people are so frustrated with your
6 Committee about, is they lived it; you guys
7 have the tough choice of coming in later and
8 trying to make sense out of some of this.

9 Some of the sense out of this,
10 guys, is that they were just lax. I am not
11 saying they need to be sued or nothing else.
12 All I am saying is, because I worked there
13 voluntarily, all I am saying is you couldn't
14 believe how lax these places were, and there
15 are very extreme, high-radiated circumstances.
16 We dealt with them the best we had, the best
17 this country could put out. We dealt with it,
18 and we used it, and we made stuff out of it.

19 But you guys have the unfortunate
20 task of trying to come years later and say,
21 well, you do qualify, I'm sorry, you've got
22 only one cancer and it really don't count as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 much, well, you've got two cancers, and one of
2 these is a high probability, so, yes, we are
3 going to let you be taken care of.

4 I wouldn't want your job, and I
5 feel sorry for you. But, at the same time, I
6 think you need to put your mind-frame back in
7 time. That is all I am saying.

8 CHAIRMAN ANDERSON: Thank you.

9 MR. KATZ: Thank you.

10 MS. EATON: Thank you.

11 And I just want to apologize for
12 being so emotional. I am a little frustrated.
13 However, SC&A, I am not frustrated at all. I
14 appreciate everything that you are doing.

15 MR. KATZ: Thank you, Clarissa.

16 MS. EATON: Thank you.

17 DR. MAURO: **Bomber**, when you make
18 these arrangements, can I have one of our
19 guys --

20 MR. RUTHERFORD: Yes.

21 DR. MAURO: I have got to say, I
22 don't recall SC&A mounting an interview

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 campaign on this. I don't recall if that --

2 MR. RUTHERFORD: Yes, we actually
3 interviewed a number of individuals for the
4 evaluation. And then, we actually did some
5 additional interviews when the neutron issue
6 came up. So, we have interviewed, I am
7 thinking, around 15 to 20, if I can remember.
8 I am counting the three additional that we
9 did. So, we have interviewed, but obviously
10 this additional interview will only help us.

11 CHAIRMAN ANDERSON: It is some
12 more fruitful --

13 MR. RUTHERFORD: Right, right,
14 right. We actually had, during the
15 evaluation, we had a group of workers on the
16 phone at one time.

17 CHAIRMAN ANDERSON: Okay. Are
18 there any other individuals who would like to
19 comment or weigh in?

20 (No response.)

21 Okay. Let's go to the last paper,
22 the thorium intakes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. RUTHERFORD: All right. We
2 can jump on this one.

3 A little background: 1964, United
4 Nuclear, as was mentioned by the operator,
5 that United Nuclear did some pelletizing of
6 some thorium material. And for that
7 operation, there was no specific -- it was
8 roughly a nine-month period in 1964. For that
9 operation, there was no bioassay done.

10 They controlled it based on air
11 sampling. They had a maximum allowable
12 concentration identified for the thorium work
13 of 2 to the minus 11 microcuries per
14 milliliter.

15 We went through and we felt --
16 previously, during our evaluation we looked at
17 the air-monitoring data and determined that we
18 felt the air-monitoring data was sufficient
19 for us to reconstruct the thorium exposures.

20 At one of the Work Group meetings,
21 it was brought up, the question whether the
22 air-sampling data was representative enough

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for us to reconstruct the thorium exposures.
2 So, we went back and we did some additional
3 work here.

4 If you go through the White Paper,
5 it talks a little bit about the process and
6 the enrichment that you are dealing with. The
7 air monitoring that we have, we had 210 air
8 samples over that period. Of those 210 air
9 samples, 75 were general area samples. The
10 other samples were breathing zone samples.

11 We went back and we looked at --
12 we had a drawing. If you look in Figure 1,
13 there is a drawing of the pellet plant in 1964
14 with the locations. The air samples, the
15 numbers are for breathing zone samples and the
16 letters are for general area sample locations.
17 And so, you can see where those are laid out.

18 And then, we looked at the
19 representativeness of that. Again, we said we
20 had 143 of those were breathing zone samples,
21 I believe.

22 We also looked at how they were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 analyzed. They were only analyzed for gross
2 alpha. And then, we looked at, if you go on
3 later in the report, in Table 1, you look at a
4 breakdown.

5 We wanted to look at what mixtures
6 would possibly give the highest exposure
7 concentration based on the alpha activity,
8 whether it is the low U-234, the mixture. We
9 looked at just natural thorium, and then we
10 looked at what we thought would be the highest
11 exposure potential, which was recently-
12 produced thorium oxide. Mainly, it was
13 thorium-232 or -238 -- -228, and equilibrium.
14 We laid those out in a table.

15 Then, if you go on to Table 2, we
16 actually took those comparisons further into
17 just different solubility.

18 And then, ultimately, what we
19 concluded was the air sample data that we had
20 was representative enough for us to
21 reconstruct dose, and we would use, the urine
22 bioassay data would be used for the uranium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 intakes, to define uranium intakes. And then,
2 we used the distribution that we developed
3 based on these air samples to define a thorium
4 intake. And then, we would use the mixture
5 that would provide the highest dose to the
6 organ of concern for that.

7 And that's it. Do you want to add
8 anything on that or did I hit it all?

9 MR. ALLEN: I guess you did.

10 MR. RUTHERFORD: Okay.

11 DR. MAURO: Hans and I read
12 through this, and we find the report mainly,
13 the bottom line, two engine 10 air samples, a
14 large portion, breathing zone, and you are
15 using the 95th percentile.

16 DR. NETON: Yes, for the
17 operators.

18 DR. MAURO: For the operators.
19 Yes.

20 When you know, but if there is any
21 ambiguity, yes, we default to the operator.
22 This is, what I would say, the classic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 approach that we always agree with. We didn't
2 think that is what you did on the other one.

3 (Laughter.)

4 DR. NETON: I just want to make
5 you understand.

6 DR. MAURO: You are being
7 consistent. And so, now, yes, this all looks
8 -- we find it favorable.

9 CHAIRMAN ANDERSON: This detail is
10 very helpful.

11 Bill, do you have any comments?

12 MEMBER FIELD: I think it is very
13 fair, very helpful.

14 CHAIRMAN ANDERSON: Yes, and I
15 didn't realize there were that many samples.
16 That is really helpful. Okay.

17 MR. KATZ: That has been closed?

18 CHAIRMAN ANDERSON: I think that
19 issue is closed.

20 MR. RUTHERFORD: One issue we
21 didn't put a White Paper out on -- and I hate
22 to jump forward --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN ANDERSON: Yes?

2 MR. RUTHERFORD: -- but one issue
3 was the neutron issue that was brought up.

4 CHAIRMAN ANDERSON: Yes.

5 MR. RUTHERFORD: If you remember,
6 one of the questions that was brought up was
7 whether we could say that workers were
8 potentially exposed for the 2,000 hours; is
9 that sufficiently accurate or is that way too
10 high? Are we giving people too much time,
11 which is throwing the neutron dose out?

12 And what we committed to, we would
13 go back and do additional interviews. We
14 interviewed three additional individuals who
15 specifically were working with the enrichment,
16 enriched material. They indicated that the
17 six to eight hours of their day was spent
18 working with enriched material, which
19 ultimately kind of followed with what we gave
20 them. So, we really felt that, based on that,
21 that the 2,000 hours that we were giving them
22 was good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: Okay. Yes, then we
2 were looking at too much.

3 MR. RUTHERFORD: Yes. That is
4 exactly what you were looking at, yes.

5 MR. KATZ: So, that sounds
6 plausible?

7 DR. MAURO: That is plausible.

8 CHAIRMAN ANDERSON: Yes.

9 MR. KATZ: So that issue is
10 closed?

11 CHAIRMAN ANDERSON: Yes.

12 DR. BEHLING: Can I just make a
13 comment? This is Hans again.

14 I think this last one with regard
15 to thorium does point out an interesting
16 discrepancy where it was acknowledged that for
17 thorium we used the 95th percentile
18 distribution as a constant for operators. This
19 comes in the same document that involves the
20 other issue of uranium. And so, I am not
21 quite sure I understand why we would not want
22 to use a 95th percentile value in Table D-1

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 just to be consistent.

2 DR. NETON: We are looking into
3 it, Hans.

4 CHAIRMAN ANDERSON: Okay.

5 DR. NETON: One thing I --

6 CHAIRMAN ANDERSON: Yes, go ahead.

7 DR. NETON: It is not clear to me
8 whether that issue that Hans just discussed
9 was considered at the end of the day to be an
10 SEC issue or a Site Profile issue. I thought
11 John thought it was. Hans, I am not sure
12 where you came --

13 DR. BEHLING: No, I fully agree
14 with John; it is not an SEC issue.

15 DR. NETON: Okay. Sure.

16 DR. BEHLING: It should be a TBD
17 issue.

18 DR. NETON: Well, the reason I am
19 asking is because we certainly will address
20 it. But when it becomes a Site Profile issue,
21 all the SEC issues that we need to follow up
22 on will move to the top of the list for our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 efforts.

2 CHAIRMAN ANDERSON: Yes.

3 DR. NETON: I mean, we have to
4 prioritize things somehow.

5 DR. MAURO: I know I find myself
6 sometimes in the embarrassing position where I
7 say something is not an SEC issue. I know
8 there are many Members of the Board who really
9 don't make that distinction.

10 I don't know if I am overreaching,
11 but very often just saying let's put that in
12 the parking lot and we can make our decision
13 based on this, I am not sure if all Board
14 Members would agree.

15 DR. NETON: Well, I think what
16 happens, though, is when the Working Group
17 provides their report to the full Board, they
18 put it all there --

19 DR. MAURO: Yes.

20 DR. NETON: -- what was discussed
21 and how they weighed-in on each of the
22 different issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MAURO: Yes.

2 DR. NETON: At least that is the
3 way it normally works.

4 DR. MAURO: I'm sorry, I only say
5 that because, if it turns out when you do
6 appear before the Board --

7 DR. NETON: Right.

8 DR. MAURO: -- I understand they
9 will be at this meeting -- the degree to which
10 you could -- anyone who may have concerns
11 along those lines, if you have some answers by
12 that time --

13 DR. NETON: Right, right.

14 CHAIRMAN ANDERSON: And they will
15 have to address this window, a two-year
16 window.

17 DR. NETON: Yes, that's true.

18 CHAIRMAN ANDERSON: Can that be
19 dose --

20 DR. NETON: We will look at it.

21 CHAIRMAN ANDERSON: And that is
22 where we need --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NETON: But, like I say, there
2 are competing other SEC issues that are still
3 on the table that we need to prioritize those
4 first. We will work this issue.

5 CHAIRMAN ANDERSON: I didn't know
6 if there were -- I don't think there are
7 any --

8 MR. KATZ: So, we don't have any
9 more, we don't have any SEC issues per se left
10 unclosed, do we? Or have I missed some?

11 DR. NETON: I don't know.

12 CHAIRMAN ANDERSON: I thought
13 these three papers covered the areas that we
14 had questions or we wanted elaboration on. And
15 I think we have --

16 DR. NETON: Yes, fair enough.

17 MR. KATZ: Yes, I mean,
18 notwithstanding John's comment.

19 CHAIRMAN ANDERSON: Yes.

20 MR. KATZ: So, if we have another
21 Work Group meeting prior to not this Board
22 meeting, which it is not on the agenda, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the next one, we can wrap up --

2 CHAIRMAN ANDERSON: Yes.

3 MR. KATZ: -- the matter that has
4 been opened about the two-year period --

5 CHAIRMAN ANDERSON: Yes.

6 MR. KATZ: -- that has remained
7 open. And then, you would be ready to report
8 out?

9 CHAIRMAN ANDERSON: Yes, I think
10 so.

11 MR. RUTHERFORD: One other thing,
12 I want to have time to have that interview.

13 MR. KATZ: Oh, absolutely, that
14 should definitely come in advance.

15 MR. RUTHERFORD: He may provide me
16 information --

17 CHAIRMAN ANDERSON: We are not
18 done today.

19 MR. RUTHERFORD: Right.

20 DR. MAURO: If there is any
21 vulnerability, when I heard the item plant --
22 I never heard of it before -- the first thing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that comes to mind, I always think of these
2 boxes. I said, wait a minute, is this a box
3 where we are missing data, whether it is
4 bioassay or it is air-sampling data, and are
5 there other practices and operations?

6 And I have to say that when SC&A
7 was reviewing this, I don't believe we did any
8 interviews. I'm not sure. We didn't, and I'm
9 surprised. I don't know why.

10 And usually, that is the kind of
11 probing we do. Are there any places where
12 there is a surprise? So, this is a very
13 important opportunity to close that hole.

14 MR. RUTHERFORD: Yes, I agree. I
15 agree.

16 MR. KATZ: Yes, and they will
17 coordinate with you on this.

18 DR. MAURO: Yes.

19 CHAIRMAN ANDERSON: And if, on the
20 basis of the interview, it would be worthwhile
21 to go back or do additional --

22 MR. RUTHERFORD: Additional work,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right.

2 CHAIRMAN ANDERSON: -- we can
3 consider it at that time.

4 MR. RUTHERFORD: Sure.

5 MR. KATZ: Oh, absolutely.
6 Absolutely.

7 CHAIRMAN ANDERSON: Yes.

8 MR. KATZ: But if it opens
9 questions, then --

10 CHAIRMAN ANDERSON: Exactly, yes.

11 MR. KATZ: Right.

12 CHAIRMAN ANDERSON: Okay. So, we
13 have really got two things we are going to try
14 to iron out the baseline information on, the
15 two years, as to how this is dealing with just
16 the operators.

17 DR. NETON: The 95th percentile --

18 CHAIRMAN ANDERSON: Yes, yes.

19 DR. NETON: -- or 50th percentile.

20 CHAIRMAN ANDERSON: Yes, so that's
21 an issue that we will discuss at the next
22 meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Then, next would be the
2 interviews, which you should be able to get
3 done before too long. And how we can report
4 out those, I don't know.

5 MR. KATZ: So, we will have a Work
6 Group -- I mean, if these are it --

7 CHAIRMAN ANDERSON: Yes.

8 MR. KATZ: -- a Work Group
9 teleconference.

10 CHAIRMAN ANDERSON: Yes.

11 MR. KATZ: It just depends on the
12 rest of the items.

13 CHAIRMAN ANDERSON: Yes, yes.

14 MR. KATZ: Electro Met, you know,
15 once they produce a report --

16 CHAIRMAN ANDERSON: Yes.

17 MR. KATZ: -- they are going to
18 report out to the Board. Electro Met is under
19 the Work Group. They could report out to the
20 Work Group, either way, the Evaluation Report.
21 And then, it is just a timing question really.

22 DR. NETON: But the Board would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have to take up the vote.

2 CHAIRMAN ANDERSON: Yes.

3 MR. KATZ: Oh, yes.

4 DR. NETON: I mean, we have
5 already presented this to the Board one time.

6 CHAIRMAN ANDERSON: And they moved
7 it to us.

8 MR. KATZ: No, I understand.

9 CHAIRMAN ANDERSON: And then, we
10 were going to come back, and then this --

11 DR. NETON: Well, I think this
12 would proceed similarly to what Linde is
13 doing.

14 CHAIRMAN ANDERSON: Yes, exactly.

15 DR. NETON: We would provide you
16 the revised Evaluation Report.

17 CHAIRMAN ANDERSON: Yes.

18 MR. KATZ: Right. That is what I
19 am saying.

20 CHAIRMAN ANDERSON: Yes.

21 MR. KATZ: So, if we have a Work
22 Group meeting in advance, once that report is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 produced, we can take up that report in the
2 Work Group meeting. You will still present to
3 the full Board, absolutely.

4 CHAIRMAN ANDERSON: Yes.

5 MR. KATZ: But the Work Group can
6 then be ready to address the Board on that
7 topic, is all I am saying.

8 CHAIRMAN ANDERSON: Yes.

9 MR. KATZ: So, that is Electro
10 Met, United Nuclear; we have these open items.
11 And then, we are going to hear about Baker-
12 Perkins.

13 CHAIRMAN ANDERSON: Yes.

14 MR. KATZ: But this is not an SEC.
15 This is Site Profile review.

16 CHAIRMAN ANDERSON: Site Profile,
17 yes.

18 Okay. Shall we just keep going?

19 DR. MAURO: Baker-Perkins, that is
20 next. That's not going to take long.

21 CHAIRMAN ANDERSON: Okay. So, I
22 think we have got our work list.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: Yes, and it sounds
2 like, then, we probably can meet by
3 teleconference the next time --

4 CHAIRMAN ANDERSON: Yes, yes.

5 MR. KATZ: -- is my guess.

6 CHAIRMAN ANDERSON: Yes. Unless
7 this new one that you sent in --

8 DR. MAURO: Well, Du Pont we
9 haven't talked about.

10 CHAIRMAN ANDERSON: We haven't
11 talked about that.

12 DR. MAURO: We may want to; just
13 as a reminder, we have had Du Pont since
14 August. When you have a chance -- I don't
15 know if you have looked at it, but it is
16 there. It is not a lot. It is an AWE that is
17 straightforward stuff. No big surprises. We
18 should be able to deal with that easily.

19 CHAIRMAN ANDERSON: Okay. So,
20 let's do Baker-Perkins.

21 Bill, any other comments on what
22 we just talked about or United Nuclear issues

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that you think you would like to see prepared
2 before our next meeting?

3 MEMBER FIELD: No, I think
4 everything has been covered pretty well.

5 CHAIRMAN ANDERSON: Good.

6 Okay. Take it away.

7 DR. MAURO: Baker-Perkins, okay,
8 Baker-Perkins is one of the simplest. There
9 is a five-day period where they were asked,
10 the company, to do a special project for the
11 government to use a kneading machine. It is
12 almost like when you do dough, when you knead
13 dough, automatically some kind of machine.

14 And apparently, they ran some five
15 days' worth of experiments. And they
16 collected air sample data and breathing zone
17 data. So, they have got data on the airborne
18 exposures that workers during those five days
19 might have experienced.

20 So, this is just a Site Profile
21 review. It is not an SEC.

22 CHAIRMAN ANDERSON: It has moved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 out of 6001.

2 DR. MAURO: Oh, a little bit of a
3 history.

4 CHAIRMAN ANDERSON: Yes, sure.

5 DR. MAURO: We did do a review of
6 it originally way back when it was part of
7 6001.

8 CHAIRMAN ANDERSON: Yes.

9 DR. MAURO: Then, when it was
10 extracted, it became a standalone document. We
11 reviewed it as a standalone document and
12 issued that review in November, just this
13 month. So, it is relatively recent.

14 And I guess all we can do is pass
15 on to you two comments, two findings. They
16 are troubling, but nothing monumental.

17 One is you have all these data,
18 breathing zone, general air sample data, and
19 you have these workers. And you have elected
20 to say, well, what we are going to do is
21 assign the 50th percentile -- this is a 50th
22 percentile issue again -- to the workers, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 argument being that there was knowledge that
2 they wore some type of respirator protection,
3 some kind of mask, nothing sophisticated, to
4 reduce the dust.

5 And on that basis, the judgment
6 was made that, well, because of that, we don't
7 have to go with the 95th percentile; we will
8 go with the 50th percentile as the dust
9 loading that these workers that worked during
10 those five days would be exposed to.

11 So, in a funny sort of way, you
12 are sort of taking credit for respiratory
13 protection in order to knock down the amount
14 taken in. And usually, you don't take credit
15 for respiratory protection. So, that was the
16 first comment, which is pretty
17 straightforward.

18 Everything else about your
19 calculations, your geometric means, I mean all
20 of your data processing, we matched and agree.
21 It is how you use the data is the issue.

22 The same thing goes -- and I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 almost done -- with external. We agree with
2 the radiation fields that you calculated
3 external to these drums. And I guess the only
4 strange question we have is, apparently, there
5 were two drums that were produced, that were
6 handled. And when you did your dose
7 calculation, you did it only as if the person
8 was standing next to one drum as opposed to
9 two drums.

10 So, those are two. We have a
11 number of observations, which are just clarity
12 comments, just to make things clearer. I am
13 not going to go through the observations.
14 Those are just things that could clear up the
15 explanation.

16 So, the two questions are: the
17 50th percentile dust loading, and the second
18 one, when you do the external dose, you know,
19 the business of external exposure to a single
20 drum rather than two drums, which could
21 increase the dose a little bit, nothing great.

22 And of course, the overriding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 thing is you will be sorting people, I
2 believe, by their job categories. As always,
3 we always are a bit concerned that that is
4 sometimes hard to do. A person is labeled as
5 a laborer or as a supervisor or the different
6 categories, or an operator, and then on that
7 basis you decide whether you are going to --
8 the way you guys have done it is that, for the
9 operators, we are going to use the breathing
10 zone data as the basis for the exposure and go
11 with the 50 percentile. For the laborers, you
12 assume it is a mix of breathing zone and
13 general. And for supervisors, you are going
14 to go with only general. All of which, in
15 principle, makes lots of sense, but in
16 practice sometimes you can run into trouble.

17 Again, this is purely a Site
18 Profile. There is nothing about this -- and
19 even if it was an SEC, there would be no SEC
20 issues. You know what I am trying to say? So,
21 these are just classic Site Profile issues,
22 and our report is relatively -- well, zoning,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there probably should be, but those are the
2 two findings that we had.

3 I don't know if you guys have had
4 a chance to think about it or what your
5 position is, but that is Baker-Perkins.

6 CHAIRMAN ANDERSON: I mean, with
7 five days --

8 MR. ALLEN: Well, that is almost
9 the point.

10 CHAIRMAN ANDERSON: Now you get to
11 225 days for --

12 DR. MAURO: That's right. There
13 is no SEC because --

14 CHAIRMAN ANDERSON: So, there
15 couldn't be.

16 DR. MAURO: Of course, of course,
17 of course.

18 MR. ALLEN: The TBD didn't go into
19 a lot of great detail. We didn't think we
20 really needed to for this operation.

21 What there is as far as
22 information on this, I mean, it is a five-day

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 thing. It was really more like two days of
2 actual testing of this Ko-Kneader.

3 There is a test report out that
4 gives actually second-by-second, not just
5 minute-by-minute, listing on what they were
6 doing while they were running the Ko-Kneader
7 for each of the three tests, the day, what was
8 going on, including the rate, the rate of dry
9 material coming in and the rate of the mix
10 coming out.

11 Between the times and the mix, you
12 can find out how much material they had. It
13 was one drum.

14 DR. MAURO: One more drum? Okay.

15 MR. ALLEN: The one to two drums
16 came from a FUSRAP document that said, based
17 on the air sample data sheets, there could
18 have been one or two drums or it might have
19 been up to two.

20 DR. MAURO: Okay.

21 MR. ALLEN: But, also, from the
22 air samples, they have dates and they have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 times on most, but they are all sequentially
2 numbered. So, you can get, between these two,
3 a pretty significant timeline on exactly what
4 was going on, when they were scooping, when
5 they were running the Ko-Kneader, when they
6 were deconning. And you can almost come up
7 with essentially daily weighted averaged.

8 What I am proposing, that this is
9 not -- if this is all right with the Work
10 Group, that I can put together some sort of
11 White Paper to put this stuff together. It
12 can answer the findings, I think, like the one
13 drum, the submersion dose, the --

14 DR. MAURO: Well, erase the
15 submersion dose question.

16 MR. ALLEN: Okay.

17 DR. MAURO: I mean, that should
18 have never have made it in there. That is not
19 an issue.

20 CHAIRMAN ANDERSON: Yes.

21 MR. ALLEN: And as far as
22 distribution for the operators as far as what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they were actually doing, you know, you can
2 come up with an airborne concentration. You
3 had three different air samples while they
4 were scooping. You can come up with airborne
5 concentrations while the Ko-Kneader is
6 running, and you can come up with airborne
7 concentrations while they are deconning, and,
8 essentially, come up with somewhat if a time-
9 weighted average.

10 It is applicable to a very small
11 number of people, like one or two, probably
12 one scooping, two or three deconning type of
13 thing, and compare that with the TBD, and just
14 deliver this White Paper to the group.

15 CHAIRMAN ANDERSON: That sounds
16 good, yes. Yes, don't --

17 MR. ALLEN: Go hard-core into
18 it --

19 CHAIRMAN ANDERSON: Yes, I don't
20 think it needs to be too extensive. If you
21 can respond, that would put it on the record,
22 so we would have it closed out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I am assuming we haven't any
2 claims from here, have we?

3 MR. RUTHERFORD: Have we what?

4 CHAIRMAN ANDERSON: We haven't had
5 any claims?

6 MR. RUTHERFORD: Yes, we have had
7 claims.

8 CHAIRMAN ANDERSON: Oh, we have.
9 Okay.

10 MR. RUTHERFORD: Yes. Only a few.
11 It might have hit double-digit.

12 CHAIRMAN ANDERSON: Okay.

13 MR. RUTHERFORD: I don't recall.

14 MR. KATZ: Okay. We might even
15 close out a TBD. That would be an unusual --
16 (Laughter.)

17 CHAIRMAN ANDERSON: Yes. Well,
18 you know, I think if we can respond --

19 MR. KATZ: Yes.

20 CHAIRMAN ANDERSON: -- it will be
21 a nice, relatively-tight package; it would be
22 helpful, unless -- I don't know, do we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any petitioners on the line?

2 MR. KATZ: Do we have any
3 petitioners or interested parties on Baker-
4 Perkins on the line?

5 (No response.)

6 MR. ALLEN: No, we don't have any
7 petitioners.

8 MR. KATZ: Oh, no, not
9 petitioners, of course. Sorry. Sorry.

10 CHAIRMAN ANDERSON: But if there
11 is anyone, we should probably reach out, if we
12 are going to potentially close this out, and
13 be sure that if there are some folks, that --

14 MR. KATZ: Yes, that they are
15 aware of it because we would know if there are
16 any people that have been interested in Baker-
17 Perkins.

18 CHAIRMAN ANDERSON: Yes.

19 MR. RUTHERFORD: Yes, the only
20 person is the former petitioner.

21 CHAIRMAN ANDERSON: Yes, just so
22 that they don't -- they wouldn't necessarily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be tracking this.

2 MR. RUTHERFORD: Right.

3 CHAIRMAN ANDERSON: Mostly, since
4 it came up fairly quickly --

5 MR. KATZ: I think that is good,
6 yes.

7 CHAIRMAN ANDERSON: Yes, let's
8 just be sure that they have had a chance,
9 before we say fine, that they have had a
10 chance to look all this over and comment, and
11 they haven't.

12 Okay. Bill, any comment?

13 MEMBER FIELD: No. I would just
14 echo what you just said.

15 CHAIRMAN ANDERSON: Okay. Thanks,
16 Bill.

17 MEMBER FIELD: You're welcome.

18 CHAIRMAN ANDERSON: Any other
19 issues or comments that people have?

20 (No response.)

21 I think we have got our Work Group
22 plans. Any ideas when some of this will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 done?

2 February is our next meeting?

3 MR. KATZ: So, the next full Board
4 meeting is at the very end of February.

5 CHAIRMAN ANDERSON: Okay.

6 MR. KATZ: So, I guess we can
7 shoot for getting this stuff done in the
8 January or early February timeframe.

9 CHAIRMAN ANDERSON: Yes, I think
10 that is reasonable, yes.

11 MR. KATZ: Then, that will work
12 out, and we can have a teleconference before
13 the full Board meeting.

14 CHAIRMAN ANDERSON: Yes.

15 MR. KATZ: We can put those items
16 on the agenda.

17 CHAIRMAN ANDERSON: It would be
18 nice if we could have it early enough so that
19 there is time for the minutes to be
20 transferred onto the website for the folks.

21 MR. KATZ: Oh, yes, the minutes --
22 oh, for the Work Group, the last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 teleconference?

2 CHAIRMAN ANDERSON: Yes.

3 MR. KATZ: That can be difficult
4 because that's generally --

5 CHAIRMAN ANDERSON: Yes.

6 MR. KATZ: I mean, sometimes they
7 are much quicker, but it is up to 30 days.

8 CHAIRMAN ANDERSON: Yes. Okay.

9 MR. KATZ: And it has to be
10 cleared before it goes on the website. But we
11 will do our best on that. It is just that it
12 is hard because folks have use-or-lose in the
13 federal system.

14 CHAIRMAN ANDERSON: Yes. Yes,
15 I've got it.

16 MR. KATZ: So, December is a tough
17 month.

18 CHAIRMAN ANDERSON: Yes.

19 And back to the Hooker issues, was
20 the 2009 review that Hans did, was that
21 cleared? Were we talking about any
22 documents --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: All the documents are
2 all up.

3 CHAIRMAN ANDERSON: Okay. I just
4 want to be sure that we haven't been talking
5 about documents here that petitioners or the
6 public haven't had access to.

7 MR. KATZ: Right.

8 CHAIRMAN ANDERSON: And then, it
9 comes back later -

10 MR. KATZ: Right.

11 DR. MAURO: I was referring to the
12 memo regarding the data where it details --

13 CHAIRMAN ANDERSON: Yes, yes.

14 DR. MAURO: I don't know if that
15 has been cleared or not.

16 MR. KATZ: That's cleared.

17 CHAIRMAN ANDERSON: Good. I
18 thought it was, but since we go in, I don't
19 necessarily know.

20 MR. KATZ: Right.

21 CHAIRMAN ANDERSON: I want to be
22 sure that they are all up-to-speed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. KATZ: No, that is taken care
2 of.

3 CHAIRMAN ANDERSON: Okay. With
4 that, I think we are good to go.

5 MR. KATZ: We are adjourned?

6 CHAIRMAN ANDERSON: Any other
7 comments people have?

8 (No response.)

9 Hearing none, we are adjourned.

10 MR. KATZ: Thank you, everyone who
11 has been with us on the line.

12 (Whereupon, at 11:57 a.m., the
13 meeting was adjourned.)

14

15

16

17

18

19

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com