

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

WORK GROUP ON LAWRENCE BERKELEY  
NATIONAL LABORATORY

+ + + + +

FRIDAY  
FEBRUARY 3, 2012

+ + + + +

The Subcommittee convened, in the  
Brussels Room of the Cincinnati Airport  
Marriott, 2395 Progress Drive, Hebron,  
Kentucky, at 9:00 a.m., Paul L. Ziemer,  
Chairman, presiding.

PRESENT:

PAUL L. ZIEMER, Chairman  
DAVID B. RICHARDSON, Member\*

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official  
ELIZABETH BRACKETT, ORAU Team\*  
RON BUCHANAN, SC&A\*  
JOE FITZGERALD, SC&A  
LARA HUGHES, DCAS  
MICHAEL RAFKY, HHS\*  
JOHN MAURO, SC&A\*  
JIM NETON, DCAS  
MUTTY SHARFI, ORAU Team\*  
MATTHEW SMITH, ORAU Team\*  
STEPHEN SPANOS, ORAU Team\*  
JOHN STIVER, SC&A\*

\*Participating via telephone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## C-O-N-T-E-N-T-S

Call to Order	5
Introductory Remarks and Review of Agenda Paul Ziemer Chairman	5
Overview of LBNL and Site Profile Lara Hughes DCAS	15
Questions and Comments	17
Site Profile Review and Findings Matrix Joe Fitzgerald SC&A	22
General Questions and Comments	24
Issue 1	28
Action Item - NIOSH	37
Issue 2	43
Action Item - SC&A	49
Issue 3	62
Action Item - SC&A and NIOSH/ORAU	67
Issue 4	70
Action Item - NIOSH	84
Issue 5	97
Action Item - NIOSH	102

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## C-O-N-T-E-N-T-S

Site Profile Review and Findings Matrix  
(Continued)

Issue 6	102
Action Item - SC&A	110
Issue 7	110
Action Item - SC&A	114
Issue 8	116
Action Item - SC&A	120
Issue 9	121
Action Item - SC&A	122
Issue 10	123
Closed	124
Issue 11	124
Addressed in Issues 2 and 4	126
Issue 12	126
Action Item - NIOSH	129
Issue 13	130
Action Item - NIOSH	137
General Discussion: Major Issues and Concerns	138
Next Steps and Plans for Upcoming Work Group Activities	138

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:00 a.m.)

3 MR. KATZ: Good morning, everyone  
4 in the room and on the line.

5 This is the Advisory Board on  
6 Radiation and Worker Health, Lawrence Berkeley  
7 National Lab Work Group. And we're just ready  
8 to get started.

9 We'll begin with roll call.

10 (Roll call.)

11 Very good. Then the agenda for  
12 the meeting is on the Board's website.

13 Paul, it's your agenda.

14 Let me just remind everyone on the  
15 line to please mute your phones except when  
16 you are addressing the group. Press \*6 to  
17 mute and \*6 again to take your phone off of  
18 mute.

19 And we're off.

20 CHAIRMAN ZIEMER: Okay. Thank  
21 you, Ted. We will officially call the meeting  
22 to order.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1           As Ted suggested, if you haven't  
2 already looked at it, the agenda is on the  
3 website. I just want to take a minute to do  
4 kind of an oversight on the agenda and kind of  
5 a roadmap of where we will go today.

6           What we would like to do is have  
7 an overview of the Site Profile and the  
8 facility from NIOSH, then a review of the SC&A  
9 findings. Within the last couple of days, we  
10 have gotten some initial responses, which I  
11 didn't have at the time that I made the  
12 agenda, but we have the initial responses from  
13 NIOSH on the findings matrix. So, we can at  
14 least go through those.

15           And the objective today really  
16 overall is to kind of orient ourselves to what  
17 the issues are for this facility with respect  
18 to the findings and the concerns and issues  
19 that may need to be resolved, mainly at this  
20 time on the Site Profile.

21           I would like to point out that  
22 there was an SEC petition, Petition 160 I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 believe is the number or 00160, or some number  
2 of zeros in front of it, but Petition 160, a  
3 petition for the early years, roughly 1942, I  
4 think, to 1961 or 1962, a roughly 20-year  
5 period. Maybe Lara will expand on that.

6 But for the early years, NIOSH  
7 found that it could not reconstruct dose with  
8 sufficient accuracy, mainly due to internal  
9 emitter issues, and that was brought before  
10 the Board in 2010. And the Board agreed with  
11 NIOSH and recommended to the Secretary of HHS  
12 that a Class be added to the Special Exposure  
13 Cohort for the LBNL workers, and I won't go  
14 through the exact definition at this point.  
15 But there is a petition and that has been  
16 approved, and that SEC Class does exist  
17 already for the early years.

18 So, we don't have an SEC petition  
19 that we're dealing with at this time, any  
20 additional petition. So, we are dealing  
21 primarily with the Site Profile and I suppose  
22 also with some of the early-year issues that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 might impact on individuals who do not meet  
2 the 250-day requirement or who do not have one  
3 of the designated cancers for whom partial  
4 dose may be reconstructed. So, there could be  
5 some early-year issues that overlap that SEC  
6 or the early period.

7 But, in any event, we're focusing  
8 mainly on the Site Profile, the SC&A findings,  
9 and then trying to develop some idea of what  
10 issues we have to focus on as we move forward.

11 So, I will give you that as kind  
12 of introductory material; also, point out that  
13 on what traditionally has been called the O:  
14 drive -- and I think it's called something  
15 else for the internal people; maybe it's the  
16 K: drive or something -- there are a lot of  
17 LBNL documents there. So, those are available  
18 to look at. Of course, the Site Profile  
19 documents are on the website as well.

20 The other thing I want to mention  
21 in that connection, on the Site Profile we are  
22 on Revision 2. The initial one is dated 2006.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Revision 1 was April of '07. Revision 2 was  
2 May of 2010. And that latest revision,  
3 Revision 2, is the one we are working with.

4 I think, initially, SC&A had  
5 reviewed, well, I guess they had initially  
6 reviewed Revision 1 pretty much in-depth.  
7 They have, I believe, taken at least a  
8 preliminary look at Revision 2 and I believe  
9 most of the issues carried forward, as I  
10 recall, as far as the matrix is concerned.

11 MR. FITZGERALD: Yes. I think  
12 maybe, with the exception of obviously the  
13 internal dose issues --

14 CHAIRMAN ZIEMER: For the early  
15 years, right?

16 MR. FITZGERALD: The early years.

17 CHAIRMAN ZIEMER: Right, right.

18 Although I might raise this  
19 question now, because it wasn't clear to me,  
20 and I don't know why it isn't clear after all  
21 these years. But if we had an individual in  
22 the early years that didn't have the 250-day

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or the required cancer for the SEC, I'll ask  
2 Jim Neton, let's say you had some bioassay.  
3 You are still allowed to reconstruct some  
4 dose.

5 DR. NETON: Yes.

6 CHAIRMAN ZIEMER: You can't simply  
7 say we can't reconstruct internal dose  
8 because --

9 DR. NETON: Correct. Yes, there  
10 is a standard statement now.

11 CHAIRMAN ZIEMER: Right.

12 DR. NETON: How we could adopt it  
13 at the beginning, but it was --

14 CHAIRMAN ZIEMER: You couldn't.  
15 You can't do the dose for the unknown stuff --

16 DR. NETON: Correct.

17 CHAIRMAN ZIEMER: -- that led to  
18 the SEC.

19 DR. NETON: The specific --

20 CHAIRMAN ZIEMER: The specific  
21 things on an individual --

22 MR. KATZ: Yes, but, actually, in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the letter, that determination that goes with  
2 this Class, it specifies that if they have  
3 bioassay records --

4 CHAIRMAN ZIEMER: Right.

5 MR. KATZ: -- for an individual,  
6 they will use those --

7 CHAIRMAN ZIEMER: Right.

8 MR. KATZ: -- in their dose  
9 reconstruction.

10 CHAIRMAN ZIEMER: Right.

11 And then, the only other thing I  
12 will mention here in a preliminary way is that  
13 SC&A identified nine generic technical issues  
14 which seemed to cross many sites. They are  
15 listed in the SC&A document. This is SC&A's  
16 document of January 22nd, 2010, on page 48.

17 SC&A has listed or identified what  
18 they believe are nine generic technical issues  
19 which are -- I think that is sort of a name  
20 that is similar to the overarching issues. I  
21 guess it means pretty much the same thing.  
22 I'm not sure they are all overarching, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they carry beyond this site at least.

2 Joe, you may want to speak to  
3 those at some point.

4 MR. FITZGERALD: Sure.

5 CHAIRMAN ZIEMER: But I would  
6 simply point out that go beyond this  
7 particular site and it may have to be resolved  
8 in a different way, not simply for this site  
9 alone.

10 So, with that as background, let's  
11 proceed. Oh, one other thing, and I have  
12 indicated it on the agenda, but we will take a  
13 midmorning break, a comfort break. We will  
14 break for lunch at noon. I have put an  
15 adjournment time here of no later than 3:00,  
16 but in practice for the Chair, who has to get  
17 up to the Taft Center by 4:00 for a smart card  
18 update, I suppose 3:00 is pushing it pretty  
19 tight. So, we will probably have to adjourn  
20 no later than 2:30. We don't have to fill the  
21 time to 2:30 if we finish our discussion  
22 today. I will use that as sort of an upper

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 limit.

2 I know that Joe Fitzgerald has to  
3 leave shortly after lunch to catch a plane.  
4 So, we will try our best to get most of this  
5 done, if we can, by noon. We may have to go  
6 over a little bit, but that is sort of the  
7 schedule.

8 So, let's proceed. Lara, are you  
9 going to be the one to kick us off here on  
10 sort of the overall description of the site  
11 and the Site Profile contents?

12 DR. HUGHES: Okay. Yes, I can try  
13 to do that. It's about 250 pages.

14 CHAIRMAN ZIEMER: Right. And I am  
15 not asking that you go through that in detail,  
16 but maybe a quick summary.

17 DR. HUGHES: Yes.

18 CHAIRMAN ZIEMER: Now keep in  
19 mind, of course, both NIOSH and SC&A have  
20 delved into this in detail. The Board itself  
21 is not focused on this site at all. We did  
22 have a description of it when we did the SEC,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 but that was very brief. It was an 83.14 type  
2 of SEC, which means that there is not a review  
3 by SC&A typically. We didn't spend many Work  
4 Group meetings dealing with an SEC. It came  
5 to the Board from NIOSH. We had a quick  
6 overview of it and then voted to approve.

7 So, this is sort of for the  
8 benefit of the Board Members, which would be  
9 for me and for Dr. Richardson, who is on the  
10 line, and for Dr. Lemen, who is not with us  
11 today, but who will rely on the transcript as  
12 well as the documents which we all have.

13 I at least have had some  
14 familiarity with Lawrence Berkeley over the  
15 years, starting early on, because although I  
16 have no conflict, I knew some of the players  
17 there very well who worked at the accelerators  
18 and the cyclotrons, and also have followed  
19 their activities over the years. It is one of  
20 the labs that has been very important in the  
21 nuclear field.

22 In spite of that, I was amazed as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I looked through the NIOSH document and looked  
2 at the list of activities listed, pages and  
3 pages and pages of nuclides in various  
4 buildings and rooms throughout that site, and  
5 it is a tremendous inventory of radionuclides  
6 and a broad spectrum of activities, and so a  
7 very complex facility in many ways. It  
8 includes not only the radionuclides, but the  
9 various accelerators.

10 So, anyway, Lara, please proceed.

11 DR. HUGHES: Okay. What's called  
12 the Lawrence Berkeley National Laboratory Site  
13 for the purposes of EEOICPA is, it is a  
14 covered facility starting in 1942 or 1943. I  
15 think we start in 1943, right, is when the MED  
16 started? And it is covered to the present  
17 day, I believe, although I would have to look  
18 that up to be sure.

19 The activities at the site  
20 actually started on the campus of the  
21 University of California at Berkeley. It  
22 started out in one or two buildings, and then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think in 1945 they started to build what is  
2 now Lawrence Berkeley National Laboratory on  
3 the hill behind the University. It started  
4 out mainly with radiochemistry research and,  
5 obviously, the development of the cyclotron by  
6 Lawrence, and research data was used to  
7 support the Manhattan Project in the early  
8 years.

9 Later on, it went into various  
10 fields of research involving the accelerators  
11 and really a very broad area of research. I  
12 do not have it in front of me to list it all.

13 The Site Profile for the site is  
14 about 250 pages and it is divided into the  
15 various sections that we use, the  
16 introduction, the general site description,  
17 how we deal with the medical X-ray assignment,  
18 how we deal with the environmental dose  
19 assignment, how we deal with the external and  
20 the internal dose assignment.

21 Do you have any questions?

22 (No response.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           As Dr. Ziemer mentioned, the SEC  
2 for this site was SEC 160, and it covers the  
3 years from 1943 to 1961, based on an internal  
4 dose reconstruction and feasibility. There is  
5 a lack of bioassay data in the years preceding  
6 1961, after which the site had their own  
7 bioassay program in place. Before that, they  
8 were mainly relying on other sites to provide  
9 services to them, and I think the records are  
10 a little sparse.

11           I think that's it.

12           DR. MAURO: This is John Mauro. I  
13 have a quick question. Is that where you are?

14           CHAIRMAN ZIEMER: Go ahead, John.  
15 Yes, go ahead, John.

16           DR. MAURO: Yes, what was the sea  
17 change that occurred in 1961 that led you to  
18 the sense that, well, post-1961 we think we  
19 can do the internal dose?

20           DR. HUGHES: The presence of an  
21 internal dosimetry program that was, internal  
22 bioassay program, that was administered onsite

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and analyzed onsite and records kept onsite,  
2 if I recall correctly.

3 DR. MAURO: Okay. There was a  
4 clean break there. Something changed  
5 substantially.

6 DR. HUGHES: Yes, but we are not  
7 unsure about the dates in this case. There  
8 was plenty of records that indicate that they  
9 finally decided we need to have our own  
10 program onsite, and there were several people,  
11 well-known people, that worked in this area  
12 and developed a program.

13 CHAIRMAN ZIEMER: Now, John, if  
14 you look in the Evaluation Report of NIOSH on  
15 the SEC petition, what you find is that there  
16 was a call for a bioassay program in 1961. It  
17 started, but only in a very preliminary way.  
18 It appeared, at least to some of the folks  
19 there, that they weren't really taking it very  
20 seriously. It was a very small bioassay  
21 program.

22 At some point, and I forget who it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was; I think it was a person onsite, maybe one  
2 of their health physics people or one of the  
3 administrators that basically said: you know,  
4 we're not doing enough. We're not taking this  
5 seriously. We need to bioassay virtually  
6 everybody and put them on some kind of a  
7 formal program.

8           There was a massive jump. I think  
9 that occurred early 1962, where they went from  
10 just a handful of people being bioassayed to  
11 virtually the whole lab, a very clean break  
12 there.

13           I don't think that NIOSH at that  
14 point -- I believe this is true -- I don't  
15 believe at that point they ruled out that  
16 there might be SEC issues beyond that, but  
17 they said it was pretty clear up to 1962 that  
18 they couldn't reconstruct dose. Even though I  
19 believe it started in 1961, there's a few, a  
20 minimal amount of bioassay. That's why I  
21 asked the other question. There are some  
22 records before 1962, but there was a very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clear break there, John.

2 DR. MAURO: Okay. Thank you very  
3 much.

4 CHAIRMAN ZIEMER: Yes.

5 The other thing that is in this  
6 Site Profile that I think is kind of helpful  
7 that there is a very extensive record of  
8 events that have been identified. It is an  
9 attachment to the Site Profile called "The  
10 Historical Timeline of Radiation-Exposure-  
11 Associated Events," and a lot of them that  
12 have been characterized, I guess is the word,  
13 that we don't always have at facilities.

14 We always have cases where there's  
15 rumors or sort of reports of things that have  
16 happened, but we're not going to be sure when  
17 and where. This may not be 100 percent  
18 complete, but it is pretty extensive, which I  
19 think is helpful.

20 Let's see, let me ask David, on  
21 the line, if you have some questions sort of  
22 in general about this site, the work done

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there, and so on.

2 MEMBER RICHARDSON: No. So far, I  
3 am following along.

4 Just one question for  
5 clarification. There was a description of the  
6 document running to 250 pages. I'm looking at  
7 0049, Revision 2, which runs to 109 pages. I  
8 just want to make sure that there's not a  
9 longer document that I should have reviewed.

10 DR. HUGHES: Yes, I'm sorry. That  
11 was my mistake.

12 CHAIRMAN ZIEMER: That is the  
13 correct document. It is 109 pages.

14 MEMBER RICHARDSON: Okay.

15 DR. HUGHES: Yes.

16 CHAIRMAN ZIEMER: I have it open  
17 here before me, too.

18 DR. HUGHES: I was at the wrong --

19 MEMBER RICHARDSON: I think I have  
20 been finding the different tables that you  
21 have been referring to. So, thank you.

22 DR. HUGHES: Sorry about that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Okay. Maybe we  
2 can move on to the Site Profile review. Joe,  
3 are you going to lead us through that?

4 MR. FITZGERALD: Yes.

5 CHAIRMAN ZIEMER: We have both the  
6 SC&A document plus a copy of the matrix, which  
7 really came out of the appendix of the  
8 document, because it was really set up in  
9 matrix form to start with.

10 MR. FITZGERALD: Yes, there was a  
11 matrix that summarized the findings. That is  
12 attachment 3 to our review of last January, of  
13 January 2010.

14 CHAIRMAN ZIEMER: Right.

15 MR. FITZGERALD: So, we simply  
16 took that attachment and annotated it to bring  
17 it up-to-date because the actual review in  
18 January 2010 predated the SEC as well as  
19 Revision 2 of the Site Profile. So, there's a  
20 lot of developments after we finished the  
21 review that would need to be reflected.

22 So, we did not go into a full

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 technical review. Obviously, the Work Group  
2 had not met and we have not been tasked. But  
3 we did reflect sort of where things stood. I  
4 think your clarification on pre-1961 and the  
5 partial assessment, I think that is useful  
6 because, again, I think there is a little  
7 ambiguity about what we do before and after.  
8 But, in a sense, a lot of the issues are still  
9 pertinent, relevant, would need to be  
10 explored.

11 We do see some changes, major  
12 changes, in the TBD that would seem to be  
13 going in the right direction, one of which he  
14 just referred to, which was Appendix A. One  
15 of our concerns -- in fact, it was the first  
16 concern that we will go through -- sort of  
17 suggested that maybe a little bit more  
18 historic operational information to put things  
19 in context would be helpful. We found  
20 Appendix A was a big step in that direction.

21 So, clearly, there were some  
22 changes that were responsive to some of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues we found over a year ago. But, with  
2 that in mind, our review focused on Revision  
3 1. So, a lot of the findings may be tempered  
4 or resolved in Revision 2, and we are sort of  
5 in a toggle back and forth a little bit. We  
6 have not looked at Revision 2 from an analytic  
7 standpoint.

8 CHAIRMAN ZIEMER: Right.

9 MR. FITZGERALD: Yes.

10 CHAIRMAN ZIEMER: And I understood  
11 that you had some sort of preliminary --

12 MR. FITZGERALD: Yes.

13 CHAIRMAN ZIEMER: -- comments as  
14 to whether you thought, based on a preliminary  
15 reading, whether things are still issues.

16 MR. FITZGERALD: Yes, yes.

17 CHAIRMAN ZIEMER: So,  
18 understanding that maybe they are, maybe they  
19 aren't, but --

20 MR. FITZGERALD: Right.

21 CHAIRMAN ZIEMER: -- it seemed to  
22 me it would be helpful, if this would be a way

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to proceed, to actually look at it issue-by-  
2 issue.

3 MR. FITZGERALD: Yes.

4 CHAIRMAN ZIEMER: And you tell us  
5 your issue. We have Dr. Hughes' responses,  
6 and maybe preliminary discussion on each of  
7 these and sort of determine what do you have  
8 to do yet and, then, what does NIOSH have to  
9 do yet. That would give us some idea of what  
10 lies before us in terms of scoping out the  
11 future.

12 MR. FITZGERALD: All right.

13 CHAIRMAN ZIEMER: Okay? And we  
14 are looking at, this document has 13 issues in  
15 it.

16 MR. FITZGERALD: Right.

17 CHAIRMAN ZIEMER: Originally,  
18 there were just 12? Were there just 12?

19 MR. FITZGERALD: I thought there  
20 were 13 primary issues. There are some  
21 secondary issues, but --

22 CHAIRMAN ZIEMER: No, when I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looked at the first one --

2 MR. FITZGERALD: Yes, 13.

3 CHAIRMAN ZIEMER: -- that was  
4 attached to the original report, for some  
5 reason I only saw 12 on your original report.

6 MR. FITZGERALD: Oh, attachment 3?  
7 No, the main body of the report shows 13  
8 findings. I'm just looking at attachment 3 to  
9 make sure that was complete.

10 CHAIRMAN ZIEMER: Well, anyway,  
11 yes, there are 13 currently.

12 MR. FITZGERALD: Yes, there's 13  
13 in attachment 3 as well.

14 CHAIRMAN ZIEMER: So, that's what  
15 we're working with.

16 MR. FITZGERALD: Yes, 13 findings.

17 Like I said before, these are what we would  
18 term the primary findings. There are some  
19 secondary ones for information's sake.

20 CHAIRMAN ZIEMER: Is there  
21 overlap? I didn't lay it side-by-side. Is  
22 there overlap on the generics?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: No. I mean, I  
2 think the generic ones were judgments that  
3 some of the findings seemed to have resonance  
4 with other sites, and we just listed them,  
5 one-liners, essentially one-liners.

6 CHAIRMAN ZIEMER: Right.

7 MR. FITZGERALD: But the details  
8 are in the body.

9 CHAIRMAN ZIEMER: Okay.

10 MR. FITZGERALD: There is some  
11 overlap, but these are, by extension,  
12 judgments that were made.

13 CHAIRMAN ZIEMER: And some of  
14 these are sort of site-specific even though  
15 they are part of a generic issue.

16 MR. FITZGERALD: Yes. I mean, I  
17 think what we have tried to do in the Site  
18 Profiles is look beyond the site-specific  
19 findings to say, you know, we have heard these  
20 before. In fact, I will mention it as we go,  
21 that some of these, we have seen these in  
22 other sites and they would have some relevance

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 for those other sites.

2 CHAIRMAN ZIEMER: Right.

3 MR. FITZGERALD: In fact, at this  
4 stage of the game, the program is mature  
5 enough that a lot of the issues, particularly  
6 when we get to neutrons and what have you, you  
7 know, we have been there before. I think we  
8 can almost use the shorthand saying NTA film,  
9 energy, dependence, and be almost done with it  
10 in a way --

11 CHAIRMAN ZIEMER: Right.

12 MR. FITZGERALD: -- because these  
13 older TBDs don't reflect the thinking that has  
14 evolved at NIOSH. And so, clearly, we don't  
15 want to repeat all of that.

16 CHAIRMAN ZIEMER: Right.

17 MR. FITZGERALD: But that new  
18 positioning needs to be reflected in the TBD.

19 I don't think there will be any disagreement  
20 at the table.

21 Starting with the first issue,  
22 simply put, we think the historic context, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 operational information that is provided in  
2 the Berkeley TBD could be strengthened. By  
3 comparison with some of the other multipurpose  
4 energy research laboratories, like Brookhaven  
5 and Argonne, that have been done via Site  
6 Profiles, this one seems to fall short.

7 I mean, I'm very familiar with  
8 Brookhaven's since I was involved with  
9 Brookhaven. And also, I have looked at  
10 Argonne. Those labs, those reports walk  
11 through the operations. Because these labs  
12 are very old, it gives you an historic  
13 perspective of the accelerators, when they  
14 came up-to-speed, what kind of operations were  
15 involved, timeframes, when they were  
16 dismantled in some cases, some of the source-  
17 terms. That perspective was, I think, very  
18 helpful.

19 For some reason, we have the  
20 tables, the essential dose reconstruction  
21 tables, in Berkeley, but we are missing sort  
22 of the historic context. And I think, as I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 said earlier, Appendix A helps. That was  
2 added in Rev 2 to give you a chronology of  
3 incidents and those kinds of developments.  
4 But I think, still, what you are missing is a  
5 facility-by-facility description in a  
6 timeframe that just walks you through the  
7 cyclotron and some of the other facilities.

8 Berkeley has a very rich history,  
9 I think as you pointed out. That history, I  
10 think, just as a backdrop, would be helpful to  
11 have in there. It was helpful for Brookhaven;  
12 I know that. I think it would be helpful  
13 here. That is the essence of this finding, is  
14 that it would be very helpful to have that  
15 added in.

16 And again, we haven't looked at  
17 Appendix A in detail. I think that helps.  
18 But I think that would be an adjunct to that.

19 CHAIRMAN ZIEMER: Well, okay,  
20 let's discuss that for a minute because NIOSH  
21 at least has suggested here that there is  
22 additional information that may or could be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 added, that it might require some additional  
2 data capture.

3 But, in that connection, for  
4 example, let me take -- oh, I'm looking at a  
5 section -- let's say occupational internal  
6 dose. That has been evaluated by nuclide or  
7 by major nuclides, plutonium, uranium,  
8 tritium, tritides, so on. What would be  
9 needed there? Are you talking about looking  
10 at different facilities and saying, what  
11 unique issues would they have?

12 I mean, it is one thing to  
13 evaluate bioassay data where you have it. Are  
14 you talking about clarifying exposure sources  
15 at, say, the X-inch cyclotron, whichever  
16 one --

17 MR. FITZGERALD: Yes.

18 CHAIRMAN ZIEMER: -- or a  
19 particular lab? What is the specificity we're  
20 after here?

21 MR. FITZGERALD: Yes, really focus  
22 on the site description. I mean, you're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stepping one step back from the very specific  
2 internal/external --

3 CHAIRMAN ZIEMER: So, it would go  
4 back to Section 2?

5 MR. FITZGERALD: Yes.

6 CHAIRMAN ZIEMER: Site  
7 description?

8 MR. FITZGERALD: The easiest way I  
9 can describe this is look at Brookhaven, look  
10 at Argonne, look at some of the other  
11 multipurpose energy research labs, and I  
12 thought those were done pretty well in terms  
13 of providing an operational backdrop, before  
14 you get to the nuts-and-bolts dosimetry, an  
15 operation backdrop to what happened when,  
16 where. Very simply, that's it.

17 I mean, I think that piece is  
18 missing from this particular Site Profile. We  
19 found it valuable, I think, in terms of the  
20 deliberations on Brookhaven and Argonne. When  
21 you have a 50-, 60-year-old energy research  
22 lab, obviously, that has all these different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 source-terms, all of these various  
2 accelerators, all of these different machines,  
3 it is just you start getting lost in the  
4 trees.

5 I think that was almost a good  
6 roadmap before you got into the dosimetry as  
7 to when you step back and look at this site  
8 over those 50-60 years, what happened when and  
9 how did this thing develop in terms of the  
10 research that was done, and kind of some sense  
11 of the types of operations and the types of  
12 source-terms that might be associated with  
13 that in sort of a 20,000-30,000-foot level  
14 before getting into the dosimetry.

15 I think with Berkeley you sort of  
16 jump right into the room-by-room, building-by-  
17 building dosimetry before you have that  
18 layout. I think it is more than just  
19 stylistic. I think it was helpful having that  
20 roadmap for Brookhaven and some of the other  
21 laboratories.

22 DR. NETON: I think we would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agree. I agree. I actually agree we could  
2 benefit from some additional fleshing-out of  
3 the facilities --

4 MR. FITZGERALD: Right.

5 DR. NETON: -- when they came  
6 online, what their purposes were, that sort of  
7 thing. It definitely is different. It is  
8 lacking compared to the other Site Profiles.

9 Now some of that may be in  
10 Appendix A. Some of that actually exists in  
11 the Evaluation Report. If you look at the 160  
12 Evaluation Report, there is a description of  
13 when the original calutrons were developed at  
14 Berkeley and that sort of thing.

15 CHAIRMAN ZIEMER: Right. That  
16 could be translated back into here.

17 DR. NETON: Yes, I think so.

18 CHAIRMAN ZIEMER: And maybe some  
19 additional fleshing-out.

20 DR. NETON: Right, the  
21 accelerator, you know, progression of the  
22 accelerators and the isolation of the various

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radionuclides, the chemistry that was  
2 performed to extract the different isotopes,  
3 plutonium, uranium, that sort of thing. I  
4 think it does; it is helpful to have that at  
5 the beginning. For whatever reason, this Site  
6 Profile is unlike the others in that respect.

7 CHAIRMAN ZIEMER: Okay.

8 DR. NETON: I don't know that it  
9 affects the dose reconstruction necessarily,  
10 but I do think, for completeness sake, it  
11 would be helpful to have in there.

12 DR. MAURO: This is John. One  
13 more point related to this.

14 In thinking about the level of  
15 granularity, I noticed that the other  
16 comments, many of them deal with external  
17 exposure. So, this issue within the context  
18 of the other issues, it would be helpful to  
19 have a level of granularity in the description  
20 of the operations and sources that provides a  
21 richness that helps in supporting the way in  
22 which the external doses will be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 reconstructed, especially during the covered  
2 period.

3 In other words, sort of like marry  
4 the level of detail that you might need in  
5 order to support those particular exposure  
6 scenarios that will be performed. Those seem  
7 to be especially true for neutron. I guess  
8 there are some penetrating/non-penetrating  
9 issues.

10 So, the degree to which the  
11 descriptive material could help support the  
12 development of the external dosimetry part of  
13 this, essentially --

14 DR. NETON: I agree, John. I  
15 mean, without sort of the source-term fleshed-  
16 out, you really don't have -- you know, this  
17 Site Profile is geared toward the radiological  
18 monitoring operations and how we can interpret  
19 them. But, in some ways, it is hard to say,  
20 well, was that an appropriate radiological  
21 monitoring program if you really haven't  
22 established exactly what was present --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Right, right.

2 DR. NETON: -- at which time. So,  
3 I agree.

4 CHAIRMAN ZIEMER: So, the next  
5 step on this one, it appears, then, is that  
6 NIOSH would go back and develop this for I  
7 guess what would be Rev 3 then or Rev --

8 DR. NETON: Three.

9 CHAIRMAN ZIEMER: -- Rev 3?

10 DR. NETON: Yes.

11 CHAIRMAN ZIEMER: I notice here  
12 that it indicates that it will require  
13 additional data capture. Is that where we are  
14 lacking? Or do we have the data and it just  
15 hasn't been entered? Or do we know at this  
16 point?

17 DR. NETON: Obviously, I don't  
18 know the answer to that one. This response  
19 was just recently drafted. So, I might defer  
20 to ORAU, who put this response together, as to  
21 why we think we might need additional data  
22 capture, in other words, to describe the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 facility.

2 CHAIRMAN ZIEMER: Yes. We have  
3 the records, but they really weren't fleshed-  
4 out. Or do we really need to go back? Maybe  
5 both.

6 DR. NETON: I suspect it might be  
7 both, but --

8 DR. HUGHES: We certainly do have  
9 a lot of background information on the sites.  
10 A lot of it is available on the open  
11 literature anyway.

12 CHAIRMAN ZIEMER: Who has the lead  
13 for ORAU? Does Matt Smith or --

14 DR. NETON: Let's see who is on  
15 that. Who is the lead person on the ORAU, if  
16 on the call? Or is there one?

17 MR. SHARFI: I could probably  
18 answer your question, Jim.

19 DR. NETON: Yes, Mutty.

20 MR. SHARFI: Yes, this is Mutty  
21 Sharfi.

22 The main reason why we made a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statement that we may need to do additional  
2 data capture would be depending on the level  
3 of detail that you get in. It is not to say  
4 we don't have a lot of documents that could  
5 add to the history of the site. But,  
6 depending on what level of detail, you may  
7 need to get additional information on specific  
8 operations. At that point, we may need to do  
9 additional data captures. But it is not a  
10 guarantee that we need to do that.

11 DR. NETON: Yes, I would suspect  
12 that you could do a pretty good job  
13 describing, putting together a description  
14 without an additional site visit.

15 CHAIRMAN ZIEMER: Well, it will be  
16 your call. You will decide whether you need  
17 more information. Okay. I think that is good  
18 then.

19 So, the ball is in NIOSH's court  
20 on that one, right?

21 SC&A, any further comments on  
22 that?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. FITZGERALD: No, no. Again, I  
2 think that was the only observation on that  
3 one.

4 CHAIRMAN ZIEMER: Okay.

5 MEMBER RICHARDSON: This is David  
6 Richardson.

7 I'm glad that you raised the  
8 point. As somebody who comes in with less  
9 familiarity about this site, I found it really  
10 hard to orient myself to, I mean, as you are  
11 saying, kind of an assessment of the  
12 monitoring program, given kind of a one-  
13 sentence summary of what the kind of major  
14 activities were, that they were astrophysics,  
15 nuclear fusion, earth sciences, genomics,  
16 health physics, computer science.

17 Kind of in terms of the operations  
18 that were going on there, that is basically  
19 what, and then there is a table describing the  
20 buildings, which I guess is an attempt to  
21 summarize kind of the facility. But that,  
22 also, as kind of another dimension of a matrix

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that you might describe the site history by,  
2 isn't giving me, didn't give me enough of a  
3 sense of kind of the relative importance of  
4 these in terms of kind of radiological  
5 hazards.

6 And I found the tables a little  
7 confusing. I wasn't sure how they were  
8 organized. So, I think some text to kind of  
9 describe how exhaustive this structure, as it  
10 is provided, in terms of building, how those  
11 correspond to facilities and processes where  
12 you think the monitoring should occur, and  
13 then, why so many of the -- like the second,  
14 Table 2-2, the first set of rows have some  
15 values which are sort of described as the  
16 quantities that workers could have encountered  
17 by area, which I was a little bit curious  
18 about what that meant.

19 And then, the vast majority of  
20 them are just you've got lots and lots and  
21 lots of ones where there is no sense of the  
22 scale of activity whatsoever, which means

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, again, I was wondering, well, I still,  
2 again, walking in as kind of a very naive  
3 reader, the idea that there's lots and lots of  
4 rooms where there may have been radionuclides  
5 and there's no idea of the magnitude of those  
6 exposures, I was left kind of bewildered by  
7 what actually happened there, "there" being  
8 pretty much the facility and how to make a  
9 judgment about the monitoring program at all.

10 CHAIRMAN ZIEMER: Yes, I think  
11 that is a good point, David, because, with  
12 these tables, you can't really correlate it  
13 with specific programs. You can't always tell  
14 whether it is just like a small counting lab  
15 where they might have brought in trace samples  
16 versus some wet chemical operations, or  
17 whatever.

18 Anyway, yes, that's helpful to see  
19 that. I think that would be an issue for the  
20 Board at large as well, particularly people  
21 who have not had any familiarity with that  
22 facility.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So, okay, I think we have enough  
2 to go on to agree that we will need to flesh  
3 that out under Issue 1.

4           Let's go on to Issue 2, then, Joe.

5           MR. FITZGERALD: Yes, Issue 2 was  
6 sort of the fundamental finding that the  
7 internal dose information for Berkeley was  
8 inadequate, and particularly before 1961. So,  
9 again, remembering this finding was made  
10 before the SEC, obviously the SEC comports  
11 with sort of what we saw when we looked at the  
12 bioassay information.

13           As Lara pointed out, it is pretty  
14 clear that 1961 was a threshold year in a way  
15 for Berkeley. So, we came up with the same  
16 finding.

17           One thing that we are going to be  
18 going through -- and you will see this finding  
19 elsewhere as we go along -- is we have some  
20 concerns, and these are, more or less,  
21 traditional concerns that we have and have had  
22 at other sites on the adequacy and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       completeness of the data itself. This is the  
2       bioassay data.

3               And even though it is most  
4       prominent before 1961, it is pretty clear that  
5       is when Berkeley really started managing an  
6       internal bioassay program. We have some  
7       concerns that continue on which are relevant  
8       to this issue on the Site Profile.

9               In terms of adequacy -- and this  
10       is Issue 2 that you're looking at -- we have  
11       some concerns over MDAs and the threshold of  
12       Berkeley's ability to see some of the nuclides  
13       that were being handled. Now that gets into  
14       the issue of exposure potential. I don't have  
15       to tell this group that that issue is always  
16       very pertinent. Just because the particular  
17       radionuclides existed at Berkeley and they  
18       practically had the entire periodic table  
19       doesn't mean that there was an exposure  
20       potential for internal uptake for the workers  
21       involved.

22               However, I think that is kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the crux of what we would be looking at in  
2 more detail, would be, one, whether there's  
3 adequate means of monitoring for the nuclides,  
4 that there was, in fact, exposure potential  
5 from 1961 forward.

6 Dr. Ziemer, your comment about  
7 prior to 1961, I think there is some question  
8 in my mind as to whether we need to have some  
9 sense of that as well if you are doing  
10 partials.

11 But that's the question: what's  
12 the exposure potential for the nuclides at  
13 Berkeley? And for those that one could  
14 ascertain some exposure potential, was there  
15 an adequate means of monitoring at that point  
16 in time for those nuclides, such that you  
17 would have a sufficiently-accurate dose  
18 estimate? And is the data complete enough?

19 In other words, were there any  
20 gaps after 1961? I think you commented at  
21 1961 to 1962 there is some ramp-up period. Is  
22 the bioassay data complete for that period,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for example, such that you could do dose  
2 reconstruction? So, I think those are kind of  
3 the questions.

4 The Site Profile review isn't  
5 equipped to really start probing the actual  
6 data itself. The Site Profile review is: we  
7 look at the dosimetry procedures in place,  
8 MDAs, and things like that, and try to get  
9 some sense of the adequacy. But, really, what  
10 we are talking about here is whether the  
11 bioassay database, whether it was complete  
12 enough for the years after 1961 and whether  
13 the dosimetry techniques were adequate in  
14 terms of MDA and other means at the same time.

15 Now this one here, we are focusing  
16 on adequacy, and the MDA I think is the key  
17 question that is brought up. I think NIOSH's  
18 response is that, if the MDA information is  
19 not as complete as necessary, it can be  
20 obtained from the claimant's submission. And  
21 at the same time, if there is additional  
22 information required, if I am reading this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right, Lara, Table 5.4, which is where that  
2 information is provided, can be supplemented  
3 by more data capture.

4 So, I think there is some question  
5 whether we have a complete set of information  
6 on MDAs or at least some question on the issue  
7 of exposure potential and the ability to  
8 monitor for the nuclides of relevance at  
9 Berkeley. So, I would say that is kind of the  
10 issue in Issue 2.

11 CHAIRMAN ZIEMER: Well, it appears  
12 to me that NIOSH is saying that they believe  
13 that what they have here is adequate for  
14 individual dose reconstructions or for  
15 bounding, if I'm understanding that.

16 I suspect what we need now is a  
17 more detailed response from SC&A on this, Joe,  
18 would you think?

19 MR. FITZGERALD: Yes.

20 CHAIRMAN ZIEMER: I mean, you've  
21 sort of said it here in words, but I think we  
22 need that spelled out. What is it that needs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to be done yet?

2 MR. FITZGERALD: I think  
3 specifically I would like to, you know, I  
4 think NIOSH indicates that they have been able  
5 to identify specific MDA information in the  
6 workers' dosimetry records. I think that  
7 would be useful to sample those records just  
8 to see, because that is one source of  
9 information we have not looked at, which was  
10 the dosimeter information in the records  
11 themselves.

12 That, in addition to maybe probing  
13 the question of exposure potential a little  
14 bit more than we had, which is you do have  
15 this universe of nuclides, but in terms of  
16 what was actually relevant for exposure, it is  
17 a much smaller subset.

18 I think going further to establish  
19 with NIOSH what does matter at Berkeley in  
20 terms of being able to monitor and cut it down  
21 to that point, so that we are not talking  
22 about that large universe; we are talking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about what matters. And then, are we  
2 comfortable from the Work Group's standpoint  
3 that the monitoring that was done was adequate  
4 for those exposure pathways? That is  
5 essentially it.

6 So, for the Work Group  
7 specifically, which nuclides would be relevant  
8 to this question of adequate monitoring and  
9 also being able to look at what additional MDA  
10 information that would inform the dose  
11 reconstructor, which I don't think we had  
12 available to us when we did the original  
13 review.

14 CHAIRMAN ZIEMER: Right.

15 MR. FITZGERALD: And apparently,  
16 there is more information that can be had.  
17 So, it is an SC&A action, but I think we would  
18 need to come back --

19 CHAIRMAN ZIEMER: Yes, you would  
20 have to work with NIOSH to get that.

21 MR. FITZGERALD: Right.

22 CHAIRMAN ZIEMER: But the action

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be in SC&A's court at this point to  
2 probe that.

3 MR. FITZGERALD: Right.

4 CHAIRMAN ZIEMER: So, you would be  
5 looking at what the MDAs are in the records?

6 MR. FITZGERALD: Right, and I  
7 think we would want to work with NIOSH to --

8 CHAIRMAN ZIEMER: Some sample?

9 MR. FITZGERALD: Because, clearly,  
10 there is more information than we alluded to  
11 in the original Site Profile review.

12 But the other part of that I think  
13 is to identify the nuclides that, based on the  
14 information that we have, would be of that  
15 large set of nuclides that were handled  
16 historically. This is after 1961. Which one  
17 of those would be relevant to this discussion  
18 in the first place?

19 CHAIRMAN ZIEMER: Right.

20 MR. FITZGERALD: Sort of cut it  
21 down, so we are not talking about others that  
22 are not. So, that would be something I would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prepare.

2 CHAIRMAN ZIEMER: Right. Is there  
3 any reason this couldn't get underway without  
4 Issue 1 being handled?

5 MR. FITZGERALD: Oh, no, I  
6 think --

7 CHAIRMAN ZIEMER: In other words,  
8 you could get into these records and do that  
9 critiquing without --

10 MR. FITZGERALD: Yes. Yes, what I  
11 would say is it is not going to be a large  
12 list, but I think just to figure out, beyond  
13 bench scale, beyond trace, beyond checked  
14 sources, what were the operational pathways  
15 that one would want to establish a monitoring  
16 record for?

17 If the records don't exist, then I  
18 think that would be a reasonable source of  
19 inquiry as to why they don't they exist. It  
20 may turn out the form of the particular  
21 nuclide was such that it would not have  
22 presented an exposure pathway. That is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something I think would be useful to figure  
2 out.

3 CHAIRMAN ZIEMER: Okay. I'm  
4 trying to get a feel for, is that something  
5 that NIOSH has to identify first for you guys  
6 to probe?

7 MR. FITZGERALD: Either way. I  
8 mean, as part of Issue No. 1, I suppose you  
9 could come up with what would be NIOSH's list.

10 CHAIRMAN ZIEMER: Well, that is  
11 sort of why I'm asking.

12 MR. FITZGERALD: Yes.

13 CHAIRMAN ZIEMER: Is this  
14 dependent on --

15 MR. FITZGERALD: Chicken-egg, yes.

16 CHAIRMAN ZIEMER: -- doing No. 1  
17 first? Or can they occur --

18 MR. FITZGERALD: I will defer to  
19 NIOSH. I mean, it certainly could be done in  
20 conjunction. We could do it just from the  
21 operational records as well, but it would be  
22 done separately.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. NETON: Yes, I think it could  
2 be done separately. I don't see --

3 MR. FITZGERALD: Either way.

4 DR. NETON: Yes, I don't know that  
5 it would have to wait for us to flesh-out the  
6 operational history.

7 CHAIRMAN ZIEMER: Okay. Can you  
8 proceed on it?

9 MR. FITZGERALD: Yes.

10 CHAIRMAN ZIEMER: And you can ask  
11 the questions then?

12 MR. FITZGERALD: Right. I mean,  
13 it is simply saying here's what seems to be  
14 the relevant nuclides that were handled after  
15 1961 that appear to have exposure potential.

16 CHAIRMAN ZIEMER: Got you.

17 MR. FITZGERALD: And I would  
18 certainly provide that, and the Work Group and  
19 NIOSH can respond as to whether there are any  
20 questions or issues. But rather than get into  
21 a broad discussion on MDAs and --

22 CHAIRMAN ZIEMER: Right, right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. FITZGERALD: -- monitoring, I  
2 would like to think we could down-scope this  
3 thing, so that we can have a much smaller set  
4 to deal with. So, maybe that would be a  
5 going-in thing to do on this one.

6 MR. KATZ: And it seems to me you  
7 could even have some exchanges by email, memo,  
8 whatever --

9 MR. FITZGERALD: Yes.

10 MR. KATZ: -- to sort of push this  
11 along to gear SC&A, so that it has the right  
12 focus when it digs deeper and to have a solid  
13 understanding --

14 MR. FITZGERALD: Yes, yes. I want  
15 to avoid spending a lot of time trying to  
16 figure out completeness and adequacy of data  
17 when, in fact, there is not agreement that  
18 there was an exposure potential.

19 MR. KATZ: Yes. Got you. Right.

20 MR. FITZGERALD: I think we have  
21 learned that.

22 CHAIRMAN ZIEMER: Right, right,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right.

2 MR. FITZGERALD: Okay.

3 CHAIRMAN ZIEMER: Let me ask David  
4 if he has any additional comments or questions  
5 on this item.

6 MEMBER RICHARDSON: Yes, there's  
7 two things. One is this issue started off  
8 with sort of making a division between earlier  
9 and late periods based on what is covered by  
10 an SEC. I think the latter part of the  
11 discussion has focused on the period kind of  
12 1962 forward. Is that the cut point, the  
13 boundary point?

14 DR. NETON: Yes.

15 MEMBER RICHARDSON: But there was  
16 some suggestion early on of also needing to  
17 kind of figure out kind of what is done with  
18 the earlier period. I wanted to suggest that  
19 we maybe not focus too much energy on that  
20 question. If my understanding is correct,  
21 NIOSH has said that they can't reconstruct  
22 doses for internal deposition in that earlier

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period. And so, this is not an issue.

2 If that is the basis for the SEC,  
3 then they are not going to be put in that  
4 position. Is that --

5 DR. NETON: I agree. I think the  
6 idea was for the earlier years, if there were  
7 external exposures, that sort of thing, which  
8 we might get into a little later. But you're  
9 right, if the basis was that we can't  
10 reconstruct internal exposures, there is  
11 really not much point in evaluating what we  
12 could do there because we already said we  
13 can't.

14 MEMBER RICHARDSON: Okay. The  
15 only other comment I had was I do think it  
16 would be useful to kind of figure out, as you  
17 suggested, trying to figure out what were the  
18 potential intakes.

19 There is a little bit of  
20 circularity in the table that is at the end of  
21 Section 5. It is a long table listing  
22 buildings and radionuclides. So, I guess it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is Table 5.7, Radionuclides by Facility.

2 Because sort of the basis for the  
3 list, which is maybe a good starting point,  
4 but I just hope it is not the ending point, is  
5 what has been bioassayed for and, then, also,  
6 some contention that -- I don't know --  
7 Patterson, Low-Beer, and Sargent had  
8 identified that as potential exposures and  
9 concluded that normal habits would ensure that  
10 typical workers did not receive exposures of  
11 any consequence from these sources.

12 But I think it would be useful for  
13 me to have kind of a skeptical read of that  
14 and see whether there are kind of atypical  
15 exposure scenarios of concern, just so that  
16 that list isn't based on what we look for we  
17 know we see.

18 The other thing -- and this kind  
19 of overlaps with the first point about  
20 understanding a little bit more about the  
21 history -- is I guess I am still having a hard  
22 time understanding what happened where/when,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and the time dimension seems to be sort of  
2 lacking. Like when you've got a row that says  
3 in this building carbon-14 and tritium were  
4 used, well, kind of my impression of kind of  
5 the dynamic changing mission of a laboratory  
6 like this is that by the 1960s maybe there was  
7 very little work going on with some of these  
8 and there was a lot of work going on with  
9 other of these radionuclides.

10 And so, if the table could somehow  
11 reflect the period that we are primarily  
12 interested in, that might help to simplify  
13 things as well.

14 CHAIRMAN ZIEMER: I think that is  
15 a good point, David. To some extent, that  
16 might come out when we get Item 1 fleshed-out  
17 because the time period, presumably, well, if  
18 you look on that table, for example, for the  
19 Donner Lab, it is 1961 to present. So, you've  
20 got a 60-year, well, let's see, 60, yes, 50-  
21 year time period. You don't know whether  
22 these are used all during that or whatever.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, I think the point is well-taken.

2 I guess we will understand that,  
3 and Joe is making a note here, too. You  
4 understand his point there?

5 MR. FITZGERALD: Yes, and I think  
6 that is kind of where we are coming from, too.

7 Looking at post-1961, what's --

8 CHAIRMAN ZIEMER: What's  
9 pertinent?

10 MR. FITZGERALD: -- what's  
11 pertinent for the question we are asking and  
12 making sure that we are asking the right  
13 questions in terms of the operational changes  
14 that are going on.

15 And it was a very dynamic  
16 situation. All these energy research labs  
17 were very dynamic. Things came; things went;  
18 things didn't last very long, and just making  
19 sure that they are captured.

20 CHAIRMAN ZIEMER: Okay.

21 DR. MAURO: This is John. I have  
22 a process question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   While we are probing Issue 2  
2 related to post-1961 MDAs, bioassay data, et  
3 cetera, data adequacy, NIOSH, of course, will  
4 be probing Issue 1. So, they will be moving  
5 in parallel.

6                   And I see a link between the two,  
7 in that when we identify, let's say, as Joe  
8 and his team identify areas that might be soft  
9 post-1962 in internal dosimetry, for example,  
10 would it be appropriate -- in theory, within a  
11 matter of some time period we will issue a  
12 White Paper or some kind of report related to  
13 Issue 2. And then, from there, of course,  
14 those matters will be discussed.

15                   But since there is linkage between  
16 Issue 2 and what NIOSH will be doing on Issue  
17 1, would it be inappropriate for SC&A, for  
18 there to be an exchange as the two  
19 organizations move down this path?

20                   MR. KATZ: That's what I was  
21 saying, John, about exchanging memos, what  
22 have you, calls, memos, because these are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 linked and because you may not know everything  
2 that DCAS knows as to what their holdings are,  
3 and vice versa, about your concerns. So, I  
4 think it is appropriate for you to exchange  
5 memos. If you need to get on the phone  
6 because things are complex, that's fine, too.

7 I like memos just because it is nice to have  
8 that paper record back and forth. But  
9 absolutely.

10 That could all lead up to your  
11 producing an actual White Paper as opposed to  
12 having to produce a White Paper with a whole  
13 bunch of questions in your mind. That doesn't  
14 make much sense.

15 CHAIRMAN ZIEMER: So, Joe would  
16 certainly be free to make contact with NIOSH  
17 if a question arose, and vice versa.

18 MR. KATZ: Right.

19 CHAIRMAN ZIEMER: So, we are okay,  
20 then, on that one?

21 MR. KATZ: Yes.

22 CHAIRMAN ZIEMER: David, you're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       okay on that?

2                   MEMBER RICHARDSON:       Yes, that's  
3       great.

4                   CHAIRMAN ZIEMER:       Okay.     Let's  
5       proceed to Issue 3, which is called "special  
6       forms of tritium and plutonium not addressed  
7       by NIOSH."

8                   MR. FITZGERALD:     Yes, I mean, in  
9       this particular one, we raise a question we  
10      have raised in other reviews where we are  
11      talking organically-bound tritium, tritides,  
12      and also some of, well, in this case Super S  
13      form of plutonium, high-fired plutonium.

14                          And I think this was a function of  
15      the Rev 1 TBD, being an older TBD, it didn't  
16      include some of these subjects that obviously  
17      have gotten a lot of attention over the last  
18      several years.     And so, we did make that  
19      comment.     Of course, Rev 2 came out right  
20      afterwards that did, in fact, address OBTs and  
21      tritides and Super S, but they were added in.

22                          Now we haven't gone through and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually performed a technical evaluation, but  
2 we are fairly confident that some of the  
3 questions that we typically have on those  
4 areas at least are certainly addressed in the  
5 revision. And I think this is pretty much  
6 what NIOSH says in their response, is that  
7 they, in fact, did address some of these.

8 Now I believe the only question or  
9 difference here was in the SC&A review of 2010  
10 we posited some questions about high-fired  
11 uranium and even possible thorium, some of the  
12 actinides. This came out in interviews with  
13 some of the Berkeley workers that have raised  
14 some questions in that area. I think NIOSH's  
15 response is there is no evidence that there's  
16 any of that behavior associated with the  
17 uranium or thorium.

18 So, that is the only difference I  
19 think we have on this, even though we have not  
20 gone through and spent some time validating  
21 what was in the second revision on the high-  
22 fired and the tritides and everything. But,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 again, we pretty much have worked this issue  
2 for a few years, so I am pretty confident we  
3 will be okay.

4 So, the only question is uranium  
5 and thorium in high-fired forms. I have not  
6 gone any further than just acknowledging that  
7 that was the response.

8 CHAIRMAN ZIEMER: Joe, does SC&A  
9 want to follow up on that point in any way? I  
10 think you are raising that as sort of a  
11 theoretical question: can there be Super S  
12 uranium and thorium? Is that what you are  
13 asking?

14 MR. FITZGERALD: We are raising it  
15 because it was brought to our attention in the  
16 interviews that we had. And those interviews  
17 are available to NIOSH. So, again, we are  
18 just sort of raising that. This is the very  
19 first response we have gotten on the subject  
20 in this matrix.

21 DR. NETON: We have seen comments  
22 before at other sites of the existence of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 high-fired soluble uranium, in particular. We  
2 have just never seen any evidence of its  
3 existence. It has been mentioned, but the  
4 biological behavior doesn't seem to support  
5 it.

6 I mean, we would be happy to look  
7 at any studies put out, but --

8 MR. FITZGERALD: We, likewise,  
9 haven't researched the subject. It comes up,  
10 and I agree with Jim, it has come up at  
11 several sites. So, it sort of makes you  
12 wonder. It seems like there is some historic  
13 reference to that, but, again, we haven't been  
14 able to pin it down.

15 It came up first, I think, at Y-12  
16 in terms of high-fired uranium. That's --  
17 what? -- five years ago, and we still haven't  
18 seen anything hard in the literature to  
19 support it. But it keeps coming up.

20 MS. BRACKETT: This is Elizabeth  
21 Brackett. I would like to comment on the  
22 high-fired uranium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ZIEMER:  Yes, Liz, please  
2 do.

3                   MS. BRACKETT:  Well, a lot of the  
4 information I came up previously with  
5 discusses being held longer in the lungs.  It  
6 is based on ICRP-30 models.  Now ICRP-66 lung  
7 model has a broader scope, and Type S  
8 encompasses more material than Class Y did.

9                   And so, our response has been,  
10 while Class Y might not have addressed the  
11 longer retention time of a high-fired uranium,  
12 Type S does.  It was modeled such that it  
13 would incorporate that.  And so, that is why  
14 we haven't seen any evidence that it goes  
15 beyond Type S material or -- yes, Type S  
16 material.

17                  CHAIRMAN ZIEMER:  Yes, that is a  
18 point that probably should be added to the  
19 NIOSH response here.  I guess the only thing,  
20 I would ask SC&A if you would just take that  
21 into consideration; just add that here now.  
22 And just as a followup, next time around just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tell us whether you are in agreement with that  
2 or not or if you still see an issue.

3 MR. FITZGERALD: Yes. That was 30  
4 versus 60?

5 CHAIRMAN ZIEMER: Sixty-six is the  
6 new lung model.

7 MS. BRACKETT: Right.

8 CHAIRMAN ZIEMER: Or the newest  
9 one. Sometimes the new ones get to be pretty  
10 old fast.

11 So, you are going to follow up --

12 MR. FITZGERALD: Okay.

13 CHAIRMAN ZIEMER: On ICRP Report  
14 66, a lung model for those and see if that  
15 satisfies --

16 MR. FITZGERALD: Yes, I would ask  
17 NIOSH or ORAU if they could just provide a  
18 capsule, just like sort of you did here, a  
19 capsule. I think I got most of it, but just  
20 to get that specific point down in writing,  
21 that would be helpful.

22 DR. NETON: Yes, that's a very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 good point.

2 CHAIRMAN ZIEMER: So, I'm going to  
3 make a note here that NIOSH is going to add to  
4 the response the comments that Liz Brackett  
5 made or the equivalent.

6 MR. FITZGERALD: And we would just  
7 simply come back and validate whether that  
8 satisfies --

9 CHAIRMAN ZIEMER: Yes, whether you  
10 have any concerns or not beyond that. Because  
11 it looks like, otherwise, you were okay, and  
12 that was just sort of --

13 MR. FITZGERALD: Yes.

14 CHAIRMAN ZIEMER: -- left hanging  
15 there. Or, if there is any other evidence  
16 that anybody knows about? It sounds like, as  
17 I'm hearing it, that the new lung model is  
18 sufficiently inclusive that it would cover --

19 DR. NETON: That's what we  
20 believe.

21 CHAIRMAN ZIEMER: Yes.

22 MR. FITZGERALD: Yes, I want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reserve --

2 CHAIRMAN ZIEMER: Yes.

3 MR. FITZGERALD: We want to  
4 take --

5 CHAIRMAN ZIEMER: Take a look at  
6 that.

7 MR. FITZGERALD: -- a look at  
8 OBTs, tritides, and Super S. Like I say, I am  
9 pretty confident that tracks with where we  
10 have come out in the past, and that won't take  
11 long, but we didn't actually do a technical  
12 review. We just kind of scanned it and it  
13 looked like it was pretty complete. So,  
14 you're right, this is one difference that  
15 would need some validation.

16 CHAIRMAN ZIEMER: Okay. Let me  
17 ask Dr. Richardson if he has questions or  
18 comments on this one.

19 MEMBER RICHARDSON: No, I don't.

20 CHAIRMAN ZIEMER: Okay. Let's go  
21 on to Issue 4. This is external and internal  
22 data legacy completeness and accuracy.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MR. FITZGERALD: Yes, I think this  
2 is a broader look at the completeness and  
3 accuracy of the records system, the legacy  
4 records system, and whether or not that was  
5 addressed.

6                   I think there is a reference in  
7 the original Site Profile, I think actually in  
8 one of the responses that was provided in the  
9 matrix, where it says early on that -- oh, in  
10 fact, it's this one. The NIOSH response says  
11 that "NIOSH does not use bioassay databases to  
12 reconstruct internal doses from all the  
13 workers. NIOSH uses individual dosimetry  
14 records provided by the DOE."

15                   In the past, we have said, okay,  
16 but there is a need to just make sure that the  
17 records that DOE does give you are complete in  
18 the first place. I think the essence of this  
19 particular finding is establishing that you  
20 are dealing with a complete enough set; you  
21 are not missing periods of time.

22                   I think in the review we found

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some questions as to whether bioassay  
2 submittals were delinquent by quite a long  
3 time period, up to a year, what significance  
4 that might have for the shorter-lived  
5 nuclides; also, questions of bioassay  
6 frequency and the inclusion of facilities like  
7 the Donner Laboratory and whatnot. So,  
8 questions of completeness and questions of  
9 whether or not the completeness of what DOE  
10 has provided has been looked at at all.

11 CHAIRMAN ZIEMER: Okay. Well,  
12 part of the NIOSH response here is getting  
13 some additional records, I guess, on Donner  
14 Lab, is part of it, right?

15 DR. HUGHES: Well, we haven't  
16 really seen this from when we evaluated. I  
17 haven't gone back in a while, but we haven't  
18 seen a specific lack for a certain building in  
19 any of the records, as far as I am aware of,  
20 but we haven't specifically looked at that  
21 information, either.

22 CHAIRMAN ZIEMER: Well, I am

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trying to get a feel for what has to be done  
2 here.

3 DR. HUGHES: Yes. I do believe  
4 this thing about the Donner Laboratory came  
5 out of an interview?

6 MR. FITZGERALD: Yes, it is a site  
7 interview.

8 DR. HUGHES: If we could have  
9 that --

10 MR. FITZGERALD: We have the  
11 summary.

12 DR. HUGHES: Yes.

13 MR. FITZGERALD: I think the  
14 original ones are available, yes.

15 DR. HUGHES: Yes, just to give us  
16 some specifics, you know, what might have been  
17 going on there, because we have done an  
18 extensive research for the SEC, which is now a  
19 few years back. So, I don't remember  
20 specifically, but I do not remember seeing  
21 anything to that effect, unless it was maybe  
22 correlated to the activities going on. But,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as I said, we would have to go back and look  
2 at it.

3 MR. FITZGERALD: Yes, a major  
4 source was the interviews, former workers that  
5 were familiar with the activities at Donner  
6 and their expression that they were not  
7 bioassayed and they should have been, that  
8 type of issue.

9 CHAIRMAN ZIEMER: Joe, from SC&A's  
10 point of view, were you looking for evidence  
11 that the bioassay database is actually  
12 complete?

13 MR. FITZGERALD: Yes, I think this  
14 is the question, complete from a standpoint of  
15 the operations that were under the Berkeley  
16 umbrella, for one thing, and then in terms of  
17 timeframe, whether particularly in the earlier  
18 part of that, the 1960s, whether or not you  
19 are dealing with a database.

20 CHAIRMAN ZIEMER: Yes. But it is  
21 sort of like, is NIOSH saying, "Well, why do  
22 you think it's incomplete?" And you're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 saying, "Show us that it is complete." What  
2 do we need here? Is it a matter of  
3 establishing that there are appropriate  
4 bioassays for these activities in these time  
5 periods? What is missing or what needs to be  
6 looked at to confirm completeness of records?

7 MR. FITZGERALD: I think, again,  
8 we went and looked at the bioassay work. We  
9 did onsite visits at Berkeley --

10 CHAIRMAN ZIEMER: Right, right.

11 MR. FITZGERALD: -- talked to the  
12 dosimetry staff, looked at the records that  
13 were available. And not all the records are  
14 there. Now in the early years that would be  
15 expected. You are not going to have a staff  
16 function at 100 percent.

17 CHAIRMAN ZIEMER: Right.

18 MR. FITZGERALD: But the question  
19 would be, are the records not just simply what  
20 DOE provides, but are the bioassay records  
21 behind what DOE provides complete enough that  
22 you could, in fact, do dose reconstruction or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not with sufficient accuracy?

2 And the question of the Donner Lab  
3 is whether or not certain facilities that had  
4 radiological source-terms -- and this gets  
5 back to kind of the question on the previous  
6 finding, Finding 2 -- whether the locations  
7 where you had exposure potentials, whether, in  
8 fact, you had monitoring. And this is sort of  
9 tied to that.

10 In interviewing workers that had  
11 knowledge of the Donner Laboratory -- and I  
12 think there was one other facility. Oh, these  
13 are satellite facilities that were under  
14 Berkeley, whether they, in fact, were covered  
15 adequately, particularly in the early sixties  
16 as compared with the main campus. I think  
17 there was some question, based on those  
18 interviews, whether that was the case or not.

19 But they may have come along slower than the  
20 main operational areas.

21 To answer your question, I think  
22 it is just a matter of taking a look at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 database and establishing that you have what  
2 you need for the years in question. It is  
3 really much what has been done at other sites.

4 Is it a complete enough database? Are there  
5 years missing or facilities missing?

6 You know, if you have the  
7 facilities and you have sufficient -- you are  
8 going to miss, for an individual, you are  
9 going to miss perhaps some weeks or some  
10 months, or whatever. But if you are missing  
11 everybody for a year or missing a particular  
12 operation for a year, then I think it is more  
13 of a significant issue.

14 DR. MAURO: Joe, this is John.

15 Would you say that, at least for  
16 internal exposure post-'61 --

17 MR. FITZGERALD: Right.

18 DR. MAURO: -- that this Issue 4  
19 is really very much part and parcel of Issue  
20 2? In other words, is it possible that these  
21 two are really one issue?

22 MR. FITZGERALD: Well, I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Issue 1 is more internal. This is really a  
2 question of data completeness.

3 CHAIRMAN ZIEMER: This is external  
4 and internal.

5 MR. FITZGERALD: This is internal  
6 and external.

7 DR. MAURO: I agree. That is why  
8 I raised the question. With respect to  
9 specifically internal, I see a bit of overlap,  
10 if not quite a bit of overlap, between Issue 4  
11 and Issue 2, unless I am not reading this  
12 correctly.

13 MR. FITZGERALD: Yes, I think  
14 Issue 2 speaks probably more strongly to  
15 adequacy. In other words, do you have the  
16 monitoring techniques that marry up to  
17 exposure potential for internal?

18 CHAIRMAN ZIEMER: Versus  
19 completeness.

20 MR. FITZGERALD: Issue 4 is, more  
21 or less, yes, you can think of it as  
22 completeness. Do you have the facilities

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 covered? Do you have the years covered in a  
2 way that enables you to use the dose records  
3 without concern over integrity, not really  
4 integrity, but, you know, completeness?

5 DR. MAURO: Okay.

6 MR. FITZGERALD: And this is kind  
7 of a little conventional. I think we ask this  
8 question, or the Board asks this question at  
9 most sites, as to, yes, you get the data from  
10 DOE, but what gives you confidence that it is  
11 complete and adequate? And someone looked at  
12 the database to come to that judgment.

13 I think, again, because you are  
14 not really worried about it until probably  
15 after '61, it is not as hard a question, but  
16 it still a question that would be relevant to  
17 ask: you know, are you confident that what  
18 you are getting from DOE is complete?

19 DR. NETON: I can understand that.

20 CHAIRMAN ZIEMER: What has to  
21 happen, though?

22 MR. FITZGERALD: Well, I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NIOSH, you know, you have access to the  
2 database that is behind the DOE records. Now  
3 we looked at those records, at that database,  
4 when we went to Berkeley. It is there. It  
5 can be looked at. We didn't spend a lot of  
6 time, obviously.

7 DR. NETON: We don't have that  
8 database, do we?

9 DR. HUGHES: I don't know. We  
10 have scans of the bioassay records. I'm not  
11 sure.

12 DR. NETON: I think, like other  
13 sites, what we are looking at here is some  
14 type of validation of the data that we are  
15 using. In some situations, we will go back --  
16 like I think now at Paducah we are going back  
17 and pulling reports that exist that say we  
18 took this many samples in this month on this  
19 many workers, and just validating or verifying  
20 that we, indeed, have those numbers of  
21 samples, that kind of thing.

22 CHAIRMAN ZIEMER: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: So, some sort of a  
2 data completeness validation.

3 CHAIRMAN ZIEMER: Right.

4 DR. NETON: I think, consistent  
5 with what we have done at other sites, that  
6 should be done here. I agree.

7 CHAIRMAN ZIEMER: Currently, the  
8 NIOSH response seems to be that, if you get a  
9 claim, you go to the record. If you don't  
10 have it, then you have to figure out what to  
11 do.

12 Joe is asking the more universal  
13 question, what if that is true for X number of  
14 people for a year, that the records are  
15 missing or something?

16 DR. NETON: Well, or how do we  
17 know that DOE is providing us all the records  
18 that were there?

19 CHAIRMAN ZIEMER: Yes, all the  
20 records, right, right.

21 But you have some sort of standard  
22 approaches you would use to answer this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question.

2 DR. NETON: There are several ways  
3 to get at this issue, yes. If they have an  
4 electronic database, that is a start.  
5 Certainly, if there are records in the  
6 electronic database for a modern worker that  
7 the DOE is not providing us, that would raise  
8 some flags.

9 CHAIRMAN ZIEMER: Right.

10 DR. NETON: If the records were  
11 missing from the database that the DOE  
12 provided, it would not necessarily be a  
13 showstopper.

14 CHAIRMAN ZIEMER: Okay.

15 DR. NETON: I mean, the database  
16 could be incomplete.

17 CHAIRMAN ZIEMER: So, I guess  
18 although we have the NIOSH response here, it  
19 appears to me that there is an additional  
20 followup --

21 DR. NETON: I agree, yes.

22 CHAIRMAN ZIEMER: -- that NIOSH

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would develop a -- I don't know if it is a  
2 White Paper, but a report to demonstrate  
3 completeness of records. And then, SC&A would  
4 have an opportunity to say, "Yes, that  
5 addresses our concern."

6 MR. FITZGERALD: Right. Now to go  
7 back to John's comment, the coupling between  
8 this or the completeness issue and the  
9 adequacy issue in Issue 2, I think you are  
10 stepping back and deciding, okay, '61 is a  
11 threshold that was acknowledged in the SEC  
12 Class because Berkeley started managing its  
13 own bioassay program, and there is certainly  
14 documentation to that effect.

15 CHAIRMAN ZIEMER: Right.

16 MR. FITZGERALD: This validates  
17 that the actual data from an adequacy and  
18 completeness standpoint comports with the '61.  
19 I think the formal program and the  
20 establishment of that program speaks to a  
21 threshold in '61. This kind of validates that  
22 things didn't kind of struggle along --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Right.

2 MR. FITZGERALD: -- for a while.

3 CHAIRMAN ZIEMER: That's part of  
4 this, although this issue also speaks to  
5 external records, and partial dose  
6 reconstruction still may have to be done for  
7 the early years for external.

8 DR. NETON: Right.

9 CHAIRMAN ZIEMER: So, I think we  
10 could still ask the question for the early  
11 years or, I mean, you can just ask it all at  
12 once, I guess, in a sense, right? I guess,  
13 but I don't know.

14 DR. NETON: Yes, we'll have to  
15 think about that.

16 CHAIRMAN ZIEMER: Yes, think about  
17 that. No. 1, you are not going to get that  
18 many claims for the early years. You're going  
19 to get a few non-covered cancers and you might  
20 get a few less than 250 days.

21 DR. NETON: We will work with the  
22 data that are there. I mean, if there seems

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be gaps in the data, they are what they  
2 are, right?

3 CHAIRMAN ZIEMER: Yes.

4 DR. NETON: We will do the best  
5 job that we can to reconstruct the partial  
6 doses.

7 CHAIRMAN ZIEMER: Right.

8 DR. NETON: There is no other  
9 option there other than making it an SEC,  
10 which it already is.

11 CHAIRMAN ZIEMER: Well, we know  
12 that for the internal. I am talking about  
13 external. I mean, if there is a data gap  
14 simply because DOE has not provided all the  
15 records for the early years and they exist,  
16 that's --

17 DR. NETON: Oh, that is a  
18 different story, yes. Yes.

19 CHAIRMAN ZIEMER: Yes. So, I  
20 think you can still ask that question.

21 DR. NETON: Oh, yes, we will go  
22 back and look at it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Okay. So, that  
2 would be the followup on this one.

3 Again, I will ask Dr. Richardson  
4 if he has questions or comments on this  
5 particular one.

6 MEMBER RICHARDSON: Yes, I have a  
7 few.

8 CHAIRMAN ZIEMER: Good. Go ahead.

9 MEMBER RICHARDSON: So, one issue  
10 that I was thinking about gets at what you  
11 were just touching on of the external  
12 dosimetry information for the period prior to  
13 '61 or '61 and before.

14 There is description in table 5.3  
15 of the monitoring and storage of in vivo  
16 monitoring in terms of periods and, I believe,  
17 how this data are stored. There is no  
18 description at all of what I think this issue  
19 is talking about for external dosimetry. Like  
20 what is the data legacy?

21 I mean, kind of the response that  
22 NIOSH uses dosimetry records provided by DOE

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is correct, and, yet, I believe, like what  
2 Table 5.3 is saying is, well, what DOE can  
3 provide is what the site stored on magnetic  
4 tapes or 8-inch disks in the 1980s and in  
5 printouts alphabetically stored in other  
6 periods.

7 That is the type of information.  
8 I mean, the fact that they provide it to you  
9 doesn't kind of describe, well, how was it  
10 archived? And particularly for the early  
11 external dosimetry data, I think that might be  
12 useful to describe.

13 Is everything available in terms  
14 of kind of hard-copy dosimetry cards? I mean,  
15 some facilities I know all you've got is  
16 quarterly green bar computer printouts. At  
17 least I have never been able to find something  
18 better than that.

19 And so, kind of to get a sense of  
20 the completeness, one way that I have seen it  
21 described before is sort of on a claimant  
22 basis and on a work-year basis, what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proportion of the claimants have information  
2 that is available? Even that sort of  
3 information would be useful.

4 So, right now, there is a sentence  
5 that says, "Personal dosimetry records are  
6 generally available for all periods for  
7 workers who had potential for occupational  
8 radiation exposure." I mean, fleshing that  
9 out a little bit more would be useful in a  
10 sense of, what does it mean that are generally  
11 available and how has that changed over time?

12 CHAIRMAN ZIEMER: For the external  
13 particularly because this is just internal on  
14 this table.

15 MEMBER RICHARDSON: Right, for  
16 that, yes, the dosimetry records. Yes, I am  
17 referring to the start of Section 611, where  
18 there is a single sentence right now that is  
19 sort of giving us a reassurance about the  
20 completeness of the records that can be  
21 provided by DOE, but in a very vague sense.

22 The figures in this section, now I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have the benefit of having a mirror in my  
2 room, in my office here. So, I figure 6.1 I  
3 can hold up to a mirror and read and Figure  
4 6.3, but I believe they are mirror images of  
5 what would be useful to have. Everything is  
6 upside-down and backwards, which made it  
7 really hard to interpret.

8 CHAIRMAN ZIEMER: Where are you?

9 DR. NETON: Oh, yes, yes. Yes,  
10 you're right.

11 MEMBER RICHARDSON: Figure 6.1 and  
12 Figure 6.3.

13 DR. NETON: Absolutely. They are  
14 upside-down and backwards. I wonder how that  
15 happened. I've never seen that before.

16 (Laughter.)

17 MEMBER RICHARDSON: Yes, I don't  
18 know how that happened, either, but it  
19 required some creativity.

20 DR. NETON: Yes, I don't know how  
21 one could cut and paste something like that.

22 CHAIRMAN ZIEMER: It was a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transparency that was probably put in reverse.

2 MR. KATZ: Yes, "Leonardo  
3 graphics."

4 MEMBER RICHARDSON: That's right.

5 CHAIRMAN ZIEMER: We need to have  
6 three here, don't we?

7 (Laughter.)

8 Did SC&A pick that up in their  
9 review?

10 MEMBER RICHARDSON: Apparently,  
11 nobody has looked at the figures except --

12 MR. KATZ: Except you.

13 (Laughter.)

14 CHAIRMAN ZIEMER: Yes, okay,  
15 thanks. Go ahead, David.

16 MEMBER RICHARDSON: This is,  
17 again, kind of a gestalt kind of impression of  
18 reading the report. There are 10 or 11 pages  
19 given to the assessment of the medical doses,  
20 and there are 10 pages given to the  
21 occupational exposures and the dosimetry  
22 program.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Again, when I read this in sort in  
2 a description of what went on at the site,  
3 right now, kind of the weight, kind of the  
4 balance of attention in this Site Profile kind  
5 of document led me to think that, well,  
6 perhaps the medical exposures from kind of  
7 routine screening are on par with the  
8 occupational exposures. And so, I don't know  
9 what that means except that I think that there  
10 was a lot of enthusiasm or a lot of  
11 information available for providing a lot of  
12 detailed information in this document about  
13 the chest x-rays. But I was hoping there  
14 would be more information maybe partly along  
15 these lines.

16           Maybe I'm wrong. Maybe they are  
17 of equal kind of magnitude. And therefore,  
18 that is what the balance is trying to  
19 communicate. That was just something striking  
20 to me.

21           CHAIRMAN ZIEMER: Well, it is an  
22 interesting point. I think you are probably

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 quite right, it is much easier to elaborate on  
2 the medical. We certainly know how to do that  
3 pretty well.

4 MEMBER RICHARDSON: Yes, but it is  
5 sort of a balance that I have not seen in  
6 other --

7 CHAIRMAN ZIEMER: Yes. Yes, I  
8 think it is a good point, David. Okay.

9 DR. MAURO: Paul, this is John.

10 CHAIRMAN ZIEMER: Yes?

11 DR. MAURO: Before we leave, when  
12 you are probing completeness under Issue 4,  
13 whoever is probing it, typically, you do find  
14 -- let's say we are talking external -- that  
15 there are always some holes for time periods,  
16 buildings, job categories, or whatever.

17 So, the other side of the coin is,  
18 once you do identify there might be some  
19 completeness issues with external, then it  
20 leads you to the question of a coworker model.

21 I have to admit I haven't been following this  
22 so closely, but is there a coworker model for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 external dosimetry when you do have incomplete  
2 data in this TBD?

3 DR. HUGHES: There's currently no  
4 coworker model for this site.

5 CHAIRMAN ZIEMER: No, none  
6 currently.

7 DR. MAURO: Okay.

8 CHAIRMAN ZIEMER: And I guess  
9 probably, unless NIOSH identifies in this  
10 process that it is needed, there probably  
11 won't be, right?

12 DR. MAURO: Okay.

13 CHAIRMAN ZIEMER: At some point,  
14 if there's a gap that is striking, I suppose  
15 that would be the next step, but there is none  
16 at the moment.

17 MEMBER RICHARDSON: I have a  
18 question that also touches on completeness,  
19 and this is a sort of general issue. When we  
20 visited the contractor and saw how they were  
21 keying-in the data, it appeared that they were  
22 keying-in kind of what were PDF versions of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hard-copy records for dosimetry information,  
2 and they had all of the detailed kind of  
3 handwritten dose results.

4 Is that the search that DOE does,  
5 to try and locate those hard-copy records?  
6 Or, in the absence of those, do they look to  
7 electronic databases?

8 DR. NETON: Well, I think they  
9 look through any available information that  
10 they might have. It is not really the DOE  
11 that does this. It is actually the site  
12 itself, I mean, that provides the records.

13 So, there is usually a person at  
14 the site who is the point of contact that is  
15 familiar with where the information may be,  
16 and it is their job to assemble all the  
17 information that they have in their possession  
18 and provide it. I mean, we do request it  
19 through the DOE, but the site really is the  
20 one that assembles the information.

21 MEMBER RICHARDSON: Okay. We have  
22 had experiences where one or the other is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 available but not both.

2 DR. NETON: Yes, and we have  
3 gotten both, I mean in various forms. At  
4 Savannah River, we get computer printouts with  
5 redacted names on them because that is the  
6 only place it exists. Some sites actually  
7 provide data electronically. I think the  
8 Nevada Test Site was good with that. They  
9 would provide us with electronic records.  
10 Some sites we have actually went and got the  
11 whole database. So, yes, it depends.

12 MEMBER RICHARDSON: Okay.

13 CHAIRMAN ZIEMER: Okay. We will  
14 take a 10-minute break now and then proceed  
15 from there. How's that?

16 (Whereupon, the foregoing matter  
17 went off the record at 10:33 a.m. and went  
18 back on the record at 10:43 a.m.)

19 MR. KATZ: Okay, we're back.

20 Let's just check and see, Dr.  
21 Richardson, do we have you?

22 MEMBER RICHARDSON: Yes, I am

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here.

2 MR. KATZ: Great.

3 CHAIRMAN ZIEMER: Okay. We are  
4 ready to proceed with Issue 5.

5 DR. BUCHANAN: This is Ron  
6 Buchanan. Can I ask --

7 CHAIRMAN ZIEMER: Ron, sure, go  
8 ahead. Ron Buchanan.

9 DR. BUCHANAN: Okay. I have to  
10 leave here in about 20 minutes. So, I wanted  
11 to be sure and ask this question.

12 We are running into the question,  
13 an SEC covers a certain period, say like  
14 bioassay data. Do the Site Profile issues,  
15 say with external data, still stand for that  
16 SEC period? What is the ruling on that?

17 CHAIRMAN ZIEMER: Well, I think  
18 the answer is yes because there are cases  
19 where you have to reconstruct dose for non-  
20 eligible cancers as well as people who were  
21 there less than 250 days. And dose may have  
22 to be, partial dose reconstructions, certainly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 for the external, NIOSH says they can do that.  
2 they might even do partials for the internal  
3 if there is specific bioassay data, I guess.

4 MR. KATZ: But I thought the SEC  
5 for part of that early period had raised  
6 issues even about external data up until '48  
7 maybe. There were provisos about external  
8 data being sparser, inadequate as well.

9 DR. NETON: In the SEC report?

10 MR. KATZ: In the SEC report, yes.

11 DR. BUCHANAN: Yes, it is that '48  
12 and onward that was available --

13 MR. KATZ: Right, right. Okay, so  
14 that's it. That's what I remembered.

15 DR. BUCHANAN: Okay. I just  
16 wanted to make sure because it makes a big  
17 difference on how much time we spend on these  
18 Site Profile issues if the SEC negates  
19 everything or just the bioassay data. And it  
20 is important --

21 DR. NETON: No, no, the SEC does  
22 not negate everything. And even if we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 provisos on the external, we still have to  
2 figure out the best path forward to use the  
3 data that we have.

4 MR. KATZ: Right.

5 DR. NETON: I mean, they are what  
6 they are.

7 CHAIRMAN ZIEMER: Does that answer  
8 your question, Ron?

9 DR. BUCHANAN: Yes, it does.  
10 Thank you.

11 CHAIRMAN ZIEMER: Okay. Very  
12 good. Let's proceed with Issue 5, which is  
13 called "insufficient justification for  
14 selection of IREP energy range fractions for  
15 photon exposures".

16 MR. FITZGERALD: Yes, before we  
17 lose Ron, actually, these next couple would be  
18 ones that are dear and close to your heart,  
19 Ron. Do you want to walk through both this  
20 one as well as the neutron issues?

21 DR. BUCHANAN: Okay.

22 MR. FITZGERALD: Or not?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: Yes.

2 MR. FITZGERALD: That was a pretty  
3 notable sigh.

4 (Laughter.)

5 I can cover them, if you want.

6 DR. BUCHANAN: Yes, why don't you  
7 go ahead?

8 MR. FITZGERALD: All right.

9 DR. BUCHANAN: Because I will ring  
10 off.

11 MR. FITZGERALD: Yes, you have to  
12 leave anyway, but these are ones that I think  
13 are pretty straightforward.

14 Item 5 really gets into the IREP  
15 energy range fractions for photon exposures.  
16 In this case, we focus on building 5171  
17 accelerators. It appears that a single photon  
18 energy distribution is given, and 10 percent  
19 of that measured dose is assigned to certain  
20 energy range, in this case 30 to 250 keV, and  
21 90 percent is assigned to greater than 250  
22 keV. And then, again, that distribution is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 applied to the entire history of accelerator  
2 use over the years at Berkeley without any  
3 distinction during that time period.

4 This gets, I think, to something  
5 that Dr. Richardson raised a little earlier,  
6 which is, you know, there is a dynamic history  
7 of the way the accelerators came on and how  
8 they were operated. We question whether you  
9 can get by with this single energy  
10 distribution covering that length of time for  
11 these accelerators. And that is kind of the  
12 core of that particular question, whether that  
13 is an oversimplification, given sort of this  
14 rich history of accelerator use, of certainly  
15 the different energy ranges that would have  
16 been involved in that use.

17 I think we did get a response from  
18 NIOSH that they would go back and take another  
19 look at what is called The Health Physics  
20 Manual of Good Practices for Accelerator  
21 Facilities and see if that should be adjusted.

22 So, I guess I would turn to Lara.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think that was our concern on that one.  
2 This is on the Rev 01 TBD.

3 DR. HUGHES: Yes, I think the  
4 revision has not changed this guidance. So,  
5 yes, I mean, as you mentioned, we would have  
6 to go back and look at it. There is really no  
7 explanation we have to resolve it right now.

8 CHAIRMAN ZIEMER: Yes, and at the  
9 moment NIOSH has agreed that they need to do  
10 that. So, I guess that is where we stand. It  
11 is a NIOSH action, right?

12 MR. FITZGERALD: Yes, and this is  
13 related to that first one in the sense that it  
14 is the granularity. I think, certainly, it is  
15 possible to come up with the appropriate  
16 range, but this one, we question whether it  
17 would envelope all the years and all the  
18 accelerators.

19 CHAIRMAN ZIEMER: Right. But  
20 NIOSH is saying that they are going to review  
21 this table now and compare it to the  
22 information in the Health Physics Manual of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Good Practice.

2 MR. FITZGERALD: I would even go  
3 further, even beyond that manual.

4 CHAIRMAN ZIEMER: And other --

5 MR. FITZGERALD: And the source-  
6 term review that they are talking about --

7 CHAIRMAN ZIEMER: Right.

8 MR. FITZGERALD: -- the historic  
9 source-term review.

10 CHAIRMAN ZIEMER: Right.

11 MR. FITZGERALD: That would also  
12 help make a decision as to whether that would  
13 be appropriate.

14 CHAIRMAN ZIEMER: Right. And  
15 then, they say, "Additional data capture will  
16 be performed" --

17 MR. FITZGERALD: Right.

18 CHAIRMAN ZIEMER: -- which gets to  
19 that same issue we talked about in item 1,  
20 what were the operations and the time periods,  
21 and so on.

22 MR. FITZGERALD: Yes, this gets to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the dynamic question, the granularity  
2 question, and certain ones we have raised  
3 before. But this applies to how the energy  
4 distribution would be handled.

5 CHAIRMAN ZIEMER: And so, that  
6 appears to be a NIOSH action.

7 And, Dr. Richardson, do you want  
8 to add to this?

9 MEMBER RICHARDSON: No. That  
10 sounds like a good plan forward.

11 CHAIRMAN ZIEMER: Okay. Are we  
12 okay on that, then? I mean in the sense that  
13 NIOSH has the action on this one. Okay.

14 Issue 6?

15 MR. FITZGERALD: Yes, issue 6 --

16 CHAIRMAN ZIEMER: Neutron  
17 dosimetry.

18 MR. FITZGERALD: Issue 6 is kind  
19 of the same issue. And, Ron, jump in before  
20 you leave if I am wrong about this. But, you  
21 know, it is sort of the same energy threshold  
22 question that we have raised in the past and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether the workup in the Site Profile -- and  
2 again, we are going back to Rev 01, 2007. So,  
3 I think it is a rhetorical issue.

4 Of course, it did not reflect some  
5 of the developments and the assessments that  
6 have been done, sort of this issue that has  
7 arrived at a different place that includes  
8 certainly a better recognition on the NTA  
9 cutoff use of even MCNP in some cases to  
10 address the assignment of dose when you get to  
11 the level where the NTA is not responsive.

12 There is also even, I think, some  
13 information out of the Brookhaven review where  
14 there were some questions about whether the  
15 CR-39 and other plastics, whether the  
16 dosimetry involved in that was reliable. I  
17 mean, there's just a number of questions that  
18 I think the Site Profile would benefit from in  
19 terms of reworking the neutron dosimetry  
20 section. That would be a short-form way of  
21 going through all what we put in here in terms  
22 of the details.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           We have not gone through and done  
2 a detailed analysis, but a lot of these issues  
3 are sort of the same sort of issues that we  
4 have raised in the past about reliance on N/P  
5 ratios, the NTA film threshold, and all the  
6 rest, and some of the correction factors that  
7 would have to be put in place.

8           CHAIRMAN ZIEMER:     Well, I think  
9 NIOSH has indicated that they plan to revise  
10 table 6.4, right?    So, that remains to be  
11 done.

12          MR. FITZGERALD:    Right.

13          CHAIRMAN ZIEMER:    And then, there  
14 are some other statements here.  It would seem  
15 to me that, SC&A, you need to evaluate not  
16 only what you see in the revision, but these  
17 additional statements.

18          MR. FITZGERALD:    Yes, we need to  
19 look at the revision that was done in Rev 2  
20 that did add in a lot of what I just said and  
21 see whether or not that answers some of these  
22 issues.  It brings the overall assessment up-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to-date with what we have done already.

2 DR. BUCHANAN: Yes, this is Ron  
3 Buchanan.

4 Yes, we need to go through. Like  
5 I say, we didn't do any in-depth technical  
6 review of Rev 2. So, we need to go through  
7 and see what is covered and not covered. I  
8 mean, I did a scanning of it and I see several  
9 points that were covered and several points  
10 that weren't.

11 And I guess the best way would be  
12 we can either do it one of two ways. We can  
13 go through it and then write like a White  
14 Paper on it and get NIOSH's response. Or, if  
15 NIOSH has a quick solution to some of the  
16 things they said they were going to do, they  
17 could send that to us, and then we could do a  
18 review of it plus the Rev 2 and write a White  
19 Paper on that. So, whichever way you would  
20 like to do it.

21 CHAIRMAN ZIEMER: Well, NIOSH, do  
22 we know at this point what a new table 6.4 is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 going to look like? Or is that something that  
2 is going to require a fair amount of work?

3 You're saying at the end of that  
4 paragraph, "Table 6.4 will be revised  
5 accordingly." That is, I think, accordingly  
6 in terms of what you said above this. So, as  
7 I read that, that would be what I am  
8 understanding you are saying.

9 DR. HUGHES: Yes, it seems to  
10 refer to this issue with the LOD of the CR-39  
11 dosimeters.

12 CHAIRMAN ZIEMER: Right.

13 DR. HUGHES: And I am not really  
14 sure. I would have to go back to the people  
15 involved with the writing of the TBD and it  
16 appears to be that this involves some checking  
17 of the literature and revision of some  
18 numbers.

19 CHAIRMAN ZIEMER: So, maybe  
20 there's two things that could happen here.  
21 One would be for NIOSH to -- well, let me look  
22 at it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Is the only revision going to be  
2           in the LOD value? Or do we know that? In  
3           other words, is --

4           DR. NETON: Is Matt Smith on the  
5           phone?

6           MR. SMITH: Yes, this is Matt.

7           DR. NETON: Can you chime in here?

8           CHAIRMAN ZIEMER: Is it going to  
9           be the 15-millirem for all those periods?

10          MR. SMITH: Well, that is for the  
11          CR-39.

12          CHAIRMAN ZIEMER: Yes, for the  
13          CR-39 only, right. Okay.

14          MR. SMITH: Right.

15          CHAIRMAN ZIEMER: Is that the only  
16          revision we are talking about in that table?

17          MR. SMITH: Yes. Yes.

18          CHAIRMAN ZIEMER: Okay.

19          MR. SMITH: That would be it. The  
20          other items, you know, are addressed in the  
21          revision that is currently --

22          CHAIRMAN ZIEMER: Right. So, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guess, then, that is enough information, Joe.

2 MR. FITZGERALD: Yes.

3 CHAIRMAN ZIEMER: SC&A can proceed  
4 with their review then, knowing that the one  
5 value is going to change in the table.

6 MR. FITZGERALD: Right. If the  
7 LOD for CR-39 is the only thing that might be  
8 revised, I think we could proceed, then, and  
9 provide a White Paper on how neutrons are  
10 treated.

11 DR. BUCHANAN: Yes, I agree.

12 MR. FITZGERALD: Okay.

13 CHAIRMAN ZIEMER: And, again, Dr.  
14 Richardson, additional comments on this one?

15 MEMBER RICHARDSON: Just one small  
16 question, and this is maybe just a standard  
17 thing. It says neutron doses are entered as  
18 chronic exposures. Is that just standard  
19 practice? What is the basis for that?

20 MR. SMITH: Yes, that is a  
21 guidance that is given in the IREP technical  
22 document. It is out on the website, probably

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the same location where you find documents  
2 like IG-001 for external dose.

3 DR. NETON: Yes, it is considered  
4 to be claimant-favorable to enter them as  
5 chronic exposures, I think based on the DDREF,  
6 if I am not mistaken.

7 CHAIRMAN ZIEMER: If the DDREF has  
8 been looked at by the --

9 MR. SMITH: That is the  
10 longstanding, more dramatic thing that we have  
11 been doing since inception here.

12 DR. NETON: Yes, we went through  
13 all the various modes of external exposure and  
14 triaged them based on, if we didn't know what  
15 the exposure pattern was, which mode, chronic  
16 or acute, would give the higher essentially PC  
17 value or give the possibility of a higher PC  
18 value. And chronic would provide a higher PC  
19 than an acute.

20 MR. SMITH: For neutrons.

21 DR. NETON: Yes. And it is  
22 escaping me right now; I used to know the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 function and everything, but I can't remember  
2 off the top of my head.

3 MEMBER RICHARDSON: Okay.

4 CHAIRMAN ZIEMER: Okay. Any other  
5 comments or questions on this one?

6 (No response.)

7 SC&A has the action on that.

8 MR. FITZGERALD: Right, we will  
9 take that.

10 CHAIRMAN ZIEMER: And issue 7,  
11 "failure to justify the shallow dose  
12 assumption".

13 MR. FITZGERALD: Yes, I think  
14 there we didn't see as much treatment on the  
15 subject in the TBD, at least Rev 1, where  
16 workers may have been exposed to significant  
17 shallow dose, and how appropriately would deep  
18 dose be used as an indicator. I think the  
19 concern is that, particularly for the early  
20 years, pre-`79, there really isn't any record  
21 of beta exposure that we could find.

22 So, there is some concern over an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assumption. I guess the assumption was a  
2 factor of three, the ratio of shallow to deep  
3 dose. And there is not a whole lot of  
4 substantiation whether that, in fact, is  
5 claimant-favorable.

6 And again, I think what we  
7 documented, based on interviews and review at  
8 the site, was it appears there's certainly a  
9 number of activities, particularly with the  
10 crafts workers, where you would have had  
11 certainly more of an opportunity for skin  
12 exposure, contamination on the skin. And some  
13 of the shallow dose would have been more  
14 significant in that regard. So, that is where  
15 we see maybe a gap, if you may, in the Site  
16 Profile.

17 Now the OTIBs that are referenced  
18 in the NIOSH response I don't believe were in  
19 place at the time we did the review. Or maybe  
20 they were. Maybe we just didn't account for  
21 them.

22 But we will have to take a look at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 OTIB-10, OTIB-13, and see to the extent that  
2 that would augment what is in the Site  
3 Profile. They weren't referenced and I think  
4 may not have been referenceable back in 2007  
5 anyway. But that might actually provide the  
6 answer to how dose reconstruction would be  
7 done in the shallow dose. So, we need to take  
8 a look at those, and I think that would update  
9 our review from that standpoint.

10 CHAIRMAN ZIEMER: Yes, I am trying  
11 to remember if those OTIBs have been reviewed  
12 by the Procedures Committee.

13 DR. NETON: I think at least one  
14 of them has, the glove box I am pretty  
15 certain.

16 MR. FITZGERALD: One is the glove  
17 box, and the other is the geometric exposure.

18 DR. NETON: The other one is the  
19 geometry. I think that one as well, that  
20 started off with sort of a Mallinckrodt-  
21 specific document.

22 CHAIRMAN ZIEMER: Right, right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right. They have both  
2 been reviewed by Procedures.

3 CHAIRMAN ZIEMER: I don't know if  
4 there are any open items on those, but, Joe, I  
5 think probably the action is just double-  
6 check.

7 MR. FITZGERALD: Yes.

8 CHAIRMAN ZIEMER: And, of course,  
9 Steve --

10 DR. MAURO: Marschke.

11 CHAIRMAN ZIEMER: Huh?

12 DR. MAURO: Steve Marschke.

13 CHAIRMAN ZIEMER: Marschke. I  
14 blanked out there for a minute. Steve  
15 Marschke has that database readily available.  
16 We all do, actually.

17 MR. FITZGERALD: Yes, this might  
18 be just a case of --

19 CHAIRMAN ZIEMER: Check on that.

20 MR. FITZGERALD: Yes.

21 CHAIRMAN ZIEMER: And then, if you  
22 would go back, also, and see if you agree with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 this NIOSH response here?

2 MR. FITZGERALD: Yes, yes. My  
3 sense is that, since these OTIBs were not part  
4 of the 2007 Rev 1 version of the TBD, this  
5 might go a long ways to satisfying the issue  
6 we have, which is there is just no real good  
7 treatment of how you would do it. So,  
8 assuming that the Rev 2 now references that  
9 and would include that, that would do a lot  
10 toward resolving that issue.

11 CHAIRMAN ZIEMER: Okay. So --

12 MR. FITZGERALD: We will take a  
13 look at --

14 CHAIRMAN ZIEMER: The action would  
15 be SC&A to --

16 MR. FITZGERALD: Yes.

17 CHAIRMAN ZIEMER: -- review this  
18 response in detail, as well as those OTIBs,  
19 and make sure that that meets your concerns.

20 DR. MAURO: I think OTIB-17 should  
21 be in that list also -- that deals with non-  
22 penetrating radiation -- along with the other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ones you mentioned, Joe.

2 MR. FITZGERALD: OTIB-17?

3 DR. MAURO: Yes.

4 MR. FITZGERALD: All right.

5 MR. SMITH: Yes, this is Matt.

6 Just a couple of comments.

7 And you're absolutely right, John,  
8 OTIB-17 is now called out in Section 662 of  
9 the current revision.

10 And with respect to the extremity  
11 dose factor of three, it is also in that  
12 section. It is being based on the historical  
13 dose limits that were in place at the time.

14 CHAIRMAN ZIEMER: Okay.

15 MR. SMITH: The discussion of the  
16 rationale for that is given in that section.

17 CHAIRMAN ZIEMER: Dr. Richardson?

18 MEMBER RICHARDSON: No. No  
19 questions.

20 CHAIRMAN ZIEMER: Okay. I think  
21 we can proceed then.

22 MR. FITZGERALD: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN    ZIEMER:            Issue    8,  
2           "uncertainty in beta gamma dosimetry response  
3           to radiation types and energies".

4                   MR. FITZGERALD:   Yes, this gets to  
5           the electroscope data issue.    Yes, I think  
6           there is an acknowledgment that there are some  
7           real questions and certainly a cost-sharing  
8           note about its use.

9                   There was some concern about how  
10          that data would be used in the earlier years  
11          and the fact that there wasn't a whole lot of  
12          information provided in terms of how that  
13          would be applied.  We didn't see any change in  
14          Rev 2.  But the response, I guess, that NIOSH  
15          provided, that there is, in fact, a statement  
16          that highlights that information, the results  
17          from the electroscope data needs to be used  
18          cautiously    and    should    not    be    used  
19          preferentially in terms of film or TLD  
20          results.  I think all that is helpful.

21                   So, we need to take a look at  
22          that, Paul.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Okay.

2 MR. FITZGERALD: But just based on  
3 that response, I think we don't see a major  
4 issue.

5 CHAIRMAN ZIEMER: All right. And  
6 all that electroscope data had to be in the  
7 really early years.

8 MR. FITZGERALD: Yes, yes.

9 CHAIRMAN ZIEMER: Probably in the  
10 forties.

11 MR. FITZGERALD: And is  
12 encompassed by the SEC. So, there's a lot of  
13 qualifiers on this one.

14 CHAIRMAN ZIEMER: It is apparently  
15 pretty sparse and we don't have calibration  
16 information on that.

17 You know, an electroscope is a  
18 pretty basic instrument in a way. If it is  
19 working right, you shouldn't have to calibrate  
20 it because it reads charge per unit volume,  
21 which is the way that the roentgen was  
22 originally defined. It was one electrostatic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unit per cubic centimeter, I believe. It was  
2 a volume, not a mass, at standard temperature  
3 and pressure.

4 So, if the electroscope is working  
5 right, you don't have to calibrate it against  
6 anything because they wouldn't be reading in  
7 length and units, I guess. Or maybe the early  
8 ones just read out in ESUs.

9 But I think the problem was they  
10 got different results with multiple readings  
11 or something. I can't remember exactly what  
12 the problem was.

13 MR. FITZGERALD: There is  
14 something in the literature that suggests that  
15 they had divergent readings.

16 CHAIRMAN ZIEMER: Yes, right.  
17 Right. It didn't match up with the film or  
18 something like that.

19 But let's see. So, SC&A needs --

20 MR. FITZGERALD: Well, we would be  
21 satisfied as long -- this is just one of  
22 these, I am not sure we need to spend a lot of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time on it. I think we are concerned that,  
2 clearly, there was some question about  
3 reliability. If that information is going to  
4 be used, it needs to be used with a high  
5 degree of caution. I think that language has  
6 been added in Rev 2. I'm not sure there's a  
7 whole lot more one could do with that.

8 CHAIRMAN ZIEMER: Right. I mean,  
9 it is the only information there.

10 MR. FITZGERALD: It is the only  
11 information you've got.

12 CHAIRMAN ZIEMER: They might try  
13 to use it in some way for bounding a dose or  
14 something; I don't know.

15 MR. KATZ: Right. And if it is  
16 for pre-`48, you are not even doing those  
17 external doses.

18 DR. NETON: Well, we are.

19 MR. KATZ: But the SEC says that  
20 you don't have information for prior to `48 to  
21 get external --

22 DR. NETON: Does it?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes.

2 DR. NETON: Ted is more familiar  
3 with it.

4 MR. KATZ: Yes. So, it knocks out  
5 that as well as the internal.

6 CHAIRMAN ZIEMER: Yes, `42 to `48,  
7 you had neither, and then in `48 to `60 it was  
8 -- so, it may be a moot point in that sense.

9 MR. FITZGERALD: Right.

10 CHAIRMAN ZIEMER: You guys go back  
11 and make sure.

12 MR. FITZGERALD: I think we can go  
13 back, but I think the additional language puts  
14 it in better perspective. I think, again,  
15 there was some concern about having it put out  
16 there but without any additional qualifiers  
17 about using it.

18 CHAIRMAN ZIEMER: Right. And in  
19 electroscope days, there aren't going to be  
20 any TLDs to compare with. They didn't exist  
21 then.

22 MR. FITZGERALD: No. No. See,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the only thing we threw out there was in the  
2 literature -- and this is on the O: drive --  
3 when they did, in fact, do some comparison  
4 studies, it was pretty divergent. I mean,  
5 obviously, they are going to be very much --

6 CHAIRMAN ZIEMER: They could have  
7 compared the films, I guess.

8 MR. FITZGERALD: Yes.

9 CHAIRMAN ZIEMER: Okay. All  
10 right. Dr. Richardson, do you have any  
11 comments on this one?

12 MEMBER RICHARDSON: No, no.

13 CHAIRMAN ZIEMER: Thank you.

14 Okay. Issue 9, "X-ray exposures  
15 are uncertain".

16 MR. FITZGERALD: I would be  
17 hesitant to ask for more on medical X-rays.

18 (Laughter.)

19 I think we did have some questions  
20 that we raised in the finding itself, as you  
21 can see. You know, where did the workers get  
22 the exams and the rest of that? But most of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those, if not all of them, were, in fact,  
2 treated in Rev 2.

3 I think we would want to go back  
4 and just walk through that in detail, but my  
5 read is it is certainly a more complete  
6 section on the TBD.

7 CHAIRMAN ZIEMER: Yes, I guess  
8 let's just ask you to evaluate this recent  
9 response.

10 MR. FITZGERALD: Right. But it is  
11 pretty substantive now. I think we kind of  
12 touched on that earlier, that that section was  
13 done with a great deal of enthusiasm.

14 (Laughter.)

15 CHAIRMAN ZIEMER: So, SC&A is  
16 going to come back with a finding that it is  
17 too much information?

18 (Laughter.)

19 MR. FITZGERALD: I would doubt we  
20 would have much more to add on Rev 2. But  
21 definitely an improvement off of Rev 1 on  
22 X-rays.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN    ZIEMER:        All    right.  
2    Okay.    Dr. Richardson, any comments on Issue  
3    9?

4                   MEMBER RICHARDSON:    No.

5                   CHAIRMAN ZIEMER:    No?    Okay.

6                   Okay, Issue 10?

7                   MR.    FITZGERALD:        Issue 10, this  
8    gets tied into the SEC in a long way.    Some of  
9    the uncertainties that we saw in terms of the  
10   actual dose estimation calculations prior to  
11   1961, whether it is MDAs, whether it was the  
12   actual use of the claimant files, I mean, this  
13   is sort of made moot by the SEC.    So, again,  
14   this gets back to how the Work Group wants to  
15   handle it.

16                   I think we did have some issues  
17   and questions about how the dose estimations  
18   would be done prior to `61 because of the  
19   problems with the lack of information.    I  
20   think that has been made moot because I think  
21   NIOSH agrees and has recommended the SEC.

22                   So, we really don't think we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 an issue, unless the Work Group wants us to  
2 look at something.

3 CHAIRMAN ZIEMER: From my point of  
4 view, this one is closed.

5 MR. FITZGERALD: Yes, that is kind  
6 of where we are at, too.

7 CHAIRMAN ZIEMER: Let me ask Dr.  
8 Richardson if he agrees.

9 MEMBER RICHARDSON: I think that  
10 is right, yes.

11 CHAIRMAN ZIEMER: Okay. So, there  
12 is no issue here. No followup needed. So, we  
13 consider that a closed issue.

14 MR. FITZGERALD: Issue 11 actually  
15 overlaps an earlier issue. Again, this is the  
16 diversity of nuclides that were in use at  
17 Berkeley and to the extent one had to address  
18 those in a more complete way and demonstrate  
19 that the MDAs and the in vitro/in vivo  
20 bioassay programs were appropriately done.

21 I think NIOSH's response also  
22 echos the fact that their response is the same

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 as it was before on the MDA. So, I think this  
2 is in a lot of ways repetitive.

3 DR. NETON: Yes, this is going to  
4 be addressed by the completeness and the --

5 MR. FITZGERALD: Adequacy.

6 DR. NETON: -- adequacy --

7 MR. FITZGERALD: Right.

8 DR. NETON: -- of the modeling  
9 program.

10 MR. FITZGERALD: I mean, this was  
11 framed a little differently, but, in essence,  
12 it is a similar issue.

13 DR. NETON: Yes, almost the same  
14 issue.

15 MR. FITZGERALD: This gets more  
16 specific about certain things, like thorium,  
17 plutonium --

18 DR. NETON: Right.

19 MR. FITZGERALD: -- curium,  
20 actinium, but it is the same issue in terms of  
21 source-terms. So, I would recommend that it  
22 be subsumed under the adequacy and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 completeness piece.

2 CHAIRMAN ZIEMER: Okay. Which is  
3 No. 2.

4 MR. FITZGERALD: Two and 4, I  
5 think.

6 CHAIRMAN ZIEMER: Right. So, we  
7 will just indicate that addressing Issue 2 and  
8 4 will take care of Issue 11.

9 Again, let me ask Dr. Richardson  
10 if he agrees with that.

11 MEMBER RICHARDSON: Yes.

12 CHAIRMAN ZIEMER: Okay. We're  
13 sailing along here.

14 MR. FITZGERALD: I tried to put  
15 the harder ones upfront.

16 CHAIRMAN ZIEMER: Right.

17 We're up to Issue 12. This is  
18 "failure to provide sufficient guidance for  
19 unmonitored workers."

20 MR. FITZGERALD: This is the  
21 coworker issue, which I think Lara mentioned  
22 there is not a coworker model per se.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HUGHES: No.

2 MR. FITZGERALD: Is that right?

3 So, this is a little bit of a  
4 question whether in NIOSH's judgment there is  
5 a need for one, given the completeness of the  
6 information at hand.

7 CHAIRMAN ZIEMER: Will this be  
8 partially answered by the completeness  
9 question?

10 MR. FITZGERALD: I think so.

11 DR. NETON: This is about like  
12 what happened at a number of facilities where,  
13 once we evaluate all the available data, we  
14 may still have the position that we don't need  
15 a coworker model because all the people that  
16 were potentially exposed were appropriately  
17 monitored. And if not, then we do allow for a  
18 possibility here; we will have to go back and  
19 develop methods.

20 MR. FITZGERALD: And this also  
21 gets into the one where we are talking about  
22 exposure pathways. If there is one where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 monitoring was not done --

2 CHAIRMAN ZIEMER: Right.

3 MR. FITZGERALD: -- then the  
4 question is, well, how would you -- there  
5 might be, in fact, a way to do it, but it  
6 hasn't been proposed yet.

7 CHAIRMAN ZIEMER: Do we know at  
8 this point whether there were groups within  
9 the restrictive area of what we call Berkeley  
10 laboratory, whether there were unmonitored  
11 workers like clerical workers?

12 DR. HUGHES: We have something to  
13 show there was.

14 MR. FITZGERALD: Yes, there  
15 definitely was. It was a research campus. I  
16 mean, not everybody was --

17 CHAIRMAN ZIEMER: Not everybody  
18 was monitored?

19 MR. FITZGERALD: That's right.

20 DR. NETON: This will be fleshed-  
21 out in our response to those other issues.

22 CHAIRMAN ZIEMER: So, what will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 happen on this one, presumably, is that after  
2 the other stuff is addressed on completeness  
3 and adequacy, the NIOSH response here may  
4 change or --

5 DR. NETON: Correct.

6 CHAIRMAN ZIEMER: -- or be added  
7 to? So, the next step would be an expansion  
8 of the NIOSH response or you would say, based  
9 on what you found, this is our response.

10 DR. NETON: Right, exactly.

11 CHAIRMAN ZIEMER: Either way. So,  
12 it is NIOSH. Okay.

13 Dr. Richardson, any additional  
14 comments on this one?

15 MEMBER RICHARDSON: No. I think  
16 they just need to follow up with that.

17 CHAIRMAN ZIEMER: Okay. I assume  
18 others will chime in if they have comments,  
19 John Mauro or --

20 MR. FITZGERALD: Yes, this is the  
21 logical fallout --

22 CHAIRMAN ZIEMER: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MR. FITZGERALD:        -- once we  
2 complete adequacy and completeness, as to  
3 whether unmonitored workers --

4                   DR. MAURO:        Yes, I have no  
5 additional comments.

6                   CHAIRMAN ZIEMER:    Yes. Issue 13,  
7 "inadequate coverage of occupational  
8 environmental dose." Joe?

9                   MR. FITZGERALD:    Yes, I mean,  
10 there we felt that there wasn't as -- and this  
11 sort of ties into the very first finding we  
12 made. There is a need for more comprehensive  
13 description of the historical environmental  
14 dose that existed.

15                               And this sort of gets to the lack  
16 of coverage on accelerators and the history of  
17 accelerator operations, in the sense that  
18 there were, as you know, some emissions from  
19 target areas that would have represented  
20 environmental exposures, but since there  
21 wasn't really a very granular discussion of  
22 accelerator operations in those source-terms,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you don't get a very good perspective on what  
2 those sources might have been onsite.

3           There is a maximum sitewide value  
4 that is used, but it is difficult to know what  
5 the basis for that is without having these  
6 other things addressed.

7           Now, certainly, one issue that is  
8 very useful to have reflected -- and again, I  
9 wasn't involved in the specific finding -- but  
10 in terms of the Cobalt-60 irradiator in '74, I  
11 think the benchmarks that NIOSH provided  
12 suggest that that very minimally contributes  
13 to external exposure to workers that were  
14 outside that particular operation. I think  
15 that was one question that was highlighted in  
16 the Site Profile review that SC&A deducted.  
17 So, I think that is a response to that  
18 particular one.

19           And the question about I-131 as  
20 being a benchmark, a more suitable benchmark,  
21 I think, Lara, it looks like NIOSH agrees that  
22 maybe I-131 might be a better bounding value.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Is that what that basically says?

2 DR. NETON: Well, for thyroid.

3 MR. FITZGERALD: For thyroid I  
4 mean.

5 CHAIRMAN ZIEMER: Is that yet to  
6 be done?

7 DR. NETON: Yes, it says,  
8 "guidance will be provided." I think we need  
9 to modify the Site Profile here to include  
10 guidance to pay attention to the metabolic  
11 organ that might be maximized in a given  
12 exposure scenario.

13 I haven't looked at -- I don't  
14 know what is documented in their file. But I  
15 think we would agree with the statement. So,  
16 we will modify the Site Profile accordingly.

17 MR. FITZGERALD: I think, Paul,  
18 this goes sort of hand-in-glove with a little  
19 more detailed operational description which  
20 would then give you a better perspective if  
21 there are environmental emissions which would  
22 be from target areas. You might get a better

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 picture on what the source-term would be from  
2 the sitewide standpoint.

3 CHAIRMAN ZIEMER: You are  
4 suggesting here that, once we deal with Issue  
5 1, just some question on the historical --

6 MR. FITZGERALD: I think this  
7 question of whether or not you would get a  
8 better sense of what the environmental dose  
9 would be -- I wouldn't think this would be a  
10 separate enterprise. I think it would just  
11 be, are there any environmental sources that  
12 weren't picked up in that section that would  
13 obviously come from an operational review?  
14 And would that change the conclusion about  
15 what the ambient environmental dose would be?  
16 It may not.

17 CHAIRMAN ZIEMER: Dr. Richardson,  
18 what comments do you have on this one?

19 MEMBER RICHARDSON: I don't think  
20 I have any further. It looks like NIOSH is  
21 going to, if I am understanding this, NIOSH is  
22 going to update the guidance on iodine, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their conclusion regarding the cobalt-60 is  
2 that it is very small.

3 CHAIRMAN ZIEMER: Well, Joe, you  
4 were hinting at the possibility that there  
5 might have been additional environmental  
6 levels from the cyclotron operations?

7 MR. FITZGERALD: Well, yes. What  
8 I am saying, if you do an operational history  
9 workup on the accelerators, the question I  
10 would have, would that give you any additional  
11 information of what emissions might be  
12 relevant on the environmental side or not?  
13 Like I said, I do not know if that would or  
14 not.

15 I think the dose significance  
16 probably was relatively small from that  
17 source, but it would be a useful thing as an  
18 adjunct to looking at the accelerators and  
19 coming up with that description, to see if  
20 there was anything that would change your mind  
21 on the environmental side.

22 I think the finding here was that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there was not a whole lot of description on  
2 what the historic environmental sources might  
3 be. And I think that is sort of the same  
4 thing that we were saying earlier. It sort of  
5 goes by the original --

6 CHAIRMAN ZIEMER: I am not sure I  
7 remember reading even -- was the shielding in  
8 the early cyclotrons based on the early NCRP-  
9 recommended limits to the public? Or do you  
10 recall, Jim?

11 DR. NETON: I don't recall.

12 CHAIRMAN ZIEMER: If you go back,  
13 they are quite a bit higher than recommended  
14 nowadays.

15 We had a cyclotron at our place at  
16 Purdue that was one of the early ones and  
17 based on the Berkeley design. And I tell you  
18 that, when it was operating, we had some  
19 pretty high backgrounds in surrounding labs  
20 and classrooms that would not be allowed  
21 today.

22 I am just wondering, do we know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what those were?

2 DR. NETON: No, not off the top of  
3 my head.

4 CHAIRMAN ZIEMER: No?

5 DR. NETON: It's got to be  
6 fleshed-out.

7 CHAIRMAN ZIEMER: Yes, so maybe  
8 this will flesh-out as No. 1 is fleshed-out.

9 But what is going to happen here  
10 next? Is this one where, as you get into the  
11 other parts, NIOSH, you will look at this and  
12 see whether your response changes?

13 DR. NETON: Well, I think the  
14 second part would be the use of effective dose  
15 equivalence. There is a valid point that,  
16 depending upon which radionuclide a person is  
17 inhaling and which cancer they have, you know,  
18 they could be different. Effective dose is,  
19 obviously, averaged over a number of different  
20 organs.

21 So, I think we need to go back and  
22 pay a little more attention here on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 assignment of internal dose from environmental  
2 intakes.

3 CHAIRMAN ZIEMER: Okay. Mainly  
4 the internal dose you would be concerned with?

5 DR. NETON: Right.

6 CHAIRMAN ZIEMER: Do you think?

7 DR. NETON: I think so. I mean, I  
8 am looking at the Site Profile. We have  
9 intakes for gross alpha/beta tritium and  
10 carbon-14. I think the contention may be that  
11 what is included in that gross beta, is it  
12 strontium-90, is it iodine-131, you know, that  
13 sort of thing?

14 CHAIRMAN ZIEMER: Yes.

15 DR. NETON: And depending on what  
16 nuclide it is, it could make a difference in  
17 the reconstructive dose to a certain cancer.  
18 So, I think we need to go back, do a little  
19 homework, and look at the potential mix of the  
20 different betas that could have been present,  
21 and iodine possibly being one of them.

22 CHAIRMAN ZIEMER: Right. Iodine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and whether or not there is a significant  
2 strontium component.

3 DR. NETON: Right.

4 CHAIRMAN ZIEMER: Okay. Joe, does  
5 that seem to address what your concerns are at  
6 the moment?

7 MR. FITZGERALD: Yes, pretty much.

8 CHAIRMAN ZIEMER: Okay. That gets  
9 us through the matrix.

10 Well, I have here "General  
11 Discussion: Major Issues and Concerns". We  
12 have already identified those.

13 So, the next steps and planning is  
14 what is before us. It seems to me there is a  
15 fair amount of work that has to be done here.

16 So, this is not going to be real fast,  
17 particularly if there is additional data  
18 capture. Since we don't have another SEC  
19 before us at the moment, I don't see a big  
20 urgency on this.

21 Can you give us a rough idea of  
22 how many claims have we received from this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 site and how many have been processed? Is  
2 that a number you have readily, Jim?

3 DR. NETON: Yes, I can get that.  
4 My recollection is it may be 100-something;  
5 139 rings a bell, but it is probably wrong.  
6 Lara is getting it.

7 You're clicking faster than I can.  
8 I have a handicapped index finger.

9 (Laughter.)

10 DR. HUGHES: Okay, 199 cases  
11 total.

12 CHAIRMAN ZIEMER: Received cases?

13 DR. HUGHES: Yes, received, of  
14 which 157 are completed.

15 CHAIRMAN ZIEMER: All right.  
16 There's some still in process then?

17 DR. HUGHES: There's nine active  
18 claims and 33 are pulled.

19 CHAIRMAN ZIEMER: Nine active, and  
20 what is it?

21 DR. HUGHES: Thirty-three called  
22 "pulled," which can be a variety of reasons.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ZIEMER:   Does that mean  
2                   it has been sent back to Labor?

3                   DR. NETON:    Yes.

4                   DR. HUGHES:   Yes.

5                   CHAIRMAN ZIEMER:   Well, that could  
6                   be SECs?

7                   DR. NETON:    That could be SECs,  
8                   although I would think there might be more  
9                   than that.

10                  CHAIRMAN ZIEMER:   You would think  
11                  there would be more.

12                  DR. NETON:    Or maybe they were  
13                  pulled -- well, yes, I don't know.    Good  
14                  question.    Normally, about 60 percent of our  
15                  cases are SEC cases.

16                  DR. HUGHES:    Yes, so largely SEC  
17                  pulls, it seems like.

18                  DR. NETON:    Yes, they are SEC  
19                  pulled.    So, they were pulled for the SEC.  
20                  Maybe they were in progress at the time or --

21                  DR. HUGHES:    Yes.

22                  DR. NETON:    -- no decision had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       been made.

2                   MR. KATZ:     So, why would they be  
3       on hold then?

4                   DR. NETON:     No, pulled.     Pulled  
5       means that they are off of our --

6                   MR. KATZ:     Yes, pulled.     So, they  
7       are off the slate?

8                   DR. NETON:     They are off our  
9       slate, and we never return a case, but,  
10      essentially, it has been returned to the  
11      Department --

12                   CHAIRMAN    ZIEMER:       Right.     On  
13      completed cases, if you had your usual roughly  
14      30 percent successes for meeting the PoC  
15      value --

16                   DR. NETON:     Right.     Correct.

17                   CHAIRMAN    ZIEMER:       -- that would  
18      mean you would have around 50 cases --

19                   DR. NETON:     Remaining.

20                   CHAIRMAN    ZIEMER:       -- 50 that were  
21      compensated?

22                   DR. NETON:     Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: And then --

2 DR. HUGHES: They have greater  
3 than 50 percent referred to --

4 CHAIRMAN ZIEMER: And usually, the  
5 rate for SEC cases is usually closer to 60 to  
6 65 percent.

7 DR. HUGHES: Right.

8 DR. NETON: Right.

9 CHAIRMAN ZIEMER: Which means  
10 that, of the other 100, you would expect about  
11 60 of those to be --

12 DR. NETON: SEC.

13 CHAIRMAN ZIEMER: -- SEC. So, the  
14 30 doesn't seem high enough.

15 DR. NETON: Yes.

16 CHAIRMAN ZIEMER: Well, in any  
17 event, there's --

18 DR. NETON: I don't think we list  
19 on our website as pulled if it has already  
20 been completed and returned to the Department  
21 of Labor.

22 DR. HUGHES: That's correct.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: I don't think we call  
2 that a pulled case. These would have been  
3 cases that were in process at some point.

4 CHAIRMAN ZIEMER: Oh, I got you.  
5 I got you.

6 DR. NETON: Yes, yes.

7 CHAIRMAN ZIEMER: So, some of  
8 those that were returned could have gone into  
9 the SEC anyway.

10 DR. NETON: Right.

11 CHAIRMAN ZIEMER: And you wouldn't  
12 necessarily know it?

13 DR. NETON: Right, exactly.

14 CHAIRMAN ZIEMER: Got you. Got  
15 you.

16 DR. NETON: Exactly.

17 CHAIRMAN ZIEMER: Okay.

18 DR. HUGHES: For example, the  
19 petitioner, I think she initially had a dose  
20 reconstruction that was less than the  
21 compensation value, but eventually her claim  
22 was compensated under the SEC.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ZIEMER: Got you. Okay.

2 MR. KATZ: And Stu will give  
3 details on this when we do your presentation  
4 for the --

5 CHAIRMAN ZIEMER: Right. Yes.

6 MR. KATZ: -- Berkeley meeting.

7 CHAIRMAN ZIEMER: But let me get  
8 some sort of feel from NIOSH. This is  
9 February. Are we likely to be ready to go in  
10 July or August? And I know there's a lot of  
11 priority stuff that is pushing. You know, we  
12 are trying to finish up a number of places  
13 that there are sort of more urgent --

14 DR. NETON: SECs.

15 CHAIRMAN ZIEMER: And SECs.

16 DR. NETON: You mean to have full  
17 responses and revisions where we deem  
18 appropriate? I would say the August timeframe  
19 is probably more likely than July, but I am  
20 reluctant to give any definitive time.

21 CHAIRMAN ZIEMER: Well, I am just  
22 trying to -- we don't have to decide today

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that far ahead. But probably thinking about a  
2 Work Group meeting sometime in maybe September  
3 or something like that or October even.

4 DR. NETON: I think we should be  
5 able to do something by then.

6 CHAIRMAN ZIEMER: August is six  
7 months off.

8 MR. KATZ: You want the Work Group  
9 ahead of doing any TBD actual revisions,  
10 right? You won't actually revise the TBD  
11 again --

12 DR. NETON: Right. Yes.

13 MR. KATZ: -- prior to holding the  
14 Work Group meetings.

15 DR. NETON: No, we will have our  
16 positions outlined and White Papers done --

17 MR. KATZ: Yes.

18 DR. NETON: -- and that sort of  
19 thing.

20 MR. KATZ: And SC&A's input on all  
21 this.

22 DR. NETON: Right. Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ZIEMER:    So, I am going  
2                   to make a note here, and then we can track  
3                   this.    Target mid-September for Work Group  
4                   meeting, just as a rough timetable.

5                   And then, if NIOSH finds that  
6                   there is going to be a delay, for whatever  
7                   reason, whether it is getting the information  
8                   or other pressing things, you say, "You know,  
9                   we're not going to be able to get you  
10                  materials in time."

11                  To some extent, Joe, there are  
12                  some things you guys can probably do right  
13                  away pretty easily, but you just do them and  
14                  have them ready, and other things you are  
15                  going to be dependent on NIOSH's output.

16                  MR. FITZGERALD:    Right, right.

17                  CHAIRMAN ZIEMER:    So, I think we  
18                  would be all right.    Ted, what do you think  
19                  about --

20                  MR. KATZ:    Yes, and if things move  
21                  along more quickly for some reason, that's  
22                  great.    We will push things up.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ZIEMER:    So, we won't set  
2                   an actual date today.    We will have to get  
3                   input from Dr. Lemen also.

4                   And I also want to find out  
5                   whether Dr. Melius wants to have any  
6                   alternates ready for Work Groups or not.

7                   MR. KATZ:        Alternates for this  
8                   group?

9                   CHAIRMAN ZIEMER:   Yes.   Maybe not.

10                  MR. KATZ:        Yes, I think he is  
11                  trying to keep them streamlined, these Work  
12                  Groups.

13                  CHAIRMAN        ZIEMER:                Yes,  
14                  streamlined.

15                  MR. KATZ:        Three Members, when it  
16                  is possible.

17                  CHAIRMAN ZIEMER:   Well, I mean, we  
18                  have made pretty good progress here.

19                  MR. KATZ:        Yes.

20                  CHAIRMAN ZIEMER:   I think we can  
21                  move it along.

22                  Okay.    I believe that completes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our tasks for today.

2 MR. KATZ: Yes. I think  
3 everybody, both DCAS and SC&A, keep the Work  
4 Group in the loop with your memos back and  
5 forth and pushing these issues along.

6 MR. FITZGERALD: Yes, I think what  
7 you are going to see is some of the analyses,  
8 White Paper analyses we can do now, like on  
9 neutrons and whatnot.

10 MR. KATZ: Right.

11 MR. FITZGERALD: So, maybe in the  
12 next couple of months or so you will see  
13 those.

14 CHAIRMAN ZIEMER: And let me ask  
15 you, is John Stiver still on the phone?

16 MR. KATZ: John Stiver, are you  
17 still with us?

18 (No response.)

19 No?

20 MR. STIVER: Yes, this is John. I  
21 just had my phone on mute.

22 CHAIRMAN ZIEMER: Oh, John, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 heard this discussion, and I just wanted to  
2 see if, from a management point of view, any  
3 issues or concerns for SC&A?

4 MR. STIVER: Based on what I have  
5 heard today, I don't see that there are any  
6 big concerns. I think we will be able to meet  
7 these deadlines without any problem.

8 CHAIRMAN ZIEMER: Okay.

9 MR. KATZ: Okay. And do you need  
10 any support, Paul, for giving an update at the  
11 Board meeting?

12 CHAIRMAN ZIEMER: No, I don't plan  
13 to go through the matrix and give any detail.

14 MR. KATZ: Oh, no.

15 CHAIRMAN ZIEMER: I am just going  
16 to report that we have met, that we have gone  
17 through the issues matrix. We have had  
18 discussions on each item, that SC&A and NIOSH  
19 have specific tasks they are following up on,  
20 and that we are moving ahead on those issues.  
21 So, it will be very brief.

22 Well, there won't be petitioners

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there, but if there are site people there that  
2 have specific questions or want to provide  
3 information, why, we'll be there.

4 MR. KATZ: Because you are paired  
5 up with Joe, who will be covering Stanford  
6 Linear Accelerator --

7 CHAIRMAN ZIEMER: Right.

8 MR. KATZ: -- giving a brief  
9 update on that as well for the local audience.

10 Stu will cover how things are  
11 going with dose reconstruction, and so on,  
12 upfront.

13 But okay.

14 MR. FITZGERALD: And I guess all  
15 the relevant reports will be available, if  
16 they want to see them.

17 MR. KATZ: Sure.

18 CHAIRMAN ZIEMER: Right. Okay.

19 MR. KATZ: Thank you, everyone.

20 CHAIRMAN ZIEMER: Dr. Richardson,  
21 any further comments or questions?

22 MEMBER RICHARDSON: No, I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the proposed note that you have for aiming for  
2 September sounds good.

3 CHAIRMAN ZIEMER: Okay. Then,  
4 with that, we will adjourn.

5 Thank you.

6 (Whereupon, at 11:35 a.m., the  
7 meeting was adjourned.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701