

This transcript of the Advisory Board on Radiation and Worker Health, Hanford Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Hanford Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL 1
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON HANFORD

+ + + + +

FRIDAY
NOVEMBER 30, 2012

+ + + + +

The Work Group convened telephonically at 11:00 a.m. Eastern Standard Time, James M. Melius, Chairman, presiding.

PRESENT:

JAMES M. MELIUS, Chairman
BRADLEY CLAWSON, Member
JOHN W. POSTON, Member
PHILLIP SCHOFIELD, Member
PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Hanford Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Hanford Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal Official
FRED DUNCAN, ORAU Team
TOM FOULDS
SAM GLOVER, DCAS
STU HINNEFELD, DCAS
TOM LABONE, ORAU Team
JENNY LIN, HHS
JOYCE LIPSZTEIN, SC&A
ARJUN MAKHIJANI, SC&A
JOHN MAURO, SC&A
PHILLIP SCHULTZ, ORAU Team
SCOTT SIEBERT, ORAU Team
JOHN STIVER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Hanford Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Hanford Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

A G E N D A

WELCOME AND ROLL CALL	4	3
NIOSH PRESENTATION ON HANFORD DOSE RECONSTRUCTION METHODS FOR INTERNAL DOSE ESTIMATES	7	
DISCUSSION OF RELATED QUALITY ASSURANCE ISSUES	39	
PETITIONER COMMENTS OR QUESTIONS	46	
RECOMMENDATION ON SEC PETITION 155	63	
ADJOURN	68	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S 4

2 (11:00 a.m.)

3 MR. KATZ: Okay, it's 11:00 a.m.

4 Good morning, everybody. This is the Advisory
5 Board on Radiation and Worker Health, the
6 Hanford Work Group. And let's first get
7 started with roll call, beginning with Board
8 Members. We're speaking about a specific
9 site, so please speak to conflict of interest
10 for all Board Members and agency-related
11 personnel, beginning with the Chair.

12 (Roll call.)

13 MR. KATZ: A note for everybody, we
14 have materials related to this call on the
15 NIOSH website, as well as, they should have
16 been distributed to interested parties and
17 certainly to all Board Members and related
18 staff. And the principal material is a
19 presentation from NIOSH, and also the agenda
20 for this meeting. And you can also find them
21 posted on the NIOSH website under the Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 section, under the meeting section, under
2 today's date. So, you can go there and find
3 that if you don't have that already in your
4 hands.

5 Let me just also make a note, for
6 the benefit particularly of Mr. Foulds. Mr.
7 Foulds provided last night and I provided this
8 morning when I received them several materials
9 for the Board Members and related staff. And I
10 just want to note, particularly for Mr.
11 Foulds' benefit, that the Work Group will not
12 be discussing any individual cases, including
13 a case that might be represented by Mr.
14 Foulds, so those individual materials that are
15 details from someone will not be taken up by
16 the Work Group.

17 Otherwise, let me just note for
18 everybody, please, if you're not addressing
19 the group, put your phone on mute, because it
20 will improve the audio for everybody,
21 including the court reporter. If you don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have a mute button, press *6, that will mute
2 your phone. And then to take your phone off of
3 mute, press *6 again. And, also, please,
4 nobody put the call on hold at any point. If
5 you need to leave the call for a piece, hang
6 up and dial back in. Thank you.

7 CHAIRMAN MELIUS: Okay. Thank you,
8 Ted. At our prior Work Group meeting where we
9 were discussing this petition, 00155 regarding
10 Hanford, we had at that meeting reviewed and
11 discussed the SC&A review of the NIOSH
12 Evaluation Report regarding this petition, and
13 we had talked about a number of the issues
14 related to U.S. Testing. So, at the end of
15 that discussion, we had decided at least one
16 other issue we wanted to discuss as a Work
17 Group, which was to have a little bit better
18 understanding of the dose reconstruction
19 methods that were being used for internal dose
20 for this particular situation. So, we asked
21 for NIOSH to develop a presentation for us on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those dose reconstruction methods. 7

2 So I will start the call today; I
3 think Sam will be doing this presentation. We
4 all have the PowerPoint slides that he
5 prepared, and then we'll discuss those. So,
6 Sam, you're ready to go?

7 DR. GLOVER: Yes, sir.

8 CHAIRMAN MELIUS: Okay. Before I do
9 that, any Board Members have any questions on
10 procedure or otherwise? If not --

11 MEMBER ZIEMER: I have none. This
12 is Ziemer.

13 CHAIRMAN MELIUS: Thanks, yes,
14 Paul.

15 Go ahead then, Sam.

16 DR. GLOVER: I want to mention in
17 the folder this material was transferred to
18 you guys, I also provided some of the quality
19 assurance reports in that time period.

20 MR. FOULDS: I'm sorry, Sam, can
21 you speak up a little bit? You're hard to hear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on this end.

8

2 DR. GLOVER: Yes, I'll do the best
3 I can here. I'm having to do this at home
4 since I'm sick. I included several references
5 from the QC program from PNNL in that folder
6 for your guys' easy and convenient access.
7 Also, three, actually four documents from PNNL
8 that kind of summarized -- they were doing a
9 plutonium fecal analysis program because of
10 their concerns about Super S. So, for that
11 it's pretty direct and to the point related to
12 some of the different materials, so those are
13 in there, as well. So, let me just -- some of
14 this front material I'm going to go through
15 fairly quickly, so I'll just -- unfortunately,
16 the slides aren't numbered, but hopefully you
17 can just keep track of them on the tab
18 numbers. As I change slides, I will make sure
19 that I say where we're at.

20 All right, so Slide 2. All right,
21 so briefly just a very quick overview. I did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 focus on the bioassay program, focusing on
2 Super S and the fecal sampling. I want to
3 discuss a little bit about OTIB-49. I didn't
4 know who did part of Rocky Flats and how
5 familiar you are with OTIB-49, which is our
6 Super S dosimetry, how we deal with Super S.
7 And then also how we deal with OTIB-49 at
8 Hanford.

9 I didn't get into the
10 presentation. I was way late, maybe like
11 yesterday asking ORAU in a conference call.
12 They've done their best to verbally summarize
13 what the changes would be to these, but
14 essentially we'll go through that as well, at
15 the very end. I'll give them an opportunity to
16 discuss what they reviewed in the 11 cases out
17 of probably 8,000 cases that have fecal
18 samples used at Hanford. So, there's not many
19 of these.

20 So, just to get you all back,
21 we're going to be on Slide 3. This is November

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Hanford Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Hanford Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 10, 2009. The petitioner requested all
2 personnel who were internally monitored, urine
3 or fecal, who worked at the PFP, the 200 Area
4 at Hanford in a very narrow time frame,
5 January 1, 1987 through December 31st, 1989.

6 Qualified for evaluation May 3rd,
7 2010, and it was based on falsification of the
8 records, potential falsification of records.
9 Number 4, please. There were four SEC Classes,
10 just to make sure we're all on the same page.
11 The four SEC Classes previously enacted in
12 Hanford, the very beginning one `43 to `46,
13 `46 to `68, then we subsumed that to be all
14 areas, because those others were very
15 specific, and then this most recent one we've
16 added July 1, 1972 through December 31st,
17 1983. These all have individual SEC numbers.
18 They were added as -- essentially, they were
19 really part of SEC 55. And that was requested
20 up through -- I'm sorry, 57, page 5, SEC 57
21 petition requested through 1990, so the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Hanford Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Hanford Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Advisory Board and NIOSH continue to review ^{II}
2 post-1983.

3 SEC 155 was encompassed by this
4 previous SEC; however, the data or the request
5 is so specific and focused we deemed it
6 appropriate for separate review, again, based
7 on falsification of data.

8 And just to refresh everybody's
9 memory, it was based on evidence from the U.S.
10 EPA of purposeful wrongdoing by U.S. Testing,
11 resulted in NIOSH determining that issues
12 regarding the quality of the bioassay data
13 require further investigation. This is page 6,
14 I apologize. The intent of NIOSH is separate
15 evaluation of SEC 155, which will ensure that
16 issues identified with U.S. Testing's non-
17 bioassay program did not also adversely affect
18 the company's bioassay analysis.

19 Now, that being said, most of the
20 discussions we were talking about really were
21 driven by the bioassay, what was going on with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the fecal analysis program and concerns about
2 the quality control, so we'll focus on that.

3 So, we were again evaluating this
4 time period from January 1, '87 through
5 December 31st, '89. While the location was
6 specified employees who worked only at the
7 PFP, Plutonium Finishing Plant, the evaluation
8 was focused on the overall program of bioassay
9 which applies to all hands.

10 Page 8. So, just to refresh your
11 memory on some of the sources of exposure, and
12 I've starred two of them because those are
13 ones that PNNL specifically were concerned
14 about as being related to fresh -- that means
15 has no americium-241 -- freshly produced
16 plutonium that could produce Super S material.
17 So, that would have been the weapons-grade
18 metal production in the remote mechanical
19 line, mechanical C, RMC line. And there also
20 had other areas in the PFP, obviously, major
21 plutonium facility, so they also had the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 plutonium reclamation facility and
2 miscellaneous glove box treatment operations,
3 analytical lab operations, development
4 laboratory operations, and also in this time,
5 the Poly Q process, a mixture of polystyrene
6 and plutonium oxide which I believe was used
7 mostly for criticality kind of works.

8 Also, they discussed doing a
9 limited run on workers, there was an oxide
10 production line at PUREX, so they were also
11 concerned at that facility of being low
12 americium-241 content plutonium that could be
13 Super S.

14 Slide 9. So, I tried to get up
15 some data to give you a flavor for how many
16 samples. Obviously, U.S. Testing processed
17 many thousands, there's probably, I think,
18 15,000 samples versus a few hundred fecal
19 samples at Hanford. The urinalysis is the
20 principal bioassay method. And it was also
21 then supplemented by in vivo. Workers deemed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to have a higher risk may have fecal samples₁₄
2 and they have a limited scope program focused
3 on these, and they discuss that in some of the
4 papers.

5 And americium was typically
6 monitored with in vivo counting, and it was
7 usually an indicator of plutonium intake. So,
8 of course, we'll not discuss in this review,
9 but Hanford maintained an extensive air
10 monitoring program to supplement this.

11 Slide 10. PNNL was responsible for
12 many of these years for overseeing the quality
13 of data produced by U.S. Testing, and just
14 very briefly they had several hundred C-
15 retrospectively, these numbers don't look that
16 large at 250 blanks and quality controls, '87
17 to '89 that were blind bioassay. They weren't
18 double-blind, but they were blind, and the
19 annual reports during the time period of
20 interest were reviewed by NIOSH as part of the
21 evaluation. Those are provided for you guys.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 If you look retrospectively at
2 these, U.S. Testing had its own internal QC
3 with blanks and quality control samples, so
4 these were separate and above. And then
5 putting this in perspective with the historic
6 programs, you know, the 1990s and `80s is when
7 EML and others really started to implement
8 these kind of programs and provide additional
9 blinds, and give you this extra quality
10 control. So, these aren't the kind of programs
11 that you would see in the early `50s and `60s,
12 so we were actually asking this to be held to
13 a very high standard. Of course, they were
14 accused of falsification of data, so I guess
15 that's not unreasonable.

16 Slide 11. So, just before this
17 happened, Hanford, I'll call it they
18 modernized the bioassay methods. Now, they
19 used a total alpha measurement technology,
20 they separated the plutonium and then they
21 would measure that. So, they would just get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 the number of counts. And in 1983, they¹⁸
2 updated that to an alpha spectrometry method,
3 and they did that for uranium as well as
4 plutonium.

5 I know a number of my esteemed
6 colleagues have seen a lot of alpha spec, but
7 some of us may not, so I included some slides
8 from old material that I produced for the U.S.
9 Transuranium and Uranium Registries, so just
10 to give you an idea of what an alpha spec
11 would look like for various radionuclides.

12 One thing of note is that, in the
13 massive dosimetry files that we have, we
14 talked a little about MDAs. MDAs can vary
15 because U.S. Testing had to respond at
16 Hanford. Hanford at times would say, "I want a
17 result today. We've got a guy with a massive
18 intake. We're going to chelate him. I want a
19 result today."

20 So, those are not going to have
21 nearly as good MDA as a sample where they can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 take their time. And those are listed in the ¹⁷
2 data sets or in the database, so you can see
3 what's listed with those. So, I did want to
4 make brief mention of that. There are many
5 kinds of plutonium samples, not just one.

6 We did our best on Slide 12. We
7 asked ORAU to do -- I'm not very good with
8 databases, so they extracted this, because
9 it's a massive database. Any time you have a
10 fecal sample for somebody, they found out how
11 many samples on either side of a year for
12 urine or in vivo had been taken. You can see
13 that there were a few that were zero, but
14 those were all people who were part of the
15 internal QC program. In other words, they were
16 the people providing blind samples for the
17 fecal program. So, anywhere from one, in some
18 cases they actually have more than 10, so you
19 can actually get a feel for this. And in '87
20 they were really just starting this plutonium,
21 they were still on sort of a small pilot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 program. '88 you get more samples, '89, '90₁₈
2 and then you see they actually terminated that
3 in 1990, because their concern went away. The
4 fresh americium plutonium -- fresh plutonium
5 with no americium that they couldn't see in
6 the in vivo, so their concern went away. That
7 fresh plutonium wasn't going to be present.
8 And they still used fecal sampling for
9 accidents, so you'll still, of course, see
10 that continue on, but not nearly as many
11 samples.

12 DR. MAKHIJANI: Sam, could I ask a
13 question about this chart? This is Arjun
14 Makhijani.

15 DR. GLOVER: Sure.

16 DR. MAKHIJANI: Each one of those
17 bars represent one worker, or --

18 DR. GLOVER: No, actually, that
19 would be -- so, let's say 1988, there are 180
20 workers who have four -- and I apologize, I
21 should have gone through this, so say 1988,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that purple line that goes up to 180. So, that
2 list, that would be four either urine or in
3 vivo counts for that fecal sample there. So,
4 they had 180 instances where workers had four
5 other bioassay samples. And you'll see there's
6 a little blue line that starts it, so there
7 were only a handful that only had one. So,
8 most of these guys would have been on a more
9 aggressive bioassay schedule. Probably just
10 annually, they may have been annually both for
11 plutonium urine and in vivo, which I guess if
12 you look at one year to either side, that sort
13 of makes sense, so we're getting four as being
14 the dominant factor where these guys were in
15 an annual in vivo and urinalysis program. I
16 can certainly provide those to you, Arjun.

17 DR. MAKHIJANI: Yes. Sam, I got
18 confused because the title says "Bioassay for
19 an Individual," and then the vertical axis has
20 "number of bioassay samples," not number of
21 workers. So, it's a little confusing. I guess

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I can talk about it with you off line. 20

2 DR. GLOVER: You're right. That
3 would have been a better axis title on that.
4 Really what I'm trying to say is for 180
5 workers, the number of workers who had, for a
6 given year, how many bioassay samples they
7 would have had per fecal sample. So, they had
8 a fecal measurement, then either a year before
9 or a year after that measurement, how many
10 urine or in vivo samples do they have?

11 DR. MAKHIJANI: Okay.

12 DR. GLOVER: And really just trying
13 to show that you had a very -- they weren't
14 just getting fecal measurements. These things
15 are -- this is a very small part of the
16 overall program. And they just want to verify
17 that by actually testing it.

18 So, on Slide 13 -- go ahead.

19 MEMBER ZIEMER: Hold on. This is
20 Ziemer. I think I had the same question, so
21 let me understand if I'm interpreting it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correctly. So, in '88 you had 180 workers who
2 had four other samples beside the fecal?

3 DR. GLOVER: Yes, sir. They would
4 have had --

5 MEMBER ZIEMER: And then three of
6 60, 70 or so had three samples plus the fecal
7 and so on. Is that how we're --

8 DR. GLOVER: Yes, sir, that's
9 exactly correct.

10 MEMBER ZIEMER: Okay, thank you.

11 DR. GLOVER: Sorry for the
12 confusion on that chart. It would have been
13 better, I could have explained a little bit
14 better coming into it. Is everybody okay with
15 that one then? I'll move to Slide 13.

16 MEMBER SCHOFIELD: Ted, this is
17 Phil. I'm on the line.

18 DR. GLOVER: Oh, good. So, we'll be
19 on Slide 13, Phil.

20 MEMBER SCHOFIELD: Okay.

21 DR. GLOVER: Did you catch the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explanation of Slide 12? 22

2 MEMBER SCHOFIELD: Yes, I did.

3 DR. GLOVER: Very good. All right.

4 I just did a simple query to give you a
5 relative feel for how many urine, which is the
6 U of course, the F is fecal, at any particular
7 time. So, they -- roughly, I tried to force
8 the program to be on an annual but it could be
9 a little stubborn, so it's not quite exact.
10 But you can get a real good feel that we're
11 looking mostly anywhere from 1,500 to 3,000
12 bioassay urine samples, and fecal analysis is
13 a very small part of the overall program.

14 So, Slide 14. So, it was
15 interesting that, you know, Hanford -- Tom
16 LaBone down at SRS and others were very
17 aggressive about some fecal analysis programs.
18 Tom was the author of OTIB-49; he's on there.
19 He's on the call with us today. And my Hanford
20 principal internal dosimetrist, and also the
21 overall internal for Scott -- they've actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 done a lot of the looking at the cases. Let's²³
2 talk about some of those programs. I'm not
3 paying nearly enough attention to my dog,
4 she's not very happy that I'm not paying
5 attention to her. Sorry about that.

6 Hanford represented -- they
7 presented an overview of technology shortfalls
8 for Superclass Y, a.k.a. Super S, a 1988
9 document which I provided to you in that
10 directory, "Methods to Improve Plutonium
11 Monitoring."

12 Now, while this document describes
13 ICRP 30 which is -- this is previous to what
14 we use for this program, and ICRP 30 or 26
15 lung models, still gives you a feel for what
16 was driving their concerns. And they talk
17 about a number of different issues. And,
18 essentially, the amount of plutonium going to
19 be in the urine was too low to be observed
20 using the alpha spectrometry methods, and they
21 had several processes at the time that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 produced freshly separated plutonium which²⁴
2 would defeat the lung counting program to get
3 a prompt analysis that would provide what
4 these -- to meet their 100 millirem.

5 All right, Slide 15. Just very
6 quickly on these, so these are just -- I gave
7 you a few actual numbers. So, these are the
8 kind of levels that they wanted to hit, what
9 the intake rates would be, and plutonium-238,
10 -239, and americium. So, this is what would
11 meet their requirements programmatically.
12 Again, this is not necessarily completely
13 applicable because this is a 6 percent
14 plutonium mixture and this would give them the
15 levels that would meet their requirements.

16 So, Slide 16, just to give you a
17 feel for how is that getting into the urine
18 and clearing the lungs. So, the top slide,
19 Figure A is the excretion and urine from acute
20 intake measurable at one year. So, you see
21 somebody had an acute intake one year before

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that, this is the disintegrations per minute²⁵
2 per day in that urine sample. So, that kind of
3 gives you a feel for what you can miss.

4 The lower curve, expected urine
5 excretion that would be available from the
6 target intake, so you see that within six days
7 they weren't going to meet their target
8 intake. It was below their capabilities, and
9 you could see that's even worse by the Super S
10 intakes. They call it Superclass Y at the
11 time, based on the ICRP 26. So, again,
12 technology shortfall, so they were exploring
13 different ways to deal with that.

14 So, Slide 17. This is,
15 essentially, how many years minimum detectible
16 intakes of Superclass Y in terms -- so you can
17 see they were -- in terms of rem, they were
18 essentially looking at quite a large missed
19 dose, so they were concerned that they could
20 miss a number of rem, not just a few millirem
21 on Super S.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Slide 18. So, they initiated in²⁶
2 1986 a pilot fecal program, approximately 50
3 workers. And they had a number of problems,
4 and we need to make sure we take those into
5 account. One, they didn't have very good
6 participation. The workers didn't like it,
7 which is common; it's not a urine or a
8 bioassay method which is well-received. Only
9 58 of 84 scheduled samples were received. Just
10 to give you a feel for that, 1,719 Pu
11 urinalysis samples in 1987, so they
12 definitely got some feedback from the workers
13 that they weren't happy with that.

14 The pilot program was continued
15 for 100 workers at the PFP. They showed about
16 40 to 50 percent of the workers had
17 statistically greater than controls. And the
18 reason I've got to emphasize some of this is,
19 it talks about the QCs that were being done,
20 essentially they were using artificial fecal
21 samples and they had a number of non-exposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 personnel provide human fecal samples. And²⁷
2 they were testing those against -- just so
3 they could get a statistical analysis against
4 what the workers were getting, and what is the
5 actual blank in the lab, and how does that
6 compare. Do you see a real statistical
7 difference or are they similar? So they've got
8 to do a lot of chemistry. And they found in
9 their paper, this is actually a 1993 Health
10 Physics paper that about 40 to 50 percent of
11 the workers had statistically greater
12 plutonium than the controls. So, they found a
13 low-level plutonium inhalation intake at the
14 facility, and they confirmed that later by
15 high-level large volume air sampling.

16 So, Slide 19. So, in April of
17 1989, about 12 months after the pilot program,
18 sampling frequency was changed to annual, with
19 the provision of obtaining about the same
20 number of samples each quarter, you'll see
21 that there were about 2,156 routine urinalysis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 plutonium samples in 1989, about 259 routines,²⁸
2 There were also specials, and those can be
3 associated with accidents. They call them
4 specials when you look at the bioassay
5 database.

6 They did implement the program
7 using experiences from the pilot program. It
8 was mandated by the employers, so
9 participation, while probably reluctant, they
10 didn't have a choice.

11 External spike fecal samples were
12 not provided that I could tell, that the
13 personnel, they were really looking at the
14 blanks versus trying to determine whether the
15 blanks -- do they get a real difference in the
16 measures, so they really didn't have an
17 external QC, but they would still have been
18 using the standard reagent blank with each
19 batch because you do radiochemistry. You still
20 have to spike -- provide a spike sample where
21 you spike it, make sure your tracer was added

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 correctly. And you also get a blank because of
2 each set.

3 Slide 20. So, the routine fecal
4 program operated normally until the contract
5 default by U.S. Testing on June 1, 1990. May
6 samples were never analyzed. In September,
7 before the interim contractor could be put in
8 place -- so they were looking at having an
9 interim contract done until they could get a
10 permanent contractor -- Hanford decided to
11 terminate the program. This was done because
12 the facilities were no longer processing
13 material that would be considered freshly
14 separated Superclass Y.

15 The dose determinations that were
16 made for workers in the program at the start
17 of the year, so chronic exposure, January
18 through September based on fecal results
19 obtained December 1989 through April 1990.
20 There were 759 Pu urinalysis, 56 specials, and
21 you'll see there are only 35, and this is sort

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the tail end of the program. 30

2 Slide 21. I mentioned earlier they
3 published a 1993 paper on the routine fecal
4 sampling program of approximately 100 workers.
5 Again, the quality control samples, it was a
6 mix of artificial and known blank, no natural
7 samples included to compare the results of the
8 workers. 391 samples from workers were
9 provided, there were 47 controlled samples
10 consisting of 31 artificial and 16 from
11 unexposed individuals. And in that paper they
12 describe the sampling and radiochemistry
13 methods used to do the chemistry.

14 So, very briefly, Slide 22, OTIB-
15 49, it's our basis for estimation of doses for
16 plutonium strongly retained in the lungs. The
17 newer ICRP is more insoluble compounds than
18 ICRP 30. Various accidents did show that it
19 was -- there are cases where it has longer
20 retention. And our OTIB was based on nine
21 cases from Rocky Flats and one from Hanford

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that had well-defined intakes and exhibited³¹
2 long retention times. We used upper bound
3 cases to establish bounding doses for Super S
4 materials. We fully compared that data to U.S.
5 Transuranium and Uranium Registries cases.

6 Slide 22. So, just to give you a
7 feel for the effect of Super S. This is one of
8 the Rocky Flats cases. The purple line, you
9 see it falling off to 10,000 days to being a
10 fairly low one-hundredth of what was inhaled;
11 whereas, the reality is that it stayed in the
12 lungs essentially with very little change for
13 that long time frame. So, this is the kind of
14 analysis that we're doing to make sure that we
15 don't underestimate the dose.

16 Slide 24, please. Essentially,
17 what you have is the lower curve line on Slide
18 24, that first slide. That's what Type S
19 normally would be expected, but we are finding
20 in some of these cases that upper line, so
21 what we essentially have done is we've -- it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a factor of 40 between the upper line and the ³²
2 lower line if you get out to 50 years, and
3 actually you see the lung adjustment dose
4 factors per year. Those are the lines that it
5 takes to get that lower line to these 10
6 cases. And we used the upper lines to
7 determine the adjustment factors for dose. So,
8 you see that our adjustment factors go up to
9 even above 100 after you get past 40 to 50
10 years.

11 So, it's fairly straightforward.
12 It is cumbersome to enact because when you
13 actually do this with chronic instead of acute
14 intakes, there's a whole series of tables in
15 order to deal with this, but that's, I think,
16 pretty thoroughly reviewed as part of Rocky
17 Flats.

18 So, Slide 25. Just very briefly,
19 this doesn't have the fecal sample. I'll talk
20 about that next slide, but we basically have a
21 number of tables that adjust the dose. So, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would take the lungs or for the different³³
2 systemic organs. It's really the most
3 important for the lungs and for the lymph
4 nodes. When you get into the systemic organs,
5 the lung counts and the air concentration data
6 doesn't affect it, but the urinalysis, there
7 are some factors that could apply, because it
8 continues to leak out at a flatter rate than
9 what we would expect. Leaking out of the lungs
10 and still being in the system, so it still
11 continues as a long-term component for the
12 systemic organs, being not in the lung but in
13 the rest of the body.

14 So, Slide 26. So for fecal
15 adjustment, OTIB-49 does specifically address
16 the adjustment of fecal data. Fecal samples
17 collected less than two months after an acute
18 inhalation intake of less than two months
19 after the end of the chronic intakes should be
20 evaluated with a standard Type S model. Once
21 the intake is determined, the dose is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 adjusted, taking direct measurements, fecal³⁴
2 samples collected after two months should be
3 treated as if they were urine samples and the
4 dose adjusted by a factor of 3.

5 So, you can see here on Slide 27
6 the fraction of intake in the urine versus
7 feces, samples as a function of time. And then
8 on Slide 28, you'll see the urine to feces
9 activity ratio. And you see that nominally
10 gets to be about three when you get past 100
11 days, so it's kind of a little swirly out
12 there, but they chose a factor of 3.

13 So, during this period,
14 essentially for the application at Hanford, we
15 used standard DCAS procedures. Assumptions
16 include the age of the plutonium, the
17 plutonium oxide makeup, fuel-grade or weapons-
18 grade, solubility class including Super S.
19 That's not applicable for all cases. I mean,
20 in some cases, it's detrimental to the
21 claimant to assume Super S for certain cases.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And you essentially have to evaluate the ³⁵
2 information available for the case.

3 Slide 30, the NIOSH TBD currently
4 uses the contractual MDAs, so SC&A has
5 described that method during this time
6 period. The current TBD uses 10-year old
7 plutonium. I don't know if they included that
8 in their discussion, but that is the base. We
9 are using aged plutonium currently. Weapons-
10 grade and fuel-grade plutonium may be
11 evaluated, see which is more claimant-
12 favorable. Rarely is fecal data available. The
13 OTIB-49 is used. I'm going to have Scott or
14 Fred summarize what they saw. Essentially,
15 though, where we have used it, it's oftentimes
16 because it was the only indicator of an
17 intake. If the fecal sample was positive, so
18 the dose reconstructor chose to use a positive
19 sample instead of a sample that was not
20 indicative of an intake, so it was below the -
21 - the fecal sample showed he had an intake but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not the urine sample, so they chose to use³⁶
2 that. And sometimes intakes are not favorable
3 assumptions by their methods and, essentially,
4 case-specific data must be reviewed, since the
5 in vivo data may make assumptions not
6 claimant-favorable.

7 So, that concludes this. Do you
8 want to let Fred Duncan or Scott kind of
9 summarize what they found in the 11 cases that
10 they looked at?

11 CHAIRMAN MELIUS: Yes, let's sort
12 of finish up the presentation part. That would
13 be helpful, so go ahead.

14 DR. GLOVER: Scott or Fred?

15 MR. SIEBERT: This is Scott. I'll
16 go ahead and do that. It seems like the NIOSH
17 team here is falling apart. Sam's sick and I
18 just got back from the dentist, so if I sound
19 a little slurry, I apologize for that.

20 We did walk through the 11 cases
21 that specifically did use fecal sampling in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the assessment. It includes cases that used³⁷
2 the fecal sampling for the assessment, and
3 also a couple where it was just used to
4 validate the values that came out of assessing
5 based on urine or chest counting.

6 Reviewing all 11 of them, I'm not
7 going to go through specifics on any one case,
8 but generally speaking, the samples, the fecal
9 samples that we used were in response to
10 incidents, as Sam mentioned earlier. And in
11 cases like that, just like the slide that he
12 mentioned and went over before, in most cases,
13 actually in all cases here, we have additional
14 urine sampling and/or chest counting
15 relatively quickly after the incident. So,
16 even if we don't take into account the fecal
17 sampling that we do have, if we base it upon
18 the urine or chest counting that we have, in
19 each of the cases, it was a very minimal
20 impact. And by "minimal," I mean I didn't see
21 anything that would change any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 compensabilities. Very, very small doses. Some³⁸
2 of them actually urine may have been more
3 limiting just based on the specific scenario
4 involved. So, as I said, we went through the
5 11 and didn't see anything specific that would
6 cause us alarm if the fecal samples had not
7 been available.

8 CHAIRMAN MELIUS: Okay, go ahead.

9 MR. FOULDS: This is Tom Foulds.
10 I'm sorry. I didn't mean to interrupt.

11 CHAIRMAN MELIUS: Okay, if you
12 could wait a little bit, we'll give you an
13 opportunity to --

14 MR. FOULDS: Sure, be glad to.

15 CHAIRMAN MELIUS: -- speak in a
16 little bit. Sam, anything further you want to
17 present?

18 DR. GLOVER: I think that's it for
19 me.

20 CHAIRMAN MELIUS: Okay, thank you.
21 So, let me open it up for questions from Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Hanford Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Hanford Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Members or SC&A. 39

2 MEMBER SCHOFIELD: Yes, this is
3 Phil Schofield. I've got a question. Looks
4 like in some of these you're using air
5 sampling as an upper bound. Is that breathing
6 zone sampling or is that like an average for a
7 room?

8 DR. GLOVER: I think everything
9 we're doing at Hanford right now is based on
10 urinalysis. As the time frame increases, as we
11 get into the 2000 time frame, the Plutonium
12 Finishing Plant right now is relying -- is
13 using breathing zone sampling data. So, we're
14 not into that time frame right now, so this is
15 still based on urinalysis and fecal
16 measurements. The OTIB-49 is generic. It could
17 be based on any site, not just Hanford.

18 MEMBER SCHOFIELD: Okay.

19 MEMBER CLAWSON: Sam, this is Brad.
20 I had a question. You were talking about the
21 other samples that you had other than the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fecal samples. Were they just urinalysis ~~or~~
2 were they chest counts?

3 DR. GLOVER: Usually, they're
4 going to have both lung and chest counting,
5 and urine samples. It depends on the bioassay
6 frequency, but there's kind of a model --

7 MEMBER CLAWSON: So, what drove
8 these samples? Were they what we call our
9 birthday samples, were they done on a yearly
10 basis? This is -- they came in, you know, got
11 this all done within about a two-month period?
12 I'm just looking -- I was wondering because I
13 can't see any kind of a date when each one of
14 these were performed or anything. I'm just
15 seeing numbers that say, you know, they had C-

16 DR. GLOVER: The uranium -- or, I'm
17 sorry, the plutonium urinalysis program would
18 have been spread out to try to make sure that
19 the lab analyzed samples in a reasonable --
20 so, I don't know what their -- you said it was
21 based on a birth date or, you know, there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 some -- it used to be quarterly. I don't know⁴¹
2 when, off the top of my head, when they
3 switched to annuals. But there's always been
4 sort of a graded approach. Sometimes people
5 could still be on quarterly, I think, even at
6 that time frame, and if they got urinalysis
7 program and they were -- they would expect to
8 also be part of the in vivo program. I didn't
9 get to include those statistics in this one,
10 but -- so, yes, they'll have -- anytime they
11 have a suspected intake they would have
12 numerous samples that would be related to
13 that. So, those are going to come up whenever
14 intake is suspected. They have to analyze
15 that.

16 MEMBER CLAWSON: Okay. So, they
17 still have incident-driven ones, but I just
18 refer to them as our birthday samples because
19 that's how we process through there every
20 year. We got a load of all these samples that
21 we put in so they could kind of build a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 baseline for us. So, I was just wondering⁴²
2 because when you were saying the other
3 samples, I was wondering if it was done on a
4 routine basis, or if it was a check every once
5 in a while, just to check people. I was
6 wondering if they were to that standpoint yet
7 or not. Thank you.

8 DR. GLOVER: Okay.

9 CHAIRMAN MELIUS: Any other Board
10 Members, SC&A questions?

11 DR. MAKHIJANI: This is Arjun.

12 CHAIRMAN MELIUS: Yes.

13 DR. MAKHIJANI: Sam, on Slide 26,
14 just to follow up on what Phil was asking. The
15 third bullet you say, "Once intake is
16 determined, dose is adjusted using direct
17 measurement factors, for example, air
18 monitoring." I think he was referring to that.
19 I didn't quite get how that is done.

20 Hello, am I on mute?

21 CHAIRMAN MELIUS: No.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: Okay. 43

2 CHAIRMAN MELIUS: I can hear you,
3 Arjun.

4 DR. GLOVER: I'm sorry, I was on
5 mute.

6 DR. MAKHIJANI: Okay.

7 DR. GLOVER: There's a number of
8 ways, because sometimes they have multiple --
9 and, actually, Tom, would you mind just
10 addressing that very briefly, the adjustment
11 factors in OTIB-49? I'm pushing my stamina
12 here for the day.

13 MR. LaBONE: I'm not sure what
14 slide -- the slides aren't numbered, so --

15 DR. GLOVER: I'm sorry. It's Slide
16 26. It's fecal adjustment factors. Really what
17 the question is, Tom, is: they were asking
18 because I just said that once the intake is
19 determined, essentially what we have is -- you
20 determine an intake and then you have a series
21 of adjustment factors, depending on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bioassay method being used. Maybe you could₄₄
2 just briefly -- I didn't go into the specifics
3 of what you would do for urine versus fecal or
4 for urine versus air into the specifics.
5 Sometimes you just use one or the other, or
6 you have multiple. I'm not being very clear
7 here.

8 MR. LaBONE: What does the title of
9 the slide say?

10 DR. GLOVER: All right. It's near
11 the very end. It's the fourth from the end.

12 MR. LaBONE: Fourth from the end.

13 DR. GLOVER: Fecal adjustment
14 factor. The concern is, I said, "Once an
15 intake is determined, the dose is adjusted
16 using direct measurement factors." So, in this
17 case, like for air monitoring, you would use a
18 specific set of adjustment factors if you used
19 air monitoring. For urinalysis, you'd have a
20 separate set of adjustment factors. It just
21 depends on how it's being processed. So, what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we -- it's probably too cumbersome, Tom, ~~to~~^{to}
2 have you answer off the cuff.

3 Essentially, and you can correct
4 me if I'm wrong, we use the basis, what's
5 soluble. If it's urinalysis, that's what was
6 removed from the compartment, from the lung.
7 We determine an intake, and from that we then
8 adjust that intake, what actually stayed in
9 the lung. So, what you see is we get the
10 correct intake at the original time of intake
11 determined, but then we have to adjust up the
12 dose by that factor of 100 at 50 years.

13 DR. MAKHIJANI: Oh, okay. I get it.
14 Okay.

15 DR. GLOVER: But it depends on what
16 -- if it's chronic, if it's more -- then you
17 have to deal with -- you know, it's not a
18 single number, a single year, so we have a
19 number of tables that adjust for this.

20 DR. MAKHIJANI: Right, right. Thank
21 you. I don't know if Joyce is on the line?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: Yes. 46
2 DR. GLOVER: Okay.
3 DR. LIPSZTEIN: Hello?
4 CHAIRMAN MELIUS: Yes, we can hear
5 you, Joyce.
6 DR. LIPSZTEIN: Okay, I just got
7 in.
8 CHAIRMAN MELIUS: Okay.
9 DR. LIPSZTEIN: Sorry about that.
10 CHAIRMAN MELIUS: Out of your
11 control, so --
12 DR. LIPSZTEIN: No.
13 CHAIRMAN MELIUS: Anybody else have
14 -- Board Members or others, SC&A, have
15 questions at this point?
16 DR. MAKHIJANI: Just one more
17 thing. Is there a write-up of those 11 cases
18 that would be available at some time?
19 DR. GLOVER: No, we didn't write
20 them up yet. I gave them this --
21 DR. MAKHIJANI: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GLOVER: If the Board would⁴⁷
2 like us to, we can summarize what the --

3 DR. MAKHIJANI: Yes, a summary
4 table or something.

5 DR. GLOVER: Dr. Melius, just let
6 me know if that's something the Board would
7 like us to take up.

8 CHAIRMAN MELIUS: Okay. Yes, let's
9 -- when we get to the --

10 DR. GLOVER: Yes.

11 CHAIRMAN MELIUS: Finish with the
12 questions and try to resolve this. And we can
13 talk about that. Any other questions?

14 MR. FOULDS: You're still asking
15 for the Board Members, right?

16 CHAIRMAN MELIUS: I'm still asking
17 Board Members. I'm going to get to you in just
18 a second.

19 MR. FOULDS: Sure.

20 CHAIRMAN MELIUS: Okay. And why
21 don't we ask you, Mr. Foulds, then, for any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comments that you might have. We've received⁴⁸
2 your materials actually this morning, but
3 Board Members will have time to -- or Work
4 Group Members will have time to review those.
5 Again, as Ted Katz indicated earlier, we're
6 not really in a position to comment or address
7 issues with specific cases.

8 MR. FOULDS: Sure. Sure.

9 CHAIRMAN MELIUS: So, if you have
10 any comments or want to summarize your
11 comments now, that would be fine.

12 MR. FOULDS: I apologize for the
13 possible disorganization of the materials that
14 were furnished this morning from me, Tom
15 Foulds, on behalf of the petitioner. We were
16 just informed of this conference two and a
17 half days ago, and right in the midst of a
18 number of other things, so it was very -- we
19 did our best job possible yesterday working on
20 this.

21 And it's obviously not complete,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but, at the risk of being redundant, and maybe
2 Sam has already covered this, but there was,
3 as the Group is aware, there was a oversight
4 examination done by four independent
5 scientists and engineers selected by the
6 Department of Energy who did an analysis of
7 the procedures, "U.S. Testing Company
8 Radiological Procedures," 1990. And they found
9 a number of, in their opinion, of
10 shortcomings.

11 Incidentally, all of these members
12 of this group that was selected, they're from
13 separate agencies, and they all each have a
14 degree of radiological or engineering
15 expertise in their background. And they found
16 among other things, and excuse me for being
17 redundant, maybe Sam has mentioned this, but
18 as of May 1990, they were furnished a large
19 number of samples. And out of the 3,000
20 samples that they were given, none were fecal
21 samples. And they felt that the number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 overall, and this is all in this material, ^{so}
2 I don't want to be redundant, and I'm not
3 trying to review it all. But overall, they
4 found that the procedure for taking samples
5 was inadequate, that they were not obtaining
6 enough samples. Now, this is as of 1990.

7 I don't know what the procedures
8 were like in 1998 because the difficulties
9 with U.S. Testing had not yet -- I should say
10 1988, I don't know what the procedures were
11 because U.S. Testing, the disclosure of the
12 EPA had not yet been made public. But in any
13 event, in 1990, May of 1990, they felt that
14 the sampling was inadequate overall, and these
15 are radiological samples. And that
16 specifically, out of the samples that were
17 given to them, that there were no fecal
18 samples to use.

19 That brings up another point that
20 I raised in the exhibit I presented, part of
21 which was a section out of the last dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reconstruction done in 2010. In that report on
2 page 13, they provide a list of measurements
3 that were made of plutonium mixtures, number
4 of disintegrations per M -- and I guess per
5 day or per millirem, I'm not really sure --
6 and they compared measurements done by the
7 technology utilized by U.S. Testing.

8 But the plutonium measurements,
9 they compared that with the lung count
10 measurements, and they could not find any
11 consistency between the two types of
12 methodologies of measurement either through
13 the lung count or through the -- presumably a
14 gamma spectrometry analysis used to find the
15 239. So, you've got two different
16 methodologies with the same objective, yet
17 they're not consistent. And it would appear,
18 in my layman's point of view, as a indication
19 of the lack of ability on the part of U.S.
20 Testing to do a credible job of testing for
21 plutonium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. SIEBERT: This is Scott Siebert⁵²
2 of the ORAU Team. May I address that question?

3 CHAIRMAN MELIUS: Why don't we let
4 him finish, and then we can address --

5 MR. SIEBERT: You got it. Thank
6 you.

7 CHAIRMAN MELIUS: Thank you,
8 though. Go ahead, Mr. Foulds.

9 MR. FOULDS: Well, basically that
10 in a nub is the basic concern of my client;
11 that is, that the procedures being utilized by
12 U.S. Testing during the period of time in
13 which the fecal samples were taken from the
14 client at the time of that special incident
15 that everybody concurs he had a special
16 exposure, and then following that there was
17 some samples taken, some of which were beyond
18 the two-month time range specified in the
19 technical bulletin that is utilized to make
20 the evaluation of the measurements.

21 And the technical bulletin 4.1.4

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 requires that if there is ample plutonium⁵³
2 identified in fecal samples identifying
3 plutonium beyond the two-month limit after the
4 major incident of exposure, then they would
5 take the dose that had been computed as of the
6 time and multiply it by a factor of 3.

7 Now, that particular technical
8 bulletin on procedure 4.1.4, specifically on
9 how to handle fecal samples, was never
10 addressed in either the 2009 dose
11 reconstruction nor the 2010 dose
12 reconstruction. In fact, it was not just never
13 explained, it was never even mentioned at all,
14 so actually, I and the client are in some
15 bafflement as to why that was not applied,
16 since no explanation for not applying them was
17 ever given. But that, basically, comes back to
18 the concern over the measurements.

19 Even putting that aside, it would
20 seem that the measurements being made of what
21 was discovered beyond the two-month time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 period would still be questionable whether⁵⁴
2 they were at the minimum detection limit, or
3 whether they were below it or above it, that
4 it is the petitioner's position that the UST
5 was not capable of providing a reliable
6 answer. And I think, in effect, that it's
7 impossible to do a reasonably reliable dose
8 reconstruction. And that's basically
9 summarizing the position, and I'll take no
10 more time of the Advisory Board. And if I've
11 been redundant on anything Sam has already
12 covered, I apologize.

13 CHAIRMAN MELIUS: No, no need to
14 apologize. It's a very complicated, very
15 technically difficult area. But, again, I just
16 will reiterate, you know, our Work Group and
17 the Advisory Board really can't address
18 specific issues with a single dose
19 reconstruction, individual dose
20 reconstruction. What we're looking at has been
21 really evaluating the petition and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 evaluation of that petition by NIOSH as to
2 whether or not the overall methods being used
3 for dose reconstruction are appropriate given
4 how the statute is set up and so forth. So,
5 that's been our focus in the previous review
6 in the Work Group meeting on September 12th,
7 as well as this meeting today.

8 Sam or anybody from ORAU want to
9 respond?

10 MR. SIEBERT: Sam, do you want me
11 to go ahead and --

12 CHAIRMAN MELIUS: Yes.

13 DR. GLOVER: Yes, just keep it
14 brief.

15 MR. SIEBERT: Yes. The only thing I
16 was going to point out is when Mr. Foulds
17 brought up the inconsistency between the
18 different measurements, what we are pointing
19 out in any case is that after an intake at a
20 set amount of time, say 100 days, the type of
21 material will make a difference, whether it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 able to be seen in a different type of
2 analysis or not.

3 If you base an intake on urine,
4 for example, you may project out what you
5 should have seen in a lung count on that same
6 date. And if we have a lung count that does
7 not demonstrate that amount, if we should have
8 seen a very positive amount, and we have a
9 count and it does not demonstrate that, that
10 merely means that that material type is
11 unlikely to have occurred because the
12 measurements are inconsistent, not because
13 there's anything wrong with the measurements,
14 but just because they are not designed to have
15 the same amount of sensitivity at a certain
16 time after intake based on a different
17 material type. I know that's a relatively
18 complicated explanation, and I may have
19 muddied the waters, but it's not a problem
20 with the measurement as much as just: you
21 cannot measure the same thing with different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 types of measurements and always get the same
2 value. You're going to get inconsistencies
3 based on material type.

4 CHAIRMAN MELIUS: Okay. Thank you
5 for that clarification. Any other -- Sam, or
6 any of the Board Members with additional
7 clarifications or questions? Because I think
8 where we are with this petition, in our
9 previous Work Group meeting we had discussed
10 the original NIOSH Evaluation Report, the SC&A
11 review of that, and I think the only issue
12 that we still wanted additional information on
13 out of that Work Group meeting was the issue
14 related -- some more information, more
15 detailed information on the actual dose
16 reconstruction methods that were being used,
17 because it was a little bit confusing in terms
18 of understanding what was going on.

19 Work Group Members, how do you --
20 what is the feeling in terms of -- are people
21 satisfied with the amount of information now,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have the methods being used been clarified⁵⁸
2 sufficiently?

3 MEMBER ZIEMER: This is Ziemer. I'm
4 satisfied, but I would like to hear from SC&A,
5 perhaps Joyce or Arjun in terms of their sort
6 of evaluation of this material that we've just
7 reviewed.

8 CHAIRMAN MELIUS: Yes. Arjun, do
9 you want to start?

10 DR. MAKHIJANI: Joyce, do you want
11 to go ahead?

12 CHAIRMAN MELIUS: Okay.

13 DR. MAKHIJANI: Joyce and I
14 discussed it briefly.

15 CHAIRMAN MELIUS: Okay, good.

16 DR. LIPSZTEIN: Okay. Okay, I was
17 just muting. Hello?

18 CHAIRMAN MELIUS: Yes, we can hear
19 you.

20 DR. LIPSZTEIN: Okay. I think it's
21 fine. I agree with everything. I think it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 good. It's based on OTIB-49, which we already⁵⁹
2 revised and approved, and has been used. So, I
3 think it's okay. I don't have any questions. I
4 think it's okay, good material.

5 DR. MAKHIJANI: My one comment was
6 this -- okay. I hate to bring up this slide.
7 Sorry, I wasn't ready. This thing was done in
8 1993 that you mentioned, Sam. Was that some
9 kind of -- I guess I'm on Slide 21, 1993
10 published paper, and the sampling and
11 radiochemical methods you described. That's
12 the last bullet. Did you look at, you know,
13 the consistency of these -- it's indicated
14 here with the standard practice across the DOE
15 complex at the time, and whether those
16 standard practices were being followed, even
17 though we understand there weren't QC samples
18 during the period for fecal. Were there
19 samples afterwards that found the program to
20 be okay, and were the methods being used at
21 that time consistent with what was being used

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 in '87, '89? 60

2 DR. GLOVER: My recollection is
3 that soon after, about early '93, '94 they
4 made a modification to the procedure. So, I
5 don't know if later methods --

6 DR. MAKHIJANI: Okay.

7 DR. GLOVER: -- I think they added
8 hydrofluoric acid or maybe a fusion method to
9 deal with these highly insoluble compounds. I
10 think they modified the procedure at that
11 point, so I don't know -- as far as I know,
12 there's no way to go apples to apples
13 backwards and back-compare.

14 For those who are technically --
15 or not technically, but inquisitive of what
16 they were doing, it was pretty just they would
17 ash the samples, dry ash them, burn off all
18 the organics, and it was a peroxide. And then
19 you would just do the standard analytical
20 separation. It was fairly routine. I don't
21 know if there's -- and they used those for --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but they didn't get blind samples to cross⁰¹
2 validate that. That didn't come in until
3 later, that DOELAP and others would provide
4 blind fecal samples for analysis. This
5 predates that.

6 DR. MAKHIJANI: But the lab method
7 being used in `87-`89 was consistent with that
8 being used at other sites?

9 DR. GLOVER: I think -- Tom LaBone,
10 how many sites actually had a fecal program
11 back then? I think Idaho may have had one for
12 uranium. SRS did something. I don't know if
13 Tom is still with me.

14 Tom LaBone. I may have lost Tom
15 LaBone. Anyway, I know he did -- but I think
16 it's probably not many -- there's probably not
17 many sites that I recall who had a routine
18 fecal sample. We could look into that if the
19 Board would like to.

20 DR. MAKHIJANI: No. Yes, it was
21 just a question, because it seemed to me that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you had looked into it. That's why I wanted⁶²
2 some clarification, but I guess with -- it's
3 more complicated than I thought.

4 CHAIRMAN MELIUS: Any other
5 comments or questions?

6 DR. LIPSZTEIN: I saw the paper and
7 the description of what was done, and it's
8 really -- the paper was really done very
9 carefully. And that's the same method we used
10 for fecal samples in our laboratory, so it
11 seems okay to me.

12 CHAIRMAN MELIUS: Okay. Thank you,
13 Joyce.

14 MR. LaBONE: Hello, this is Tom. I
15 hung up instead of hitting the unmute button.

16 CHAIRMAN MELIUS: Okay. Do like I
17 do, I talk for five minutes on mute wondering
18 why nobody's responding, why other people
19 start talking. Go ahead. I don't know if you
20 heard the --

21 MR. LaBONE: Do you still want me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to respond to Sam's question? 63

2 CHAIRMAN MELIUS: Yes, it would be
3 helpful, I think.

4 MR. LaBONE: Okay. As far as I'm
5 familiar with, the people who did routine --
6 the sites that did routine fecal monitoring
7 are Argonne and Idaho. At Savannah River, we
8 had a pilot program that ran for about a year,
9 and was kind of similar to the one that they
10 did at Hanford, but routine-wise it was just
11 Argonne -- I guess Argonne East as we call it,
12 and Idaho National Lab, the ones I'm familiar
13 with.

14 CHAIRMAN MELIUS: Okay.

15 DR. MAKHIJANI: And, Tom, they used
16 the same analytical methods that were used in
17 the period by U.S. Testing?

18 MR. LaBONE: I don't know that.

19 DR. MAKHIJANI: Okay.

20 MR. LaBONE: I typically just
21 focused on the results. I'm not a chemist, so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- when we did Savannah River, our study ⁱⁿ₆₄
2 the 2000 timeframe, we were very concerned
3 about dissolving the samples, so we went
4 through a microwave dissolution and we tested
5 it with some Rocky Flats soil, which is a
6 pretty insoluble material, just to make sure
7 that we getting into solution. I don't know
8 how much people focused on that in the late
9 `80s/early `90s.

10 DR. MAKHIJANI: I guess Joyce
11 really answered my question.

12 CHAIRMAN MELIUS: Yes, okay. Any
13 additional questions? If not, I think where we
14 stand is that, you know, we had essentially, I
15 think, again, had dealt with the what we'll
16 the testing fraud issue with our previous
17 discussion, and previous Work Group meeting.
18 And then we had, I think, just clarification
19 on this. And I guess my question to the Work
20 Group Members: are we ready to make a
21 recommendation to the Board for our upcoming

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 meeting, or is there something additional that
2 needs to be done? And, again, focusing on the
3 SEC aspects of this.

4 MEMBER ZIEMER: This is Ziemer. I
5 think we're ready to make a recommendation,
6 but I may need a little help in ascertaining
7 the form that it should take. I don't recall
8 what was on the floor prior to this, if we had
9 a motion that was tabled, or what was the
10 status before?

11 CHAIRMAN MELIUS: As I recall, I
12 don't believe we had a motion. I think we --
13 it's sort of -- we were talking about what to
14 do and one or two of the Work Group Members
15 thought that some clarification on the testing
16 method would be useful, and need to give NIOSH
17 time to respond to that and prepare something
18 so that we -- so I don't believe there was a
19 motion at the time. So, it's open. It was sort
20 of done by consensus.

21 MR. FOULDS: Mr. Chairman, can I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 make one comment? This is Tom Foulds again. 66

2 CHAIRMAN MELIUS: Yes.

3 MR. FOULDS: Speaking only in
4 regards to the SEC 155, I would submit that
5 the very detailed analysis done by that group
6 that I mentioned before that had been
7 appointed by the DOE, that they were actually
8 reviewing the then ongoing U.S. Testing
9 procedures, and that would seem to me the most
10 viable document to illustrate the conditions
11 that would be involved in SEC 155.

12 CHAIRMAN MELIUS: Mr. Foulds, we
13 actually discussed all those issues at the
14 last Work Group meeting, and there's a
15 transcript available of our discussions. And I
16 appreciate the comment, but we have, you know,
17 I think addressed those issues and discussed
18 them in terms of -- and had our technical
19 contractor, SC&A review, so I just don't want
20 you to think we're ignoring it, but it is
21 something that's previously been addressed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, let's move on with the Work Group. Paul⁶⁷

2 do you want to --

3 DR. MAKHIJANI: Dr. Melius, may I
4 say something that might help clarify that
5 particular point?

6 CHAIRMAN MELIUS: Yes.

7 DR. MAKHIJANI: Mr. Foulds, if you
8 look at the report that SC&A did, you'll see
9 we extensively reviewed the 1990 report and
10 the 1991 report. And we also interviewed the
11 authors, some of the authors of those reports
12 at length --

13 MR. FOULDS: Oh, okay.

14 DR. MAKHIJANI: -- about the
15 usability of the data. So, we did take your
16 comment and petition into account. And I think
17 you might find the interviews which we did
18 with those experts, who were independent
19 outside experts, very useful as regards their
20 opinions about the usability of the data.

21 MR. FOULDS: Okay, thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Paul. 68

2 MEMBER ZIEMER: Okay, so what do we
3 need motion-wise at this point?

4 CHAIRMAN MELIUS: Well, whatever
5 you want to make as a motion. But I think the
6 question is: do we recommend to the Board that
7 --

8 MEMBER ZIEMER: Well, is the motion
9 to accept the NIOSH analysis in this, or is it
10 the bigger motion to accept the recommendation
11 on the SEC? It wasn't clear to me what you
12 needed.

13 CHAIRMAN MELIUS: Well, I think it
14 will be a recommendation on the SEC, I think
15 is what --

16 MEMBER ZIEMER: Okay.

17 CHAIRMAN MELIUS: I mean --

18 MEMBER ZIEMER: Yes. Well, to get
19 it on the floor then, I'll move that we accept
20 the NIOSH recommendation regarding this SEC.

21 CHAIRMAN MELIUS: Do I have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 second for that? 69

2 MEMBER CLAWSON: This is Brad. I
3 second it.

4 CHAIRMAN MELIUS: Okay. Thanks,
5 Brad. Now, discussion. Again, I mean,
6 personally I think we've addressed the issues
7 here in the report, and I think that's the
8 appropriate recommendation to make to the
9 Board. So, I'm obviously in favor of that.

10 MEMBER SCHOFIELD: I tend to agree
11 with you there.

12 CHAIRMAN MELIUS: Okay. Thank you,
13 Phil. And, John, Dr. Poston, are you still on?

14 MEMBER POSTON: As soon as I get on
15 the right side of the --

16 CHAIRMAN MELIUS: Okay, thanks. I
17 know the feeling, John.

18 MEMBER POSTON: Yes, I'm still
19 here.

20 CHAIRMAN MELIUS: Okay. And do you
21 agree with the motion that the Work Group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommend to the Board that we accept the
2 NIOSH conclusion that dose reconstruction can
3 be done? Essentially, turning down the
4 petition. We're accepting NIOSH's
5 recommendation.

6 MEMBER POSTON: Yes.

7 CHAIRMAN MELIUS: Okay. Good, okay.
8 I think we're all set. Any further follow-up
9 needed, or questions?

10 MEMBER ZIEMER: Was that the -- did
11 we vote, or is that --

12 CHAIRMAN MELIUS: Well, I think
13 we've got everybody agreeing, so --

14 MEMBER ZIEMER: Okay.

15 CHAIRMAN MELIUS: Unless, you know,
16 like --

17 DR. MAKHIJANI: Dr. Melius, just
18 for the record, since you said dose
19 reconstruction can be done, there is another
20 petition for the same period that's under
21 review. I just wanted to say that for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 record.

71

2 CHAIRMAN MELIUS: This is for SEC
3 155.

4 DR. MAKHIJANI: Right.

5 CHAIRMAN MELIUS: Yes, which is
6 what we're focused on. All right. Thanks,
7 Arjun. Then we'll present this at the Board
8 meeting and update them then in a couple of
9 weeks in Knoxville.

10 Mr. Foulds, thank you for
11 participating, and Sam and everyone at ORAU,
12 and Arjun and Joyce, thank you, also,
13 appreciate your input. And I guess we'll see
14 everybody, or see many of you in Knoxville in
15 a couple of weeks.

16 MR. KATZ: Okay, thank you.

17 CHAIRMAN MELIUS: Thank you.

18 (Whereupon, the proceedings went
19 off the record at 12:19 p.m.)

20

21

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Hanford Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Hanford Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1

72

2

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com