

UNITED STATES OF AMERICA
CENTERS FOR DISEASE CONTROL

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

92nd MEETING

+ + + + +

WEDNESDAY
JULY 17, 2013

+ + + + +

The meeting convened at 8:30 a.m.,
Mountain Daylight Time, in the Shilo Inn, 780
Lindsay Boulevard, Idaho Falls, Idaho, James
M. Melius, Chairman, presiding.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

PRESENT:

JAMES M. MELIUS, Chairman
HENRY ANDERSON, Member
JOSIE BEACH, Member
BRADLEY P. CLAWSON, Member
R. WILLIAM FIELD, Member
MARK GRIFFON, Member
DAVID KOTELCHUCK, Member
JAMES E. LOCKEY, Member
WANDA I. MUNN, Member
JOHN W. POSTON, SR., Member
DAVID B. RICHARDSON, Member*
GENEVIEVE S. ROESSLER, Member
PHILLIP SCHOFIELD, Member
LORETTA R. VALERIO, Member
PAUL L. ZIEMER, Member*
TED KATZ, Designated Federal Official

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

REGISTERED AND/OR PUBLIC COMMENT PARTICIPANTS

ADAMS, NANCY, NIOSH Contractor
BALDRIDGE, SANDRA*
BARRIE, TERRIE
BUCHANAN, RON, SC&A*
BURGOS, ZAIDA, NIOSH
CRAWFORD, FRANK (CHRIS), DOL
FITZGERALD, JOE, SC&A
HINNEFELD, STU, DCAS
LEWIS, GREG, DOE
LIN, JENNY, HHS
KINMAN, JOSH, DCAS Contractor
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A*
MARSCHKE, STEVE, SC&A*
NETON, JIM, DCAS
RAY, SARAH*
RUTHERFORD, LAVON, DCAS
STIVER, JOHN, SC&A
THURBER, BILL, SC&A*

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

A-G-E-N-D-A

Welcome	5
Baker Brothers (SEC Petition) (Toledo, OH; 1945-1996)(PV) Dr. Paul Ziemer, TBD 6000 WG Chair ...	7
<u>Procedure Reviews:</u> TIB-10 "Best Estimate of External Dose Reconstruction for Glove Box Workers" and OTIB-23 "Assignment of Missed Neutron Doses Based on Dosimetry Records". Ms. Wanda Munn, Procedures Review Subcommittee Chair	25
Pantex SEC Petition (1951-1957; 1984-1990 - uranium and thorium; 1991 - thorium)(PV)..	53
Mr. Joe Fitzgerald, SC&A	
Brookhaven National Laboratory Site Profile Review (PV)121
Ms. Josie Beach, WG Chair	
Feeds Materials Production Center SEC Petition (Fernald, OH; 1951-1983 - subcontractors; 1953-1967 - thorium)(PV)	138
Mr. John Stiver, SC&A	
Mr. Brad Clawson, WG Chair	
Petitioners: Sandra Baldrige	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 8:32 a.m.

3 CHAIRMAN MELIUS: Good morning,
4 everybody. Welcome and let me turn it over to
5 Ted for the initial formalities.

6 MR. KATZ: Thank you. Good
7 morning and welcome to Day Two. No public
8 comment session today, but we have a number of
9 SEC sessions.

10 The materials for today are posted
11 on the NIOSH website under the Board section,
12 under Meetings, today's date. So if you want,
13 people in the public on the line, you can
14 follow along by going to the website and
15 pulling up those presentations there. Or you
16 can also follow along by Live Meeting. And
17 the agenda of the meeting is also posted there
18 on their website. On the agenda is the
19 information for joining the Live Meeting and
20 that will allow you to see the presentations
21 as they're delivered throughout the day today.

22 Let me go to roll call for Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Members. And there's only really one item
2 with any conflicts, so I will only speak to
3 conflict where it occurs.

4 (Roll call.)

5 MR. KATZ: And then, so, for
6 conflicts, the only conflict is Dr. Lockey has
7 a conflict for Fernald. So he will be recused
8 during that session.

9 MEMBER VALERIO: Excuse me, Ted?
10 I'm conflicted out of Pantex.

11 MR. KATZ: Oh, I'm sorry. Pantex,
12 I forgot. Sorry, right. Pantex. Thank you,
13 Loretta, for noting that. So she'll recuse
14 herself from that session. And I think that
15 takes care of it. That does take care of it,
16 right?

17 CHAIRMAN MELIUS: Yes. We've
18 asked Dr. Lockey to leave town for his
19 recusal. For Fernald.

20 (Laughter.)

21 CHAIRMAN MELIUS: Our first item
22 of business today is Baker Brothers. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 believe Paul Ziemer is going to make a
2 presentation. And I think, Stu, are you
3 working the slides? Or is this happening?
4 Somebody, please.

5 MR. HINNEFELD: I can certainly do
6 that.

7 CHAIRMAN MELIUS: Okay.

8 MEMBER ZIEMER: Okay, well, I have
9 my own slides here, so I'll assume -- are you
10 all seeing them there in the room?

11 CHAIRMAN MELIUS: Yes.

12 MEMBER ZIEMER: Okay, so I'll go
13 ahead and start then.

14 So, I do want to thank SC&A for
15 actually preparing the slides for me, and
16 particularly Bill Thurber. And Bill sent me
17 an early version of these and I did approve
18 them.

19 And yesterday I discovered -- is
20 Bill on the line, by the way?

21 MR. KATZ: Yes.

22 MEMBER ZIEMER: Yes, okay. Bill,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I discovered a single flaw in the slides
2 yesterday. And that fatal flaw is on Slide 1.
3 And that is the spelling of my name. So,
4 anyway, those of you who have it on your flash
5 drives you can correct that spelling. It's
6 always I before E, especially after Z, is the
7 rule.

8 (Laughter.)

9 MEMBER ZIEMER: So let's go on and
10 get into the technical content here.

11 CHAIRMAN MELIUS: We will note
12 that in our review of the contractor.

13 (Laughter.)

14 MEMBER ZIEMER: Right. There's a
15 brief summary of the petition history. I
16 don't need to go through all the dates here.
17 You have them before you. But it does begin
18 with the receipt of the petition.

19 It's an 83.13 petition that was
20 received in June of 2012 and then qualified in
21 July. The Evaluation Report was approved in
22 November 2012. And the Class, NIOSH proposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the SEC Class for the operating period which
2 was '43 and '44. That was proposed in
3 December of 2012. And the Board recommended
4 that SEC Class in the January meeting, January
5 31, 2013. That was for the operational
6 period.

7 Action wasn't taken on the
8 residual period at that time, and the TBD 6000
9 Work Group was asked to review the residual
10 period and make a recommendation. So
11 following the January action, the Work Group
12 did do that work. We actually completed that
13 work in March but we were awaiting this
14 meeting to make the formal recommendation.

15 The next slide shows a little bit
16 of the background, just to remind you of Baker
17 Brothers, located in Toledo, Ohio. They are
18 an AWE, Atomic Weapons Employer. Again, the
19 operational period is '43 and '44. The
20 residual radiation period, '45 through '94,
21 and 1996. There was remediation done by the
22 Department of Energy in 1995.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Also, just to remind you of the
2 operational actions there in '43 and '44,
3 DuPont and the University of Chicago had
4 subcontracted Baker Brothers to do machine
5 rods and slugs, uranium rods and slugs for use
6 in the reactors at Oak Ridge and at Hanford.

7 The approach that was proposed by
8 NIOSH for the residual period was similar to
9 what's done in other facilities, and that is
10 to take the value of the residual, I'll call
11 it the residual radiation, basically based on
12 airborne and surface contamination at the
13 beginning of the residual period. The
14 starting airborne concentration that was
15 proposed is the value you see here. It's the
16 geometric mean. It's based on the maximum
17 daily weighted air concentrations for
18 operators at the facility of 5,480
19 disintegrations per minute. And that's per
20 cubic meter. My particular slide says M3. It
21 should be M exponent 3 and meters cubed. And
22 then assuming 30 days deposition and a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 settling velocity of 7.5 times 10 to the minus
2 fourth meters per second.

3 So if you take that air
4 concentration and you multiply it by the
5 settling velocity, which is the 7.5 times 10
6 to the minus fourth meters per second and the
7 number of seconds in 30 days, you end up with
8 a surface concentration of -- well, they don't
9 give it as an exponent here. It's 10,653,120
10 dpm per square meter of surface. And then one
11 assumes exponential decay following the OTIB-
12 70 recommendation during the residual period.

13 And following this approach NIOSH,
14 concluded the doses could be reconstructed.
15 And this was what the Work Group is looking at
16 with the assistance of SC&A.

17 One of the issues that was of
18 concern was the fact that there had been
19 uranium fires during the operational period.
20 You notice on the next slide, or on the same
21 slide here, the possibility that uranium fires
22 could cause elevated surface concentrations so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the TBD 6000 approach might have been
2 inappropriate, particularly if no cleanup
3 occurred at the end of the operational period.

4 And there was some concern that
5 the value that NIOSH was using might have been
6 inappropriate if the fires had somehow
7 impacted adversely on that value.

8 So what SC&A did in evaluating
9 this was to consider some data that's
10 available in the publication by Adley and
11 others that shows that -- it actually gives
12 values for uranium machining fires. And I
13 think some of that Adley data, I think, was
14 distributed to the Board. I'm not certain if
15 those SC&A White Paper memos of -- there was a
16 memo of April 2013 and another in February.
17 I'm not sure if those were distributed to the
18 Board.

19 But basically what it showed was
20 that the air concentrations that derived from
21 those fires were actually lower than that
22 5,480 dpm per cubic meter that had been used

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 by NIOSH. So the NIOSH value was conservative
2 even considering the possibility of uranium
3 fires.

4 So, the bottom line of all this
5 was that uranium fires, although they did
6 cause elevated values, that they were within
7 the envelope of the 5,480. And the actual
8 values are shown in this next slide from the
9 Adley report. They ranged, for the uranium
10 fires, from 182 to 2,340 dpm per cubic meter.

11 So the bottom line was that the
12 doses during the residual period could be
13 reconstructed with sufficient accuracy as
14 proposed by NIOSH. And SC&A recommended that
15 no change to the SEC was required, that the
16 residual period could be reconstructed.

17 The Work Group agreed with that
18 and voted to recommend to the full Board that
19 an SEC Class not be extended through the
20 residual period for Baker Brothers. So that
21 is the recommendation out of the Work Group.

22 I think, if there are questions I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can certainly try to answer them. Bill
2 Thurber is available. Is Tom Jones available
3 also from NIOSH, who did the work on this for
4 NIOSH?

5 MR. HINNEFELD: I don't think Tom
6 is going to be on the phone, but Jim, I think,
7 is prepared to address anything that we might
8 have.

9 MEMBER ZIEMER: Okay. So Mr.
10 Chairman, that is our recommendation.

11 CHAIRMAN MELIUS: Okay. Do I hear
12 any questions for Paul? Or Bill or Jim on
13 this? I think it's pretty straightforward.
14 Yes, Jim Lockey.

15 MEMBER LOCKEY: Paul, this is just
16 for my edification. What's the physics behind
17 a lower exposure level during a fire, during a
18 machining process? Just so I understand it.
19 It doesn't make sense to me but it's probably
20 because of my lack of knowledge.

21 MEMBER ZIEMER: Well, I don't know
22 if I can say that there's -- what the physics

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are. I think we just have empirical
2 information. We have values from uranium
3 fires and we have values from the machining
4 processes. I don't know if Bill or Tom can
5 answer that in a more definitive way. It's
6 just what information we have. Basically
7 empirical.

8 CHAIRMAN MELIUS: Anybody else
9 want to comment on that?

10 DR. MAURO: Bill, are you on the
11 line? This is John Mauro. I was sort of
12 partnering up with Bill to help out with this.
13 If Bill is there, you probably best could take
14 a shot at this. Otherwise I think I can help
15 a little. Can everyone hear me okay?

16 CHAIRMAN MELIUS: Yes, John.

17 DR. MAURO: I'll be brief.

18 (Laughter.)

19 DR. MAURO: That 5,000 number is
20 based on what's called centerless grinding. So
21 when you look at all of the data that's out
22 there from AWE facilities, NIOSH picked the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 geometric mean for probably the worst type of
2 machining operation you could pick.

3 And the reality is it's a very
4 high number, especially as applied to Baker
5 Brothers where they were not doing centerless
6 grinding, they were doing other types of
7 machining operations.

8 In any event, the nature of that
9 number, which is an empirical number measured
10 for centerless grinding, when you then go into
11 the literature and say, okay, let's look at
12 all the data that's out there that we could
13 capture at these various facilities where
14 there were some types of fires, briquette
15 burning, different kinds of activities to see
16 what the data looks like there.

17 When we look at that data we find
18 out none of the concentrations measured as
19 reported in Adley and Harris and Kingsley,
20 where there was known some burning going on,
21 that none of those measured values were as
22 high as that 5,000 number that was measured

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for centerless grinding.

2 So, I mean, that's the information
3 we have. And as you pointed out, Paul, that
4 is purely an empirical comparison that seems
5 to support that 5,000 number as a pretty good
6 number, even under these conditions where
7 there might have been some fires.

8 CHAIRMAN MELIUS: Does that help,
9 Jim?

10 MEMBER LOCKEY: That helps very
11 much, thank you.

12 CHAIRMAN MELIUS: Okay. Any other
13 questions? Yes, Brad.

14 MEMBER CLAWSON: How much data do
15 they -- do they have air sampling data for
16 this? Or just nothing? It seems like to me
17 that they're using everybody else's
18 information.

19 DR. NETON: This is Jim Neton.
20 Yes, it's surrogate data based on TBD 6000,
21 which has been pretty thoroughly vetted
22 through the Working Group that these values

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are a compendium of values that have been
2 observed in somewhat controlled situations.

3 We're very confident that these
4 are representative of the operations. As John
5 Mauro pointed out, we picked the highest of
6 those grinding-type operations to use as a
7 bounding value.

8 MEMBER CLAWSON: And I understand
9 TBD 6000. I was just wondering if they had
10 any data at all from Baker Brothers, any air
11 sampling data at all that --

12 DR. NETON: Oh, yes, there's
13 production. Production values.

14 MEMBER CLAWSON: Production.

15 DR. NETON: That's an SEC already
16 though. We've added it.

17 MEMBER CLAWSON: Right. It's
18 years after that.

19 DR. NETON: Right.

20 MEMBER CLAWSON: I was trying to
21 clarify that. Thank you.

22 CHAIRMAN MELIUS: Any other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions or comments? My understanding is
2 this is a formal recommendation from the Work
3 Group that we, you know, accept the NIOSH
4 conclusion that this should not be added, this
5 residual period should not be added to the
6 SEC. So if there are no further questions I
7 think we should have our vote.

8 MR. KATZ: Dr. Anderson?

9 MEMBER ANDERSON: Yes.

10 MR. KATZ: Ms. Beach?

11 MEMBER BEACH: Yes.

12 MR. KATZ: Mr. Clawson?

13 MEMBER CLAWSON: Yes.

14 MR. KATZ: Dr. Field?

15 MEMBER FIELD: Yes.

16 MR. KATZ: Mr. Griffon?

17 MEMBER GRIFFON: Yes.

18 MR. KATZ: Dr. Kotelchuck?

19 MEMBER KOTELCHUCK: Yes.

20 MR. KATZ: Dr. Lemen wasn't
21 present. Dr. Lemen, are you with us now?

22 (No response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Okay, I'll collect his vote after
2 the meeting. Dr. Lockey?

3 MEMBER LOCKEY: Yes.

4 MR. KATZ: Dr. Melius?

5 CHAIRMAN MELIUS: Yes.

6 MR. KATZ: Ms. Munn?

7 MEMBER MUNN: Yes.

8 MR. KATZ: Dr. Poston?

9 MEMBER POSTON: Yes.

10 MR. KATZ: Dr. Richardson?

11 MEMBER RICHARDSON: Yes.

12 MR. KATZ: Dr. Roessler?

13 MEMBER ROESSLER: Yes.

14 MR. KATZ: Mr. Schofield?

15 MEMBER SCHOFIELD: Yes.

16 MR. KATZ: Ms. Valerio?

17 MEMBER VALERIO: Yes.

18 MR. KATZ: And Dr. Ziemer.

19 MEMBER ZIEMER: Yes.

20 MR. KATZ: Okay. And it's
21 unanimous, one vote to collect, and the motion
22 passes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: And we just
2 happen to have a letter ready. I've been
3 typing here very quickly while you were
4 voting. So I will read this into the record
5 and so forth.

6 The Advisory Board on Radiation
7 Worker Health, the Board, has completed its
8 evaluation of Special Exposure Cohort Petition
9 00204 concerning workers at the Baker Brothers
10 site in Toledo, Ohio, under the statutory
11 requirements established by the Energy
12 Employees Occupational Illness Compensation
13 Program Act of 2000 incorporated into 42 CFR
14 83.13.

15 The National Institute for
16 Occupational Safety and Health, NIOSH, has
17 recommended that individual dose
18 reconstructions are feasible for all Atomic
19 Weapons Employees and DOE employees,
20 contractors and subcontractors who worked at
21 the Baker Brothers site in Toledo, Ohio during
22 the applicable covered residual radiation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 remediation period from January 1, 1945
2 through December 31, 1996.

3 NIOSH found that it has access to
4 adequate exposure monitoring and other
5 information necessary to do individual dose
6 reconstructions with sufficient accuracy for
7 members of this group and therefore a Class
8 covering this group should not be added to the
9 SEC. The Board concurs with this
10 determination.

11 Based on these considerations and
12 discussion at the July 16th and 17th, 2013
13 Board meeting held in Idaho Falls, Idaho, the
14 Board recommends that this Class not be added
15 to the SEC. Enclosed is the documentation
16 from the Board meetings where this SEC Class
17 was discussed. The documentation includes
18 copies of the petition, the NIOSH review
19 thereof, and related materials. If any of
20 these items are unavailable at this time they
21 will follow shortly.

22 There's one typo in the third,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 essentially fourth paragraph. It should be
2 "dose," not "does."

3 I don't know if you got your
4 email, Paul. I did email the letters,
5 actually all three letters for today, to you,
6 to your home email.

7 MEMBER ZIEMER: Yes, I did get it.

8 CHAIRMAN MELIUS: Okay. So, any
9 comments? Corrections? If not, we should be
10 set. Okay.

11 MEMBER ZIEMER: Did you get Jenny
12 Lin's corrections on that one?

13 CHAIRMAN MELIUS: Which?

14 MEMBER ZIEMER: I know counsel had
15 a couple of corrections. I don't recall if it
16 was on this.

17 CHAIRMAN MELIUS: Yes. There were
18 some corrections that were already made and I
19 think that you may be opening up the old one
20 with the corrections added to them.

21 MEMBER ZIEMER: Okay.

22 CHAIRMAN MELIUS: The track

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 changes. I said I didn't want to be
2 embarrassed by my corrections on my draft
3 letter so I had Zaida print it out without the
4 track changes. But if you have any other
5 changes, Paul, just either --

6 MEMBER ZIEMER: No, I'm good. I'm
7 good.

8 CHAIRMAN MELIUS: Okay. Thank
9 you. Okay, thanks, everybody.

10 Wanda?

11 MR. KATZ: While Wanda's traveling
12 to the mic let me just check and see on the
13 line. Do we have Steve Marschke on the line?

14 MR. MARSCHKE: Yes, I'm here.

15 MR. KATZ: Oh, great. Hi, Steve.

16 MR. MARSCHKE: Hi, Ted.

17 MEMBER MUNN: Good morning. We've
18 put together slides for you for information
19 concerning a couple of the longstanding TBDs -
20 - I mean TIBs that we've had working inside
21 the Subcommittee for a number of years.

22 The first of those is Technical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Information Bulletin 10. This has been a very
2 interesting and I think extremely pertinent
3 TIB for all concerned. It is relative to
4 exposure geometry.

5 Understandably, there has been a
6 concern, many of which have been discussed
7 here on the Board itself, with regard to
8 positioning of source terms as opposed to
9 positioning of dosimeter equipment, so that
10 there was very much concern about the
11 underestimation of dose that could be a result
12 of having objects interfere with or be at
13 unexpected angles to the material that was
14 actually being handled.

15 This was particularly of
16 importance with claimants who were glove box
17 operators. And with the dosimeters being worn
18 on the lapel quite often it was assumed that,
19 as you can see from the drawing here, the
20 triangular distribution of photon would be of
21 concern for any dose estimator.

22 This particular TIB provides the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 correction factors that were necessary for the
2 reconstructors who were doing best estimate
3 work for organs that are in the lower torso.

4 The approach was an interesting
5 one to most of us. They calculated the gamma
6 flux at 30 points on the chest and at 30
7 points on the abdomen and determined the ratio
8 of each of those, of the abdominal flux to the
9 chest flux. Using the mean ratio of those
10 calculations was selected as a correction
11 factor for lower torso organs.

12 As you can see, this was an early
13 TIB. We have looked at various aspects of
14 this and it's been worked pretty thoroughly
15 throughout the years. We've made a concerted
16 effort in the last two years to try to close
17 the outstanding questions that remain and get
18 the revisions that were necessary on the
19 street. NIOSH has done a good in helping us
20 get that done.

21 We had nine findings that were
22 brought to us originally by SC&A. And our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Board Review System which, for the benefit of
2 those in the public who are not Board Members,
3 is a closed, internal document that is used by
4 the Board to keep track, in digital form, of
5 the status of these various reports. So you
6 won't be able to reach the Board's Review
7 System by the use of the URL that is shown on
8 the slide that we're looking at here.

9 Any information that is contained
10 -- these are mere summaries in this slide. And
11 anything that would have been contained in the
12 BRS is already covered verbatim by the
13 transcripts of the individual Board meetings.
14 So our Procedures transcripts will give you
15 any of the additional conversations that went
16 on and the logic that was eventually developed
17 during our Board meetings.

18 Six of those findings are now
19 closed. Three of them are in abeyance. And
20 we'll take a look at some of that history so
21 that you can get a better feel for some of the
22 details.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The first one was concerns by the
2 contractor that the bulletin didn't have the
3 transparency that they'd like to see, the
4 radioactive sources were not specifically
5 identified, and the dimensions and locations,
6 thickness of the walls, some of the details
7 that they wanted to see in order to be
8 reassured about the development of the
9 correction factors weren't apparent to them in
10 reading the original bulletin. NIOSH provided
11 an Appendix to list the details that would
12 satisfy this need and we closed that item in
13 2011.

14 There was also a concern indicated
15 by finding number 2 that the lower torso
16 organs hadn't been specified. So there was an
17 addition made, a phrase reading, "other
18 cancers that appear in the region of those
19 organs" -- that was the phrase -- to cover the
20 specifics of stomach, liver, bladder, the
21 other items below in the lower torso that were
22 not specified in the original document. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that was added to Section 2 of the document to
2 make sure that cancers like sarcomas and
3 lymphomas that might occur anywhere in the
4 body were covered.

5 SC&A also questioned the design of
6 the analysis that compared particle flux over
7 various locations on the torso rather than
8 actually modeling the variation of the
9 dosimeter response from the location. And
10 they also questioned the assumptions that were
11 made about the glove box model.

12 So the Subcommittee debated these
13 questions at considerable length and came to
14 the conclusion that the use of the 95th
15 percentile instead of the mean for the
16 correction factor was going to be adequate,
17 and we have changed that item to in abeyance.

18 Instead of the 30 by 30 array, it
19 was felt that it would be better to compare
20 the gamma flux to the individual organ that
21 was being considered by the claimant. And to
22 indicate what the -- compare that to the lapel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 monitor.

2 But it was agreed instead that we
3 would, as I said, use the 95th percentile from
4 the 30 by 30 array as the correction factor.
5 And that's now going to be incorporated.

6 SC&A questioned the use of the
7 illustration calculations rather than the use
8 of anthropomorphic phantom. And we talked
9 about that, but in view of the fact that
10 SC&A's calculated correction factor was
11 essentially the same as the calculation using
12 the anatomical illustration, the additional
13 work didn't seem to be merited. So we closed
14 the item in 2008. That's a fairly older
15 closure.

16 This is one of those places where
17 we talked about the Attila software at
18 considerable length, and again it was the
19 agreement of all that the use of the 95th
20 percentile instead of the mean for the
21 correction factor would suffice to be
22 claimant-favorable in all respects.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The ninth item was concern that
2 the use of Rocky Flats to validate the model
3 was questionable since the Rocky Flats data
4 was for glove box and non-glove box workers,
5 and the information that was necessary for the
6 contractor's view of what was required for
7 radiation sources wasn't included in the
8 original document.

9 But we, on discussion, understood
10 that the Rocky Flats data was only used as
11 proof of principle, that it really was not a
12 part of the justification for the glove box
13 factor. And that reference had been removed
14 from the TIB and we closed that item.

15 Anyone have any questions with
16 respect to what we've done with TIB-10? Yes,
17 John.

18 MEMBER POSTON: It's easier to ask
19 questions since I don't remember. When this
20 model was used in evaluation are there
21 specific designs of glove boxes that were
22 used?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The reason I'm asking is, for
2 example, at Savannah River they added
3 additional shielding to their glove boxes.
4 Sometimes it was quite thick. And so the only
5 source that would be penetrating out of the
6 glove box except through the glass were
7 through the glove openings themselves. So
8 that would change the model significantly, and
9 the dose factors that a person would be
10 exposed to. And that may be something you --
11 one of these guys has to answer.

12 MEMBER MUNN: Well, yes. Stu?

13 MR. HINNEFELD: There was some
14 discussion about variability of glove box
15 design, because there was a myriad of glove
16 box designs.

17 MEMBER POSTON: Yes.

18 MR. HINNEFELD: The situation you
19 describe, and most situations that we
20 considered non-standard, would introduce that,
21 they would introduce shielding to lower body
22 above what was at the torso or base plate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And which would cause our estimate of
2 adjustment factor to be too high.

3 MEMBER POSTON: Right.

4 MR. HINNEFELD: In other words, we
5 would adjust these doses more than they needed
6 so we had a bounding response. And for that
7 we decided that because of the myriad designs
8 even at a given facility -- you know, not
9 every design at every facility was uniform;
10 they changed over time and so on -- we felt
11 like it was better to stay with not making
12 adjustments based on design and rely strictly
13 on a geometric consideration without the
14 consideration for the other shielding.

15 MEMBER POSTON: Thank you.

16 DR. MAURO: This is John Mauro,
17 just to add from SC&A's perspective. This
18 question was raised during the process and
19 when we ran these MCNP calculations we looked
20 at a number of different design glove boxes.
21 Not the specific issue you just mentioned, but
22 we did look at a variety of designs to see if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the different glove boxes that were used could
2 change this correction factor, which I believe
3 ended up being around a factor of 2. And we
4 found that really the different glove boxes
5 assumed really didn't change that. That was
6 not a critical factor in affecting the
7 correction factor.

8 But the particular question you
9 asked, I guess I'm not quite sure of the
10 degree to which that was part of our
11 consideration.

12 MEMBER MUNN: Stu and John
13 articulated that far better than I could.

14 MEMBER POSTON: Well, it's a
15 complex situation.

16 MEMBER MUNN: It is.

17 MEMBER POSTON: And you simplified
18 it. And I understand what you did, but it's
19 much more complicated than what's done. In
20 some areas, for example, again at Savannah
21 River, the glove boxes are arranged in a U. So
22 a person that's working in the middle glove

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 box is actually being irradiated by streaming
2 through the glove ports on the two from either
3 side. So it's a very complex geometry
4 associated with these kinds of exposures.

5 MEMBER MUNN: As you may recall,
6 we tried to take all that into consideration.
7 We gave a great deal of attention to this
8 particular item and it's one of the reasons
9 why it was on the books for so long. It was
10 complex for all concerned.

11 Anyone else? Yes, Brad.

12 MEMBER CLAWSON: Well, I guess
13 I've got to come at it from a little bit
14 different standpoint than John, but I agree
15 with him on this. I guess my issue is what we
16 found out at Hanford and PFP where they put
17 shielding on the front of the glove box but
18 nothing underneath, had very thin shielding
19 which then the person is backed up against
20 another. You're getting scatter radiation
21 from underneath of it. And this is where
22 their lower torso was actually receiving more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than what their upper was because glove box
2 design was to shield them, their body, but
3 nothing underneath it.

4 I saw item number 6, which was
5 this, and it's in abeyance I guess like that.
6 I was just wondering, I agree with John, this
7 is pretty complex. I know you guys drew it up
8 pretty right, but as we found out in Hanford,
9 the shielding of it was completely -- they had
10 to reevaluate that.

11 MEMBER MUNN: Jim?

12 DR. NETON: TIB-10 is really a
13 generic calculation to address the situation
14 and it's bounding for -- as it's been
15 described. There are a myriad of facility-
16 specific conditions that come up when you're
17 doing dose reconstructions and those would
18 need to be addressed on a case-by-case basis.
19 You can't have a document like this cover all
20 possible combinations.

21 For example, I remember neutron
22 glove boxes at Mound, they had sort of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 galley-type operation. That was considered
2 specifically in that instance. So I think
3 TIB-10, as it is, is adequately -- the math is
4 adequate to describe the variance in the two
5 measurements. But if there's other special
6 situations they would need to be incorporated
7 outside of the realm of TIB-10.

8 MEMBER CLAWSON: So, Jim, if I'm
9 understanding you, what you're doing is you're
10 taking a generic glove box and just the basis
11 for that. So each one of these would have to
12 be on a case-by-case scenario.

13 I guess I get into a worry when I
14 start hearing, well, we put shielding up on
15 this one so we're going to -- because most of
16 these glove boxes were torn out 20 years ago.

17 DR. NETON: I really don't think
18 in TIB-10 the shielding actually comes into
19 play. It's a geometric correction factor.

20 MEMBER CLAWSON: Okay.

21 DR. NETON: Assuming that the
22 shielding is equal for both sides. If you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 start putting shielding down lower, as Dr.
2 Poston points out, it's going to be an over-
3 correction. You're going to overestimate the
4 person's dose to the lower torso.

5 MEMBER CLAWSON: So this whole one
6 was just set up for the geometric.

7 DR. NETON: Only a geometric
8 correction, ignoring any additional shielding
9 or lack thereof. It's just you're physically,
10 you know, the badge is physically further away
11 from the source than your lower organs.

12 MEMBER POSTON: I think the
13 important thing that you just said was it's an
14 over-correction. So you're getting a higher
15 estimate of dose.

16 DR. NETON: Yes.

17 MEMBER POSTON: So that's
18 favorable.

19 DR. NETON: Yes.

20 MEMBER MUNN: We're using the 95th
21 percentile.

22 Yes, Henry?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ANDERSON: With the
2 geometric issue like that, height becomes an
3 issue. So in your 30 by 30 grid, what was the
4 standard height of the individual that you --
5 I mean, if you had somebody who was 6'8"
6 versus somebody who was 5'2", your badge is
7 going to be considerably closer to your
8 abdomen if you're short. I mean, so it's more
9 just what was the -- did you take into account
10 height at all in the model or not?

11 DR. NETON: Yes, it was discussed.
12 I believe this was a reference man-type
13 height, whatever that is these days. A little
14 taller than reference man?

15 But if you think about what we've
16 done, we've taken the 95th percentile. And
17 so, in reality, it's taking the ratio of what
18 the badge would read to probably the lowest
19 organ. You know what I'm saying? It's
20 further away than -- there's a distribution of
21 the locations of the organs. Since we've
22 taken the 95th percentile, that kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 stretches out the range, if you will. But
2 it's pretty impossible to adjust these things
3 on a case-by-case basis.

4 MEMBER ANDERSON: No, I was just -
5 -

6 DR. NETON: You raise a good
7 point.

8 MEMBER ANDERSON: It is what it
9 is, but I was just curious as to what a U is.
10 Because also the taller you are, then when
11 you're actually using the reading that's
12 coming from their badge they could be
13 considerably further away so the badge reading
14 is going to be lower and you move their
15 abdomen up. So it's a challenge.

16 DR. NETON: Well, I'm not so sure
17 that height has as much to do with the
18 separation between the lapel and the GI tract.
19 You can be 7 feet tall or 4 feet tall and the
20 delta between those two locations is not as
21 great as the delta in the height. You know
22 what I'm saying? If it's a geometric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correction it's not as variable as the height
2 might imply. It was discussed. I don't
3 recall all the --

4 MR. MARSCHKE: This is Steve
5 Marschke. I agree with Jim. Basically, we
6 did discuss using different heights. And I
7 think what we -- again, what we ended on is
8 because there's 30 points covering the chest,
9 the gamma flux was calculated at 30 points
10 covering the chest. That could kind of be
11 interpreted as being at different heights on
12 the chest, or individuals with different
13 heights.

14 And we felt that by going --
15 again, as Jim said, going with the 95th
16 percentile, you're selecting a difference
17 between the chest dose flux point and the
18 abdomen flux point which is relatively close
19 together.

20 But the model that was calculated
21 -- because there are 900 combinations there,
22 it includes also individuals who have greater

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 distance between the chest and the abdomen.

2 MEMBER ANDERSON: Again, it
3 appears to me that you use the mean of the
4 differences between the 30 points, not the
5 maximum point and the lower point to maximize
6 it.

7 MR. MARSCHKE: That's what they
8 started out using, the mean of the ratio. But
9 then we decided on the 95th percentile.

10 MEMBER ANDERSON: Ninety-fifth
11 percentile of what though?

12 MEMBER MUNN: Of the distribution.

13 MR. MARSCHKE: Basically you take
14 all the --

15 MEMBER ANDERSON: The distribution
16 of the ratio.

17 MR. MARSCHKE: -- points, the dose
18 ratios, and you find out the 95th percentile
19 of those ratios.

20 MEMBER MUNN: Okay. Any other
21 questions? Yes, Phil.

22 MEMBER SCHOFIELD: In this model,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what if the workers say that, you know, they
2 quite often wore lead aprons? How are you
3 going to adjust for that?

4 MEMBER MUNN: Do you want to
5 address the lead apron issue for him?

6 MR. HINNEFELD: The lead apron
7 wouldn't be a question for the glove box
8 adjustment. It would be a question, I guess,
9 for the interpretation of the badge and was
10 the badge worn under the apron or over the
11 apron. So, it's essentially -- the use of a
12 lead apron is a different question than the
13 adjustment for a glove box.

14 MEMBER MUNN: Remember this is
15 just a geometric correction factor. And that
16 would be a question the individual dose
17 reconstructor would be addressing in a best
18 estimate.

19 Yes, Brad?

20 MEMBER CLAWSON: I guess I just
21 wanted to make sure what this actually was
22 being used for. And I think, and correct me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if I'm wrong, this is just to correct the
2 geometric means of the dose. It plays nothing
3 else into the shielding, the manufacturing, or
4 anything else like this. And this is used in
5 a best estimate?

6 MEMBER MUNN: It's used for best
7 estimates to organs located in the lower
8 torso. A geometric correction factor only.

9 MEMBER CLAWSON: Okay.

10 MEMBER MUNN: Alright? Very good.
11 If we are finished with TIB-10, we'll talk
12 about TIB-23.

13 MEMBER KOTELCHUCK: Don't we want
14 to vote on each one separately?

15 MEMBER MUNN: I'm sorry?

16 MEMBER KOTELCHUCK: Do we want to
17 vote on each one separately?

18 MEMBER MUNN: We're not voting.
19 We're just reporting to you what we have done
20 in the Subcommittee and what the status of
21 these is now.

22 Actually, from the viewpoint of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Subcommittee, both of these are now
2 officially closed because when we put
3 something in abeyance that means we have
4 decided -- we've come to a resolution on the
5 technical issue and what is left is an
6 administrative activity to incorporate that
7 decision into the documentation. So we have
8 finished with our deliberations when something
9 goes into abeyance.

10 We've had nine of the findings
11 from TIB-10, six of which are closed and three
12 of which are in abeyance. That is to say
13 they're closed for us, but they're now in the
14 hands of NIOSH to incorporate into the
15 documents. Okay?

16 TIB-23 was in some ways more
17 straightforward than TIB-10. In other ways it
18 was a little problematical. The purpose of
19 the TIB was to give dose reconstructors some
20 guidance as to when to determine if it was
21 appropriate to assign missed neutron doses
22 where the site used the lower detection limits

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over 2 method as an alternative method.

2 Use of the alternative method, as
3 the TIB indicates, needs to be applied when
4 the missed neutron central estimate exceeds 75
5 percent of the assigned photon dose. That is,
6 if the recorded dosimeter dose plus the missed
7 dose is exceeded by 75 percent then the
8 alternative method should be used.

9 As you can see, as I mentioned
10 earlier, these are very old Technical
11 Information Bulletins. We've worked on them,
12 on this one, for about 3 years. And we have
13 now most of the documents that are necessary
14 to be issued have been done and the findings
15 are incorporated.

16 We had eight findings from SC&A.
17 All eight of them have been closed for quite
18 some time. The summaries are fairly
19 straightforward. Most are in section 6 of the
20 OTIB, consideration 1.

21 SC&A felt that the instructions
22 were inconsistent with similar kinds of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 instructions that appeared in the overall
2 guidance document of IG-001. IG-001 indicated
3 when the neutron missed dose central estimate
4 exceeded 75 percent of the photon dose the
5 neutron exposure should be evaluated to
6 determine if it should be considered to be an
7 unmonitored exposure.

8 So the question here is whether or
9 not the formula that was being used routinely,
10 that is LOD/2, was applicable if that estimate
11 gave you a number that was more than 75
12 percent of what had been evaluated.

13 And in 23, Section 6 said missed
14 neutron doses do not need to be assigned if
15 the LOD/2 would exceed 75 percent of photon
16 dose.

17 The first finding from SC&A had to
18 do with the clarity of the definitions that
19 were included in the procedure. And the OTIB
20 Rev 1 was issued to accommodate that finding.
21 We closed the item in 2008.

22 For the alternative method,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 detailed information is required that will not
2 be readily available to the dose
3 reconstructor, was the second finding from
4 SC&A. Condition 1 was eliminated in the first
5 revision and that resolved finding 2, which
6 has been, again, closed for years now.

7 Finding 3 referenced IG-001 as our
8 basic guidance. But the guidance in OTIB-23,
9 as I said earlier, was believed to be
10 inconsistent. The review objective stated is
11 the procedure consistent with all other
12 procedures that are a part of the hierarchy.
13 And Rev 1 corrected the inconsistencies that
14 had been identified. Was closed.

15 Finding 4 questioned whether dose
16 reconstructors were in a position or whether
17 they even had all of the information necessary
18 to make the subjective decisions that were
19 potential. Section 6 Condition 1 was
20 eliminated by the Revision 1 and that resolved
21 that finding for us.

22 Finding 5 referred to finding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 OTIB-23-03 for the review objective. And the
2 second review objective, 4.2, is does the
3 procedure adhere to the hierarchical process,
4 which is very similar to the preceding
5 finding, as you can see.

6 We indicated that the issue was
7 closed on OTIB 3 because it referred to the --
8 it referred back to an earlier finding, as you
9 can see, Finding 3, which was closed.
10 Therefore the one closed the other.

11 And Item 6, the reconstruction of
12 missed neutron doses from numerous neutron
13 measurements and accurate time information is
14 unrealistic.

15 Item 7, the regulatory
16 recommendation for striking a balance between
17 precision and efficiency has, they said, been
18 ignored, they felt.

19 Finding 8 was the generic
20 assumption of a neutron to photon ratio of
21 0.75 to 1 as a limiting value for the limit of
22 detection over 2 isn't technically defensible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 or claimant-favorable.

2 As we've indicated in previous
3 findings, Section 6 Condition 1 was eliminated
4 by the first revision. That rendered these
5 particular findings moot because it no longer
6 existed as an instruction. It's been closed.

7 Do we have any questions? I think
8 that one was more straightforward by a long
9 shot than OTIB-10. But -- and it has been
10 closed for quite some time.

11 CHAIRMAN MELIUS: Any comments or
12 questions?

13 MEMBER MUNN: If not, thank you
14 very much.

15 CHAIRMAN MELIUS: Okay. We
16 actually have an hour. Our next items involve
17 the petitioners. So we need to stay on
18 schedule for those. I didn't think we'd
19 finish so quickly. So I think we take a break
20 until 10:30. Is there any other unfinished
21 Board work/business? I think we -- yes.

22 MEMBER BEACH: Are there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 petitioners on for Pantex -- or, I'm sorry,
2 Brookhaven? Could we do that early?

3 CHAIRMAN MELIUS: Well, the only
4 thing if we do that early then we're talking
5 about -- it's no petitioners issue but then
6 we've got to break. I mean, Fernald, do you
7 want a 3-hour lunch? It doesn't really help
8 given the scheduling and so forth. And we
9 already did LaVon. Hey, LaVon, you want to do
10 your presentation again?

11 (Laughter.)

12 We can do repeats. We can't
13 really do the petition letters if we haven't
14 reviewed the petitions already. So I think
15 we'll be back here at 10:30.

16 For those of you on the phone
17 we're taking a break and we will reconvene at
18 10:30.

19 (Whereupon, the above-entitled
20 matter went off the record at 9:31 a.m. and
21 resumed at 10:32 a.m.)

22 CHAIRMAN MELIUS: Okay, if we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get ready to reconvene here. The Advisory
2 Board is reconvening and the initial item on
3 our agenda is an update on the Pantex SEC
4 Petition. And I believe Joe Fitzgerald is
5 going to do the presentation.

6 MR. FITZGERALD: Good morning.

7 MR. KATZ: Let me just check on
8 the line and see if I have our Board Members
9 on the line. Dr. Ziemer, Richardson?

10 MEMBER ZIEMER: Ziemer here.

11 MEMBER RICHARDSON: Richardson
12 here.

13 MR. KATZ: Great. And how about
14 Dr. Lemen?

15 (No response.)

16 Okay, no Lemen. Thank you.

17 CHAIRMAN MELIUS: Go ahead, Joe.

18 MR. FITZGERALD: Yes, good
19 morning. Joe Fitzgerald, SC&A. Brad Clawson,
20 who's the Chair of the Pantex Work Group,
21 asked me to go ahead and give the
22 presentation. And he has promised, along with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the other Work Group Members, to chime in when
2 they need to.

3 Okay, just a quick overview. The
4 petition was qualified in 2007. The
5 Evaluation Report issued in 2008. And October
6 20th, 2011, following a Board discussion that
7 was in August, the Board went ahead and voted
8 an SEC for 1958-1983.

9 And this was based on the
10 inability to dose reconstruct internal
11 exposures to uranium. And if you recall that
12 discussion, that was focused on a particular
13 system, the W28, which was known as one of the
14 so-called dirtier depleted uranium systems at
15 Pantex. And in disassembly it tended to pose
16 a lot of contamination to the workers handling
17 that. So, anyway, that was the basis.

18 NIOSH believed that it in fact
19 could use the W28 system and the bioassay data
20 from 1990. There was a major event, if you
21 recall, in 1989 where a number of workers were
22 in fact contaminated with depleted uranium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the W28 and were subsequently bioassayed.
2 And that bioassay set is the basis for the
3 NIOSH proposal to dose reconstruct using that
4 data, and use it for 1984-89.

5 And I'll get into this a little
6 later, but you know, the notion was it was
7 difficult to normalize across 30 years of W28
8 handling. But one, perhaps, could focus in on
9 that last 4 or 5 years where you tended to
10 have more standard practice in taking these
11 weapons apart and you wouldn't have as much of
12 that difficulty in assuming normalized
13 practice. So that was certainly the basis or
14 hypothesis for going forward using the 1990
15 data.

16 And in January 2012, right after
17 the Board vote, there was a White Paper, Bihl
18 and LaBone, which provided the dose
19 reconstruction method for '84-'89.

20 And essentially the Work Group
21 sort of put a lot of that on hold for almost a
22 year. NIOSH wanted to go back and work with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Pantex to see if they could get additional
2 information on the start dates for these
3 workers in that time period to get a somewhat
4 more accurate representation of what those
5 exposures might have been and what the
6 bioassays represented. So that was pretty
7 much most of 2012.

8 In that process we looked at the
9 White Paper. We did have a technical call
10 early this year. And we did go on a site data
11 capture back in February to Pantex to in fact
12 look at this. And we had a Work Group meeting
13 on June 18.

14 Okay, essentially these are the
15 remaining SEC time periods that are subject to
16 review and what the Work Group focused on. We
17 had -- we call it the bookend years. We had
18 '51 through '57, which were the earlier years
19 where -- the SEC started in '58 -- the
20 earliest years where there were any
21 radionuclide source-terms that were handled at
22 Pantex. In fact, you began seeing fresh

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 depleted uranium forms arrive at Pantex in
2 `51, the very earliest point where the plant
3 was open.

4 There wasn't any assembly going
5 on. There wasn't really any high degree of
6 activity. But these forms were arriving from
7 Y-12 at Pantex. And as you'll hear in a bit,
8 what was going on was they were mating the
9 depleted uranium with high explosives. No
10 assembly. And then those components were
11 being transferred to places like Burlington
12 where in fact systems were being put together.
13 So at that point in time there wasn't any
14 active assembly/disassembly of systems, but
15 there was handling.

16 The `84 to `89, this is following
17 the SEC period that the Board voted on, was
18 this question of whether or not you could use
19 those 1990 bioassays collected in `89 to in
20 fact retrospectively apply for that `84 to `89
21 period.

22 We separated out `90 to `91. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period of time, this couple of years, fell
2 right after the big contamination event of
3 `89. And this is where Pantex management sort
4 of awoke to the exposure potential and the
5 implications of handling these systems and the
6 depleted uranium, and in fact put in a fairly
7 robust uranium bioassay program.

8 That's where you started seeing
9 numbers of actual bioassay results, starting
10 in `90 through `92. By `92, the
11 characterization of the workplace progressed
12 to the point where they actually stopped doing
13 routine bioassays and did more event-based
14 bioassays for uranium.

15 So there was this time period of
16 `90 to `91, which is the last 2 years of the
17 petition, where we felt that certainly was
18 different than the years before that. And in
19 the ER, NIOSH was very clear that they felt
20 they had enough data from these bioassays to
21 dose reconstruct.

22 Thorium was another question that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Work Group focused on for quite a while.
2 This was a little bit of a different issue.
3 There were some systems that did in fact have
4 thorium associated with them. You had a very
5 similar issue when you dismantled these
6 systems in terms of potential thorium
7 contamination.

8 The proposed approach that NIOSH
9 had included in the ER and subsequently
10 amended in some of these papers, this was the
11 Ruhter paper, was to use a mass ratio based on
12 some measurements that were taken on another
13 system. This is the W55, another system where
14 in fact uranium and thorium figured. And to
15 base the potential intake of thorium to the
16 availability of uranium. So use a mass ratio
17 between uranium and thorium.

18 So as the Work Group went through
19 this we focused on uranium and if there were
20 any implications for thorium. In a lot of
21 cases, we found that they were subsumed by the
22 uranium issue since the thorium method was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 based on the uranium method. So even though
2 there was thorium systems being handled before
3 '84, of course the SEC on uranium pretty much
4 subsumed the thorium within that. So that's
5 why thorium is not being addressed before '84.

6 Okay, '51 to '57. Our focus in
7 that time period was frankly to see whether
8 there were any other weapons systems that
9 predated the W28. The W28 issue in terms of
10 potential contamination from disassembly and
11 surveillance activities -- and really it's the
12 surveillance activities that bring you back to
13 that early time period. A lot of the actual
14 dismantlements didn't take place until the
15 sixties, the seventies, into the eighties.

16 In the early days they did
17 dismantle a certain set of systems for
18 surveillance and maintenance upgrades. There
19 was a fairly active cycle of systems going
20 back to Pantex. Even if they weren't being
21 retired they were being taken apart to upgrade
22 and to examine, what have you, surveillance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So you always had that going on.

2 So before `57 the focus was, was
3 there any systems other than the 28 that would
4 figure in that potential source of
5 contamination? And we focused in on three
6 systems that had depleted uranium, depleted
7 uranium that was not alloyed, so it was
8 certainly subject to contamination. And that
9 was the Mark 6, the Mark 7 and the Mark 18.

10 Of those three systems, only the
11 Mark 6 actually had any commentary. And you
12 realize going back to the fifties, a lot of
13 your evidence comes from workers who actually
14 handled these systems. We actually had
15 interview notes that indicated the Mark 6 had
16 contamination associated with it. You know,
17 in terms of disassembly you would see that
18 contamination. It wasn't unequivocal but we
19 did have that evidence.

20 So a lot of what we were looking
21 for in `51 to `57: were these earlier systems
22 taken apart? In other words, just as the 28

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was taken apart for surveillance and what have
2 you, did you have the same situation with the
3 Mark 6?

4 And what we established was, no,
5 actually, at Pantex there was no disassembly
6 even for surveillance until they had the
7 gravel gerties constructed. Gravel gerties
8 were a ruggedized -- I'm trying to think of --
9 it's like a domed igloo where they handled the
10 system. So if you ever had an inadvertent
11 explosion of high explosives with the
12 radioactive materials present it would be
13 contained and would collapse inwards.

14 And it was very clear that they
15 weren't going to have any systems dismantled
16 for even surveillance until those gravel
17 gerties were in fact constructed and opened.
18 And that didn't happen till '58. That
19 included the Mark 6.

20 Now, what confused the situation,
21 as the Work Group found out, you did have
22 these systems onsite. The Mark 6 and other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 systems were at Pantex, but they were there
2 not for any degree of handling, they were just
3 being stored and what have you.

4 So it took awhile to actually walk
5 that through. And I think we walked it
6 through with some confidence that, no, we
7 didn't have the same situation with these
8 other systems.

9 Now, you have burn pits, you have
10 hydroshots. They all figured in that late
11 fifties into the sixties. But there you did
12 have a lot of air sampling data. And as NIOSH
13 points out in its Evaluation Report,
14 sufficient data that you could come up with a
15 model bounding what the exposure of the
16 individuals were.

17 And these were even measurements
18 that were taken inside of bunkers where the
19 operators were actually placed, in addition to
20 actually in the open air. So it was a fairly,
21 for the time, a fairly extensive set of air
22 samples. We did have some issues, as you'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hear, on the Site Profile side but they
2 weren't SEC.

3 So for this one here we did not
4 find a source term that clearly had any
5 exposure potential. That's not to say -- you
6 know, you have fresh uranium forms. Fresh
7 uranium forms that are not alloyed will
8 oxidize. So just by definition you do have
9 some oxidized depleted uranium present. But
10 we found no evidence that there was an
11 exposure pathway of any non-negligible -- is
12 that the right word -- non-negligible level
13 that would have led to exposure.

14 We didn't see anything from the
15 interviews. Basically the interviews painted
16 a picture of these were clean components that
17 were put together, mated with explosives.
18 There wasn't any degree of contamination. And
19 that's where the Work Group came out on that.
20 Any questions on that, '51 to '57?

21 (No response.)

22 Okay, '84 to '89, we focused on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the White Paper 2012. Anyway, we focused on
2 the Bihl and LaBone White Paper which was
3 published in January 2012, issued in 2012. And
4 that paper presented a set of assumed intakes
5 derived from excretion values, sort of a
6 family of curves that looked at the different
7 solubility classes, presented a family of
8 curves which provided a bounding dose for
9 workers in that time period that worked on the
10 W28.

11 All these again based on a log-
12 normal analysis of the 1990, again going back
13 to that single bioassay set. And that is the
14 one set of data which is plentiful enough and
15 reliable enough to use for this purpose. So
16 that's why we keep going back to it.

17 There were five different intake
18 timing assumptions used. We went through this
19 in the "sufficiently accurate" discussion
20 yesterday. Our concern on this one is not so
21 much with the method but whether or not you
22 get to a point where the assumptions aggregate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to a degree where the uncertainties start
2 becoming a problem.

3 And that was the problem that I
4 think we had with the application of this
5 method for that period of time, was you did
6 have to make a number of assumptions regarding
7 the classes of uranium sources available for
8 exposure, the intake time frames. And all
9 these led to what we thought was a relatively
10 high level of uncertainty. And that was our
11 major, I think, one of our major concerns with
12 the method.

13 And it's laid out in the paper,
14 but it basically comes down to that, that when
15 you get into I think that kind of analysis the
16 large number becomes an issue.

17 The other issue we had was -- and
18 I don't know if Joyce Lipsztein is on the
19 phone, but Joyce spent a great deal of time
20 looking at the 1990 bioassay set. And based
21 on looking at the uranium-234, the uranium-
22 234/-238 ratio, the presence of 235, we raised

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 some questions about the fact that it looked
2 like you had a residual contamination level of
3 high enriched uranium that was present in
4 these bioassays.

5 And it wasn't explained by
6 anything we had seen in terms of documentation
7 and wasn't explained in the ER but it was
8 pretty evident in the bioassay results
9 themselves.

10 We went down to Pantex on the site
11 trip and we looked at more contemporary
12 results, bioassay results, and we found the
13 same thing, that there was a residual level of
14 high enriched uranium present in even those
15 bioassays. I'm talking 2009.

16 We sat down and talked this over
17 with the health physicist who happened, and
18 this was very convenient, time frame-wise they
19 were present at Pantex back 30 years ago as
20 well as they were still there now. And so we
21 kind of posed that question to him. I said,
22 you know, can you explain this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And, frankly, no. They speculated
2 on a couple of possibilities but clearly there
3 was no obvious explanation for what seemed to
4 be a residual level of high enriched uranium
5 that was present.

6 And it wasn't clear from what
7 source. I mean, again, we kind of speculated
8 where it might have come from. And I think
9 they posed some possibilities it might have
10 come in with the uranium from Y-12 because Y-
11 12 handled both high enriched and depleted. It
12 could have been a residual level that built up
13 over time at Pantex. But, you know, again,
14 just wasn't any real good idea about it. So
15 that's kind of where it left.

16 But it certainly posed this sort
17 of question of, you know, can this single data
18 set, with the uncertainties involved, be
19 applied for even these 4 or 5 years when you
20 have in fact these residual levels of high
21 enriched uranium that might have come from
22 either other systems or been present in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 workplace? So those were kind of the issues
2 that we raised in the Work Group and the Work
3 Group looked at.

4 And essentially based on that
5 concern, that that single bioassay set could
6 not be sufficiently adequate to address the
7 potential source of other uranium isotopes,
8 other systems that might have played into what
9 was being found in the urinalyses, that led
10 the Work Group to recommend that, yes, for
11 those 4 or 5 years you couldn't apply it that
12 way.

13 Okay, 1990 to '91. You had a
14 routine bioassay program in '91-'92 with 431
15 and 239 workers. A lot of workers, a lot of
16 bioassays. No real issue for those two years.

17 We identified '90 as a transition
18 year where you did have those bioassays that
19 were taken in '89. They were still cranking
20 up the routine program, so essentially it was
21 a ramp-up year at Pantex for bioassay.

22 And we validated that certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there was adequate bioassay data to be used,
2 that you could use in fact to apply backwards
3 into 1990. But I think the Work Group felt
4 that with the fact that it was a transition
5 year it warranted a recommendation that 1990
6 there still was insufficient uranium bioassay
7 data to support dose reconstruction.

8 So that's essentially what
9 happened for 1990, whereas there was
10 sufficient data for 1991. Any questions on
11 that? Yes, Wanda?

12 MEMBER MUNN: Joe, I'm puzzled. Do
13 we have no bioassays at all on the workers who
14 were being studied following the 1989 incident
15 prior to that incident? We don't have any
16 prior assays from those individuals?

17 MR. FITZGERALD: No. You
18 essentially had about 305 bioassay data sets
19 were done in `89. It was late `89. And the
20 results were available in early `90 for those
21 workers that were on the W28 line. That's
22 when the incident was recognized and responded

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to.

2 MEMBER MUNN: Yes, but my question
3 is you did not have any prior bioassay on
4 those individuals.

5 MR. FITZGERALD: That's right.

6 MEMBER MUNN: That's interesting.
7 Was the bioassay program intact prior to 1989?

8 MR. FITZGERALD: Well, it was
9 focused on tritium. Certainly there was a
10 tritium issue at Pantex. But depleted
11 uranium, if you go back into the eighties --
12 it wasn't just Pantex -- it wasn't really seen
13 as a major source of exposure, particularly if
14 you were handling plutonium components,
15 enriched uranium components and you had a lot
16 of tritium. So depleted uranium wasn't seen
17 as really a significant radiological issue.

18 That recognition didn't really
19 come to the fore until '89, particularly with
20 this event where you had a lot of workers that
21 were contaminated. And that coincided I think
22 with a recognition in about that same time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 frame that from a radiological standpoint the
2 programs needed to be a lot tighter on
3 contamination control. ALARA and -- and
4 that's the same time frame the Tiger Teams
5 were making their visits.

6 So if you look at '89-'90 from the
7 management perspective, where the recognition
8 was growing that the programs had to be
9 managed differently than they were in the
10 past, and the fact that before that the site
11 didn't see depleted uranium as something that
12 posed a large radiological risk.

13 MEMBER MUNN: Knowing the status
14 of radiological protection along about that
15 time it's surprising to me that there was no
16 uranium bioassay prior to the incident. But,
17 I guess -- yes, I can see why they'd be
18 focused on tritium. But that's really odd,
19 isn't it? That's too bad. From our
20 perspective, that's too bad. Thanks.

21 MEMBER CLAWSON: Joe, if I could -
22 - something that was interesting to understand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about in Pantex too was Pantex was kind of a
2 different breed of cat. You understand what
3 they played with so they kind of fell outside
4 of the realms of things. You had other sites
5 that were involved with them.

6 And one of the things that we see
7 is the 1989 to '90 was when Pantex was
8 actually shut down because they could not
9 comply to the 8415, the program for
10 standardized radioactive handling, they had
11 two RadCon personnel that were covering the
12 entire site.

13 From that time frame, 1989 to
14 1991, they went from two RadCon to over 70.
15 They had, their air sampling, major, major
16 overhauls. It was interesting from the
17 standpoint of they did not fall -- they were
18 DoD/DOE mix, don't mess with this.

19 And, finally, and I give great
20 credit to the HP that basically shut the whole
21 plant down because they could not comply with
22 what they were doing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And this is when you see this
2 change in the 1990 is after that happened and
3 a Tiger Team actually came in. And it's like
4 495 pages. And one of the worst was there was
5 no documentation of contamination levels, the
6 air samples. The process was flawed. They
7 did not have sufficient manpower. So this is
8 why, pinnacle-y, 1990 is a change period for
9 this site. But they had no bioassay. It was
10 an event-driven, and that was even
11 questionable in some of it of how they even
12 picked who they did.

13 MR. FITZGERALD: And you're going
14 to hear I think more about Fernald later.
15 Fernald essentially was a depleted uranium
16 operation and did not really control for
17 contamination in any meaningful way until the
18 mid-eighties. So it was an evolution that
19 took place between the mid-eighties and late
20 eighties, recognizing one needed to manage
21 depleted uranium differently than certainly
22 DoD had done in the past.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In terms of thorium, '84 to '91,
2 again thorium was sort of a parallel issue
3 that the Work Group looked at but did not,
4 frankly, close out until uranium I think had
5 been investigated thoroughly.

6 And the White Paper, Ruhter 2011,
7 assumed a chronic intake of thorium pegged at
8 two percent of the DU intake, again based on
9 W55. And there were some assumptions that
10 went into that analysis that I think we want
11 to investigate further this year in order to
12 close this particular issue out.

13 And a lot of it was founded on
14 using the air sampling data from 1996 and
15 using that data to bound exposures backwards
16 before '91. And to do that the assumptions
17 were that the operations were largely
18 unchanged and that the oxidation and
19 engineering safeguards were essentially the
20 same.

21 And I think the major finding that
22 we made was they installed a glove box

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 downdraft table. Now, they didn't do it
2 necessarily for thorium alone. It was, you
3 know, W55 which the downdraft table was
4 installed for in late `91 was as much for the
5 depleted uranium contamination that was coming
6 off the W55, which wasn't quite as bad as the
7 28 but was pretty bad.

8 So essentially the conditions by
9 which the W55 was handled drastically changed
10 after late `91. It was essentially the
11 measured contamination outside the glove box
12 dropped to almost nil.

13 And that kind of poses some
14 obvious issues if you're using the 1996 air
15 sampling data to come up with these mass
16 ratios. And that's kind of what the Work
17 Group had focused on as to whether one could
18 apply that method in a representative way if
19 in fact you had that major change in
20 conditions in `91. I think the conclusion was
21 you really couldn't.

22 Now, what makes this a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different is that the Work Group is only using
2 a thorium basis alone for recommending `91,
3 but it clearly overlaps from `84 through `91.
4 But since it depends on the uranium for the
5 ratio, the uranium recommendation basically
6 subsumes thorium through `91.

7 But it definitely parallels the
8 same time frame -- would parallel the same
9 time frame. Any questions on thorium?

10 MEMBER CLAWSON: Another thing,
11 too. One of the questions that came up was
12 how come this downdraft table, how come was it
13 only used on the 55? The 55 was smaller than
14 the 28. The 28 was so large of a system that
15 to build a downdraft table for it was almost
16 bigger than the room that they could work in.
17 And so this is why they built it for the 55
18 and not the 28.

19 The 28, after 1990, they
20 implemented some safety regulations to be able
21 to help the people with a depleted uranium
22 problem, vacuum systems, respiratory systems.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Many of these things were put into place
2 because they could not build anything large
3 enough to be able to take care of this. This
4 is for -- the 55 was almost half its size and
5 they were able to implement the standard
6 requirements.

7 Because what it was was a
8 glorified glove box with a substantial amount
9 of air flow through it, through HEPA filters,
10 and they actually worked through a glove box
11 to be able to do that.

12 MR. FITZGERALD: Okay, that's
13 pretty much the -- I'm sorry, Wanda?

14 MEMBER MUNN: I'm speaking from
15 memory here but when I read the White Paper
16 about this my memory was that the actual
17 amount of thorium that was measured from the
18 downdraft glove boxes was actually quite
19 small.

20 I didn't do any calculations in my
21 head even to try to determine whether a
22 teaspoon or half a cup of thorium would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 constitute a source term of any major
2 significance. I guess that question remained
3 in my mind.

4 Is that quantity of thorium really
5 of such significance to the dose
6 reconstruction process?

7 MR. FITZGERALD: Actually, I was
8 curious about that. I did do that
9 calculation. It comes out to two percent,
10 which is what NIOSH actually recommended as
11 the mass ratio. So, I think it was a cupful.
12 No, it was a teaspoon, you're right. It was a
13 teaspoon compared with a cup.

14 What we looked at relative to that
15 though was the fact that -- the before and
16 after on the glove box. Before the glove box,
17 the workers regularly manipulated during
18 disassembly the 55. And we talked to workers
19 that actually did so.

20 And unlike the depleted uranium
21 for the 28, which when you took the cylinder
22 apart the uranium was -- essentially just fell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out. I mean, it was already fairly free and
2 just basically came out.

3 With the thorium you really had to
4 manipulate the parts in order to get any
5 contamination. This was to a large degree why
6 you have such a disparity in concentration.

7 But to answer your question,
8 before the glove box operation was put in
9 place they did have practices that were
10 discontinued where workers regularly
11 manipulated these parts and actually promoted
12 some of this contamination. And they got
13 smarter on it, and certainly what the workers
14 told us, the supervisors really said, you
15 know, cease that kind of activity in terms of
16 how you're actually taking these parts, using
17 screwdrivers --

18 MEMBER MUNN: Screwdrivers not
19 permitted.

20 MR. FITZGERALD: Right, right.
21 And, you know, that coupled with the fact that
22 they recognized that they could in fact put

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this whole unit in the glove box and not have
2 to deal with all this.

3 But the other issue, of course, is
4 specific activity of thorium compared to
5 depleted uranium is significantly different.
6 So even though you have a smaller amount of
7 thorium in terms of the radiological
8 significance, certainly the thorium couldn't
9 be considered negligible or considered not
10 something to be concerned about. So that I
11 think was the major issue.

12 MEMBER MUNN: Yes. It just didn't
13 seem to me that, given the quantity and the
14 use of the two percent assumptions, I couldn't
15 really understand why the thorium itself was
16 going to be a major factor in the assigned
17 dose.

18 MR. FITZGERALD: Well, again, I
19 think the parameters that were being relied
20 upon, the two percent, the amount that might
21 have been available for exposure, those all
22 postdated the tightening of the practices, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 engineering safeguards and everything that
2 happened in `91.

3 So what we're saying is that if
4 you use the air sampling data for `96 and
5 apply a mass ratio based on that, and try to
6 apply it for before `91, the conditions have
7 changed dramatically enough that it wouldn't
8 hold, the method wouldn't hold. I think the
9 Work Group agreed on that.

10 MEMBER MUNN: Okay. I can see it
11 really doesn't give you a window on how much
12 thorium you would have gotten from the prior
13 practices.

14 MR. FITZGERALD: No, there wasn't
15 any real monitoring of breathing zones and the
16 kind of sampling that they did in the
17 nineties. They did some fairly decent
18 sampling in the nineties. That's why the data
19 I think was the basis for the method, because
20 that's where you actually have usable data.

21 Before that time period you did
22 have some measurements but they weren't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measurements. They were swipes of thorium.
2 But, again, that couldn't translate into some
3 kind of air sample that would be reliable
4 enough to do a dose estimate on. So before
5 '91 you really didn't have usable data that
6 could be a basis for dose reconstruction. And
7 I think that's where the Work Group came out.

8 MEMBER MUNN: Okay.

9 MEMBER CLAWSON: Wanda, also, too,
10 after 1991, when they really started to find
11 out that thorium really was an issue. One of
12 the things I found interesting in discussing
13 this was when they were telling the practices
14 that they did it was after this more attention
15 to detail. The workers didn't even know that
16 these were thorium components. All they have
17 is a number goes here and this and that.

18 And this is when this right to
19 know what you were dealing with came out. The
20 samples, and a lot of the samples you saw of
21 thorium were actually outside of the glove
22 box, that they wanted to make sure that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 were capturing this. Because now also even
2 Pantex looked at what items do I have in here.

3 I just want to give you a rough
4 idea of why you can't generalize it. From
5 1984 to 1999, fifteen different systems came
6 through Pantex, different ones. High enriched
7 uranium, thorium, barium, so forth, different
8 people, different processes. All torn apart.
9 And there was no generality in, okay, we're
10 only going to do these. They had many systems
11 coming through all the time.

12 And as time evolved also the
13 components changed, which brought in different
14 hazards that weren't known. And, you know, we
15 discussed earlier the AG issue and how they
16 couldn't really put a factor to it because
17 these were sealed pits.

18 But it was also mentioned of these
19 15 systems that went through from '84 to '89,
20 four of them were H, high enriched uranium
21 systems. And this is where the question they
22 don't know, but in talking with the workers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they say we can't, you know, we have to do
2 certain things to them which possibly could
3 have released it. And this is where it could
4 come.

5 To this day they really don't know
6 where some of it is coming from. And we asked
7 them. And we see it in today's bioassay
8 samples too, that they're still showing
9 certain traces.

10 MR. FITZGERALD: Okay, but to sum
11 it up, and this is on the last page. But
12 before we get into the Site Profile issues,
13 '51-'57, the first bookend to what the Board
14 has already acted on. Either we found, as
15 with the firing pits and the hydroshot
16 activities, that in fact NIOSH had enough data
17 to dose reconstruct, or we did not find an
18 exposure potential that was sufficient to
19 identify as being an issue for dose
20 reconstruction. So from '51 to '57, I think
21 the Work Group felt there were no SEC issues
22 to report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 For `84 to 1990, I think the Work
2 Group again found that the method being
3 proposed for depleted uranium was not
4 sufficient given the uncertainties as well as
5 the presence of uranium isotopes and other
6 sources of uranium that could not be explained
7 and could not be located in terms of the site
8 time frame-wise as well as location-wise. 1990
9 again was a transition year and that was
10 included in that group.

11 And of course overlaying the whole
12 time period from `84 to `91 was the thorium
13 issue we just discussed. So there were two
14 sources of SEC consideration, the uranium as
15 well as the thorium. The only time they don't
16 overlap is `91.

17 With that, there's no questions on
18 I think where the Work Group came out on the
19 SEC issues. I can walk you through on the
20 Site Profile status. There's quite a history
21 of this.

22 CHAIRMAN MELIUS: Bill?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Go ahead.

2 MEMBER FIELD: I just had a quick
3 question. I think I probably know the answer
4 but, Brad, it was a unanimous vote to
5 recommend these Classes, is that correct?

6 MEMBER CLAWSON: Yes, it is. From
7 '84 to '91. I had some people asking
8 questions of why the '90 to '91? The thorium
9 actually was overlapped by the uranium. It's
10 only '90 to '91 is thorium alone. And it was
11 unanimous by the Work Group to bring this
12 before the Board for their consideration.

13 MEMBER FIELD: And does NIOSH have
14 anything to offer contrary opinions for these
15 two proposed periods?

16 MR. HINNEFELD: No, NIOSH doesn't
17 have a contrary opinion about the proposal. I
18 could make a few comments that I think would
19 make me feel more comfortable about the
20 explanation.

21 With respect to the W28 work in
22 the essentially '84 to '90 period, the uranium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 work we're talking about there, our approach
2 was predicated all along on W28 population
3 being the highest exposed people. And I think
4 in the course of the investigation there was
5 sufficient evidence raised that that may not
6 necessarily have been the case.

7 There were other weapons systems
8 that were similarly dirty. W28 was the one
9 that got the attention. You know, you go to
10 these interviews and they said there were a
11 lot of dirty weapons systems. W28 got the
12 attention. It got the attention because of
13 persistent complaints from the people working
14 on the W28.

15 And so the follow-up was on people
16 who worked on W28 and that constitutes the
17 bioassay set. As we investigated following
18 up, it didn't really seem all that clear that
19 W28 people were necessarily the highest
20 exposed.

21 So from our standpoint, that is
22 the issue here, is we're not sure the highest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposed people are included in our monitored
2 set.

3 And I think we have a disagreement
4 in terms of recent bioassay. We didn't sit
5 and compare recent bioassay when we were down
6 there. We were down there at the same time.

7 The recent bioassay data I saw had
8 some positive U-235 results but they were
9 associated with higher U-234 and U-238
10 results. And the bioassay manager said that
11 appears to be natural uranium from their
12 drinking water source. So that was my
13 understanding of the recent U-235 results. It
14 wasn't really an enriched uranium intake, it
15 was a U-235 result that was detectable but
16 that same sample had U-234 and U-238 in it, in
17 the proportions that roughly you would expect.

18 At the kind of levels you're
19 talking about there's so much uncertainty in
20 the counting result that it may -- you know,
21 you can't draw too firm a conclusion from
22 those ratios. But that seemed to be what was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 going on recently. From what I saw.

2 But like I said, we didn't sit
3 side by side and compare this bioassay sample
4 and what do you make of that. We didn't do
5 that. So there may have been other things
6 that I didn't see.

7 MEMBER MUNN: We love a mystery,
8 you know, but that's a circumstance you can
9 think about for a long time. Isn't it?

10 MR. FITZGERALD: Yes, I thought we
11 would get a clean -- like he said, I think he
12 thought it might be environmentally related.
13 He also thought it might be maybe fugitive
14 additions to the Y-12 inventory that came
15 over. But given the levels that were there, I
16 thought, again, I think he wasn't sure. And
17 we didn't have time to nail it down.

18 MR. HINNEFELD: This wasn't what
19 we were down there for. We weren't trying to
20 wrap this up. It was the RadCon manager who
21 said maybe it came from Y-12. Maybe there was
22 residual that came from Y-12. That was the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 RadCon manager.

2 The dosimetry guy said it looks
3 like natural uranium from the drinking water
4 source. It was two different people.

5 MR. FITZGERALD: So we didn't
6 settle it out, but again that wasn't the
7 central question so much as it was an issue
8 that we were trying to resolve and couldn't
9 resolve it at the time.

10 Are there any other questions on
11 the SEC part of this? Okay. Let me just back
12 this up a little bit.

13 Okay, in terms of Site Profile
14 issues. And I'm going to go through this
15 relatively quick. Number 1 and 2 deal with
16 the internal dose models for uranium.
17 Obviously that was the thrust of the SEC
18 inquiry. So those issues were closed as we
19 closed out, the Work Group closed out, the SEC
20 questions on uranium.

21 The dose estimate approach for
22 plutonium was a question of conservatism. A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 40 DAC hour was proposed. I think there were
2 some concerns about whether that, you know,
3 that equated to about 100 millirem, whether
4 that was in fact sufficient. And we
5 ultimately had some exchanges on that in the
6 Work Group and closed that out, primarily
7 because plutonium, again, as far as
8 contamination sources, just did not exist
9 except in some very isolated incidents at
10 Pantex to begin with. And so you just didn't
11 have the exposure pathway.

12 Thorium, as we just discussed, was
13 closed out this very last Work Group meeting
14 along with the SEC. Metal tritides, that was
15 more of a question of it was certainly handled
16 as a component at Pantex. Did we have any
17 idea or did NIOSH have any idea of what was
18 handled in terms of the particular tritide and
19 was there any information on it. And there
20 was a number of exchanges, but what it came
21 down to, it was a variety of tritides handled
22 but there was no evidence at all that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was any exposure. These were all sealed
2 sources at Pantex. Unlike other locations,
3 like Mound, these were sealed sources being
4 assembled. So you didn't have that exposure
5 pathway.

6 Number 6, external dosimetry data.
7 There's a footnote there. We did have a White
8 Paper that was generated and there was an
9 exchange about the same time that this whole
10 SEC inquiry that Stu was referring to in terms
11 of the W28 workers, we put that data
12 completeness issue somewhat on the back burner
13 as we tried to resolve the SEC question of the
14 W28 workers.

15 And there are some loose ends.
16 This is one of them. And it really gets down
17 to what adjustment factors ought to be used in
18 terms of the actual dosimetry calculations.
19 Nothing that would be of SEC consequence, but
20 certainly issues that need to, from a Site
21 Profile, standpoint be resolved in the Work
22 Group at some point. So again that's what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that footnote means in number 6.

2 On number 7, neutron to photon
3 ratio not bounding. That has a history that
4 was actually married up to Mound to some
5 extent. We had concerns over neutron/photon
6 ratios. And the NIOSH position evolved from
7 reliance on this ratio as an approach to MCNP
8 and some other more advanced techniques.

9 And that I think has evolved even
10 further, which from a neutron dosimetry
11 standpoint we're still trying to clarify as an
12 end game as to what approach might be used.
13 And, again, from a Site Profile standpoint be
14 used to estimate neutron doses in concert with
15 other sites as well as at Pantex. But there
16 really isn't an issue other than trying to get
17 that closed out as far as what method is the
18 final method that would be applied at Pantex.
19 And there's a series of questions that we've
20 exchanged. So that's another issue that would
21 be resolved in Work Group.

22 Completeness of exposure sources.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In the Site Profile, not so much the SEC,
2 some questions were raised about how you're
3 going to handle offsite exposures, people that
4 went to NTS, Nevada Test Site. There were
5 some questions on the burn sites and also the
6 ability to actually obtain information on the
7 early exposures in the fifties.

8 And again that was all included in
9 this data adequacy and completeness piece
10 White Paper that was submitted. And that's
11 still I think something the Work Group is
12 going to resolve now that the SEC issues have
13 been vetted.

14 Number 9 is incidents. This is a
15 common, I think, finding in a lot of Site
16 Profile reviews where I think the opinion is
17 that more complete treatment of incidents,
18 events, whatnot, ought to be included in some
19 of these Site Profiles. Pantex was an early
20 Site Profile and had some treatment but from
21 our standpoint not as complete a treatment as
22 could be done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And, again, I think we ended up
2 including that in the analysis in the White
3 Paper. And there was some acknowledgment that
4 certainly the TBD could be expanded. It
5 hasn't been yet but that's certainly an issue.

6 Okay, consideration given to the
7 firing sites. We already covered that in this
8 last phase of the SEC. We went back and did
9 more review and certainly recommended that
10 that be closed at the last Work Group meeting
11 on number 10.

12 Number 11, we closed that out --
13 the Work Group closed that out some time ago
14 based on a White Paper that presented in terms
15 of this question of the most exposed worker
16 being batched from the external dosimetry
17 standpoint.

18 The rest of these issues are
19 petitioner issues. We've included these in
20 the Site Profile reviews in the past. Number
21 12, in terms of accuracy of plant exposure
22 data, was based on a 1980 Tiger Team finding.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And we resolved that in the Work Group from
2 the standpoint of information being presented
3 that in fact the most exposed individuals were
4 captured in terms of the exposure. Now,
5 that's not the internal side, but certainly
6 outside the internal dose standpoint it was.

7 The same thing for too few
8 monitors -- too few workers monitored. We
9 included that in the White Paper. But that
10 again focused on the early years as to whether
11 the numbers of workers were sufficient for
12 analysis.

13 Okay, number 14, and this is the
14 end of the list, whether the records were
15 sufficiently complete for subcontractors,
16 temporary employees and short-term employees.
17 Anyway, that was closed out based on a
18 response by NIOSH to the Work Group that in
19 fact all these Classes of workers were
20 adequately covered. So the Work Group was
21 satisfied that the subcontractors and temps
22 and those workers did in fact have complete

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 records.

2 The petitioners in the petition
3 raised questions on tritium leaks. And we did
4 address that in the White Paper on data
5 completeness and recognizes a lot of tritium
6 data. So it was more what kinds of -- what
7 was the approach to estimating some of the
8 earlier tritium doses when you didn't have as
9 many workers being monitored. And did you
10 have the ability, given the lower number of
11 workers being monitored, to in fact do an
12 adequate dose reconstruction? Those were the
13 kind of questions. But it wasn't SEC quality,
14 more of what kind of factors are you using to
15 make those estimates?

16 Number 16, badge placement. This
17 is -- I think Wanda was talking about glove
18 boxes. What we're talking about here is
19 workers that had pits in their laps. And sort
20 of this geometry was sort of a unique geometry
21 for Pantex. And so I think, the review that
22 we had, we presented that question as OTIB-10

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wouldn't necessarily apply although we were
2 open to hearing more about it.

3 I think the Work Group wanted to
4 have more substantiation on how you would deal
5 with a geometry where in the early days a lot
6 of the workers actually handled these pits
7 that closely and how -- whether or not the
8 dosimetry would have from an external
9 standpoint read properly or not. So I think
10 it's sort of a takeoff from the discussion
11 from this morning.

12 MEMBER MUNN: It is but there's
13 certainly an enormous difference between
14 handling something out of a glove box and
15 handling it in the glove box.

16 MR. FITZGERALD: Right.

17 MEMBER MUNN: It's quite a
18 different thing.

19 MR. FITZGERALD: That's why it's
20 an open issue. Because again we felt OTIB-10
21 -- this is going back a couple of years ago --
22 would not necessarily apply unless it were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 revised. So, anyway, that's an open issue.

2 And, finally, number 17 was sort
3 of a bit of a catch-all. A lot of
4 programmatic questions were raised in the Site
5 Profile review, some of it from the Tiger Team
6 reports about how bioassay programs were
7 managed and those kinds of questions.

8 But they were all subsumed in
9 earlier technical issues, addressed in earlier
10 technical issues, whether it was internal or
11 external. So, essentially, 17 was one of the
12 very first ones the Work Group closed out as
13 being sort of subsumed elsewhere in the list
14 of Site Profile issues. That's just included
15 to be complete.

16 And, again, in terms of a summary,
17 maybe Brad could take this one. But the Work
18 Group recommends full review by the Board on
19 uranium and thorium from `84 through `90, and
20 thorium for `91. And, again, acceptance of
21 NIOSH's ability to dose reconstruct for `51
22 through `57.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And that would essentially close
2 out all the time periods under SEC
3 consideration, leaving us with Site Profile
4 issues to tie up, essentially, for Pantex. And
5 after five years I'm sort of satisfied to be
6 able to say that finally.

7 Any questions on anything?

8 CHAIRMAN MELIUS: Yes, I have a
9 question. It's in some ways more
10 administrative but it relates here.
11 Essentially, you're recommending to close out
12 the SEC petition entirely. I mean,
13 recommending moving forward an SEC on some and
14 not on the '51-'57 period. And I'm a little
15 puzzled trying to understand that when you've
16 got a number of petitioner issues still open
17 and a number of Site Profile issues open. And
18 make sure that everyone is confident that
19 those can be addressed.

20 For example, the statement here on
21 '51-'57 is, well, you have enough air samples.
22 Well, have you actually done -- has someone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 demonstrated that those are actually adequate?
2 Because essentially we are closing out this
3 petition.

4 And, again, not that there's not
5 ways of addressing that. But I'm just
6 hesitating. And I hesitated when I wrote up
7 the letter to really do that unless we were
8 really certain that the Site Profile issues,
9 or remaining petitioner issues, weren't going
10 to affect that period and affect the SEC.

11 MR. FITZGERALD: Well, I certainly
12 agree there's a couple of issues. I think
13 tritium is one where there's a lot of data.
14 But there's implications for smaller numbers
15 of workers going back in time. But they don't
16 go quite that far back because they didn't do
17 any assembly or disassembly after '57.

18 We kind of looked at what
19 operations were happening in '51 through '57
20 and essentially there was no
21 assembly/disassembly. There was essentially
22 depleted uranium being mated with high

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 explosives.

2 So the source term that we could
3 identify was depleted uranium, essentially, in
4 that time frame. And the question was, was
5 there an exposure potential from the depleted
6 uranium? We could not document one nor could
7 we get any feedback from any of the workers
8 that worked in that time period that there was
9 in fact any real concern or any evidence that
10 you were getting contamination.

11 Now, what -- you know, we
12 certainly knew and understood that if you have
13 unclad depleted uranium you by definition have
14 oxidation that starts relatively soon. So the
15 tough question was at what point would you
16 have enough oxidation before the mating of the
17 parts that you would be concerned about it?

18 And, frankly, talking to the
19 workers that handled it, they did not pick up
20 any contamination that they could measure. So
21 it was kind of hard to hypothesize did you
22 have enough that was beyond negligible? We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 didn't establish that at all.

2 But the other source term
3 questions you're raising, we couldn't
4 establish those source terms figuring in the
5 pre-assembly/pre-disassembly time period.
6 That's why we focused on was there any reason
7 you would have a radiological source term that
8 posed an exposure potential, other than the
9 burn pits and the hydroshots? And we could
10 not establish any. And we did talk to workers
11 that actually started at the plant from '51
12 forward. So is there any possibility? I
13 don't -- there might be a possibility. But we
14 didn't find any documentation on that.

15 CHAIRMAN MELIUS: Okay. I just
16 couldn't tell from all the documentation. I
17 just wanted to make sure.

18 Any other Board Member questions?
19 If not, I would like to give the petitioners -
20 - well, first of all, Board Members on the
21 phone, Paul or David Richardson?

22 MEMBER ZIEMER: This is Ziemer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 My questions have all been answered. Thank
2 you.

3 CHAIRMAN MELIUS: Thank you, Paul.
4 David?

5 MEMBER RICHARDSON: It's been a
6 really good conversation. I don't have any
7 questions. Thank you.

8 CHAIRMAN MELIUS: Thanks. And
9 then are any of the petitioners on the phone
10 and wish to speak?

11 MS. RAY: This is Sarah and I'm on
12 the phone.

13 CHAIRMAN MELIUS: Hi. Okay, go
14 ahead.

15 MS. RAY: But I really don't have
16 anything to say. I just hope that it will be
17 approved for our workers. Thank you for your
18 effort.

19 CHAIRMAN MELIUS: Well, thank you,
20 Sarah. And any of the other petitioners on
21 the phone?

22 (No response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Okay, thank you. So we have,
2 essentially, a motion from the Work Group.

3 MEMBER CLAWSON: Right. And I'd
4 like to give the opportunity to any other Work
5 Group Members if they wanted to say anything
6 on this. We're good?

7 What the Work Group has
8 recommended is that January 1, 1984 to 1991,
9 December 31, that Pantex be granted an SEC
10 based on the depleted uranium and thorium and
11 the inability to reconstruct dose. And this
12 was a unanimous decision by the entire Work
13 Group.

14 That's what's before the Board. I
15 hope you understand the 1951 to 1957, that's
16 being -- I'm looking at that's being left open
17 because as you -- they still need to determine
18 the ability to be able to -- if the source
19 terms and so forth. That's not in -- this is
20 not in the -- it's just open.

21 MS. RAY: And this would include
22 all workers?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: Yes.

2 MS. RAY: Am I correct?

3 CHAIRMAN MELIUS: Yes, it is all
4 workers.

5 Okay, my concerns I think have
6 been addressed by Joe. And so if the Work
7 Group is recommending the `51 -- so I suggest
8 we do it in separate motions just to make it
9 less confusing --

10 MEMBER CLAWSON: Correct.

11 CHAIRMAN MELIUS: -- to people.
12 But if everyone is satisfied in SC&A, the Work
13 Group -- again, I didn't -- I was not -- I
14 haven't read the transcripts. I wasn't part
15 of the Work Group meeting so I can't say.

16 Let's do the first motion first,
17 which is to add for those years all employees.
18 And, Ted, do you want to?

19 MR. KATZ: Sure. Dr. Anderson?

20 MEMBER ANDERSON: Yes.

21 MR. KATZ: Ms. Beach?

22 MEMBER BEACH: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Mr. Clawson?

2 MEMBER CLAWSON: Yes.

3 MR. KATZ: Dr. Field?

4 MEMBER FIELD: Yes.

5 MR. KATZ: Mr. Griffon?

6 MEMBER GRIFFON: Yes.

7 MR. KATZ: Dr. Kotelchuck?

8 MEMBER KOTELCHUCK: Yes.

9 MR. KATZ: Dr. Lemen is absent, I
10 believe, still. I'll collect his vote after.
11 Dr. Lockey?

12 MEMBER LOCKEY: Yes.

13 MR. KATZ: Dr. Melius?

14 CHAIRMAN MELIUS: Yes.

15 MR. KATZ: Ms. Munn?

16 MEMBER MUNN: Yes.

17 MR. KATZ: Dr. Poston?

18 MEMBER POSTON: Yes.

19 MR. KATZ: Dr. Richardson? David?

20 MEMBER RICHARDSON: Yes.

21 MR. KATZ: Thank you. Dr.

22 Roessler?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ROESSLER: Yes.

2 MR. KATZ: Mr. Schofield?

3 MEMBER SCHOFIELD: Yes.

4 MR. KATZ: Dr. Ziemer?

5 MEMBER ZIEMER: Yes.

6 MR. KATZ: And Ms. Valerio is
7 recused from this session. So it's unanimous
8 with one vote to collect from Dr. Lemen. The
9 motion passes.

10 CHAIRMAN MELIUS: Thank you. Okay,
11 now let's go back to the `51 to `57 time
12 period. And my recollection was that -- the
13 question I asked was did any of the sort of
14 outstanding Site Profile or outstanding SEC
15 petition issues that Joe included in his list,
16 did any of those apply to or would affect the
17 `51-`57 period? And I thought the answer was
18 that they did not. So if that -- and if the
19 Work Group is comfortable in making that
20 recommendation now -- I mean, I'm comfortable
21 with it personally.

22 MEMBER CLAWSON: Yes. Joe did a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 great job at it. But what I want the Board to
2 understand is that there wasn't -- nothing
3 ever happened until 1958. And that was the
4 issue. But, you know, there's the question of
5 the depleted uranium. But this was clean
6 depleted uranium coming in and anybody that
7 we've talked to, we couldn't see anything. So
8 if you need a motion for the 1951 to 1957 or -
9 -

10 CHAIRMAN MELIUS: We already have
11 one from the Work Group.

12 MEMBER CLAWSON: Okay.

13 CHAIRMAN MELIUS: Jenny, do you
14 have a --

15 MS. LIN: No, just to confirm that
16 there is actually a motion from the Work
17 Group.

18 CHAIRMAN MELIUS: Yes, yes. Okay.
19 So we have a motion. Let's do the vote.

20 MR. KATZ: To not add a Class.
21 Okay. Dr. Anderson?

22 MEMBER ANDERSON: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Ms. Beach?
2 MEMBER BEACH: Yes.
3 MR. KATZ: Mr. Clawson?
4 MEMBER CLAWSON: Yes.
5 MR. KATZ: Dr. Field? Dr. Field?
6 MEMBER FIELD: Yes.
7 MR. KATZ: Mr. Griffon?
8 MEMBER GRIFFON: Yes.
9 MR. KATZ: Dr. Kotelchuck?
10 MEMBER KOTELCHUCK: Yes.
11 MR. KATZ: Dr. Lemen, I'll have to
12 collect his vote. Dr. Lockey?
13 MEMBER LOCKEY: Yes.
14 MR. KATZ: Dr. Melius?
15 CHAIRMAN MELIUS: Yes.
16 MR. KATZ: Ms. Munn?
17 MEMBER MUNN: Yes.
18 MR. KATZ: Dr. Poston?
19 MEMBER POSTON: Yes.
20 MR. KATZ: Dr. Richardson?
21 MEMBER RICHARDSON: Yes.
22 MR. KATZ: Dr. Roessler?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ROESSLER: Yes.

2 MR. KATZ: Mr. Schofield?

3 MEMBER SCHOFIELD: Yes.

4 MR. KATZ: And Valerio is recused.
5 Dr. Ziemer?

6 MEMBER ZIEMER: Yes.

7 MR. KATZ: And it's unanimous. The
8 motion passes.

9 CHAIRMAN MELIUS: Okay. So I have
10 a letter ready for the addition but not for
11 the `51-`57 period. I will do a separate
12 letter. I'll circulate it to the Board before
13 sending it forward. It will be pretty
14 straightforward.

15 But for the `84-`91 period I'll
16 read the letter as follows. The Advisory
17 Board on Radiation Worker Health, the Board,
18 has evaluated a Special Exposure Cohort
19 Petition 0068 concerning workers at the Pantex
20 Plant in Amarillo, Texas, under the statutory
21 requirements established by the Energy
22 Employees Occupational Illness Compensation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Program Act of 2000 incorporated in 42 CFR
2 Section 83.13.

3 The Board respectfully recommends
4 that SEC status be accorded to all employees
5 of the Department of Energy, its predecessor
6 agencies and their contractors and
7 subcontractors who worked at the Pantex Plant
8 in Amarillo, Texas, from January 1st, 1984
9 through December 31st, 1991 for a number of
10 work days aggregating at least 250 work days
11 occurring either solely under this employment
12 or in combination with work days within the
13 parameters established for one or more other
14 Classes of employees included in the Special
15 Exposure Cohort.

16 This recommendation is based on
17 the following factors. Workers at this
18 facility during the time period in question
19 were involved in operations related to nuclear
20 weapons production. The Board's review of
21 available monitoring as well as available
22 process and source term information for this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 facility found that NIOSH lacks the sufficient
2 information allowing to estimate with
3 sufficient accuracy the internal doses from
4 potential exposure and uranium related to the
5 disassembly of weapons systems during the time
6 period from 1984 through 1990, and to thorium
7 in 1991, which employees working at this
8 facility may have been subjected.

9 The Board also determined that
10 health may have been endangered for these
11 Pantex Plant employees during the time period
12 in question.

13 Based on these considerations and
14 discussion at the July 16th and 17th, 2013
15 Board meeting in Idaho Falls, Idaho, the Board
16 recommends that this Class be added to the
17 SEC. Enclosed is the documentation from the
18 Board meeting where this SEC Class was
19 discussed. The documentation includes copies
20 of the petition, the NIOSH review thereof and
21 related materials. If any of these items are
22 unavailable at this time they will follow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 shortly.

2 Any comments? Questions? So that
3 will go forward. And as I said, I will
4 circulate the other letter.

5 And I would like to thank Brad and
6 the Work Group for all their hard work on
7 this. I'd also like to thank NIOSH for their
8 efforts. And also, I don't know if Greg is
9 still here, but the Department of Energy for
10 all their assistance. This has not been an
11 easy site to evaluate. I also really thank
12 the people at the facility. We got a lot of
13 cooperation, a lot of assistance there over
14 time. And frankly I personally was skeptical
15 we'd get this far as well as we have in here.

16 I'd also like to thank the
17 petitioners, both for all their efforts in
18 putting this forward and also for the patience
19 with the time it took to get here. But they
20 did continue to advocate, continue to work
21 hard for this, and hopefully this will benefit
22 many of the workers at that facility who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 worked for many years and as a result have
2 developed cancer. But I'm glad that we were
3 able to get through this and do it as well and
4 as clearly as we could. So, thank you.

5 But, Brad, you've been patient.

6 MEMBER CLAWSON: Jim, I wanted to
7 second everything you said. I'd personally
8 really like to thank the DOE, NIOSH. This was
9 a very, very complicated site. And we got a
10 tour so that we could better understand that,
11 that was very well put together and it really
12 helped us understand how the process was.

13 But it was also an honor to be
14 able to meet a lot of these people that all of
15 this work that we're doing, all these other
16 sites, this is where it all came together at
17 the very end. And what a wonderful group of
18 people. And Sarah Ray, we couldn't have done
19 it without you. We're very thankful.

20 CHAIRMAN MELIUS: And I just
21 recognized, I forgot to recognize Joe
22 Fitzgerald who also did a lot of effort here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, thank you, Joe, and SC&A.

2 So, anyway, thank you. That
3 completes our work here for the morning.

4 MR. HINNEFELD: I think that was
5 Sarah wanting to say something.

6 CHAIRMAN MELIUS: Oh, okay, I'm
7 sorry. I didn't hear you.

8 MS. RAY: I was just going to
9 second what you said, or third it or whatever.
10 But thank you so much for your efforts and for
11 continuing on with this and looking at the
12 broad picture. We so appreciate it. And it
13 will mean so much to so many workers. Thank
14 you so much.

15 CHAIRMAN MELIUS: Well, thank you.

16 So, we're early. We can break for
17 lunch. We will break. I believe we're
18 scheduled to come back at 1:30. We'll take up
19 Brookhaven.

20 I believe, I don't know if it's
21 actually -- it's not a petition issue but
22 there may be people on the line. But I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think it makes sense to try to come back any
2 earlier. So plan on 1:30 here.

3 (Whereupon, the foregoing matter
4 went off the record at 11:41 a.m. and went
5 back on the record at 1:33 p.m.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 1:33 p.m.

3 CHAIRMAN MELIUS: Okay, it's 1:30.

4 We are reconvening the meeting of the Advisory
5 Board on Radiation Worker Health. And do we
6 have any announcements?

7 MR. KATZ: Just to check and see,
8 Dr. Ziemer, Dr. Richardson, are you on the
9 line?

10 MEMBER ZIEMER: Paul Ziemer on the
11 line.

12 MR. KATZ: Good to hear you.

13 MEMBER RICHARDSON: David
14 Richardson on the line.

15 MR. KATZ: Super. Thank you. And
16 Dr. Lemen, are you on the line?

17 (No response.)

18 MR. KATZ: Okay.

19 MEMBER BEACH: Is Ron Buchanan on
20 the line?

21 DR. BUCHANAN: Yes, this is Ron
22 Buchanan, SC&A.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Oh, hi, Ron. Thank
2 you.

3 CHAIRMAN MELIUS: Okay. We'll
4 start with Josie Beach, Chair of the
5 Brookhaven National Laboratory Work Group. And
6 we're going to do Site Profile issues.

7 MEMBER BEACH: Okay. This should
8 be fairly brief. As you know, in March we
9 finished up Brookhaven SEC issues. The first
10 slide just indicates again who the Work Group
11 Members are.

12 So, just a bit of background. We
13 do have an SEC for January 1, 1947 through --
14 all the way through 1993. It was based on
15 lack of adequate internal dose records.

16 There was 13 issues for the SEC
17 and we also had 13 Site Profile issues.
18 Remember last month in March we voted out --
19 we finished the SEC based on the sampling that
20 NIOSH was able to show the Work Group that
21 they could accomplish the sampling on those
22 five sample cases that SC&A came up with.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, the remaining items. After
2 the meeting in March SC&A completed the matrix
3 for the SEC, which was sent to you. That came
4 out just after the meeting, so you have that
5 to show the work that was done and just to
6 readdress all those issues.

7 The other one for the Site Profile
8 issues came out in May. I'm sure you have
9 that available also. And Ron is on the line.
10 He is going to go through all those Site
11 Profile issues for you.

12 One thing I do want to point out
13 before I turn it over to Ron is on page 4 of
14 your handout, under item 13, if you look at
15 the last sentence -- and I guess I can go
16 forward here -- there is a couple of
17 corrections.

18 Item 1 should be -- or items 2 and
19 3, it should actually read items 1 and 2. And
20 then the closure should be item 3. So instead
21 of 2, 3 and 1, it should be 1, 2 and then 3.
22 Just a quick adjustment so Ron doesn't have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 deal with that.

2 And with that, is there any
3 questions on the SEC and where we finished up
4 there? This really wasn't about the SEC but I
5 wanted to just give you a quick brief
6 overview.

7 Alright, Ron, if you're ready I've
8 got your slide presentation up. Thank you,
9 Brad. And we're ready to go with number 1.

10 DR. BUCHANAN: Okay. This is Ron
11 Buchanan, SC&A.

12 Now, I'm on the -- I joined the
13 meeting but all I have is the summary slide
14 from Pantex.

15 MEMBER BEACH: Just a second.

16 MEMBER RICHARDSON: It's the same
17 for me. This is David Richardson.

18 MEMBER ZIEMER: This is Ziemer.
19 I'm seeing the same thing. I'm still seeing
20 Pantex.

21 (Pause.)

22 MEMBER BEACH: Okay, so my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 apologies. As I was getting prepared I
2 neglected to hook up to Live Meeting.

3 Ron, can you see it now?

4 DR. BUCHANAN: Yes. Slide number
5 2 is coming up, yes.

6 MEMBER BEACH: And I just moved it
7 to slide number 2, which is the start of your
8 presentation.

9 DR. BUCHANAN: Right, slide number
10 3 is on now.

11 MEMBER BEACH: Okay, so we'll turn
12 it over to you at this point, Ron.

13 DR. BUCHANAN: Okay, thank you. A
14 little background here. We did the Site
15 Profile issues first and there was 13 of
16 those. And by coincidence then we got into
17 the SEC and there was 13 of those. And so
18 it's a little confusing but that's the way it
19 was.

20 And so we addressed the SEC
21 issues, and the Site Profile issues kind of
22 sat on the back burner. And so once we got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the SEC issues addressed then we came back to
2 the Site Profile issues.

3 And some of the same issues or
4 parts of the issues were the same. And so
5 some of these I'll refer to the SEC issues.
6 And so we'll start out with the Site Profile
7 issues number 1 and 2 because they're related.
8 Can you go back one? There you go. Okay,
9 that's right. Slide 3 is correct.

10 We have 1 and 2 there. And this
11 was concerned with bioassay monitoring and
12 records. And Brookhaven did not have a good
13 centralized system to begin with, and so we
14 questioned that. And then the SEC was granted
15 through 1993 which took care of most of that.
16 But then we were still concerned about `94,
17 `95 and `96, in that area.

18 And so we worked with NIOSH, went
19 back and looked at some of these bioassay
20 records and also exposures and cases. And we
21 closed that out under the SEC issues and found
22 out there was sufficient data after 1993. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that Site Profile issue 1 and 2 was
2 satisfactorily addressed.

3 If there's any questions as I go
4 through these, you can stop me. If not I'll
5 just go onto the next one.

6 Site Profile issue number 3 there
7 was the minimum detectable activity and
8 uncertainties. We looked at that and we found
9 that in the revised TBD -- there were several
10 revisions to the Brookhaven National Lab TBD.
11 And our latest one we looked at after the
12 findings were posted.

13 We found that they did address the
14 major radionuclides, expanded the time of
15 coverage and included some other
16 radionuclides. And we felt that item number 3
17 has been satisfactorily addressed by the
18 revised TBD.

19 Number 4 was the characteristics
20 of the radionuclides. And now the revised TBD
21 did not give additional information on that.
22 However, they did revise the TBD to instruct

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the dose reconstructor to use the most
2 claimant-favorable solubility, particle size,
3 et cetera, that would create the largest dose
4 to the organ of interest. And this is
5 standard protocol for dose reconstruction, so
6 we agree with that solution to that issue.

7 Number 5, to begin with, we
8 noticed there was no internal coworker dose
9 assigned. And this was also addressed in SEC
10 issue number 12. And this goes back to our
11 investigating the internal dose records. And
12 we analyzed some cases to see if there was
13 available data. And there was. And so we, at
14 this time, found that there was no need for
15 internal coworker data for BNL. And we feel
16 that this issue has been satisfactorily
17 resolved.

18 Now, number 6 is the only open
19 issue at this time and that was the NTA film
20 badge. As you know, NTA film has a cutoff at
21 about half to 1 MeV. And so if you're working
22 around moderated neutrons of lower energy then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it doesn't register it, or only registers part
2 of the dose.

3 And so we brought this issue up.
4 And as of April 30, NIOSH is to address this
5 issue. And we will then review their
6 recommendations when they're made available.

7 Number 7 is along the same lines
8 as NTA track fading. And NTA film sets, the
9 neutron detection film sets, it will lose its
10 images. And so you have to do some
11 compensation for that depending on how long it
12 sets and what the energy of the neutrons are
13 and such.

14 And so we found that this was SEC
15 issue number 1 that had been resolved. This
16 is where in the revised TBD they did recommend
17 a fading factor of 1.8 before 1985. And going
18 back over the literature for fading and some
19 of the published information we find that this
20 is a claimant-favorable factor and we agree
21 with that.

22 After 1985 and forward, when they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used NTA film through '95 at BNL, we found
2 that the Landauer records did show that they
3 calibrated the film at the beginning of the
4 exchange cycle and then read it at the end.
5 And so this would compensate for any fading,
6 and in fact it would be claimant-favorable and
7 it was if the whole dose was assigned -- was
8 acquired on the first day of exposure. And
9 then it was read on the last day of when it
10 was turned in. And so this would be claimant-
11 favorable and we agree with that on issue
12 number 7.

13 Issue number 8 was at BNL they had
14 a number of neutron dosimetry systems. And
15 they sometimes had them intermixed. Sometimes
16 they'd use one, two, or three, or four in
17 combination. And our question to begin with
18 was -- and also in SEC issue number 3 -- was
19 how was this dose recorded and which one was
20 used?

21 And so what we did was
22 investigated some of the readings. And we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 found out that if there were several readings
2 they always recorded the highest, or both of
3 them, or all three of them sometimes in the
4 records. And then so this highest reading
5 could be used to assign a dose during dose
6 reconstruction. And we had no further issue
7 with that. That issue was addressed.

8 Number 9 is the potential
9 exposures at the accelerators. Brookhaven had
10 a lot of different neutron sources. They had
11 accelerators of different configurations. And
12 so this gave you a wide range of neutron
13 energy.

14 And the NTA film and the other
15 neutron detection methods have of course a
16 certain range that they can detect neutrons
17 efficiently. And we were concerned about
18 there might be locations where there would be
19 higher energy neutrons where the NTA film
20 responds but would be a less than full
21 response.

22 And so we looked over the possible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutron sources, their energy ranges, and the
2 percent of time a person would spend at the
3 different locations such as the beamlines and
4 outside shielding.

5 We found that BNL always used a
6 quality factor, a biological conversion
7 factor, a conservative value of 10. And most
8 of the measurements around the accelerators
9 showed a quality factor of about 5. And so
10 there was generally over an estimate. And so
11 if the detection system did have lack of
12 sensitivity at higher energy then it was most
13 likely compensated for. Plus, you would not
14 stand in the beamline for a long period of
15 time. So we felt that there was no additional
16 need for adjustment factors beyond what was
17 already being used. And so we considered this
18 issue closed.

19 Issue number 10 was external
20 coworker dose data. And at first we wanted to
21 see if there was a need for external coworker
22 dose data. And we brought this up to NIOSH.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And we find in the revised TBD that they did
2 provide coworker external dose data from 1947
3 to 2010.

4 We looked at that data and found
5 that it was derived in a claimant-favorable
6 manner. And we evaluated some of the dose
7 records and found that it was acceptable. And
8 this provided for resolution of issue number
9 10.

10 Now, 11, of course, incidents and
11 events was not thoroughly addressed in the
12 original TBD. And so we find that in the
13 revised TBD there was some additional revision
14 and additional information.

15 And also we looked at the -- in
16 evaluating these SEC issues on internal intake
17 and exposures and neutrons and stuff, we did
18 look at the potential field of exposure. And
19 we found that probably the system that they
20 used for dosimetry, internal and external,
21 wouldn't satisfactorily address the issues of
22 possible exposures. So we felt that that item

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could be closed.

2 Now, there was one particular area
3 that was pointed out in particular, that was
4 the igloo area. Now, igloo area was kind of a
5 storage area that they stored unused sources.

6 It wasn't really a trash area, it was just a
7 storage area that was made out of concrete
8 blocks stacked and such.

9 And of course outside this gated
10 area you could receive a higher dose than the
11 general environmental area if you were using
12 Section 4 of the TBD. And so we found -- we
13 brought this up and NIOSH did address this in
14 the revised TBD to include the igloo and the
15 HWMF facility satisfactorily. So we felt that
16 that issue could be closed.

17 That brings us to the last issue,
18 number 13, and that had to do with the number
19 and type of X-rays. And there was three items
20 to this issue. And this is mainly wording of
21 the tables so that it was less confusing and
22 not ambiguous on when they should assign what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 type of X-ray.

2 And so we had item 1 there, which
3 was from Table 3-1. The wording on when they
4 should assign certain X-rays if there wasn't
5 records or if there was records. That was
6 reworded in the revised TBD and it's clearer
7 now. So we feel that item number 1 has been
8 satisfactorily addressed. This is where the
9 error on the slide is; item 1 is addressed.

10 Item 2 was the type of X-rays,
11 whether they did the special X-rays as a
12 condition of employment. So we brought that
13 up. We looked at twenty cases and we found
14 that what NIOSH recommended in the revised TBD
15 agreed with what we found in these twenty
16 cases. And therefore we considered that item
17 number 2 could be closed.

18 Item number 3 was the wording and
19 functionality, like when do you use what part
20 of the tables. And Table 3-2 and Table 3-3
21 has been satisfactorily addressed in the
22 revised TBD so that is clearer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But we did find the 2013 revised
2 TBD still did not contain any information
3 referring to Table 3-4. 3-4 is a useful
4 table. I believe it provides the skin,
5 different organ doses as a function of time
6 and such. And so it's a useful table but
7 there was no reference to it, or where it came
8 from, or when to use it.

9 And so NIOSH's response of the
10 30th of April of 2013 said they would -- the
11 next TBD would add some information to make
12 that clearer. And so that was item number 3.

13 And so that brings me to the
14 summary. And so, Josie, do you want to do the
15 summary slide now?

16 MEMBER BEACH: Sure, I'll take
17 over. Thank you, Ron. Any questions for Ron
18 on any of the Site Profile issues?

19 One thing I can point out is that
20 NIOSH and SC&A are in agreement that the two
21 issues that are left, once the new TBD is
22 released, SC&A will take a minute to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them and that should completely close all the
2 Site Profile issues for Brookhaven National
3 Labs.

4 So eleven of the thirteen issues
5 are closed. And I think, in summary, that's
6 about all I can tell you. Any questions or
7 comments?

8 (No response.)

9 I think we were pretty clear last
10 meeting in March. Okay.

11 CHAIRMAN MELIUS: Good. And good
12 job, LaVon, on the slides.

13 MEMBER BEACH: Yes. This is
14 possessed, it's changing on its own so I'm
15 going to leave it.

16 (Laughter.)

17 CHAIRMAN MELIUS: Okay. Thank
18 you, Josie. And Ron, thank you also for that.

19 We have about 25 minutes until we
20 need to start on Fernald. And we should wait
21 because I believe petitioners may very well be
22 on the line for this one. And we'll try to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stay on schedule for that.

2 I'm trying to think of any
3 updates. Just a couple of sort of
4 housekeeping items. One is that Ted and I
5 were talking on Rocky Flats. I think plan on
6 a 2-day meeting. It may be a day and a half.
7 We'll try to pin that down. It obviously
8 depends on what happens with some of the SECs
9 that are coming. We don't have many in the
10 way of 83.14s but there are some Work Groups
11 out there that possibly could come through.
12 Obviously, Rocky Flats will be a subject for
13 that meeting.

14 We're not sure, Savannah River
15 could also. So that's probably more uncertain
16 in terms of that. So I think we can plan a
17 day and a half at least, and possibly two
18 days. But my guess is a day and a half. But
19 we will try to pin that down by -- was it
20 September 5th?

21 MR. KATZ: September 7th.

22 CHAIRMAN MELIUS: Yes, whatever.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We have a Board call so we will try to have a
2 better idea by that time so people can plan
3 travel, though I'm not sure we'll have
4 permission yet on that. But at least in terms
5 of your schedules and so forth.

6 On the coworker issue, I've been
7 talking to NIOSH, SC&A and some others. And
8 we will probably do some follow-up sort of
9 Work Group, SEC evaluation Work Group meeting
10 to try to spend a day in Cincinnati going over
11 that issue and try and see if we can have some
12 -- make some progress. I won't say
13 resolution, but certainly some progress and
14 then look at some of the applications or
15 potential applications.

16 And so we'll probably come back to
17 the Board at least with an update on that and
18 the sufficient accuracy overall issue by the
19 October meeting. And otherwise I think the
20 main issue in terms of timing is going to be
21 the issue of the -- where we are with some of
22 the outstanding SECs. But we've got Pantex,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 so the number of outstanding ones is
2 dwindling. And if we can -- there's only a
3 few outstanding ones, relatively speaking,
4 left.

5 So why don't we take another short
6 break and about -- and be back here right at
7 2:15 and we'll start. You don't even have to
8 leave, you can stay.

9 (Whereupon, the above-entitled
10 matter went off the record at 1:56 p.m. and
11 resumed at 2:19 p.m.)

12 CHAIRMAN MELIUS: We're about to
13 get started and make sure everybody that
14 needed to be here is here. We are dealing
15 with the Fernald site and the SEC petition
16 there.

17 And first I want to -- Brad, do
18 you want to say something or just let John go
19 ahead?

20 MEMBER CLAWSON: John was going to
21 start out.

22 CHAIRMAN MELIUS: Oh, okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: And then we were
2 going to go.

3 CHAIRMAN MELIUS: So, John Stiver,
4 if you want to?

5 MR. KATZ: Just to note as John is
6 getting ready that we're down a couple of
7 Board Members. We're down Mark Griffon and
8 Jim Lockey. Jim Lockey has recused himself
9 from this session.

10 MR. STIVER: Good afternoon. I'm
11 John Stiver with SC&A. And with Brad today
12 we're going to give the Board a status update
13 on the Fernald SEC petition review.

14 And we really want to break this
15 into two components. There really are two
16 open SEC issues at this point. They're quite
17 different.

18 So the first I think we're going
19 to go ahead and discuss to begin with which is
20 the uranium coworker model as applied to
21 subcontract employees prior to 1986. And the
22 second is the thorium coworker model that uses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 daily weighted exposures for 1954 to 1967.

2 But before we get too far into
3 that, it's been quite some time since these,
4 especially the second issue, was described.
5 And we have some new Board Members on who
6 really haven't been privy to a lot of the
7 Fernald discussions. So for your benefit
8 we're going to go back through, and just for
9 the benefit of everybody, just as a review.

10 But this is the Work Group review.
11 This is probably one of the most longstanding
12 SEC petitions, if not the longest standing in
13 the entire program.

14 April 2006, the SEC petition was
15 qualified and the Class, the proposed Class,
16 was all employees who worked in all facilities
17 at the Feed Materials Production Center in
18 Fernald from January 1st, 1951, through
19 December 31st, 1989.

20 In November 2006, the Evaluation
21 Report was issued and NIOSH found no part of
22 the Class under evaluation for which it could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not estimate radiation doses with sufficient
2 accuracy.

3 November 10th, shortly thereafter,
4 SC&A released our Site Profile review and in
5 July of 2007 our SEC Petition Evaluation
6 Report review.

7 From August 2007 to July 2013,
8 there have been a total of sixteen Work Group
9 meetings. That's not a misprint, that is the
10 real number, sixteen meetings.

11 And just for those of you
12 interested in some of the early discussions,
13 in May of 2011, prior to the meeting in St.
14 Louis, Missouri, I gave a detailed summary and
15 posted for review a whole series of documents
16 related to the SEC issues up to that point.
17 And the link is there in blue on this slide
18 for those of you who are interested.

19 MEMBER MUNN: John?

20 MR. STIVER: Yes.

21 MEMBER MUNN: You might want to
22 mention for the record that that link is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 available to the public. That's an internal
2 link.

3 MR. STIVER: Yes, Wanda, that's a
4 good point. That is an internal link to the
5 internal intranet, the CDC intranet.

6 The status of the SEC issues.
7 There were six original issues from our 2007
8 report. You'll see those that are indicated
9 as closed with an asterisk based on Work Group
10 recommendation. Some have been transferred to
11 Site Profile discussions.

12 The two that are open, as I said,
13 this is the first, the coworker model with
14 uranium internal exposures, and number 6A, the
15 DWE model for thorium intakes. And you see
16 there's a note of conditional closure.

17 We had tentatively agreed in
18 principle with that model back in 2010 with a
19 caveat that NIOSH provide demonstration that
20 their implementation strategy would indeed be
21 acceptable.

22 And the chest count data, there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an SEC that was granted based on the thorium
2 chest count data that was reported in
3 milligrams thorium during the years 1968 to
4 1978. And this is for all the workers at
5 Fernald during that period of time.

6 This is open issue number 1. We'll
7 go through kind of an overview, a history of
8 it.

9 The concerns here regarded the
10 completeness and adequacy, as usual, of all
11 coworker models. And this is for the uranium
12 bioassay data that was available for dose
13 reconstruction that supported the internal
14 dosimetry coworker model. This is OTIB-78. I
15 believe Revision 2 of that model came out just
16 this year.

17 The status of the issue. There's
18 been countless White Papers exchanged, Work
19 Group discussions from the inception of the
20 SEC, discussions all the way through July 1 of
21 this year.

22 At the July 1 meeting, which was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 teleconference, the Work Group did pass a
2 motion to recommend to the Board that a Class
3 of workers comprising subcontractor employees
4 at Fernald from January 1st, 1951 through
5 December 31st, 1983 be added to the SEC. And
6 the next few slides will kind of flesh out the
7 basis for that determination.

8 The central issues here are
9 bulleted. We had subcontractors employed at
10 Fernald from the beginning. In 1951, the
11 Pilot Plant began, was up and running on kind
12 of a -- well, it was essentially doing pilot
13 studies and handling uranium.

14 From 1951 through '53, the other
15 nine plants were being constructed. And so
16 you have subcontractor employees there from
17 the get-go. And you have uranium being
18 handled from the start as well.

19 The subcontractors were not
20 included in routine bioassay until 1986. And
21 this is the year, kind of a pivotal year, when
22 Westinghouse came in and took over the M&O

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contract from National Lead of Ohio. And at
2 that time they instituted a much more robust
3 and site-wide health and safety program,
4 including routine bioassay. And part of that
5 was to include everybody, including the
6 subcontractors.

7 Excuse me, my voice is a little
8 shoddy today.

9 Prior to the March Work Group
10 meeting -- oh, there was one thing I forgot to
11 mention. One of the big issues here is the
12 coworker model, which is very complete. And
13 actually it was deemed adequate for the prime
14 contractor employees from the beginning, which
15 is really kind of unusual.

16 Most of the sites you find that
17 they had an inadequate bioassay program in the
18 early years, which is oftentimes the basis for
19 an SEC. Well, Fernald is kind of an odd bird
20 in that sense, in that they had a good
21 bioassay program from the beginning. The only
22 problem was it applied to the prime contract

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 employees and not the subcontractors up until
2 1986.

3 So you have this group of people,
4 these subcontractors, who were not -- who have
5 no data that are actually in the coworker
6 model. And NIOSH was proposing to use that
7 coworker model at a high percentile, say the
8 95th percentile, to bound the intakes of that
9 subset of subcontractors.

10 Prior to the March meeting, DCAS
11 did a data capture and located approximately
12 940 hard copy bioassay records. And this was
13 for about 180 subcontractors collected over a
14 9-year period going from 1969 to 1985. These
15 data were extremely limited and there weren't
16 even enough of them available to make
17 comparisons on the earlier years.

18 When we looked at that data set we
19 did notice something kind of peculiar. There
20 was a set of subcontractors in 1969 who had
21 very high exposures compared to the prime
22 contractors, but not only were they high, they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appeared to be a different type of exposure,
2 as though they were involved in other
3 activities that the prime contractors were
4 not. And they had fairly high excretions
5 starting in the month of July of 1969 and kind
6 of tailing off through October, whereas the
7 primes just had kind of a steady background
8 level.

9 And so we thought it was
10 worthwhile to do a kind of proof of concept
11 comparison. And granted that these -- a lot
12 of these workers were not claimants so you
13 have no information available on their -- on
14 the work history. All you have is a bioassay
15 point and you have a date when it was taken.
16 So the problem becomes how do you guesstimate
17 a period of employment or a period of intake
18 and so forth when you don't have any
19 employment data?

20 So, what we did was kind of a
21 proof of concept. What would you do if you
22 had the bioassay data? Make your best

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 estimate judgments on what the period of
2 employment would be. Find whatever kind of
3 background data you can on that. And then
4 compare that to what these workers would have
5 gotten using the 95th percentile of the
6 coworker model.

7 And if the 95th percentile bounded
8 those intakes based on bioassay, that would be
9 a pretty strong piece of evidence that the
10 coworker model would be adequate and
11 acceptable for these subcontractors.

12 In short, a total of I believe it
13 was fifteen workers, there were nine of these
14 non-claimants and then -- or excuse me,
15 thirteen -- and four claimants. And these
16 four claimants, who were drawn from this 180
17 workers in the earlier years, did have, in
18 fact, employment data and they were picked
19 because they had the highest excretion data of
20 all the claimants that were available.

21 And so NIOSH went ahead and did a
22 best estimate study. And there were two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 aspects of this that we kind of questioned
2 when we saw this study. It appeared that
3 there was kind of an arbitrary distinction
4 made about when the employment for the non-
5 claimants ended. And they were assigned
6 coworker dose far in excess of the period for
7 which data were available.

8 And the same held for the
9 claimants. In one example there was a
10 claimant who worked from 1969 to 1974 and he
11 only had bioassay data for 1971 for August.
12 And yet he was given five years of coworker
13 dose -- or intake. We didn't look at dose, we
14 just looked at intake.

15 And even so, even with these kind
16 of conditions and assumptions that favored the
17 coworker model, it turned out that there were
18 a good portion of these workers, in the
19 claimants and non-claimants, for whom the
20 coworker model was not bounding at the 95th
21 percentile.

22 These are two curves or bar charts

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here for type M and type S materials. The
2 blue bars represent the actual best estimate
3 based on bioassay and the red is the coworker
4 assignments. You can see Worker 15 is the one
5 that had one month of bioassay in five years
6 of coworker data. So even so, especially when
7 you get down to type S, you see that the
8 coworker model is not bounding for most of
9 those workers.

10 Now, the question then becomes,
11 okay, since the coworker model is not
12 bounding, how are we going to define a Class?
13 This is after we've kind of agreed, as you can
14 see on this slide, that the uranium coworker
15 model is not bounding for the subcontractors
16 prior to the mid-1980s. So we need to really
17 define a Class period and look at the bookend
18 years.

19 And we looked at the later years
20 first. And NIOSH had done a comparison
21 looking at all this data, this 939 samples, by
22 year. And then they also had data from 1986

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which did include the coworkers. I guess not
2 the coworkers, the subcontractors.

3 And based on the fact that you
4 have roughly the same number of individuals,
5 and the number of samples per individual for
6 `84 and `85 as compared to `86, there's pretty
7 good evidence that you could construct a
8 separate coworker model for the subcontractors
9 for those two years, for `84 and `85.

10 Now, `83 was kind of an odd year
11 because you started getting a ramp-up in
12 subcontractor bioassay I believe the last 3
13 months of the year. And it coincided with a
14 historical event. There was a Plant 9 dust
15 release that made the news. Before that,
16 Fernald was kind of off the radar scope. It
17 wasn't really given much mind. But that
18 really put it on center stage. And I think as
19 a result of that there was an impetus on the
20 part of management to really start doing a
21 better job on doing bioassay and health and
22 safety in general for their worker population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So we figured that 1983 was
2 probably a pretty good cutoff date for the
3 endpoint. And then the question becomes what
4 to do about the early years? Initially, we
5 thought maybe '53 is a good year because
6 that's the time that all the construction is
7 done. They start -- all the other plants,
8 other than the Pilot Plant, start receiving
9 materials.

10 And one might presume that the
11 workers in these other building construction
12 projects would be working in, if not pristine
13 environments, very low-level environments. And
14 so we started looking into the SRDB to find
15 any kind of evidence of that.

16 And what we found was just the
17 opposite, that you had situations like
18 described in SRDB 3230, as early as August of
19 1952, they said right there, the highlighted
20 part, you had hundreds of contractors and
21 subcontractor personnel running around loose
22 in the work areas. And this is coming from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the health and safety folks themselves.

2 And so when you have that kind of
3 a situation, and we have other SRDB evidence
4 that there were -- there's a set of --
5 actually one of them, I believe it was Plant 9
6 in -- it was '52 or '53, and subcontractors,
7 the construction workers, didn't want to go
8 into the building because there was black
9 oxide around. And so they had to do a swipe
10 survey before these guys would go in.

11 So you had problems from the
12 beginning. And we decided, the Work Group
13 decided, that the best -- rather than to try
14 to get too precise when it wasn't warranted,
15 to just go ahead and propose the Class from
16 January 1st of 1951 all the way through
17 December 31st of 1983.

18 And that is really the end of the
19 first -- all I have to say about the first
20 portion of the discussion today.

21 MEMBER CLAWSON: From the Work
22 Group, what I'd like to -- this came from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Work Group. We had this one motion when we
2 had enough people there for the
3 subcontractors. And so what's coming from the
4 Work Group here is, as John said, the Work
5 Group passed a motion to recommend to the
6 Board that the Class of workers comprise
7 subcontractor employees at Fernald from
8 January 1st, 1951 through December 31st, 1983
9 be added to the SEC Class. And this was a
10 recommendation from the Work Group. For
11 uranium.

12 CHAIRMAN MELIUS: So why don't we
13 take questions on this issue now while it's --
14 because the other one is -- the other issue is
15 a little -- is different. I don't want people
16 to lose track of questions here. Bill, go
17 ahead.

18 MEMBER FIELD: I just have a quick
19 question. Who's in the Work Group?

20 MEMBER CLAWSON: What's that?

21 MEMBER FIELD: Who's in the Work
22 Group?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: Who's in the Work
2 Group?

3 MEMBER FIELD: Yes.

4 MEMBER CLAWSON: I'm sorry. Let's
5 see. We've got Paul Ziemer, Phil Schofield
6 and Mark. Yes. Those are the ones that are
7 on the Fernald Work Group.

8 CHAIRMAN MELIUS: And I actually
9 listened in on at least this part of that Work
10 Group call. Any questions? I just wanted to
11 while it's fresh. Then we'll go onto the
12 other issue, go through, and then we'll come
13 back and take action. We also have to give
14 time for the petitioners if they wish to
15 speak.

16 MR. STIVER: Okay. Moving onto
17 the next issue, which is a bit thornier. This
18 is issue 6A, which is the reconstruction of
19 internal exposures to thorium using daily
20 weighted air concentration data.

21 And this is an issue that's been
22 alive for about 5 years. And I'm just going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to give you the broad brush stroke overview
2 before we start getting into the details.

3 And really this is the use of
4 breathing zone and general air sampling data
5 which were weighted by task for a certain set
6 of workers a certain set of days. And this is
7 going to -- NIOSH is proposing to use this
8 data set to reconstruct intakes of thorium-232
9 to all workers at Fernald for the period 1954
10 through 1967.

11 The central issues, as always,
12 revolve around data adequacy and completeness.
13 Are there sufficient data there to bound the
14 internal doses? And given that there are
15 adequate data, is NIOSH's proposed method
16 sufficiently robust to reconstruct doses
17 according to the requirements of Part 83 for
18 sufficient accuracy?

19 And the status, I want to jump
20 ahead, there is a slide here that was
21 misplaced. We should be up to 18 here. My
22 apologies for not catching that earlier.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is kind of a more detailed
2 example of the five years of deliberations and
3 different models that have been proposed and
4 retired during that period of time. Obviously,
5 numerous White Papers have been exchanged.
6 There have been five revisions to the DWE
7 coworker model during this period of time.

8 In March 2009 when I joined SC&A -
9 - from SAIC, too many A's and C's in those
10 terms -- I was tasked to look at the coworker
11 model and the associated data for Revision 2
12 of the model.

13 And Revision 2 proposed to use the
14 DWE data and break it out by job type, by
15 building and by year. So you basically had
16 three degrees of freedom. I realize that's
17 not the proper statistical use of the term but
18 we'll use it for our purposes.

19 We had about twenty findings in
20 our review. Okay, does anybody know how to
21 stop it from doing that? We'll see it that
22 works.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Pause.)

2 Okay. We had twenty findings
3 regarding Revision 2. They related mainly to
4 the modeling approach and to the lack of an
5 uncertainty analysis. We also looked at data,
6 and which we'll get to in a minute, that we
7 were tasked to review. It was a small set of
8 data. The Board felt that, based on the
9 Revision 2 model and the criteria that were
10 proposed, that it was not necessary to do a
11 full-blown review of all the DWE data that
12 were posted. Instead we were tasked to look
13 at all the thorium buildings in the year 1955
14 and 1966, and then Plant 1 in 1960. We'll get
15 to that shortly.

16 In October of 2010, NIOSH released
17 Revision 3 of their coworker model. And this
18 revision drew pretty heavily upon an
19 uncertainty analysis that Adam Davis and Dan
20 Strom up at PNL had produced back in 2008.

21 And it assigned the highest DWE
22 for a given building in a given year basically

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to all the workers in that building in that
2 year. And based on the Davis and Strom
3 recommendations, based on their uncertainty
4 analysis, they gave the high DWE along with a
5 GSD of 5.

6 And we agreed that, in principle,
7 that approach was probably defensible. And
8 recall this is back in 2010 when one size fits
9 all models were being used routinely, as
10 opposed to today where we have a much more
11 sophisticated approach to coworker modeling
12 and more of an emphasis on sufficient
13 accuracy. So this kind of has to be looked at
14 in the context of the time.

15 Finally, in November 2012, Bob
16 Barton, one of our best analysts at SC&A, he
17 did an analysis to just see whether it was
18 really possible to place workers in given
19 buildings in given years. And the results of
20 that study were that, no, it was not possible.

21 So NIOSH went back to the drawing
22 board and they came out with Revision 4 back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in February which is kind of a fallback to
2 Revision 2. We had the same types of
3 problems. And so at the March meeting we
4 talked about it. They came back out with
5 Revision 5. Maybe I'm jumping around too much
6 up here.

7 Revision 5 was a lot like Revision
8 3. It was a one size fits all model but it
9 dropped a degree of freedom. Instead of
10 assigning the high DWE for each building and
11 year, they just assigned the highest DWE for
12 the entire site for a given year to everybody.

13 Okay, so it was assigned the high
14 DWE for the entire site to all workers for
15 each year for thorium production with no
16 attempts to place workers in particular
17 buildings.

18 And so let me get back to our
19 original position. We're going to go through
20 these in some more detail here. Get back to
21 slide 11.

22 So let's get back to the actual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concept, as it applied to Fernald, of the
2 daily weighted exposure. The Atomic Energy
3 Commission's Health and Safety Laboratory had
4 been using this approach since the 1940s. And
5 they introduced it to Fernald in 1953. They
6 actually did the first DWE study in 1953. And
7 then they handed it over to the industrial
8 hygiene and safety group at Fernald in 1954.
9 And that group then conducted the DWE studies
10 from that point on.

11 They did these air dust studies
12 and produced reports which are summaries of
13 the data prepared by the IH&S group. And they
14 had estimates of the average worker exposure
15 by job type. And they used this mainly to
16 assess and control dust levels in the plant
17 really to kind of improve industrial hygiene.
18 They were not used to assess intakes, although
19 they were kind of indirectly used to assess
20 exposure in terms of what they called the
21 maximum air concentration, the MAC, which at
22 the time was 70 dpm per cubic meter. It later

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 shifted to 100 dpm per cubic meter, I believe,
2 in 1960.

3 This concept was based on the
4 gross alpha air activity concentration, or AAC
5 for short. And it's really not applicable to
6 just one nuclide. It could be applicable to
7 recycled uranium, uranium, thorium, uranium
8 and thorium progeny, basically any alpha
9 emitters that were present in the workplace
10 for which they wanted to get a handle on the
11 potential exposures to workers.

12 What exactly is a DWE? It's a
13 time-weighted alpha air concentration, job-
14 and building-specific. There are several
15 tasks per job. Like say you had a guy whose
16 job was in metal production and he took the
17 derbies out of the furnace and broke them open
18 and cleaned them off and then transferred them
19 down the line to be remelted.

20 And so for each task within his
21 job they would give him a little breathing
22 zone sampler. They'd follow him around and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sample in his breathing zone as best they
2 could for each one of those tasks, for a
3 certain period of time and take his replicates
4 of those samples. And they'd do that
5 throughout the day.

6 So there's a series of breathing
7 zone samples that represent the tasks that
8 typically involve the highest exposure
9 potential. And then there's the general air
10 samples, which are typically ambient, like say
11 going to the cafeteria, or just the floor of
12 the chemical room, or something along those
13 lines which you would expect to be much more
14 uncertain due to the concentration differences
15 across a room.

16 The time to complete each task was
17 reported. I should have put in a sample DWE
18 report. I didn't for the sake of brevity. But
19 what they would do then is to take -- these
20 reports would have -- okay, here we go. They
21 would take the high, the low and the average
22 and report that for the different samples that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were taken for each task. They would then
2 have the time for each task, the number of
3 samples for the task and the number of workers
4 who were involved in that job.

5 They would multiply the average
6 air concentration per task by the time
7 required to complete that task. They would
8 sum all of those time-by-concentrations and
9 then divide by the total time. So basically
10 it's just a weighted average air
11 concentration. And it's specific to the job.
12 It's task-weighted.

13 The important thing is to keep in
14 mind it really is an average for the workers
15 who were monitored for the specific days in
16 which they were monitored. And the time
17 weighting, I can't emphasize how important
18 that is because it really is the link between
19 the air concentration and the exposure
20 potential at any given time and place in the
21 facility.

22 So in reality, you don't just have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one value, you have a whole distribution of
2 DWEs. You have all the workers who weren't
3 measured. You have all the variations that
4 could possibly go into creating uncertainty in
5 each one of these DWEs. And so obviously you
6 have spatial and temporal variation.

7 And probably the biggest problem
8 we had with the DWE reports in our original
9 review was there was no uncertainty analysis
10 provided. But as I said, in 2008, Davis and
11 Strom came along and they reviewed -- they
12 basically wanted to do some sort of
13 uncertainty analysis to where these types of
14 data could be used in dose reconstruction. And
15 that was really the impetus behind the study.
16 And before EEOICPA, I might add.

17 And what they did was they went
18 out and they reviewed six HASL reports
19 covering five sites. These were visited
20 between '48 and 1955. They were looking at
21 uranium, uranium or thorium, and radium and
22 radon. I'm just going to kind of brush

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through this. This isn't all that important.

2 They had 63 job titles. Each job
3 title, one to twelve employees, for a total of
4 165 employees. Each job involved from one to
5 thirteen operations characterized by one to 27
6 air samples for a total of 428 air samples.
7 About 63 percent of these workers were exposed
8 above the contemporary maximum allowable
9 concentrations of the time.

10 They focused on the variability
11 and the observations as evidenced in the air
12 sampling data themselves. And they called out
13 different sources of uncertainty and
14 variability. Obviously, measurement
15 uncertainty, mistakes in data processing and
16 communication.

17 One thing that is important that
18 is really not quantifiable in this kind of
19 study was the representativeness of the air
20 samples to what the workers actually breathed.
21 All you have is what the workers were
22 breathing at the time to the best of your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ability to measure it.

2 And so obviously there's
3 variability in particle size, distributions,
4 process variability, air sampling placement,
5 changes in ventilation, and also self-
6 absorption for below specific activity
7 materials like thorium. If you've got a high
8 dust load you may end up with a lot of self-
9 absorption. So you may not be getting a true
10 reading of what the actual activity was in
11 that air sample.

12 They then ran Monte Carlo
13 simulations assuming log-normal distributions
14 to generate distributions of both discrete
15 DWEs and log-normal fits. Obviously, the log-
16 normal fit allows the possibility that the
17 exposures in our interest would be larger than
18 those actually observed.

19 The important part here is they
20 determined the upper 95th percentile to GSD to
21 be about 4, and the 99th percentile to be
22 about 6 and 7. And they used that to support

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a GSD of 5 when air concentration measurements
2 are available, but there's no information on
3 uncertainty, which is the situation that we
4 find ourselves in.

5 A couple of other things here.
6 They did indicate that just using the
7 distribution of air samples without time
8 weighting doesn't produce a DWE or a GSD
9 that's really representative of any worker in
10 the plant. In fact, you find that the
11 unweighted samples obviously are going to be a
12 lot higher than the weighted ones because most
13 of the high air concentrations were performed
14 over short periods of time.

15 And, indeed, the site-wide average
16 concentrations were higher than the DWEs for
17 all workers in the '60 to '63 cases. And I
18 guess that's enough talk about Davis and
19 Strom.

20 Let's start looking at the actual
21 data availability and the buildings and years
22 in which thorium was processed at Fernald. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this chart was taken from Revision 3 of the
2 coworker model. It shows thorium being
3 processed in Plants 1, 4, 6, 8, 9 and the
4 Pilot Plant over the years `54 through `67.
5 Each one of those X's in the yellow highlight
6 is a thorium plant and year.

7 And these are the data. They were
8 actually requested and posted by DCAS. They
9 posted 160 of these air dust reports for the
10 plants that are identified in the previous
11 slide, basically all those different plants.
12 And they provided spreadsheets for a limited
13 set of data that was requested by the Board
14 which was, as I said, all plants in `55, `66
15 and Plant 6 in 1960.

16 And this curve here is just a
17 probability plot of the data for 1955. It's
18 just an example. This is for Plants 1, 4 and
19 9 for those years. A total of about 200
20 workers representing 88 jobs and 412 tasks.

21 Each one of those little blue
22 diamonds is an individual DWE. So each one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those is an average value that has associated
2 with it some uncertainty. And so while it
3 kind of resembles a probability plot, say, for
4 bioassay, where each point would represent a
5 one-point estimate, these are actually job
6 averages.

7 So, if you were to just try to
8 plot this and then pick off the 95th
9 percentile and give it to everybody you might
10 miss these top four job titles. So that 95th
11 percentile would not even be representative of
12 the average for those highest jobs. It would
13 be quite a bit lower.

14 And so you're faced with the
15 situation, well, how do we actually provide an
16 upper bound? And the only plausible or
17 reasonable way to do that is just to pick the
18 very highest one. If you're going to bound
19 it, you have to pick the highest DWE and then
20 provide some uncertainty associated with that.

21 Now, Revision 5 of the coworker
22 model proposes to use the limiting DWE for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 each year in which thorium was produced. And
2 this table here provides the high DWEs where
3 available for each given year in each given
4 plant. And you can see that DWEs are provided
5 for all plants and years for which thorium was
6 produced, with the exception of `54 in Plant 1
7 I believe -- I can't really even read my own
8 typing here -- `55. All the years you'll see
9 up to 1965 have DWEs for the plants that
10 actually processed thorium.

11 You did not have data for the
12 Pilot Plant in `65, `66 and `67. However, you
13 did have it for Plant 8 and for Plant 1. We
14 were a little concerned about this because the
15 Pilot Plant was doing a lot of activities
16 during this time. They were handling a lot of
17 thorium in various chemical forms and they
18 were doing remelting which was probably one of
19 the dirtiest, for lack of a better term, one
20 of the jobs that had the highest potential for
21 dust exposure.

22 And in order to address that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NIOSH went back and they found some breathing
2 zone data for the years 1965 -- or actually
3 `64, `65 and `67. They couldn't find any for
4 1966.

5 And so they did kind of a --
6 another proof of concept study where they
7 looked at the unweighted air concentrations
8 for the breathing zone samples only and
9 calculated the geometric mean and standard
10 deviations, as is usually done, and the 95th
11 percentile, and then came up with an intake of
12 nanocuries per day using the methods
13 prescribed in the Revision 5.

14 And for `65 and `67, these 95th
15 percentiles are actually lower than the
16 corresponding DWEs, 95th percentile DWEs, for
17 Plant 1. And so it kind of provides some
18 assurance that we're not underestimating the
19 intakes for those years in `65, `66 and `67.
20 However, there is that is nagging concern
21 about the year 1966.

22 I'd like to back up just one more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 minute and talk about this slide here, because
2 this is important. After listening to the
3 discussions yesterday about sufficient
4 accuracy and coworker modeling criteria I
5 started thinking more about this data here.
6 And there are really four things that kind of
7 came out and stuck in my mind about it, about
8 the modeling approach and about the data
9 utilization that is kind of related to this
10 sufficient accuracy.

11 In fact, you can kind of think of
12 this as sort of a case study on when do you
13 have enough sufficient accuracy to go ahead
14 and use the model? And when is it just --
15 you're kind of right on that cusp of the point
16 where you can actually say that your data is
17 accurate enough for dose reconstruction.

18 The first has to do with the fact
19 that you've got now a model, a one size fits
20 all model, when you're only looking at one
21 degree of freedom. You're taking a high DWE,
22 a high value, and you're going to give it to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 everybody in the plant for an entire year. So,
2 in my mind, that requires a much more
3 stringent data quality requirement if you're
4 going to make that kind of assumption across
5 the board.

6 The second thing is we've got a
7 few years here where there's missing data.
8 Now, you can say, well, you know, the Plant 1
9 data are probably adequate. But, again,
10 you're going to apply this to everybody for
11 the entire plant. And so that's still a
12 little questionable too. I mean, you've got
13 weight of evidence that it's probably okay but
14 you don't have any definitive proof.

15 The other thing that we discussed
16 the last couple of meetings, as you recall,
17 we're not using bioassay data or individual
18 monitoring data, we're using air sampling
19 data. And so oftentimes you run up against
20 plausibility. You wind up with intakes that
21 are so high as to just not be plausible.

22 And we ran into that situation in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Plant 9 in 1955. And you can see the value
2 that's assigned there is 215.1 and there's a
3 couple of asterisks next to it. And if you
4 read that little blurb there, the actual high
5 value in 1955, all these values, came from
6 Plant 9.

7 And this was a period of rapid --
8 of quick throughput metal production. They
9 had a big order for thorium metal in 1955 and
10 they were producing it as fast as they could.
11 There are SRDB references that talk to this
12 very issue. They report air concentration
13 dust loads of 50,000 micrograms per cubic
14 meter, 50 milligrams per cubic meter. And the
15 DWE data we've looked at show that it's even
16 considerably higher for some operations.

17 And so you come up to a situation
18 where we did some research on this in support
19 of Chapman Valve and a few other things. John
20 Mauro had delved into it a few years back and
21 found some good reports on what are the
22 physiological tolerance limits for dust

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 loading.

2 And most of these reports indicate
3 that about 100 milligrams per cubic meter is
4 about the most anybody can stand for any
5 length of time. And, guess what, it just so
6 happens that 686 MAC is 98 milligrams per
7 cubic meter. So you're putting somebody -- if
8 you were to take that value even as a constant
9 and assign it to everybody you're choking the
10 entire population. It just doesn't pass
11 muster.

12 And so the question became how do
13 you deal with this? I mean, we've got real
14 data. It's the real MAC. It's measured.
15 We've got a dust report that goes with it. And
16 the dust report said that respiratory
17 protection, airline respirators, were worn for
18 the high-dust operations. Of course, they
19 don't tell you what a high-dust operation is.

20 But we started thinking about
21 this. Well, I mean, if you were to take these
22 DWes, these high ones, you look through,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's usually one or two samples, breathing
2 zone samples, that are driving the train.
3 They're very high transients typically over a
4 short period of time.

5 And you know these guys were
6 wearing respiratory protection during this
7 time or they couldn't possibly breathe that
8 atmosphere. And of course these are
9 integrated over a period of time so you may
10 have a short-term transient that's captured on
11 the filter and then it drops down. But you're
12 not going to get that fine level of
13 refinement. And so the question becomes what
14 do you do?

15 Well, NIOSH proposed one method
16 which would be to take the DWE data and
17 generate air concentration data from that And
18 do Monte Carlo sampling and then generate an
19 air concentration, an unweighted air
20 concentration, using that. And then just not
21 use the actual data. And that gives you a
22 value, I think it was about 70 or 80 MAC. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 it was a pretty high value.

2 But we had problems with that. It
3 was like, well, you're kind of going down the
4 slippery slope here when you're taking actual
5 data that's supposedly, or we were pretty
6 certain is representative of actual exposures
7 and because it's too high we're not going to
8 use it; we're going to go to a model instead.

9 We started thinking, well, why
10 don't you just take the actual DWE data and
11 apply a respiratory protection factor? And
12 that has its own problems. NIOSH
13 traditionally has not used respiratory
14 protection factors. Only in this case we
15 argued that, well, you're not doing it to
16 constrain intake, it's basically just to get
17 to within a reasonable level which you know
18 would have been the situation at the time to
19 control the actual intake of dust alone and
20 not necessarily to constrain intake or dose.

21 And so NIOSH came back. They went
22 through and they applied a bunch of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 respiratory protection factors, I think they
2 used 10 and 100, to these high-dust
3 operations. And sure enough, you drive the
4 DWEs down from in the hundreds down to the
5 tens and twenties.

6 But there was one sample here,
7 which was the wet area helper I believe. Maybe
8 I shouldn't talk about the different job
9 titles. But it was one particular worker that
10 had a one sample over about a 300-and-some
11 minute period of time that just was not
12 sensitive to respiratory protection. And
13 that's where this 215 comes from.

14 And this kind of, while it might
15 have been a subjective judgment, it represents
16 a DWE that's within the realm of a reasonable
17 intake. It translates to about 30 milligrams
18 per cubic meter. So it's high but it's
19 tolerable and it doesn't require the
20 introduction of a respiratory protection
21 factor. So that's the example of butting up
22 against the upper limit of plausibility.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, we have a situation where --
2 let me just move ahead. At the July meeting
3 we kind of presented this. We kind of hashed
4 it out. And I believe it was Mark Griffon who
5 wanted to get a little more information on
6 these. He wanted to know how long these dust
7 studies were done. I mean, were they just one
8 day? Did they go in there and do this on one
9 day and then give it to everybody for an
10 entire year, or was it over a longer period of
11 time?

12 We didn't have that information
13 handy, although it is available in the dust
14 reports. And so we went through and compiled
15 some statistics. We looked at the duration of
16 the limiting plant studies.

17 And for four out of the fourteen
18 it was indeterminable. You couldn't find any
19 information on the time span. The others you
20 see over in the far right column, the duration
21 and days of the study range from, what, about
22 28 to a full year. Average about 135. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then the center column, I believe the fourth
2 one over from the left, the number of DWEs for
3 the limiting plant average about 31, and the
4 top number of job types evaluated average
5 about 44.

6 So this seemed to be -- it's not a
7 real definitive, conclusory statement, I
8 guess, for lack of a better term, but it does
9 show you that these weren't just one-day
10 things. They were conducted over a period of
11 time. The number of workers, tasks, DWEs is
12 pretty well represented for each plant.

13 You know, there was one more thing
14 I wanted to say about this slide right here.
15 And this has to do with the tasking we had
16 five years ago in support of Revision 2. And
17 that was to do a full adequacy and
18 completeness analysis.

19 And we did it for 1955 and 1965 --
20 excuse me, 1966. We added one, two, three,
21 four, five and then Plant 6 in 1960. We had
22 six of these building-year combinations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 represented in our adequacy and completeness
2 study. And so that is the fourth example.

3 So there's 20 others that aren't
4 accounted for in our original adequacy and
5 completeness study. And so while we felt
6 initially that this was probably -- Revision 5
7 is a reasonable model, given if the Board
8 accepts the one size fits all model under the
9 conditions that exist, that it would probably
10 be adequate for dose reconstruction.

11 There are those other aspects that
12 relate to sufficient accuracy, however. But
13 let's look at the bright side here first.
14 You've got job types that are well
15 represented. There appear to be sufficient
16 DWEs for each year in the limiting plant to do
17 a bounding analysis. You have a high GSD of 5
18 to account for uncertainties as based on a
19 study of several different plants using DWE
20 data. The air dust duration is available for
21 a total of 14 years. As I said, it averaged
22 about 135 days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We have the proof of concept
2 comparing the Pilot Plant air concentrations
3 to the actual available DWEs, which appear to
4 indicate that the DWEs are bounding.

5 And also in the petition there
6 were some affidavits brought up, possible data
7 falsification, that there are certain
8 situations where they're trying to constrain
9 readings to within the maximum allowable
10 concentration.

11 And while there's really no way to
12 prove or disprove that, we did note that the
13 available data do appear to coincide with the
14 known processes and the SRDB historic
15 references. In fact, many of the DWEs far
16 exceed the maximum allowable concentration.

17 And that combined with the fact
18 that this data was not used to assess intake
19 kind of leads us to believe that we're
20 probably adequately represented. I know Bob
21 Barton had done a study in relation to
22 bioassay data regarding -- saying the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 kind of thing, data falsification.

2 And we went through that, oh gosh,
3 probably about 3 years ago, again at one of
4 our meetings. And the conclusion was it was
5 really inconclusive. There was nothing you
6 could really do to prove this. And so the
7 determination was made by the Work Group not
8 to pursue that any further.

9 So at this point this is kind of
10 the end game here. Regarding bullet 2, I
11 would say that at this point I would not be
12 comfortable recommending model 5 until at
13 least we have a better handle on those other
14 twenty building and year DWE combinations.

15 And of course I think the big
16 issue here is the policy issue of sufficient
17 accuracy and the more stringent requirements
18 for coworker modeling that have evolved and
19 developed over the last three years.

20 However, as Brad mentioned
21 earlier, the Work Group does recommend action
22 regarding reconstructability for subcontractor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 employees for uranium from 1951 to 1983.

2 And the remaining issues, I
3 haven't gone into the Site Profile issues.
4 There were originally thirty-three from our
5 2006 report, some of which have been closed
6 out in discussions, others which have been
7 added to through the SEC deliberation process.
8 And so, before pursuing those, the Site
9 Profile issues baseline, or matrix would have
10 to be re-baselined.

11 So at this point I'll answer your
12 questions as best I can.

13 CHAIRMAN MELIUS: Board Members
14 with questions? Well, I'll -- go ahead, Bill.

15 MEMBER FIELD: Yes, I had a
16 question. Let me just go back to where that
17 was. I guess it's page 19. But the double
18 asterisk where it talks about physiological
19 tolerance level. Where did you get the
20 information to come up with what would be
21 tolerable? Where did that come from?

22 MR. STIVER: There was a paper by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Wes Van Pelt. And I can get that to you if
2 you like.

3 MEMBER FIELD: Okay.

4 MR. STIVER: There's also another
5 statement that John Mauro found. John, are
6 you on the line by any chance?

7 DR. MAURO: Yes, I am. I'm
8 listening.

9 MR. STIVER: Do you happen to have
10 that reference for Bill Field?

11 DR. MAURO: Yes, I have it on my
12 shelf. I'd have to pull it off. It was a
13 study done where a statement was made by --

14 CHAIRMAN MELIUS: That's okay,
15 John. You don't need to fly out here with it.

16 MR. STIVER: You don't need to
17 FedEx it out here.

18 DR. MAURO: There are three -- I
19 think there are three pieces of information,
20 the Thorne work, the Van Pelt work and this
21 one paper that we cite -- that's cited where
22 it discusses this issue that led us to sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 converge.

2 They were all independent, by the
3 way. They sort of converged around this
4 number of when things get a little difficult
5 for you to stay in that breathing area for an
6 extended period of time.

7 MEMBER FIELD: Okay. So it's an
8 extended period of time and it assumes no
9 respiratory protection, even a cloth or
10 something over your face.

11 DR. MAURO: Correct.

12 MEMBER FIELD: Okay.

13 CHAIRMAN MELIUS: Leave it on the
14 same page because my comment is making sure I
15 understand this and what is being proposed.
16 And hopefully everybody else does also.

17 So what this Revision 5 model is
18 would take the highest value for anybody in
19 the plant and apply it to people for that
20 year. Is that correct?

21 MR. HINNEFELD: This is Stu
22 Hinnefeld from DCAS and I am obliged to remind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everyone that I am conflicted at Fernald, in
2 that it may be perceived that I have an
3 apparent potential loss of impartiality having
4 worked at the site for 20 years. However,
5 I've been authorized for participate in these
6 discussions by HHS Office of Ethics.

7 So, having said that, the question
8 again was about the use of the DWE approach.
9 And there were a number of discussions over a
10 long time about what's the appropriate value.

11 It's relatively clear that we have
12 little success, or we can have little
13 confidence in putting a worker in a specific
14 plant for his extended work area. So, not
15 being able to do that, it did not seem
16 feasible to use a plant-specific DWE for the
17 people who worked in that plant, just didn't
18 know where it was.

19 So, since we couldn't do that, the
20 next bounding step would be to take the
21 maximum DWE in any given year, because these
22 are kind of -- yearly you can understand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 variation because of changes in workload. For
2 a given year take the highest DWE, and these
3 were measured per job. Like someone might be
4 called a bottom helper. And take that highest
5 DWE value and say that is the bounding thorium
6 exposure for that year.

7 The uncertainty that's associated
8 with DWEs, because it's not like a film badge
9 that somebody wears all the time; you go out
10 periodically and you take these samples. And
11 there is the Strom -- or the Davis and Strom
12 paper described the kinds of various
13 uncertainties and arrived at the conclusion
14 that a GSD of 5 applied to your DWE value
15 probably is a suitable distribution. It's
16 quite a large distribution. So that was the
17 basis for arriving at where Revision 5
18 arrived.

19 CHAIRMAN MELIUS: And I guess my
20 question, really more of a concern, is we're
21 taking this single value for the facility for
22 a given year and applying it to people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 throughout the facility because we don't have
2 information to place them for that given year.
3 So that means it applies to people working,
4 say, for example in Plant 8 for most of this
5 time period where there was no thorium
6 exposure within the plant. And, you know, it
7 varied. John's presentation showed the
8 different years and it's quite a wide range
9 here. So we're having people with no exposure
10 being given this bounding dose. And while I
11 may have some questions about the bounding
12 dose I think that's at least generally
13 probably in the ballpark. You've done a lot
14 of work on that.

15 But is it really plausible to
16 apply that as a coworker model for everybody
17 in the facility? And I personally don't think
18 it is a very plausible approach for this
19 particular exposure.

20 And I think, Stu, I think you hit
21 the main factor in that, which is that we
22 can't place people within the facility. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 don't know where they worked.

2 In addition, I mean, there are
3 limitations in terms of where sampling was
4 done. But at least if you could place people
5 then sampling was generally done within
6 buildings where thorium is used. But without
7 that, it's one number, you know, for
8 everybody.

9 And even if you look at this
10 slide, there's some pretty significant
11 differences between what was the highest value
12 in two or three different plants. I mean, an
13 order of magnitude, two orders of magnitude
14 difference. And so you're going to have
15 people over a very wide range being given this
16 one single value. And I don't think that can
17 be said to be used to support, you know,
18 accurate dose reconstruction. I think that's
19 just stretching it over the line.

20 But I also want to make sure I
21 haven't missed something about the process or
22 what's gone on here. Henry?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ANDERSON: And there's
2 absolutely no bioassay data at any time in the
3 facility?

4 MR. HINNEFELD: Well, not for
5 thorium. Thorium is a really difficult
6 bioassay. In vivo monitoring started in '68.
7 That's the first period and that's been
8 dispositioned as part of a Class already
9 because of the interpretation of the milligram
10 reported data.

11 MEMBER ANDERSON: Yes, okay.

12 MR. HINNEFELD: Thorium is a very
13 tough bioassay.

14 MEMBER ANDERSON: Yes, I know. At
15 the other sites we've had this has been the
16 sticker. So I'm not -- you know, what would
17 be useful is if you had another facility that
18 had similar measurements so you could actually
19 get a sense of that.

20 I mean, it's almost like you look
21 at the number and you say, well, that's -- you
22 know, you have to accept that they actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measured it correctly when they did it. And
2 then you get these where, you know, couldn't
3 sustain life if the people were breathing the
4 dust. And then you say, well, we will have to
5 adjust that, as opposed to, well, how do we
6 know some of the -- what does the quality of
7 the count or the measurements? I mean, are
8 they overwhelming because of all of the total
9 dust that you really can't use the methodology
10 of the dust counts.

11 MR. HINNEFELD: Okay, are you
12 talking about the 1955 year?

13 MEMBER ANDERSON: Yes. Yes.

14 MR. HINNEFELD: Well, that was --

15 MEMBER ANDERSON: I mean, the
16 others seem to be, you know, somewhat
17 comparable so that you have greater confidence
18 that what they were doing is -- unless, you
19 know, there was an upset at some time and
20 therefore somebody went in and that's -- I
21 mean, if you have any idea about that one --

22 MR. HINNEFELD: Well, 1955 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ANDERSON: You know, the
2 686 MAC. I mean --

3 MR. HINNEFELD: -- 1955 is, if you
4 look at all the DWE data from 1955 it's pretty
5 extraordinary. I mean, these numbers were
6 enormous. And one of the SRDB references that
7 we found was a letter written from an employee
8 of HASL, Health and Safety Laboratory, to his
9 boss describing a visit he had just made to
10 Fernald and it was about thorium exposures at
11 Fernald.

12 And the health and safety director
13 at Fernald was a former colleague of these two
14 guys' at HASL. He had previously worked at
15 HASL. And it sounds like the person who wrote
16 the letter said, hey, Joe, what are they
17 doing? What the heck's going on with these
18 thorium exposures?

19 And the health and safety director
20 at Fernald had explained to him, well, we had
21 this crash program, et cetera. And so the
22 HASL writer is writing to his boss what he

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 learned in his conversation with the health
2 and safety director at Fernald.

3 So this was -- I mean, the
4 exposures at 1955 in Plant 9 really look like
5 they were extraordinary by all the evidence
6 we've seen. And it wasn't like there was one
7 value at 686 and all the rest were down around
8 20. There were 400, there was 200. You know,
9 there was -- it must have been something to
10 see.

11 MEMBER KOTELCHUCK: I'm going back
12 to slide 19. I'm less worried about the 215
13 value for one year than I am for the fact that
14 for most of the following years we're
15 assigning a thorium exposure to people who
16 never worked with thorium. And I accept that
17 we're trying to see if we can do a dose
18 reconstruction.

19 But, you know, for 1958 there was
20 one measure -- I'm sorry, not one measurement
21 -- there is one plant for which a daily
22 weighted exposure was there. And there are so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 many plants, so many parts of the plant where
2 people were not using thorium.

3 It just seems to be such a push to
4 make assignments of something that anybody
5 working there will say I never worked with the
6 stuff.

7 Now, to be sure, this is claimant-
8 favorable. But I would expect that people
9 would say, look, let's just be -- workers
10 would say let's just be fair. Those people
11 who were exposed, let's do the best estimate
12 that we can. Those people who weren't
13 exposed, don't do me a favor. Just get
14 something, quotes, "right."

15 And so it's, as I say, I think it
16 just seems too much of a push to assign one
17 number to every single person in the entire
18 facility for each year.

19 MEMBER ZIEMER: This is Ziemer.
20 Can I make a comment on that?

21 CHAIRMAN MELIUS: Yes, go ahead. I
22 was going to call on you in a second. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then Wanda also wants to make a comment.

2 MEMBER ZIEMER: Well, the
3 description that we just heard is something we
4 have over and over again in many different
5 sites. If we can't place the people in those
6 plants, for example, a Plant 8 worker, I think
7 we have to say you can't really place them
8 strictly in that plant. They may have been in
9 Plant 1 or Plant 4, Plant 9 as well.

10 It's not unlike what we've had at
11 many sites. We've had that at General Steel,
12 we've had that at GE. I think we had that
13 over and over again. If you can't place the
14 people you've got to make the assumption that
15 they had access to the other locations.

16 CHAIRMAN MELIUS: Yes, but then,
17 Paul, applying a coworker model based on that
18 and saying that's sufficient is I think where
19 it's -- that's, in my mind personally it's
20 stretching it. I just don't think that's what
21 should be -- that's not sufficient accuracy.
22 And I think based on the facts that we have.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And but I think you're right. The
2 key fact here is the inability to place people
3 within buildings. I think if we could place
4 them within buildings then we'd be talking
5 very differently about this approach and so
6 forth.

7 Wanda, you've been patient. Or at
8 least trying to be patient.

9 MEMBER MUNN: That's not an easy
10 task, especially since I swore I wasn't going
11 to complain. But now I'm going to complain.

12 I have had a very, very difficult
13 time hearing some of the presenters and
14 certainly anyone who's on the phone. There's
15 a muddy quality to the echo and the tinny
16 business that makes it very difficult to
17 concentrate on the quality of what's being
18 said, the words that are being said. And as a
19 result I'm fearful that I'm missing a great
20 many specific words that I need to hear.

21 Stu, can you remind us on this
22 slide 19 that we're looking at what the units

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are?

2 MR. HINNEFELD: The units on this
3 slide are in multiples of what was called the
4 maximum acceptable concentration, which at the
5 time was -- and I think these have all been
6 normalized to 70 dpm per --

7 MEMBER MUNN: Per square meter.

8 MR. HINNEFELD: -- per cubic
9 meter. Cubic meter.

10 MEMBER MUNN: Yes.

11 MR. HINNEFELD: Seventy dpm per
12 cubic meter.

13 MEMBER MUNN: Per meter. Alright.

14 MR. HINNEFELD: So, these are
15 multiples of that value. So 6.1 would be
16 somewhere around 420 dpm per cubic meter.

17 MEMBER MUNN: Okay. Okay, that --
18 I was trying to remember whether it was a flat
19 surface but I couldn't see that it would be if
20 it were going to be air concentration. Cubic
21 meter.

22 MR. HINNEFELD: Right. No, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cubic meter. It's an air concentration.

2 MEMBER MUNN: Okay, thank you.

3 CHAIRMAN MELIUS: Brad?

4 MEMBER CLAWSON: I just wanted to
5 clarify one thing in one of John's. The Work
6 Group didn't have a recommendation here. We
7 did not have a quorum at this time. This is
8 why this is coming before the Board without a
9 recommendation from the Work Group because we
10 did not have a quorum.

11 I want people to understand what
12 the complexity of this site is. And I'd also
13 like to thank Stu because he has helped
14 substantially.

15 One of the things about this plant
16 is we've got urinalysis running out of our
17 ears. We don't have anything else. They ran
18 this as a heavy metals plant. That's it. This
19 is what they were for.

20 And we've got a lot of processes
21 that can go into this, and we've tried to go
22 to the most claimant-favorable we can. But at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some point too also, and this is my personal
2 opinion, we need to look at what the SEC was
3 there for.

4 Plausibility falls into this. The
5 more we look at these models and everything
6 else the wider it gets. And I personally
7 think that we should be using the SEC for what
8 it was for.

9 That being said, I want to
10 emphasize that the complexity of this site is
11 just unbelievable. And it has been a
12 challenge from all sides to be able to do
13 this. But this is why we're coming before the
14 Board with this, is because it comes down to
15 what are we going to do with this?

16 And I will say, personally, and
17 this is only my opinion, not the Work Group's,
18 that we should be using the SEC for what it
19 was for. We can put all these values out
20 there, but to tell you the truth I would have
21 a hard time justifying it, really.

22 CHAIRMAN MELIUS: Thanks, Brad.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Gen?

2 MEMBER ROESSLER: Since Wanda
3 mentioned it I will also make the comment.
4 I've heard the comments in the room but the
5 sound coming in from the phones is really
6 difficult. And I'm afraid I may have missed
7 something.

8 Could someone who heard Paul,
9 Paul's comments, give us the essence of what
10 he said? I really would like to know, since
11 he's a Member of the Work Group and
12 participated all this time I'd like to get the
13 essence of what he said.

14 CHAIRMAN MELIUS: Maybe we can --
15 Paul, do you want to repeat your comment?

16 MEMBER MUNN: In terms that you
17 would use for a person for whom English was a
18 second language.

19 CHAIRMAN MELIUS: Wanda, please
20 don't --

21 (Simultaneous speaking.)

22 MEMBER CLAWSON: What? Paul, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 couldn't hear you. We're sorry.

2 CHAIRMAN MELIUS: Wanda was --

3 MEMBER ZIEMER: How is the sound?

4 CHAIRMAN MELIUS: It's better
5 here.

6 MEMBER ZIEMER: I was simply
7 pointing out, I think it was in response to
8 David's comment, that we have this situation
9 frequently where, although, for example, it
10 appears that people in Plant 8 didn't get
11 exposed most of the time, if we can't really
12 place people in that plant, they may have been
13 at any of the others, then we have the
14 situation like we have at so many other
15 facilities.

16 We have a situation like that at
17 General Steel where we can't specifically
18 place people. We have had that situation in
19 General Electric, in many other plants where
20 we assign the maximum dose to virtually
21 everybody simply because we can't place them.

22 CHAIRMAN MELIUS: And I would just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 add to that that we've taken different actions
2 in those different facilities. And some that
3 are issues of the Class Definition after we've
4 made a determination one way or the other as
5 to whether or not there's adequate data.

6 I think the problem here is that
7 what's being proposed is a coworker study
8 that's attempting to use, you know, what data
9 is available but without enough specificity of
10 available information to place people within
11 different parts of the facility. So it has
12 very, very few factors. It's just basically
13 taking one number and applying it to everybody
14 when we know that there's a wide range of
15 exposures here.

16 I think we had, again, a similar
17 situation, for example, with the Linde plant
18 where we had people doing construction work
19 and fairly good data on that, and people in
20 other parts of that same facility who would
21 have had to be -- with no data. And do you
22 apply essentially people doing renovation to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people doing other kinds of work.

2 Here, if we were able to do -- we
3 were taking Plant 1, it appears that for Plant
4 1 for most years we have adequate data, but we
5 can't put people in Plant 1.

6 So I think we have to judge it on
7 a case-by-case basis. It is something we
8 commonly run into but I don't think there's
9 any general rules as to how we've dealt with
10 it, because it depends on the situation that's
11 involved.

12 I would -- do you have another
13 question, Gen? If I turn my mic on we could
14 all hear.

15 What I'd like to do is give the
16 petitioners a chance to comment if they wish
17 to and then we'll come back.

18 MS. BALDRIDGE: Yes, this is
19 Sandra.

20 CHAIRMAN MELIUS: Hi. We can hear
21 you, Sandra. Go ahead.

22 MS. BALDRIDGE: Okay. For the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 benefit of the new Board Members, I'd like to
2 give a brief understanding of why the petition
3 was filed.

4 There were two primary criteria
5 that I felt were especially important. And
6 one was the Plant 6 thorium processes that
7 were not recognized in the Site Profile.

8 Secondly was the data integrity
9 with a focus on the manner in which air
10 monitoring data was obtained and recorded.

11 Now, for a brief history, very
12 brief, Fernald was asked to begin stockpiling
13 thorium in 1956. It became the official
14 national thorium repository in 1972. So there
15 was a lot of thorium onsite.

16 Some of it was in storage, and the
17 documents show the issues that arose there, in
18 addition to the processes that were going on
19 in various parts of the facility.

20 Eight years ago I discovered this
21 discrepancy between a historic documents and
22 the Site Profile concerning the Plant 6

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 thorium processes from 1960 to 1964. To date
2 not a single worker has had this exposure
3 added to their dose reconstruction. That's
4 eight years and they still have not been dosed
5 for the thorium exposure. And that's the
6 thorium in processing as well as the thoron
7 exposure that these workers received.

8 And I think that's the most
9 distressing part of this whole process is even
10 though provision is made in the law, no one
11 has availed themselves of redoing the dose
12 reconstructions for the Plant 6 1960 to 1964
13 workers.

14 And that's basically all I have to
15 say. And I thank you for the opportunity.

16 CHAIRMAN MELIUS: Thank you,
17 Sandra. So, let's continue our discussions. I
18 would like for, I think, purposes of maybe
19 making this a little easier to talk about and
20 deal with is to have us first deal with the
21 recommendation from the Work Group. And then
22 we'll go onto the second issue, the one we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just talked about, and deal with that
2 separately. We can figure out how to handle
3 it as we go along. Yes?

4 MEMBER FIELD: It's my
5 understanding there wasn't a recommendation.
6 Is that correct?

7 MEMBER CLAWSON: No, the
8 construction workers. That was the
9 recommendation from the Work Group.

10 CHAIRMAN MELIUS: We just again,
11 all the recent -- so we have a recommendation
12 which is essentially a motion from the Work
13 Group to add that Class, which is all
14 employees of subcontractors who work at the
15 Feed Materials Production at Fernald from
16 January 1, 1951 through December 31, 1983.
17 That's subcontractors.

18 Now, there was discussion that I
19 overheard, listened in on with the Work Group,
20 as to could subcontractors be better defined.
21 And it really I think was decided, as I
22 understand it, that that was not possible.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Because there's a variety of different
2 subcontractors. The vast majority are people
3 doing construction work there. For purposes
4 of implementing that, it requires all
5 subcontractors. Yes, John.

6 MEMBER POSTON: Jim, sorry, I'm an
7 old man. I'd like to have a complete
8 statement of what it is we're about to do for
9 the record so that I can understand what it
10 is, how I should vote.

11 CHAIRMAN MELIUS: That's fine.

12 MEMBER POSTON: Okay?

13 CHAIRMAN MELIUS: Okay. The
14 motion would be to add to the SEC all
15 employees of subcontractors who worked at the
16 Feed Materials Production Center in Fernald,
17 Ohio, from January 1st, 1951 through December
18 31st, 1983 for the number of work days
19 aggregating 250. The normal part of adding.
20 So we're adding that Class to the SEC. That's
21 what the motion is.

22 Any further discussion on that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 motion? Or questions? Yes, John.

2 MEMBER POSTON: Was that
3 recommendation unanimous from the Work Group?

4 MEMBER CLAWSON: Yes, it was.

5 MR. HINNEFELD: I believe Dr.
6 Ziemer was absent from the Work Group meeting.
7 So it was unanimous among the Members who were
8 there.

9 CHAIRMAN MELIUS: Okay.

10 MEMBER CLAWSON: That is correct.

11 MEMBER POSTON: May we ask Paul to
12 --

13 CHAIRMAN MELIUS: Yes, that's
14 fair. Paul, are you on the line?

15 MEMBER ZIEMER: Yes, I'm on the
16 line.

17 CHAIRMAN MELIUS: And do you have
18 anything you wish to say about that?

19 MEMBER ZIEMER: No, I actually I
20 had to be absent. That was the meeting on the
21 first of July, I believe, when they actually
22 voted on this. But I do support this addition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the SEC.

2 CHAIRMAN MELIUS: Thank you, Paul,
3 for the clarification. Any other questions
4 from Board Members? If not, Ted, do the roll.

5 MR. KATZ: Dr. Anderson?

6 MEMBER ANDERSON: Yes.

7 MR. KATZ: Ms. Beach?

8 MEMBER BEACH: Yes.

9 MR. KATZ: Mr. Clawson?

10 MEMBER CLAWSON: Yes.

11 MR. KATZ: Dr. Field?

12 MEMBER FIELD: Yes.

13 MR. KATZ: Mr. Griffon is absent
14 so I will collect his vote. Dr. Kotelchuck?

15 MEMBER KOTELCHUCK: Yes.

16 MR. KATZ: Dr. Lemen is absent. I
17 will collect his vote. Dr. Lockey is recused.
18 Dr. Melius?

19 CHAIRMAN MELIUS: Yes.

20 MR. KATZ: Ms. Munn?

21 MEMBER MUNN: Yes.

22 MR. KATZ: Dr. Poston?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER POSTON: Yes.

2 MR. KATZ: Dr. Richardson? David
3 Richardson, are you on the line? Okay, he is
4 absent. I'll collect his vote. Dr. Roessler?

5 MEMBER ROESSLER: Yes.

6 MR. KATZ: Mr. Schofield?

7 MEMBER SCHOFIELD: Yes.

8 MR. KATZ: Ms. Valerio?

9 MEMBER VALERIO: Yes.

10 MR. KATZ: And Dr. Ziemer? Dr.
11 Ziemer indicated he was supporting it. Dr.
12 Ziemer, your vote? Dr. Ziemer? You might
13 have put yourself on mute. I know he said he
14 supported it, but this is a formality that you
15 really shouldn't forgo. Dr. Ziemer? Okay,
16 that's fine. I'll record him -- if he comes
17 back I'll get his vote when he comes back. But
18 I'm going to record him as absent at this
19 point.

20 MEMBER CLAWSON: Hold on, I think
21 he just got on.

22 MR. KATZ: Dr. Ziemer?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Yes, I got
2 dropped.

3 MR. KATZ: Okay. You vote -- did
4 he say his vote just now?

5 MEMBER ZIEMER: Yes. I vote yes.

6 MR. KATZ: He votes yes. Very
7 good. Okay. I can't count so quickly but
8 it's unanimous for Members present. We have
9 more than a quorum and it passes.

10 CHAIRMAN MELIUS: Paul, if it had
11 been a closer vote we would have really
12 thought somebody would have been up to
13 something. You're living close to Chicago
14 there. They have strange ways of voting.

15 MEMBER ZIEMER: Right. Vote
16 often, vote early.

17 (Laughter.)

18 CHAIRMAN MELIUS: Right. And
19 suppressing the vote also. Okay.

20 The second issue we don't have a
21 motion on. And I guess I would like to start
22 off discussion. Is there any additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clarification or anything that would be
2 helpful to people in terms of information?

3 I will add that I talked to Stu
4 briefly during one of the breaks today.
5 Correct me if I'm wrong, Stu, but this is
6 Revision 5. So there's been a lot of effort
7 up to this point. But it doesn't appear that
8 there is an alternative approach that would be
9 available here now.

10 I told Stu to think about that so
11 I want to give him the opportunity to say. And
12 I don't know, John, if you have anything to
13 add.

14 MR. HINNEFELD: Well, after a
15 several year process of getting to this point
16 I didn't think of anything that hasn't been
17 thought of in those several years in the last
18 half hour. So I don't have anything else to
19 propose, no.

20 CHAIRMAN MELIUS: And John?

21 MR. STIVER: I would have to agree
22 with Stu on this. I think we've come to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 endpoint on it.

2 CHAIRMAN MELIUS: Do Board Members
3 have any questions for clarification? I think
4 John Stiver's presentation sort of laid out
5 the basic facts. There's certainly been a
6 number of White Papers, a lot of work done on
7 this, again, a lot of those being revisions
8 trying to come up with a better method that
9 would fit the situation.

10 Yes, Henry?

11 MEMBER ANDERSON: Just briefly. I
12 mean, this is a site that's been around for a
13 while. What is the status from the beginning
14 of the site to now? I mean, we add it to the
15 SEC. How much of it is currently in SEC? And
16 components of it. Are we -- you know, where
17 does this -- we've got the whole petition but
18 we've sort of broken it up into parts. Do we
19 have a good sense of --

20 MEMBER CLAWSON: We have an SEC
21 from 1968 to 1978.

22 MEMBER ANDERSON: For the whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 facility?

2 MEMBER CLAWSON: For the whole
3 facility.

4 MEMBER ANDERSON: Okay, that's
5 what I wanted to hear. Yes.

6 CHAIRMAN MELIUS: Any other
7 questions? Yes, John.

8 MEMBER POSTON: I'm thinking as a
9 technical person here. And I have to say that
10 I agree with Dr. Kotelchuck's remarks
11 regarding the situation, which to me is no
12 different than what my colleague from the
13 great state of Idaho suggested, and that is we
14 have an SEC. I don't see the difference
15 between allowing everyone to be treated
16 equally and just saying let's just do an SEC.
17 It will save us a lot of money and a lot of
18 time if we do it that way.

19 So I don't understand the
20 difference between taking the recommendation
21 that's on the floor and taking the
22 recommendation that Brad made that we just go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 directly to an SEC.

2 CHAIRMAN MELIUS: Josie?

3 MEMBER BEACH: Well, along those
4 lines, I guess my question is what work can
5 continue to resolve this issue other than
6 what's been discussed as an SEC or NIOSH's
7 recommendation?

8 CHAIRMAN MELIUS: And I think the
9 answer we got is that there doesn't appear to
10 be any new ideas or new approaches. And this
11 is Revision 5 and a lot of work has gone into
12 this. Wanda?

13 MEMBER MUNN: One thing that I
14 should have asked earlier and didn't was
15 clarification of the difference between blank
16 spaces and N/A's on this particular chart that
17 we're dealing with on slide 19.

18 MR. HINNEFELD: I'll take a shot
19 at that and if I'm wrong John can correct me.
20 A blank spot or a dash indicates that thorium
21 was not used in that plant in that year. An
22 N/A is that thorium was used in that plant in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that year but there was no DWE value generated
2 for that plant in that year.

3 MEMBER MUNN: Now, that's helpful
4 because that tells us that in Plant 8 during
5 only one year was thorium even present. And
6 it also tells us that in Plant 9, after this
7 year in which the extremely high measurement
8 was actually taken, there was no thorium.

9 Again, one of the thorniest
10 problems that faces the question of whether to
11 promote an SEC or not for any of these sites
12 has been the gotcha of, yes, you don't know
13 where everybody's been. And that's certainly
14 a big gotcha here. We don't know where
15 everybody has been.

16 It appears that in most situations
17 where we would be looking at this kind of
18 data, I believe that most people would come to
19 the conclusion that a single year's
20 information in a place where nothing else was
21 being done with that particular radionuclide
22 later was too much of an impact to expect it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to be included in the general recommendation
2 that's being made for the entire site.

3 But in our case here in this
4 Advisory Board, I don't think we have that
5 option that we could do an academic exercise.
6 We just don't have that option.

7 The question is well-posed and
8 what else can you do? Apparently nothing. And
9 if that's the case then we're at a dead end.
10 It appears we will have to accept an SEC
11 regardless of the fact that this outlier seems
12 to be outrageous and in most cases would
13 simply be rejected as erroneous in some way,
14 or not applicable to the rest of the site.

15 CHAIRMAN MELIUS: I think the
16 question is whether they can be bounding and
17 plausible. And the group's -- all the work
18 that's been done has been trying to make it
19 bounding given the situation. But without
20 being able to place people in the facility it
21 is not plausible for those.

22 And, again, as I said before, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we had people in the facility then and knew
2 what building they worked in then I think we'd
3 have an entirely different story. Because
4 there are some gaps but I think those gaps
5 probably could have been filled. But without
6 that we can't do this. And I think the only
7 feasible method was some kind of a coworker
8 model, and that's what they've been working
9 on. And I just don't think that's, at least,
10 again, personal opinion, that's plausible. So
11 if we're going to move forward we're going to
12 need a motion.

13 MEMBER CLAWSON: As the Work Group
14 Chair, I'd like to say something on this.
15 Because I don't think that you guys really see
16 how much work really went into this. Sixteen
17 meetings and we have gone completely full
18 circle on all things.

19 And it's not without a lot of
20 trying and a lot of digging. And I've said
21 this earlier, I've got to admit that NIOSH,
22 we've worked back and forth. But I see no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 other way.

2 And as the Work Group Chair, and
3 this is why I wanted to make this clear, I am
4 bringing forth before you at this time, and
5 what I'd like to present is that we give
6 Fernald Feed Production Plant an SEC from 1954
7 to 1967 for the inability to be able to
8 reconstruct thorium dose.

9 MEMBER BEACH: And I'll second
10 that.

11 CHAIRMAN MELIUS: Okay. We have a
12 motion and a second. Further discussion? Stu,
13 were you going to --

14 MEMBER ZIEMER: Ziemer has a
15 question.

16 CHAIRMAN MELIUS: Go ahead.

17 MEMBER ZIEMER: I just wanted to
18 ask NIOSH, and I didn't know if Stu or one of
19 the others there, it wasn't clear to me if
20 NIOSH's position is now that in fact they
21 cannot reconstruct dose? Or does NIOSH still
22 believe they can?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Because my understanding of the
2 high value for '55 was that that was
3 considered at least plausible in Plant 9. And
4 if it's plausible for Plant 9 then it is also
5 plausible for any others who would have
6 entered Plant 9. Could you clarify where
7 NIOSH stands on the general issue of both
8 reconstruction of dose and plausibility?

9 MR. HINNEFELD: Well, I mean, our
10 position has not changed since we presented
11 Revision 5 to the Work Group, that this is a
12 method that provides a bounding dose to the
13 workers there.

14 And these AWEs are quite high but
15 they were plausible for the person that it was
16 measured for. I mean, these AWEs were
17 measured for some guy working some task, or
18 some people working some task, at the time
19 those measurements were made. It was
20 plausible for that person.

21 And so our position is we
22 presented a plausible bounding dose for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people who worked with thorium during these
2 years. It's not changed since we presented it
3 to the Work Group.

4 CHAIRMAN MELIUS: But the second
5 part of Paul's question was I think that it
6 was -- I thought you were asking if it was
7 plausible for Plant 9 but -- I just wanted to
8 make sure I understand this correctly. It's
9 not possible to place people within Plant 9.

10 MR. HINNEFELD: That's correct.

11 CHAIRMAN MELIUS: Yes.

12 MR. HINNEFELD: And there's -- if
13 I can maybe -- I'm going to say this to move
14 the discussion along. I might be arguing
15 against interest here but I don't even know
16 what -- my interest is to be done. So I'm
17 arguing for my interest.

18 Paul's point about, you know, this
19 is a common problem at sites when we use AWEs
20 where we use air sampling data. I think
21 there's a substantive difference at Fernald
22 where you have a variety of radiological

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 operations going on across the plant. You
2 know, we have AWEs who do one thing,
3 machinery, and then you have some data and you
4 apply that. You don't know who was in the
5 machining area and so you do that.

6 In this area you have people who
7 were doing radiological work through all these
8 plants through all these years. And they were
9 exposed to things other than thorium.

10 So to David's point I think -- I'm
11 speaking to David's point here. And so I'll
12 make that point in kind of contradistinction
13 between other situations where we have used
14 air data for essentially everybody who worked
15 there.

16 CHAIRMAN MELIUS: Any other --
17 Wanda, I'm sorry. Go ahead. And then Bill.

18 MEMBER MUNN: The only alternative
19 that I have not heard anyone broach at all is
20 the possibility of limiting the SEC to the
21 years '54, '55 and '56, which would
22 incorporate this totally untenable figure that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 no one can deal with but would leave the
2 latter years with data which would be much
3 more feasible in terms of establishing an
4 upper bound.

5 If you look at the remaining data,
6 the only thing I see there that -- the highest
7 number is 25 MAC. And that, although a very
8 high number, would be much more reasonable in
9 terms of possibilities and probabilities.
10 Since --

11 MEMBER ZIEMER: But those high
12 numbers only apply for that particular year
13 anyway, don't they?

14 MEMBER MUNN: Yes.

15 MEMBER ZIEMER: That's not used
16 for every year.

17 MEMBER MUNN: Yes, that year is
18 the only one that shows that. So, my point is
19 the other years -- I was only incorporating
20 '54 and '56 simply because it was, as I
21 understand it, there was thorium there but it
22 wasn't -- but there's no report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Am I correct in that assumption,
2 that there was thorium there but there was no
3 report on those two years?

4 MR. HINNEFELD: Are you asking
5 about 1954 and 1956 for Plant 9?

6 MEMBER MUNN: Yes.

7 MR. HINNEFELD: That means thorium
8 was used there but there was not a DWE study
9 done for those years, right.

10 MEMBER MUNN: Yes. So, in view of
11 the fact that the high number is in that
12 location and during that time frame, then that
13 would -- I don't think there's any question in
14 anyone's mind here with respect to SECs for
15 those years.

16 But what I'm saying is an SEC is
17 not automatically falling into place on the
18 basis of the fact that the remaining data is
19 all outside the realm of probability for the
20 other years that are shown on this chart. Am
21 I incorrect?

22 MR. STIVER: I just want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clarify something about Plant 9 in '54 to '56.
2 In '54 they were just getting started to ramp
3 up towards the end of the year for the big
4 push in 1955. And in 1956 it was all but
5 complete and they were just cleaning up for
6 the most part. So there's a very small piece
7 on either end of 1955 when thorium was being
8 handled in Plant 9.

9 MEMBER MUNN: Yes.

10 CHAIRMAN MELIUS: Yes, but I
11 think, again, you can't place people within
12 the facilities at the plant, within the
13 different plants. So you're going to then be,
14 if I understand the proposed method, is taking
15 the Plant 4 value of 6.4 and that applies to
16 everybody across the facility, that if you
17 move on later you're still having a 1961
18 twenty-five times the MAC that would apply to
19 everybody working in the facility. And 9 and
20 10, I mean, it's for each year.

21 I just -- I find the whole method
22 to be implausible. I just don't -- I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's hard to sort of pick and choose those
2 years. I think, again, even say, again,
3 taking 1961, Plant 6, 25 MAC, the next highest
4 plant used in Plant 1 and that's less than one
5 MAC as the highest value. So you've got a 25
6 times-fold difference there in the value
7 that's used if we had information on the
8 facility. And then you have people that
9 weren't exposed at all in these other
10 facilities. And I don't think that's a
11 plausible application here.

12 MEMBER CLAWSON: Jim, something
13 else comes into this. And this is why I've
14 tried to get so much information into the full
15 Board.

16 Because one of the other things
17 too, as the petitioner said earlier, that
18 these gaps in here and stuff, these are our
19 best available information that thorium was
20 not there. There's still gaps in all the
21 information.

22 Another thing that comes into this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 too is they became a thorium storage facility
2 which we have nothing for at all. But what we
3 do have the information on the thorium
4 somewhat are at these plants.

5 There's another little part that
6 plays into this that is a hard one for us to
7 be able to deal with, and it's a signed
8 affidavit in a lawsuit stating that the person
9 that was taking the air samples, when he came
10 back too high of samples, was instructed to go
11 back and get other ones.

12 This is one that we can't prove.
13 You can't put anything on it and be able to
14 prove it, but this is a signed affidavit that
15 the petitioner has referred to in this of the
16 sampling.

17 And so what we have tried to do is
18 to take the best information that we have and
19 try to put this into a coworker model that we
20 can. And as I've said, we're at the end and
21 I've said this for the last four meetings. We
22 can't go anyplace further.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I come back to my question of
2 I thought this is what the SECs were for.
3 We've exhausted all avenues and this is where
4 we're at.

5 CHAIRMAN MELIUS: David
6 Kotelchuck?

7 MEMBER KOTELCHUCK: Yes. I don't
8 want to comment on the affidavit because I
9 don't think we can deal with that, or at least
10 I think it's another issue. I don't think
11 that's the central issue we need to decide
12 now.

13 I agree on '54 through '56, but as
14 I said earlier, the central issue in my
15 opinion is that we are assigning thorium
16 exposure to many people who we have to believe
17 full well had absolutely no exposure, or had -
18 - or I won't say absolutely no exposure
19 because so many people were exposed -- but
20 had, let's say, negligible exposure. So -- a
21 very small exposure.

22 So I just think that it's just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pushing the plausibility of the model too far.
2 And I just would feel more comfortable saying
3 let's just add an SEC for this period, which
4 is to say I support the motion.

5 CHAIRMAN MELIUS: Bill?

6 MEMBER FIELD: With all the
7 uncertainty I think I favor an SEC as well.
8 But I had the same question when I put it up
9 before Wanda asked, is that for those three
10 time periods -- I just want to clarify
11 something. I thought earlier discussion
12 someone said if it wasn't for the 215 or the
13 higher value that a coworker model could be
14 developed. Now, did I just hear that? I
15 thought someone had said that.

16 MR. HINNEFELD: No, I don't think
17 so.

18 MEMBER FIELD: Okay, then I
19 misremembered that. So it wasn't just based
20 on that one.

21 And is there anything to believe
22 that that one value in that one year, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whatever process that was could have occurred
2 at the other plants during all monitored
3 periods that weren't captured?

4 MR. HINNEFELD: Oh, that one --
5 no. I think there was no reason to believe
6 that. Plant 9 was built to be a thorium
7 plant. And it contained all the operations
8 that were spread throughout the rest of the
9 buildings were available in Plant 9. And
10 sometimes it was even referred to as a semi-
11 works because it had all those operations in
12 it.

13 It was built to be a thorium plant
14 at the time when the Department of Energy said
15 thorium is the next big thing, we're going to
16 be using this stuff a lot. And then in a
17 couple of years they decided they weren't.

18 So Plant 9 stayed and it actually
19 turned into what was called the Special
20 Products Plant later on. But it was built for
21 a thorium plant because they thought they were
22 going to do a lot. They did a lot in '55 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for various reasons, part of which was the
2 plant was not adequately designed for the work
3 they were doing, clearly, given the exposures
4 they were having, it just didn't carry on from
5 there.

6 CHAIRMAN MELIUS: David, do you
7 have another question? Kotelchuck. Thank
8 you. Any further?

9 MEMBER ZIEMER: This is Ziemer. I
10 have one more question.

11 CHAIRMAN MELIUS: Go ahead, Paul,
12 and then Loretta.

13 MEMBER ZIEMER: Yes, I want to ask
14 John Stiver could you clarify -- I was trying
15 to read between the lines but what was SC&A's
16 final position relative to this issue now?

17 MR. STIVER: This is John. Our
18 position is that, given the changes in the
19 criteria for sufficient accuracy and the fact
20 that there's still a lot of unanswered
21 questions about the data, that we are not
22 advocating using the model at this point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ZIEMER: That's another way
2 of saying you're supporting an SEC Class. Is
3 that correct?

4 MR. STIVER: I wouldn't say
5 necessarily supporting an SEC. It's just that
6 this is kind of out of our hands at this
7 point. I think we've done all we can with the
8 data we've got and looking at the different
9 models. And there's still some very large
10 uncertainties. And I think it becomes a
11 policy decision at this point.

12 CHAIRMAN MELIUS: So Loretta first
13 and then --

14 MEMBER ZIEMER: Let me ask it a
15 different way. You do not believe this is an
16 adequate coworker model.

17 (Laughter.)

18 MR. STIVER: I think as it stands
19 it's probably not.

20 MEMBER ZIEMER: Thank you.

21 CHAIRMAN MELIUS: I was hoping
22 we'd have a lawyer jump up and object at that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point. Go ahead. Loretta then Josie, I
2 believe.

3 MEMBER VALERIO: My question is,
4 for the year 1955 in Plant 9, that value was
5 significantly higher than all the other years
6 and locations. But after 1957 there's all the
7 dashes showing that there was no potential
8 exposure. So my question I guess is what
9 about residual contamination in that plant?

10 MR. HINNEFELD: I guess, with the
11 model presented, our expectation would be
12 exposures from residual contamination that
13 would be re-suspended from people going into
14 there would be less than the exposures, the
15 maximum exposure in the plants where the
16 thorium work would be going on.

17 And so the residual dose exposures
18 from Plant 9 would be less than in 1957, the
19 2.2 MAC, from Plant 1. And everyone is
20 getting that value anyway. So, it would be
21 less than the work -- than the values that
22 were measured for the active work.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: My question is for
2 John, so if you want to come back up. I just
3 wanted a clarification, because you mentioned
4 it during your presentation, the N/A
5 highlighted at the end of the Pilot Plant. I
6 just couldn't quite remember what you said
7 what the significance of those years were.

8 MR. STIVER: Those are years in
9 which there's quite a bit of thorium
10 production going on in the Pilot Plant. They
11 were doing I believe -- trying to speak
12 extemporaneously from my memory is never a
13 good thing. But they were doing some
14 recasting, remelting of thorium. They were
15 doing gel production for storing the
16 materials. Some chemical processing work.
17 There were about three or four different
18 things, different tasks that were going on in
19 the Pilot Plant.

20 Whereas in Plant 1, it was
21 basically a sampling plant. They were
22 receiving ore, they were grinding it up,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sieving it, packaging it up to go to the
2 refinery, for the most part.

3 And so in my mind it was -- there
4 certainly appears to be a lot of potential for
5 a thorium exposure in the Pilot Plant compared
6 to Plant 1. And so we were concerned about
7 that.

8 MEMBER BEACH: Okay. And we don't
9 have any data for those three years?

10 MR. STIVER: There's just -- for
11 '65 and '67 there was some unweighted air
12 sampling data but that was it.

13 MEMBER BEACH: Okay. Thank you.

14 CHAIRMAN MELIUS: Loretta, another
15 question? Okay.

16 Ready to move forward? I will try
17 to clarify and read what I understand the
18 motion to be.

19 So it would be all employees of
20 the Department of Energy, its predecessor
21 agencies and their contractors and
22 subcontractors who worked at the Feed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Materials Production Center in Fernald, Ohio
2 from January 1st, 1954 through December 31st,
3 1967, and that that Class be added to the SEC.

4 So if there are no further
5 questions, Ted, do you want to do the roll
6 call?

7 MR. KATZ: Sure. I'll stick with
8 my alphabet here. Dr. Anderson?

9 MEMBER ANDERSON: Yes.

10 MR. KATZ: Ms. Beach?

11 MEMBER BEACH: Yes.

12 MR. KATZ: Mr. Clawson?

13 MEMBER CLAWSON: Yes.

14 MR. KATZ: Dr. Field?

15 MEMBER FIELD: Yes.

16 MR. KATZ: And I'll collect Mr.
17 Griffon's vote. Dr. Kotelchuck?

18 MEMBER KOTELCHUCK: Yes.

19 MR. KATZ: I'll collect Dr.
20 Lemen's vote. Dr. Lockey is recused. Dr.
21 Melius?

22 CHAIRMAN MELIUS: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Ms. Munn?

2 MEMBER MUNN: Yes.

3 MR. KATZ: Dr. Poston?

4 MEMBER POSTON: Yes.

5 MR. KATZ: Dr. Richardson, are you
6 back with us? I'll collect his vote. Dr.
7 Roessler?

8 MEMBER ROESSLER: Yes.

9 MR. KATZ: Mr. Schofield?

10 MEMBER SCHOFIELD: Yes.

11 MR. KATZ: Ms. Valerio?

12 MEMBER VALERIO: Yes.

13 MR. KATZ: And Dr. Ziemer.

14 MEMBER ZIEMER: Yes.

15 MR. KATZ: It's unanimous, the
16 motion passes.

17 CHAIRMAN MELIUS: I do not have a
18 letter ready on this. I had a partial letter
19 but we're going to have to revise it so I will
20 have to -- I actually have to talk to counsel.
21 It's a little complicated Class to define. But
22 we'll work it out and I will again circulate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that.

2 Again, I'd like to thank SC&A and
3 NIOSH and the Work Group and everybody
4 involved. There's a lot of effort that's gone
5 into this. I'm not sure what's left to do on
6 Site Profile issues. I've forgotten that
7 slide already from an hour ago, whenever John
8 put it up there. But, again, it's taken a
9 long time, it's a lot of effort and I think
10 the work's been very thorough.

11 Again, I think all the Board
12 Members appreciate everything everybody's done
13 on this. And this was not an easy one to deal
14 with on a lot of levels. So I again thank
15 everybody.

16 I believe that finishes our
17 business for this meeting.

18 MS. BALDRIDGE: This is Sandra.

19 CHAIRMAN MELIUS: Yes, go ahead,
20 Sandra.

21 MS. BALDRIDGE: I would like to
22 thank everyone for all their hard work, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Board for listening so attentively to what
2 we have worked, it seems, hours and hours and
3 hours, and I know my participation was just a
4 small portion of what the Board put in and
5 SC&A. And I'd like to express my appreciation
6 to everybody.

7 CHAIRMAN MELIUS: Well, we
8 appreciate your interest, involvement on this
9 and meetings going back in time. I'm afraid I
10 can't even remember all the years but it's
11 very good.

12 And we appreciate your patience
13 with this process. I know it's been
14 frustrating at times. But, again, I think
15 we've reached a fair conclusion on this. So,
16 again, thank you.

17 Unless anybody else has something
18 they want -- oh, Brad.

19 MEMBER CLAWSON: I'd like to thank
20 Sandra. But I'd also like to bring something
21 else too. You know, our conflict of interest
22 is an important thing, but Stu's ability to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 able to come in there with his knowledge was a
2 great -- it's what brought many of the things
3 to the surface. I'd like to thank NIOSH for
4 being able to do that.

5 I'd also like to thank Ted because
6 I know it was a lot of work to be able to get
7 the variance for that but it helped greatly. I
8 just wanted to go on record of noting that.

9 CHAIRMAN MELIUS: Okay. Thank
10 you. Anything else? If not we stand
11 adjourned. Thank everybody and have a good
12 trip back. And we'll see you -- hear you on
13 the phone in September and see everybody in
14 Denver in October.

15 (Whereupon, the above-entitled
16 matter was adjourned at 4:13 p.m.)
17
18
19
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com