

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

UNITED STATES OF AMERICA

CENTERS FOR DISEASE CONTROL

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

PANTEX WORK GROUP

+ + + + +

MEETING

+ + + + +

TUESDAY  
JUNE 18, 2013

+ + + + +

The meeting convened in the Zurich Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky at 9:00 a.m., Bradley Clawson, Chairman, presiding.

PRESENT:

BRADLEY P. CLAWSON, Chairman  
JOSIE M. BEACH, Member  
JOHN W. POSTON, Sr., Member  
PHILLIP SCHOFIELD, Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

ALSO PRESENT:

TED KATZ, Designated Federal Official  
ZAIDA BURGOS, CDC\*  
JOE FITZGERALD, SC&A  
STU HINNEFELD, NIOSH ORAU  
JENNY LIN, HHS  
JOYCE LIPSZTEIN, SC&A\*  
SARAH RAY, Petitioner\*  
MARK ROLFES, NIOSH ORAU

\*Participating via telephone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

## TABLE OF CONTENTS

Intro/Background (progress since last meeting).....	4
SEC Issues for Exposures to Uranium, 1984-1989.....	6
Uranium, 1951-1957.....	33
Uranium, 1990 to 1991.....	44
Thorium, 1984-1991.....	49
Additional SEC or Site Profile Issues WG Conclusions/Recommendations.....	96
Preparations for July ABRWH Meeting/Follow-up Actions.....	157
Adjourn.....	159

### NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 P-R-O-C-E-E-D-I-N-G-S

2 9:02 a.m.

3 MR. KATZ: So, good morning,  
4 everyone in the room and on the line. This is  
5 the Advisory Board on Radiation and Worker  
6 Health, Pantex Work Group. Just getting  
7 started.

8 The agenda for the meeting is  
9 posted on the NIOSH website under the Board  
10 meeting section and today's date. I'm not  
11 sure if there are other materials posted  
12 there, too. I don't think so.

13 Let's do roll call. Since we're  
14 speaking about a site, please speak to  
15 conflict of interest as well.

16 (Roll call.)

17 Brad, it's your agenda.

18 CHAIRMAN CLAWSON: Well, looking at  
19 this, it's almost been two years since we've  
20 met as a Work Group. Last time we met was May  
21 3rd, 2011. So, I kind of wanted to go over a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 little bit of where we have been at.

2 In the Work Group May 3rd meeting,  
3 we asked for a SEC from January 1st, 1958  
4 through December 31st, 1983. This was  
5 accepted and was put into place October 20th,  
6 2011.

7 One of the key issues on that was  
8 that we have later years that have been carved  
9 out. The 1990 bioassay resulted in 350 Pantex  
10 workers with the following: the '89 depleted  
11 uranium incident during the disassembly of the  
12 W28. NIOSH felt that they, these last few  
13 years that they had sufficient data to be able  
14 to gain this and they were -- they sought for  
15 some access records at Pantex for the 1990  
16 bioassay data, and in this process, they  
17 determined that they could not gain access to  
18 these records and the result, we got  
19 production technicians on the W28, Rev 2 by  
20 Beal and LaBone in 2012 stating their stance  
21 on how they could do the dose reconstruction.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   Since that time, SC&A and myself  
2 went to Pantex and we also had a technical  
3 call to clarify some of the scientific aspects  
4 of what their approach was and we went down to  
5 Pantex, and also Stu Hinnefeld accompanied us  
6 down there.

7                   The first time, we didn't make it  
8 because Sarah had too much snow. But, we  
9 finally did make it down there and gained the  
10 access to the records.

11                   So, where I'd like to be able to  
12 start today because actually this was a  
13 response to the LaBone and Beal letter and so,  
14 I'd just like to give NIOSH an opportunity to  
15 discuss their paper and we could go from  
16 there.

17                   MR. HINNEFELD: Okay. Well, I'll  
18 kind of set this up a little bit.

19                   Brad's described that we've got  
20 this bioassay dataset from 1990 and this was  
21 after the suspension of work on the W28

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 disassembly. The W28 disassembly had been  
2 going on for a while, probably throughout the  
3 '84 to '89 period, and it was suspended in '89  
4 largely based on employee concerns about the  
5 dirty nature of the work and though we had  
6 this dataset of a -- the dataset was collected  
7 really from anyone who had ever been, I think,  
8 associated with W28 and then there were memos  
9 that identified people among that group who  
10 were production technicians and a smaller  
11 subset yet, people among that group who were  
12 actively engaged in W28 work, production  
13 technicians who were actively engaged in the  
14 work and the work stopped.

15 And we proceeded with that dataset  
16 feeling that there was sufficient evidence to  
17 conclude that the W28 disassembly was the most  
18 high exposure potential work and therefore,  
19 around that, we could bound all exposures.

20 Now, in the interim in some  
21 interviews that we've conducted down there,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 I've been down there a couple of times in  
2 interviews, it probably not quite as  
3 definitive in my mind that W28 was, in fact,  
4 the dirtiest work. There were other weapon  
5 systems that were described as also being  
6 dirty and so, while there are some reasons to  
7 believe W28 might have been the worst, you  
8 know, and it was kind of the largest amount of  
9 unalloyed DU, depleted uranium, and one of the  
10 guys said, well, he had to beat it up pretty  
11 bad to get it apart, you know, so, it was --  
12 it may have been. But, I don't know that  
13 there's the same level of confidence that  
14 other weapon systems weren't similarly done,  
15 but all the focus at Pantex was on W28 because  
16 that was where the protests or the complaints  
17 about the work arose. So, there's that.  
18 There's that argument.

19 And then once we tried to interpret  
20 this bioassay dataset, we were faced with the  
21 problem, well, we don't really know these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 people's history. How long a duration was  
2 there exposure to W28?

3 And so, the Beal and LaBone paper  
4 went through essentially all the potential  
5 interpretations of intakes assuming no earlier  
6 than '84. You know, because before '84, the  
7 Board has already, and the Secretary have  
8 already concluded that dose reconstruction  
9 isn't feasible for uranium intakes before '84.

10 So, from '84 through '89 when the  
11 work was suspended, the Beal and LaBone paper  
12 looked at the potential intake scenarios. You  
13 know, one was: what if people were exposed  
14 continuously from '84 through the work that  
15 was suspended? What if they were exposed for  
16 only one year and that year was 1984? What if  
17 they were only exposed for one year and that  
18 year was like 1988 or '89? And then other  
19 increments of exposure.

20 So, they kind of blanketed the  
21 possibilities of what would the total intake

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 be to a worker given this bioassay data that  
2 was collected in 1989, and they concluded that  
3 the largest intake would have occurred for a  
4 one-year intake starting in 1984 and then the  
5 person's not exposed any more until they give  
6 that -- and then they give that bioassay  
7 sample of 1989. So, they had this long period  
8 of time away from exposure. So, the bioassay  
9 would be interpreted as a very big exposure  
10 back there in 1984.

11 And so, by running through all  
12 these scenarios, they demonstrated that that  
13 one-year intake in 1984 would bound, you know,  
14 the possible scenarios.

15 So, I think that probably is  
16 bounding for intakes that occurred from '84  
17 through '89, but the other aspect of the  
18 argument is: how does that really relate to  
19 what really happened to these people? You  
20 know, we know that the workers weren't exposed  
21 for one year in 1984 and then not exposed any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 more.

2                   We know some of them were exposed,  
3 you know, either throughout or at various  
4 times between then. So, it's not a -- to my  
5 mind, it's not a terribly satisfying approach  
6 just to say, well, I've got this number that's  
7 bounding and so that's good. I don't know  
8 that it really relates very well to the actual  
9 experience of the workers during their time  
10 and so, I wonder about the technical  
11 connection there between what was proposed and  
12 what's not.

13                   And, as SC&A has pointed out in  
14 some of their papers, if the exposures  
15 occurred before 1985, for instance, back in  
16 the '83 period or '82 or '81, that would also  
17 distort your interpretation of that bioassay.

18       Those intakes back there would not be -- you  
19 know, we can't reconstruct those anyway. It  
20 does also distort the interpretation of the  
21 bioassay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   So, there's some issues with that  
2 approach that kind of make me not real -- I  
3 don't know that it's a really robust approach  
4 for that uranium exposure through '89 or  
5 perhaps '90.

6                   Now, in 1990, they collected a lot  
7 of bioassay data, but a lot of it was the --  
8 this kind of W28 collection, but there were  
9 other bioassay samples taken that year, too.  
10 That's kind of when they started doing  
11 bioassay and then by '91 or -- by '91, then  
12 they had their bioassay program, you know,  
13 pretty routinely in place for a couple of  
14 years. That way they just kind of routinely  
15 bioassayed a lot of people.

16                   So, I guess from our standpoint,  
17 from NIOSH's standpoint, I think there is a  
18 number out there that's -- that would bound  
19 the intakes from '94 through or '84 through  
20 '89 or maybe into '90, but I don't know that  
21 just saying, well, this is a big number and,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       therefore, it's good and bounding.       As a  
2       general rule, we don't normally go that.       We  
3       normally look for a more technical connection  
4       between what we're proposing and reality.

5                       So, I guess that's where we stand  
6       on that.       So, I'm kind of lukewarm on the  
7       approach we're proposing, even though I think  
8       it is a number that would bound the intake.  
9       So, that's from that standpoint.       From the  
10      uranium intake.

11                      That's mainly what we've been  
12      working on from our side, and I know that  
13      thorium's been in the news in the paper we got  
14      today.       So, I won't get into that just yet.       I  
15      think maybe we'll get into that discussion  
16      later on.

17                      But, that's kind of where NIOSH is  
18      on the '84 to '89 or possibly '84 to '90  
19      period, is that -- you know, that we got this  
20      number, but we don't know really if it's tied  
21      to the reality of work at the site.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   You know, I'd just say that -- I  
2 mean, there's quite a lot in -- SC&A has  
3 talked about the various isotopes of uranium  
4 that were detected in that bioassay. To me, I  
5 mean, there's a way those isotopes sum up the  
6 total uranium activity.

7                   I think that's what LaBone and Beal  
8 did, is they added all those uranium isotopes  
9 together and treated them probably as U-234  
10 because it has the highest dose conversion  
11 factor among U-238, U-234 and U-235. U-238  
12 gives you the highest dose per atom or per  
13 activity intake. So, I think what they did  
14 was just summed all the isotopes and treated  
15 them as U-234.

16                   I mean, there is a question raised  
17 by SC&A about whether these were really  
18 depleted uranium exposures and since we have  
19 isotopic results, in our mind, that's really  
20 not a particularly important issue. We got  
21 the isotopic results. You can tell them as U-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 234 and view the dose that way, which is what  
2 we've done in many places when there were a  
3 mixture of potential isotope exposure.

4 MR. FITZGERALD: Okay. I guess, to  
5 respond, you know, this issue sort of  
6 originated with our concern about using  
7 retrospectively the bioassay data. I mean,  
8 the only real good bioassay set was from the  
9 '89 event which was taken in '90 and the  
10 concern was applying it backwards almost 30  
11 years and, you know, just the question about  
12 operations over time, the question of whether  
13 this effect was representative of the worse  
14 kinds of contamination you might see over that  
15 time.

16 The W28 had such a long operational  
17 history. You know, this probably would have  
18 worked for something that would have been more  
19 short term, but this was a long-term issue and  
20 I think that's how the Work Group ended up  
21 dispositioning the issue. Thought it was too

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 long and the operations would not have been  
2 static enough to assume that it would be  
3 representative going back that far.

4           And I think the Work Group accepted  
5 the counterpoint that NIOSH raised. Well,  
6 what about the dismantlement period for  
7 retirement? Which was the five-year period  
8 from '84 to '89. That certainly was a period  
9 of time where you did have relatively static  
10 type of procedures. You would expect the  
11 operation to be pretty normalized and,  
12 therefore, it's more possible that you could  
13 take that data and find a way to apply it for  
14 what would be a much shorter time period  
15 really. Five years.

16           And that's kind of how it was left  
17 and I think the approach that's in the paper  
18 is certainly a different approach than what  
19 was originally proposed for the 30 years and  
20 applies a model that I think is certainly a  
21 better model in terms of providing bounding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 scenarios.

2 Our concerns really as we noted  
3 were twofold and one sort of ties to what Stu  
4 was saying in terms of a large number. A  
5 large number can, I'll use that as a code word  
6 for the kind of modeling where it is an  
7 umbrella type of model, can encompass pretty  
8 much the exposures that you would anticipate  
9 during that period. But, when you go broader,  
10 I think you also increase the uncertainties  
11 that might be associated.

12 So, in a slightly different way,  
13 what we're saying in our review is that yes.  
14 Yes, we can see the model as being valid, but,  
15 you know, because it's applied broad enough,  
16 this large-number approach, your uncertainty  
17 starts growing and that's one thing that we've  
18 pointed out that appears to be an order of two  
19 magnitude. Uncertainly at least associated  
20 with the M Class of uranium from the analysis.

21 The other issue we raised really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 for Work Group discussion. I mean, it was  
2 kind of -- it wasn't this question of high-  
3 enriched uranium being present. That wasn't  
4 discussed in the Beal and LaBone report and  
5 our concern was how it would be addressed in  
6 this proposed approach and, you know, we were  
7 concerned whether the approach, in fact, could  
8 do that.

9 And part of what we wanted to do  
10 certainly today is have that discussion -- I  
11 think you've touched upon it already -- how,  
12 you know, NIOSH would propose addressing HEU.

13 We weren't even sure -- now, I'll  
14 defer to Joyce because she did a lot of the  
15 hard crunching, numbers-crunching on the  
16 analysis, but we weren't really sure about  
17 whether this was, in fact, real. In other  
18 words, well above what might be attributed to  
19 environmental levels.

20 And part of what we did down at  
21 Savannah River -- down at Pantex in our site

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 visit was to looking at more monitoring  
2 records and just see, you know, if it were a  
3 background level present in the workplace, you  
4 would probably keep seeing it. I mean, it's  
5 not something that you could clean up if it  
6 was a residual amount.

7 So, we looked at some more  
8 contemporary bioassay -- bioassays that were  
9 down there and I picked a random sample to out  
10 -- and sure enough, we were able to see  
11 elevated U-235 in the two random samples that  
12 we picked out of the bioassay file.

13 And we did talk to a couple of the  
14 health physicists who had been there for  
15 decades, 20 or 30 years and say, you know,  
16 this is what this seems to be and, you know,  
17 these are folks that are familiar with their  
18 monitoring and I thought it would be kind of a  
19 straightforward thing to, you know, explain  
20 why you happen to have enriched uranium  
21 contamination even at the smaller levels

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 present.

2 But, they could not explain it.  
3 They had some theories of why it was there,  
4 but certainly it appears that it is real.  
5 That, in fact, you do have it there and what  
6 we were kind of looking for is how the method  
7 would accommodate that and there may be some  
8 straightforward ways to do that.

9 MR. HINNEFELD: Well, yes, to me, I  
10 think that my recollection of the Pantex and  
11 the interpretation of the current bioassay  
12 where they're seeing U-235 was in the cases --  
13 in the recent case, the ones that we looked  
14 at, there was also detectable and more U-234  
15 and U-238, and the bioassay manager attributed  
16 the U-235 positive to natural uranium in the  
17 individual water source. Because, you know,  
18 the well, if they were on a well as opposed to  
19 commercial water, wells can have varying  
20 amounts of natural uranium in it and they  
21 attributed -- the bioassay manager attributed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 those U-235 results to natural uranium.

2           And when you get down around  
3 detection limits for, you know, 238 and 234,  
4 you've got so much variability in the  
5 analysis. You've got to be -- you know, if  
6 you're close to the detection on these, you  
7 got to be a little careful about reading too  
8 much into those ratios.

9           But, regardless of all that,  
10 regardless of all that, in my way of thinking,  
11 the dosimetric way to handle this, since you  
12 have isotopic bioassay results, you're not  
13 doing mass analysis where you have to make  
14 some judgment about the specific activity,  
15 you've got the activity results from these two  
16 isotopes. If you sum those isotopes and you  
17 do the dose reconstruction as if they were all  
18 U-234 because it has the highest alpha -- it's  
19 the highest alpha energy of 235 and U-238 and  
20 U-234, and based on that, you then know that  
21 the exact mixture isn't the problem.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   You have bounded the dose and  
2 you've bounded it pretty closely because the  
3 entire U-235 energy is higher or U-234 energy  
4 is higher, but it's not so inordinately higher  
5 that you're just throwing a big number out  
6 there.       That, to me, is a good, valid  
7 technique and we do that at a number of places  
8 where we have uranium intake of mixed  
9 radionuclides and we say we're going use U-234  
10 as the one in the dose reconstruction.

11                   So, to me, the isotopic content of  
12 the bioassay and whether there was some -- and  
13 as I recall the conversation of the HP, they  
14 were quite puzzled by the U-235. They knew of  
15 one event where a U-235 component had been  
16 damaged and there had been an investigation  
17 with that, but they felt like in a routine  
18 situation they couldn't envision a U-235  
19 intake and that's why they couldn't interpret.

20       But, a bioassay manager interprets U-235 as  
21 natural.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. FITZGERALD:   Well, two things  
2   and again, the notes are in Germantown.  So --

3                   MR. HINNEFELD:   Yes, along with  
4   everything else.

5                   MR. FITZGERALD:   Yes.     Right.  
6   right.  One thing the U-235 levels were well  
7   above the MDL, minimum detectable.  I mean it  
8   must have been --

9                   MR. HINNEFELD:   In a few, yes.  In  
10   a few.

11                  MR. FITZGERALD:   Yes, and those two  
12   sample bioassays are in Germantown.

13                  In terms of the interview, because  
14   I again showed them the bioassays and the  
15   levels that were in those bioassays and  
16   because they were appreciably above the  
17   minimum detectable, kind of asked [identifying  
18   information redacted] where do you think it  
19   came from and at least one of them opined --  
20   and there was no way to, you know, verify  
21   this, that perhaps it came from Y-12 because

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the deleted uranium, of course, comes from Y-  
2 12 and Y-12 handles enriched uranium. They  
3 thought now there was a good possibility it  
4 was just simply a residual amount that came  
5 over on the depleted uranium, but they really  
6 did not know. But --

7 MR. HINNEFELD: They did say that?

8 MR. FITZGERALD: Yes.

9 MR. HINNEFELD: She did opine.

10 MR. FITZGERALD: They did say that  
11 and --

12 MR. HINNEFELD: Okay. He had just  
13 come there and he was probably --

14 MR. FITZGERALD: Right. Right.  
15 And that was his guess that maybe it came from  
16 that source, but they really didn't know.

17 But, they did acknowledge. I guess  
18 I'll have to disagree with you. They did  
19 acknowledge that this wasn't a background  
20 level. This was certainly a real level, but  
21 they really didn't have an explanation for,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 you know, where it might have come from.

2 You know, clearly, some of this did  
3 come from natural sources, but this was enough  
4 above the minimum detectable that they felt it  
5 probably must have come from Y-12 or some  
6 other source.

7 And there was other folks and we  
8 cited those who in the past have cited sources  
9 of -- you know, you got 30 or 40 years of  
10 handling enriched uranium. Even at component  
11 form, it's certainly could very well come up  
12 with a residual level in the background.

13 You wouldn't be looking for it  
14 because frankly, you wouldn't expect to see  
15 it, but you would have a residual level.

16 I want to stop there, because again  
17 Joyce had spent a great deal of time looking  
18 at that particular issue and had concerns  
19 about it.

20 Joyce, I think you've heard --

21 DR. LIPSZTEIN: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. FITZGERALD:    -- the news.    A  
2    rationale for how they might approach dose  
3    reconstructing even with the enriched uranium  
4    presence.  Do you have any comments?

5                   DR. LIPSZTEIN:  Yes, I do.  I think  
6    the problem is not -- it's knowing what  
7    happened between '84 and '89.  Because until  
8    '83, an SEC was granted based on you don't  
9    know what really happened and from '84 to '89,  
10   it was assumed that the workers had a more or  
11   less uniform exposure because they all worked  
12   in the same places, and then there was this  
13   accident in '89 with measurements done --  
14   bioassay measurements done that gave what was  
15   supposed to be the highest excretion rate that  
16   would be extrapolated to '84.

17                   As already was pointed out before,  
18    there is a great uncertainty on what really  
19    happened and even with assuming that it only  
20    occurred in '84, it's about 100 times higher  
21    than if the exposure would occur uniformly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 between '84 and '89 and that's on NIOSH  
2 papers. So, this is a big difference, 100  
3 times difference between exposures in --  
4 uncertainty on the exposures.

5 The other thing is that if this was  
6 the worse accident and the worse exposure,  
7 people could not have been exposed to -- would  
8 be exposed only to depleted uranium and not  
9 have enriched -- not have uranium-234 and  
10 uranium-235 and uranium-236 in their urine  
11 samples.

12 So, the uranium-235 result in some  
13 of -- results in some of the samples are too  
14 high to be attributed only to natural uranium.

15 The ratio of some workers rose up  
16 to 11 between uranium-234 and uranium-238 and  
17 all of the -- we only analyzed data that were  
18 above the limit of detection.

19 Also, some of those workers had  
20 also higher than detection level uranium-235  
21 and uranium-236. So, it cannot be depleted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 uranium. Even if it's mixed with natural  
2 uranium, you can't measure uranium-236 in  
3 natural uranium and depleted uranium.

4 So, I think what we wanted to show  
5 with this and there were some other exposures  
6 to this work that cannot be attributed only to  
7 the depleted uranium exposure during the  
8 accident. So, if we don't know what these  
9 workers' rates, there is a big uncertainty in  
10 using those '89 exposures bioassay to really  
11 bound the exposures of all the workers.

12 MR. HINNEFELD: Yes --

13 DR. LIPSZTEIN: Did I make myself  
14 clear or not?

15 MR. HINNEFELD: Yes, Joyce and what  
16 you're saying is sort of consistent with when  
17 I started out -- and I didn't really spend a  
18 lot of time on this. Part of my lukewarmness  
19 towards the approach we've proposed is that  
20 the approach essentially is predicated on this  
21 W28 being the worse exposure and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 dominating exposure.

2                   And based on interviews we had and  
3 as Joyce has described, some interpretations  
4 on the bioassay, it's not so clear that among  
5 the sample population that the W28 was  
6 necessarily the dominating exposure. I think  
7 that's kind of what she's saying here.

8                   DR. LIPSZTEIN: Yes. Thank you.

9                   MR.            HINNEFELD:            There's  
10 uncertainties in the exposure and so -- and I  
11 don't think I'm going to argue with that.

12                   I think based upon what we've  
13 learned in our more recent investigation, I  
14 guess there's not really a good -- I don't  
15 really have an argument against that.

16                   MR. FITZGERALD: Yes, I might add  
17 to that that I, too, thought the W28 was the  
18 worst actor on the scene, but that was before  
19 I got into the W55 discussion with DU and that  
20 seems to be, you know, right up there. So,  
21 it's kind of -- you know, there is some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       ambiguity about that.

2                     In talking to site experts on W55  
3       relative to thorium, they say yes, I think it  
4       was some thorium in there.     But, boy, the  
5       depleted uranium was the -- by far the worst  
6       actor.

7                     So, you know, it is kind of  
8       ambiguous about the -- you know, which  
9       particular system gave you more DU.  It sounds  
10      like a lot of them gave you bad DU at that  
11      point in time late in the game.  So, I don't  
12      know.

13                    But, I do think Joyce's point  
14      relative to source of enriched uranium and  
15      representativeness is an important issue for  
16      using that 28 data back because there is some  
17      further question about the enriched uranium  
18      and where it came from and how to account for  
19      it I think is the way we put it in the paper.

20                    And I might add, you know, we did  
21      have some discussion earlier about, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 possible sources in addition to the interviews  
2 down -- I think in our technical call, we kind  
3 of opined that maybe it might have come from  
4 off-site and we did -- as it says in the  
5 paper, we did cross-check the best we could  
6 and it wasn't easy.

7 MR. HINNEFELD: No. Right.

8 MR. FITZGERALD: In terms of  
9 whether some of these W28 workers did  
10 sufficient work in other DOE sites that you  
11 could account for maybe exposures at these  
12 sites, we found no inconsistency to suggest  
13 that that couldn't clearly be an explanation  
14 for why this was showing up and, of course,  
15 so, we were trying to make sure that was real  
16 and from our opinion, it is real although it's  
17 a residual amount, a small amount.

18 But, you know, as Joyce points out,  
19 it raises the question of, you know, how one  
20 can account for that using this dataset.  
21 Because again, we're relying on this single

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 dataset as the basis for the method.

2 CHAIRMAN CLAWSON: Anything else,  
3 Stu or does that pretty well sum it up?

4 MR. HINNEFELD: I think that's kind  
5 of where we're at on the uranium. I think the  
6 -- kind of covered the '84 to '89.

7 MR. FITZGERALD: Yes. Now, the  
8 only thing I might add, and I think Stu  
9 touched on it this, is that even though we  
10 felt bioassays of the '89 incident in '90 --  
11 that were taken in '90 gives a benchmark, it  
12 certainly is not the same level of benchmarks  
13 you get in '91 and '92. I mean, it wasn't a  
14 step function.

15 Well, you had, you know, more  
16 bioassays for more people in '90. You had a  
17 lot more bioassays in '91 and '92, but it was  
18 a judgment call and, of course, it sort of,  
19 you know, falls to Work Group and NIOSH as to  
20 whether, you know, -- you had the 305 samples  
21 taken covering, I think, 40 or 50 workers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Did I get them right? Forty-nine in 1990.

2 Certainly in my view, gets you a  
3 pretty good benchmark for 1990, but a lot of  
4 this is a judgment call and certainly, you're  
5 in the hundreds by '91 and '92 and thereafter.

6 So, again, there seems to be enough  
7 of a benchmark for 1990 for uranium. Where  
8 you could certainly have a basis for a  
9 coworker model or certainly an approach for  
10 1990.

11 But, it is not a step function. I  
12 just want to make that clear. It's sort of a  
13 -- you know, it goes up to '91 and you have  
14 certainly more data for '90 and you have no  
15 data really for -- you know, before that.

16 CHAIRMAN CLAWSON: I understand.  
17 Well, I guess basically it falls onto the Work  
18 Group to be able to come up with this and what  
19 I'm proposing is that we have a SEC from  
20 January 1st, 1984 to December 31st, 1990 for  
21 the uncertainty of depleted uranium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   How does the other Work Group feel?

2                   MEMBER SCHOFIELD: I totally agree  
3 that we want those numbers.

4                   MEMBER BEACH: I agree with that as  
5 well.

6                   CHAIRMAN CLAWSON: Okay. So, we're  
7 going to bring this before the Board at the  
8 January meeting.

9                   MR. KATZ: Do you want to check?  
10 Dr. Poston, are you on the line? Dr. Poston?

11                   MEMBER POSTON: Yes, that's -- I'm  
12 in favor.

13                   MR. KATZ: Okay. Thank you.

14                   CHAIRMAN CLAWSON: Thank you, Dr.  
15 Poston. We didn't hear you come on. Sorry  
16 about that.

17                   MEMBER POSTON: Well, I didn't  
18 announce it. I had simply sent Ted a message  
19 because I didn't want to interrupt Joe in the  
20 middle of his dissertation.

21                   CHAIRMAN CLAWSON: Okay. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 appreciate that.

2 All right. One thing that I did  
3 miss out on was in the earlier years, we were  
4 talking about from '51 to '58, there was a  
5 question that I believe, Mark, that you said  
6 that they had no radioactive materials showing  
7 up at Pantex if I'm --

8 MR. ROLFES: No, I never said that.

9 CHAIRMAN CLAWSON: Okay.

10 MR. ROLFES: There's been  
11 radioactive materials on-site since I believe  
12 1954. Some of the uranium components that  
13 were coming in for assemblies where showing up  
14 in '54. So.

15 CHAIRMAN CLAWSON: Good. Well, and  
16 this is probably a TBD issue.

17 We're not -- depleted uranium  
18 actually started showing up in '51 time  
19 period, but this was clean DU and actually,  
20 they had the -- I believe it was Mark 6, 7 and  
21 8 that -- or the 6 was the worst one. They

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 actually showed up as early as '53, but  
2 different than what I thought, none of them  
3 were disassembled until Gravel Gerties were  
4 built, then showed up back to the plant, but  
5 nothing was done with them.

6 Well, that's where I came into the  
7 misunderstanding of -- I thought they came in  
8 and they were disassembled at that time, and  
9 they weren't, because the uranium and the HE  
10 were bonded together, but they never  
11 disassembled. The basically clean DU was  
12 coming in. So, as far as we go for the  
13 earlier years, there -- there isn't really  
14 going to be any change from what the previous  
15 one was.

16 MR. KATZ: So, Brad, you said until  
17 the Gerties were built. When were the Gerties  
18 --

19 CHAIRMAN CLAWSON: Fifty-eight.

20 MR. KATZ: Fifty-eight. Thank you.

21 MR. FITZGERALD: Was the first year

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 for the classes that's already been --

2 CHAIRMAN CLAWSON: Right.

3 MR. KATZ: Thank you.

4 CHAIRMAN CLAWSON: The Class that's  
5 already been established.

6 MR. KATZ: Thanks.

7 CHAIRMAN CLAWSON: It had been  
8 built and that's when they were first used,  
9 was in '58. So --

10 MR. FITZGERALD: Do you want to --  
11 want me to go ahead?

12 CHAIRMAN CLAWSON: Right.

13 MR. FITZGERALD: You know, part of  
14 what we want to do -- there was some bookend  
15 issues, as I call them. The early period and  
16 the latter period on uranium and '51 and '57  
17 as Brad was pointing out, there was some  
18 ambiguity about, you know, when you might have  
19 had DU, what systems, what potential exposure  
20 may have existed.

21 A large part of the site visits was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 to nail down some of the ambiguity and try to  
2 make sure we had a very clear idea and  
3 frankly, our approach was just to identify  
4 what systems may have had disassembly issues  
5 or contamination issues similar to the 28 and  
6 just make sure that we were clear on, you  
7 know, what the implications might be for that.

8           And we identified three systems, 6,  
9 7 and 18, and upon further review, it looked  
10 like the 6 was the one where you actually had  
11 some accounts where -- and then there were  
12 some contradictions in some of these -- you  
13 know, you're talking about 40-year-old  
14 accounts. But, clearly, there was some  
15 contamination issues associated with  
16 disassembly.

17           So, we were looking at two things.

18           You know, what was the earliest disassembly  
19 of a Mark 6 and, you know, was there any  
20 evidence, you know, whether it's former worker  
21 accounts or documentation that would suggest

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that the mere handling of these forms might  
2 have had some contamination associated with  
3 them.

4 As Brad pointed out, before the  
5 Gravel Gerties were built in '58, you did have  
6 handling of components. You did have handling  
7 of radioactive material, particularly DU  
8 forms, and so, that was the question and, of  
9 course, the challenge was: this was a long  
10 time ago.

11 So, what we established as I think  
12 Brad pointed out was, even though the Mark 6  
13 was on the scene and, you know, certainly  
14 doing things, there was no disassembly of the  
15 Mark 6 until the Gravel Gerties were in -- in  
16 fact, there was no assembly or disassembly of  
17 any systems until the Gravel Gertie was in  
18 place and that was in '58.

19 So, unless that was established, we  
20 felt -- unless there was some other  
21 documentation that suggested some residual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 contamination associated with these forms from  
2 Y-12, then there really would not have been an  
3 exposure pathway and we did not find any  
4 documentation. I think the accounts are  
5 pretty uniform that the -- that the DU forms  
6 from Y-12 were -- were clean. There might  
7 have been some minor oxidation, but nothing  
8 that would have presented a major pathway in  
9 terms of handling.

10 And so, we concluded that certainly  
11 from '51 through '57 we didn't find any  
12 evidence of any exposure pathway for depleted  
13 uranium such as existed after '57.

14 And we also looked at -- there was  
15 some issues raised early on that we didn't  
16 want to lose, hydroshots and the firing pit.  
17 So, we went back just to make sure that was  
18 part of the analysis and tried to nail that  
19 down better and there and again, talking to  
20 the HPs down at Pantex and looking at further  
21 documentation, we felt that in neither case

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the burn pit nor the hydroshots you had any  
2 situation where the air sampling data, in  
3 terms of the burn pits, wasn't sufficient to  
4 provide some information for the dose  
5 reconstruction going backwards.

6 The issue here is that you had some  
7 data, but it was just over the cusp into the  
8 early '60s. Could you use it for the late  
9 '50? And we certainly found documentation  
10 that suggested that the factors were the same  
11 and that there was enough data to provide a  
12 basis for doing that. So, that was the  
13 reasonable -- that all bases were covered '51  
14 to '57 as it applies to depleted uranium.

15 And that was the source term, of  
16 course, that we were concerned about. Wasn't  
17 any other source terms that we felt figured in  
18 that early period. So, we're comfortable with  
19 that.

20 MEMBER BEACH: Joe, I didn't go to  
21 Pantex. Did you guys interview people from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that time period? I read through stuff, but I  
2 don't remember if you had someone that --

3 MR. FITZGERALD: We actually had  
4 interviews, quite extensive interviews, from  
5 that early period.

6 Unfortunately, with the four or  
7 five years intervening, those people were not  
8 available anymore. Either they -- you know,  
9 just weren't available.

10 So, but, the information that they  
11 provided --

12 MEMBER BEACH: Was there.

13 MR. FITZGERALD: -- which is cited  
14 and referenced was very relevant to this  
15 question both for the handling of the weapon  
16 systems as well as the -- as well as the burn  
17 pits and hydroshots.

18 We did talk to one individual who  
19 was the sort of site technical expert on  
20 issues and really had a good grasp of the  
21 history and we talked to him about the Mark 6

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 and went through and not only him, but also  
2 the individual who was tied into the  
3 classification system at Pantex at the senior  
4 level and had both individuals, these are  
5 senior people, actually do extensive searches  
6 on the Mark 6 in terms of, you know, the 1950  
7 era.

8 How it was handled? What was the  
9 exact time frame of disassembly? How the  
10 handling practices might have figured into  
11 that?

12 And we did come up with one  
13 classified piece of information that bared on  
14 exposure associated with the Mark 6, but it  
15 was an incident. It was sort of a screwy  
16 incident and it wasn't really germane to any  
17 standard disassembly practice or anything.  
18 So, it was isolated.

19 So, we kind of beat the bushes as  
20 much as we could to see whether or not, you  
21 know, any of these systems in the '50s would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 have figured in any exposure potential for  
2 that time period and we did not find any.

3 And understand, too, that, you  
4 know, we made a -- we had some interviews with  
5 individuals who've pointed out that depleted  
6 uranium oxidizes fairly quickly if you have a  
7 raw, you know, unalloyed form. So, certainly,  
8 the concern was whether you would not only  
9 have oxidation, but begin to have  
10 contamination and we're trying to look at that  
11 from a standpoint of any evidence, any  
12 accounts, any documentation that would show,  
13 you know, they were dealing with any  
14 contamination at all and we did not find any.

15 And it looks like the major  
16 handling took place in the Gravel Gerties when  
17 they started taking these apart. They had  
18 been in the stockpile for four or five or six  
19 years at least, so.

20 CHAIRMAN CLAWSON: Well, we did  
21 confirm that actually depleted uranium was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 there as early as the '82 product. It was  
2 there.

3 MR. FITZGERALD: Yes, I think --  
4 yes, before the Gravel Gerties, Pantex was  
5 limited to simply mating high explosives to  
6 the depleted uranium. They just didn't do any  
7 fissile material handling. So, I think we can  
8 make that distinction.

9 That was a little ambiguous. I  
10 think going in and there was still some dates  
11 being thrown around. So, I think that was a  
12 value of going on-site and really trying to  
13 nail this down and classified reviews, what  
14 have you, was to really get some more precise  
15 information on that and I think we did get --  
16 do that.

17 CHAIRMAN CLAWSON: Okay. Well, we  
18 talked about '51 through '57.

19 MR. FITZGERALD: And we did talk  
20 about '90 and '91.

21 MR. KATZ: We need a recommendation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 on that period.

2 CHAIRMAN CLAWSON: Oh, for '51 to  
3 '57, that we --

4 MR. KATZ: Because there's still an  
5 open question in the SEC.

6 CHAIRMAN CLAWSON: Oh, I  
7 understand. I'm proposing to the Work Group  
8 that we accept NIOSH's stand that on the --  
9 that there was no contamination points from  
10 that earlier year, that we had confirmed what  
11 they suggested.

12 MR. KATZ: So, your proposal is  
13 that reconstruction is feasible for that  
14 period.

15 CHAIRMAN CLAWSON: We accept  
16 NIOSH's -- yes. Right.

17 MR. FITZGERALD: And on the other  
18 bookend issue which is 1990/'91, I heard your  
19 conclusion on --

20 CHAIRMAN CLAWSON: Right.

21 MR. FITZGERALD: -- previous

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 conclusion. With the 1990 period being a  
2 step-up period.

3 MR. KATZ: That'll be a separate --  
4 separately --

5 CHAIRMAN CLAWSON: That'll be a  
6 separate one. Okay.

7 MR. KATZ: Yes.

8 CHAIRMAN CLAWSON: Yes, I recommend  
9 to the Work Group that from 1951 to 1957 we  
10 accept NIOSH's recommendation that they could  
11 do dose reconstruction. Any feedback?

12 MEMBER SCHOFIELD: I'm in agreement  
13 with that.

14 MEMBER BEACH: I'm in agreement  
15 with that, but I also -- how will you do dose  
16 reconstruction during that time period?  
17 There's some more sampling. Do we --

18 MR. HINNEFELD: Well, I think the  
19 Site Profile has the firing ground, right?  
20 The burning ground.

21 MR. ROLFES: Yes, the burning

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 grounds and the firing site weren't active  
2 until after 1958. So, there really isn't any  
3 source of intakes from uranium for that  
4 perspective.

5 MR. FITZGERALD: Yes, just to  
6 further answer, we looked at those dates and  
7 just wanted to make sure that that aligned  
8 with the current SEC. We agree with that.

9 MEMBER BEACH: Okay.

10 MR. KATZ: So, Dr. Poston, did you  
11 hear the motion from Brad?

12 MEMBER POSTON: I agree,

13 MR. KATZ: Okay.

14 CHAIRMAN CLAWSON: Okay. So, now,  
15 let's talk about uranium 1990 to 1991.

16 MR. HINNEFELD: Well, now, uranium  
17 1990 is part of the Class.

18 CHAIRMAN CLAWSON: You covered  
19 this. Right. So, '91 to --

20 MR. HINNEFELD: Ninety-one is the  
21 end of the --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 CHAIRMAN CLAWSON: Ninety-one.

2 MEMBER BEACH: Ninety to '91.

3 CHAIRMAN CLAWSON: Yes.

4 MR. HINNEFELD: Ninety is not even

5 --

6 MR. KATZ: Just 1991.

7 MR. HINNEFELD: Ninety-one.

8 MR. KATZ: You're addressing that.

9 CHAIRMAN CLAWSON: Okay. Yes,  
10 that's right and as we said earlier and we saw  
11 it down there that the '91 time period for  
12 depleted uranium and stuff. We saw that their  
13 bioassay sample process went up and I think  
14 that they had enough variety that they can  
15 actually do it for that period.

16 So, I recommend to the Work Group  
17 that we accept NIOSH's stand that for 1991  
18 that they could do a dose reconstruction for  
19 depleted uranium and uranium.

20 Any questions?

21 MEMBER SCHOFIELD: No.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 CHAIRMAN CLAWSON: So support?

2 Phil.

3 MEMBER SCHOFIELD: We'll mark that.

4 MR. KATZ: Josie?

5 MEMBER BEACH: Yes. Yes.

6 MR. KATZ: And Dr. Poston? John?

7 John Poston? Dr. Poston, I think --

8 MEMBER POSTON: Yes, I agree.

9 MR. KATZ: Okay. Thank you.

10 MEMBER POSTON: I'm having trouble  
11 with my mute button.

12 MR. KATZ: Yes, I hear. Thanks.

13 CHAIRMAN CLAWSON: Okay. That  
14 bring us to issue number five. Which is  
15 thorium and then, Joe, I'll turn that over to  
16 you.

17 MR. FITZGERALD: Yes, thorium was  
18 an issue that I guess the best word is, it got  
19 subsumed a little bit because we were in the  
20 throes of looking at the W28 uranium issue,  
21 and I think the original NIOSH proposal was to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 have, you know, thorium handled as a mass  
2 ratio of the uranium and obviously, as goes  
3 uranium goes thorium.

4 So, we did touch on some of these  
5 issues at the last Work Group meeting, but I  
6 think decided as a group that, you know, let's  
7 handle the uranium first and then deal with  
8 the uranium -- thorium later, and I guess  
9 later is now.

10 So, anyway, because of the SEC that  
11 was granted earlier, we're primarily focused  
12 on the '84 through '91, which is the end of  
13 the petition period.

14 And what we outlined in our review  
15 -- and these issues were raised at the last  
16 Work Group meeting. It feels like eons ago.  
17 Almost two years ago.

18 Our concern was just basically with  
19 the use of information from the 1990s and  
20 trying to have that information be the basis  
21 for characterizing your -- or bounding your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 dose reconstruction in the '80s. Very  
2 familiar issue.

3 And even though there's a mass  
4 ratio -- and this is of air samples. I think  
5 I -- in my second revision, I actually had it  
6 right the first time. I changed it. It's air  
7 samples.

8 Either this approach or even the  
9 previous approach relies on mid-1990s and  
10 beyond data primarily. There's a couple of  
11 bioassays that happened to have been taken in  
12 '83, but the preponderance of the data comes  
13 from the '90s.

14 And our concern is that in '91,  
15 it's almost like a split point. You got a  
16 split point at the end of '89 for the W28  
17 uranium exposures because that's when they  
18 sort of had an epiphany about the DU  
19 contamination and did a major overall of  
20 practices and came up with contamination  
21 control and a lot of bioassay monitoring and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that all happened after the `89 event.

2 For the W55 which was -- like the  
3 28, served the poster child for the thorium  
4 question. That happened in '91 where  
5 recognition grew and this was driven --

6 (Background noise on telephone  
7 line.)

8 MR. KATZ: Sorry, Joe.

9 MR. FITZGERALD: In any case, go  
10 back. The W55 was a pretty bad actor.  
11 Comparable -- it's hard to judge whether it  
12 was worse or better than the W28. Both of  
13 them are pretty bad.

14 In terms of contamination on  
15 disassembly, they rate in 1991 --

16 (Background noise on telephone  
17 line.)

18 MR. KATZ: But, go ahead and try to  
19 talk over her a while. It's quiet right now,  
20 Joe.

21 MR. FITZGERALD: All right. I'll

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 go for it.

2 I was just saying though, you know,  
3 one, thorium is relatively more hazardous than  
4 depleted uranium. I don't think there's any  
5 disagreement with that.

6 Two, it's clear that there was a  
7 much smaller amount of thorium contamination  
8 than depleted uranium, but nonetheless, it  
9 existed, was an issue, something that arose.

10 On the W55, they did go to a  
11 downdrafted glovebox table in late '91. We  
12 were able to pin that down a little better,  
13 with the notion to control the DU as much as  
14 anything else, but certainly to control  
15 thorium as well.

16 The difference between thorium and  
17 DU contamination I think was clear. That, you  
18 know, with the DU, I think Stu pointed out  
19 earlier, you could lift the unit up and the DU  
20 would just fall out. I mean, it was sort of  
21 gross contamination using gross in the most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 graphic way. So, it got everywhere.

2 Thorium contamination was  
3 different. There you actually had to  
4 physically manipulate the material, the actual  
5 component to have any contamination. It  
6 wasn't something that -- I think we used the  
7 word spallate. It wasn't something that just  
8 sort of fell out. It was something that if  
9 you, you know, used a screwdriver as we heard  
10 from one of the workers to separate units or  
11 to move things around mechanically, you would  
12 get some loose contamination.

13 I think one interview in particular  
14 pointed out that in a glovebox environment  
15 that in the downdraft table, they were able to  
16 qualitatively measure it as something like  
17 you'd get a teaspoonful of thorium  
18 contamination as compared with a cupful of DU  
19 contamination when you took the W55 apart.

20 Our major concern really is just  
21 the fact that I think the mass-ratio approach

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 is predicated on some representativeness  
2 between what was going on in the '90s versus  
3 what was going on in the late '80s and we  
4 don't think that is true that it, in fact,  
5 would be representative.

6 Because you get this downdraft  
7 table actually installed in late '91, and it  
8 was such a secure, you know, engineered  
9 safeguard that literally, they didn't do  
10 bioassays after '91 or '92. Primarily because  
11 they didn't see any contamination at all.  
12 It's very, very small in terms of the air  
13 sampling, what have you, that took place after  
14 that table was put in place and I think in our  
15 note they ran ten test disassemblies through  
16 and just didn't see much. So, they just said  
17 well, you know, we don't need to do a routine  
18 bioassay.

19 But, before that, before '91, you  
20 essentially had a different situation. We  
21 discussed this with one of the individuals

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that was prominent in the W55 program, first-  
2 hand information and, you know, he confirmed  
3 that yes, you know, they had concerns on the  
4 W55 that, in fact, thorium contamination  
5 existed. It wasn't as bad as the DU, but it  
6 was there and that it was part of the  
7 motivation to put the downdraft glovebox in  
8 place and once that was put in place at the  
9 end of this tenure, that pretty much took care  
10 of the issue.

11 But, there was a little bit of a  
12 lagged between the W28 practices and  
13 procedures that came into place, about a year  
14 lag, before the W55 was brought into a similar  
15 control state.

16 So, our position is that, you know,  
17 the mass ratio assumptions that are being used  
18 in the method that's being proposed for  
19 thorium for '84 through '91 I think is  
20 undercut by the fact that the conditions  
21 before '91 -- whether it's handling practices,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 because we did find a lot of practices that  
2 were standard in the '80s and before were  
3 quickly discontinued once they became more  
4 sensitive to the fact that if you manipulate  
5 thorium components they will give you loose  
6 contamination. So, the idea was to avoid that  
7 for one thing.

8           And the fact that you had an  
9 engineer's safeguard to the extreme and I  
10 think this is reflective of the concern about  
11 the W55 to put a glovebox -- and there's a  
12 couple of pictures in there -- to put a  
13 glovebox in place like that, you're -- you  
14 know, with your manipulation through the  
15 gloves and everything, you're dealing with  
16 certainly concern over the contamination  
17 associated with that system.

18           So, again, that's our concern.

19           MR. HINNEFELD: Okay. Well, you  
20 know, since we've not talked about thorium for  
21 more than two years, we've not really gotten

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 very far into analyzing this issue.

2 It occurs to me, though, that the  
3 mass ratio that we've proposed is based on  
4 smear -- a smear result. Right.

5 MR. ROLFES: The mass ratio is  
6 based upon some BZ samples that were collected  
7 I think in the 1990s. Later -- mid to later  
8 1990s.

9 MR. FITZGERALD: Nineteen ninety-  
10 six.

11 MR. ROLFES: Nineteen ninety-six.  
12 Thank you.

13 MR. FITZGERALD: Yes.

14 MR. ROLFES: And they analyzed  
15 basically the BZ or lapel sampler data. They  
16 basically did an isotopic count of the filters  
17 and then they used scanning electron  
18 microscopy and energy dispersive x-ray  
19 analyses to characterize the individual  
20 particulate matter on the filters, and I guess  
21 they determined that in excess of -- I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 it was in excess of 98 percent of the measured  
2 alpha activity on the filter was attributed to  
3 depleted uranium and less than 2 percent was  
4 attributed to the thorium. Let me think here.

5 MR. FITZGERALD: And this is  
6 outside. Just to clarify, this is outside of  
7 the glovebox. The downdrafting.

8 MR. ROLFES: These are BZ samples.

9 MR. HINNEFELD: Yes, they'd be  
10 outside the glovebox.

11 MR. ROLFES: Yes.

12 MR. HINNEFELD: It would be outside  
13 the downdrafting.

14 MR. FITZGERALD: Just to clarify  
15 that.

16 MR. HINNEFELD: Okay. So, then the  
17 question, then, would -- to me, this is -- you  
18 know, this to me is a kind of interesting  
19 ratio case. Because you have uranium present  
20 in some amount. You have thorium present in  
21 some smaller amount. Would the downdraft

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 table preferentially capture one over the  
2 other? I mean the -- it would seem to me that  
3 the capture of the downdraft table is, you  
4 know, sort of independent. It's going to  
5 capture the loose stuff that's there to some  
6 degree.

7 And it also occurs to me that if  
8 we're talking about thorium content on the  
9 order of 2 percent of the uranium content, and  
10 you look at the uranium exposures that are  
11 going -- you know, based on the -- on the  
12 uranium bioassay from 1991, I mean, they're  
13 probably not going to be that big of bioassays  
14 given the bioassay data we have.

15 You're talking about almost a  
16 vanishingly -- aren't we talking about almost  
17 a vanishingly small dose here from the  
18 thorium? I mean, if it's -- if the thorium is  
19 2 percent -- well, of course, if you do have  
20 the BZS --

21 MR. FITZGERALD: The thorium's -

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. HINNEFELD:           If it's bone  
2 surface or something.

3                   MR. FITZGERALD: Right.

4                   MR. HINNEFELD: Certain organs like  
5 bone surface will be --

6                   MR. FITZGERALD: Well, just to  
7 continue your -- I understand what you're  
8 saying. That's kind of what we're after, too.

9           Is that, yes, you have to account for the  
10 negative pressure in the downdraft table  
11 because that's going to have an effect on the  
12 particulates that are going to be available to  
13 get out. Then you have filtration and the  
14 seals themselves.

15                   Because what you're really seeing  
16 outside the downdraft tables is fugitive  
17 emissions, which are very small to begin with,  
18 because this is a pretty tight thing. That's  
19 why they discontinued bioassay in the first  
20 place.

21                   So, the question becomes: is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 ratio outside that, you know, contraption  
2 going to be different than the ratio inside?

3 MR. HINNEFELD: Inside the  
4 contraption would have been before they  
5 installed the downdraft --

6 MR. FITZGERALD: And our point is  
7 that it's doubtful, but there's not -- from  
8 our standpoint, it's not clear how you would  
9 do that, how you would validate or verify  
10 that. You'd almost have to compare it with  
11 data from inside the downdraft table or from  
12 the 1980s. You'd have to have some way to  
13 compare or validate that. Because otherwise,  
14 you're kind of theorizing, you know, relative  
15 capture of particles through filtration or  
16 seals and there's -- you know, you have to  
17 almost hypothetically figure out what the  
18 negative pressure is that then influenced.

19 There's enough variables there. I  
20 don't know how you would do that.

21 MR. HINNEFELD: Well, the other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 item you mentioned is this was not a -- this  
2 was -- as I understand this weapon system, it  
3 was not thorium alloyed with depleted uranium.

4 But, there was a depleted uranium piece and a  
5 thorium piece.

6 MR. FITZGERALD: Right.

7 MR. HINNEFELD: Okay. And so, the  
8 relative generation of the contamination of  
9 the two would be dependent upon the treatment  
10 of those two pieces on that particular  
11 sampling. Okay?

12 MR. FITZGERALD: And, you know,  
13 again, the practices differed because they  
14 manipulated them a lot more in the '80s before  
15 they figured out, you know, you probably  
16 should do that with the thorium. Uranium was  
17 a --

18 MR. HINNEFELD: Yes, I do remember  
19 that interview.

20 MR. FITZGERALD: -- uranium was a  
21 lost cause because you opened up and --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. HINNEFELD: Yes.

2 MR. FITZGERALD: But, the thorium  
3 if you didn't really handle it as much, it  
4 would give you as much loose contamination.  
5 So, that -- those practices were discontinued  
6 as we progressed through the '80s and you  
7 recall the interview with the screwdriver. He  
8 was told: don't do that again.

9 MR. HINNEFELD: Yes, I do remember  
10 that interview.

11 CHAIRMAN CLAWSON: Don't do that  
12 anymore. Yes.

13 MR. FITZGERALD: But, you know,  
14 they did do those things because they weren't  
15 aware of the potential.

16 Now, presumably in the downdraft  
17 table, I guess that's -- I guess one could  
18 argue they might have done it just because  
19 they knew it was secure, but probably did not.  
20 They were probably careful about that. But,  
21 you know, my concern is that you end up with a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 lot of speculation about how -- you know, if  
2 they didn't manipulate the unit as much in the  
3 downdraft table, they may not have generated  
4 very much thorium, but then we have that  
5 contemporary -- not contemporary, but  
6 interview where, you know, the teaspoon versus  
7 the cup.

8 MEMBER BEACH: How did they  
9 manipulate those before the downdraft? Did  
10 they use mechanical devices to pick them up  
11 and move them or did they do it by hand?

12 MR. FITZGERALD: No, they did it by  
13 hand. Directly with, you know, different  
14 devices. Just a -- they tended to pop things  
15 off and it wasn't --

16 MEMBER BEACH: Yes.

17 MR. FITZGERALD: -- done very  
18 securely and they didn't recognize -- they  
19 certainly knew -- to go back, DU wasn't  
20 considered that much of a hazard. And they  
21 did have to lob it around, but until the W28

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 incident, they didn't react to it --

2 MEMBER BEACH: Right.

3 MR. FITZGERALD: -- in a control  
4 way.

5 Thorium, didn't really see that as  
6 an issue at all, but toward the '80s, they  
7 recognized that they were getting thorium  
8 contamination as we learned from this one  
9 individual and it became clear to them that  
10 unlike uranium, depleted uranium, it was more  
11 due to the manipulation.

12 MEMBER BEACH: Right. Right.

13 MR. FITZGERALD: If you  
14 mechanically manipulated it, you got  
15 contamination. If you kind of left it alone  
16 or were careful about it, you got a lot less.

17 So, they got better at handling it, but until  
18 the downdraft table came along, you still had  
19 that exposure potential and this, you know,  
20 again was a lot less material. But, thorium  
21 from a relative hazard standpoint was a higher

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 hazard. So, it's kind of, you know, you had  
2 to juggle that.

3 CHAIRMAN CLAWSON: Well, and  
4 something else I'd like to bring up. This is  
5 Brad.

6 If you remember right, 55 was the  
7 only one that conducted a downdraft table. It  
8 wasn't -- the 53 would still have to be done  
9 because of its massive size. They could not  
10 build a big enough downdraft table to  
11 accomplish that. Plus, they were coming to  
12 the end on that one.

13 And this is what kind of brought  
14 some of the workers issues out was you got us  
15 now doing it this way, but on this one, we're  
16 still doing it the old way and what were the  
17 issues on that. That's where some of the  
18 mistrust of the workforce was kind of being  
19 seen and this was brought to us in the  
20 interviews there. You got two different  
21 processes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. FITZGERALD:    Well, it's just  
2   the disparity of, you know, at one point  
3   you're handling the stuff directly and the  
4   next day, you have it in a sealed glovebox.  
5   You know, it was, you know, obvious that some  
6   major tightening of practices and what does  
7   that mean for the past practices?  So, there  
8   was that implication.

9                   But, you're right.    There were  
10   certain systems that just wouldn't fit in a  
11   downdraft table.  They just couldn't do it  
12   that way.

13                   But, the 55, you know, was suitable  
14   for a downdraft table.

15                   MR. HINNEFELD:    So, I'm trying to  
16   decide if there -- would there be some type of  
17   evidence that would be sufficient to indicate  
18   -- you know, what type of evidence would have  
19   to be found that would be sufficient to say  
20   that in 1991 the thorium to uranium ratio  
21   technique can be used?  I mean what kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 evidence would there have to be. I'm trying  
2 to decide what -- if there's anything that  
3 could be pursued. Because I don't know.

4 Presumably, the search has been  
5 done for this information. I don't know,  
6 though. You know, there was a method arrived  
7 at prior to the time when there was a lot of  
8 discussion about, you know, downdraft table  
9 installations and perhaps differences there  
10 and so, if the data search had been done today  
11 knowing about the concerns, about the  
12 installation of the downdraft table, changing  
13 issues, would there have been other things  
14 found that would have been relevant to the  
15 work in 1991 and I don't know that we've  
16 actually search for that yet.

17 MR. FITZGERALD: Well, I think  
18 there's been a pretty -- and this is part of  
19 the search that we did at Pantex this last go-  
20 round.

21 MR. HINNEFELD: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. FITZGERALD:   Was to hone in on  
2   whatever, you know, missing information might  
3   be had for thorium exposures and that was an  
4   expressed search pattern that we did and, you  
5   know, there was certainly a lot of thorium  
6   information that Mark and his folks had  
7   collected and this last go around see if there  
8   was anything that could augment that.

9                   And we did -- I think again the  
10   difference now is the acknowledgment of this  
11   downdraft table and the fact that the BZ  
12   samples were taken outside of it and that I  
13   think undercuts or raises some serious  
14   questions about the mass ratio approach.

15                  Mark can jump in.   I don't think  
16   and, you know, given the fact that this  
17   issue's been around a while, I don't think  
18   there's any thorium additional air samples or  
19   specific thorium smears or thorium bio -- you  
20   know, there's never been any thorium bioassays  
21   that would give you that information other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 than that which was taken outside of the  
2 downdraft table in the '90s.

3 There is some -- I think a single  
4 identified bioassay in '83 and a handwritten,  
5 unidentified bioassay in '83. So, you get two  
6 data points in 1983 that were event-driven,  
7 but beyond those two items, you do have gross  
8 alpha, but that wouldn't give you much of a  
9 handle.

10 MR. HINNEFELD: Right. No.

11 MR. FITZGERALD: So, I don't think  
12 there's anything other than those two data  
13 points before 1991.

14 MR. HINNEFELD: Mark, do you recall  
15 some --

16 MR. ROLFES: Yes, there's the  
17 component wipe database that we have there.  
18 They're not bioassay data, but they were  
19 characterizing the components in the  
20 workplace.

21 In 1976, Los Alamos National

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Laboratory or LASL at the time, issued a paper  
2 on thorium-232. Basically talking about the  
3 health physics and industrial hygiene concerns  
4 of thorium-232 exposures.

5 Their conclusion in the paper was  
6 that there was no airborne contamination  
7 problem associated with the material due to  
8 the large size of the thorium involved.

9 They had recommended using personal  
10 protective equipment to include gloves and lab  
11 coats and they did encounter some removable  
12 alpha contamination less than 20 dpm per 100  
13 square centimeters, and if they discovered a  
14 level of alpha contamination of 40 dpm per 100  
15 square centimeters, they had recommended  
16 prompt cleaning.

17 There's hundreds of wipes that  
18 support a judgment that was made that a  
19 contamination area wasn't necessary at Pantex  
20 and then there were approximately 73 BZ  
21 results from the later 1990 period. That also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 showed that there was no airborne activity.

2 This LASL report was LASL 1976, the  
3 occupational and radiological health aspects  
4 of exposures to uranium -- or, excuse me, to  
5 thorium.

6 Let's see. At Pantex, I believe in  
7 the 1990s they had established an  
8 administrative control level of roughly 40 dpm  
9 for 100 square centimeters, but the individual  
10 who was involved in sort of analyzing whether  
11 or not there was a potential exposure concern  
12 indicated that they didn't think there was a  
13 potential to exceed the 10 CFR 835 criteria of  
14 200 dpm per 100 square centimeters.

15 So, they had a debate on the site,  
16 apparently, over whether they should post an  
17 airborne radioactivity area for a tenth of the  
18 DAC under a requirement of PRCM-235.

19 MR. HINNEFELD: That's Radiation  
20 Control Manual?

21 MR. ROLFES: Yes. Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   They didn't have any area  
2 monitoring, but they did try the lapel and BZ  
3 samples, which we do have available, and  
4 that's what the thorium intakes were based  
5 upon or the activity ratios of the thorium  
6 uranium.

7                   I'm just looking at some of my  
8 notes from the review of these documents  
9 regarding thorium. I've got some of the  
10 production technicians listed during that time  
11 period 1996 here.

12                   Let's see. My notes also indicate  
13 that the scanning electron microscopy and  
14 energy dispersive x-ray analyses did find some  
15 smaller particulates in 2 to 5 micron size  
16 particulate. But, it looks like there was an  
17 analysis.

18                   Also, you had mentioned the  
19 bioassay result from 1983. I remember seeing  
20 a fecal and a urine sample which wouldn't be  
21 very effective at determining uranium or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 thorium intake. Excuse me. Just because of  
2 the insoluble nature of the thorium.

3 But I also do recall seeing some  
4 particle size characterization studies for  
5 thorium oxide that was conducted in roughly  
6 the same time period in 1983. I don't know if  
7 we might be able to use, you know, a  
8 comparison of the thorium oxide particulate  
9 particle size distribution, compare that to  
10 the uranium particle size distribution for an  
11 analysis. You know, to investigate whether or  
12 not that -- the thorium ratio to uranium  
13 ratio would be any different. You know,  
14 whether uranium would be more likely to escape  
15 from a -- like a HEPA filtration system  
16 associated with the downdraft table.

17 MR. HINNEFELD: Well, I think the  
18 question can be simplified a little bit and we  
19 want to look at the time we're interested in,  
20 1991. Okay. That's the year we're interested  
21 in.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   And I think of what we discussed  
2 the relevant information would be do we have  
3 component smear data from 1991.

4                   CHAIRMAN CLAWSON:   Stu, the '91 is  
5 the time period there because the  
6 implementation of the downdraft table came in  
7 late 1991. That's where everything changed.

8                   Most of the samples that I saw for  
9 thorium and anything else were done after the  
10 downdraft table was put in.

11                  MR. HINNEFELD:   Well, yes, I mean  
12 that's what's been done in the argument so  
13 far, is that we got this air sample data from  
14 1996 and these are the ratios there. So,  
15 that's what we propose to use.

16                  And the year we're talking about is  
17 1991. So, the question Mark would discuss if  
18 we have component smear data from 1991 and  
19 from components from the W55, even then it's  
20 kind of where does that take us?

21                  We'd almost -- you need the -- you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 need an --

2 MR. FITZGERALD: Well, I don't  
3 think there's any data from '91. I think the  
4 data starts arising after '91. And mostly,  
5 they were trying to verify that the downdraft  
6 table was working, and that's when they  
7 started taking the samples and --

8 MR. HINNEFELD: Well, that's why  
9 they took the air samples and things.

10 MR. FITZGERALD: Right, and then  
11 they took more later in '96, but the '91 --  
12 after '91, they were validating that this  
13 thing was working. They wouldn't have to have  
14 a routine bioassay program and, of course,  
15 they established that there was very little,  
16 if any, in the workplace. So, they stopped  
17 the routine bioassay program.

18 So, really, if you want to just  
19 look at '91, '91 represents a point in time  
20 where lots of things changed. One, you had  
21 the engineered safeguard, but you also had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 lots of sampling just to make sure that it was  
2 working. Before '91, you didn't have anything  
3 that was routine in the way of smearing or  
4 bioassay or contamination control. So, there  
5 was a certain juncture point there.

6 And truthfully, a lot was driven by  
7 DU because 55 was a bad actor for DU. So,  
8 they were trying to figure out how to handle  
9 that, as well as this thorium question.

10 But, I think just to go back to  
11 some of the issues Mark was saying and, you  
12 know, certainly, they had -- and this doesn't  
13 get to the mass ratio. So, but just to kind  
14 of cross that T, they had done some hourly  
15 particle sizing, just to decide whether they  
16 were going to worry about thorium and do some  
17 monitoring for thorium and similar to what  
18 happened with depleted uranium, because  
19 depleted uranium they also sort of came to the  
20 conclusion, well, the particle size is pretty  
21 large and, you know, don't worry about it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 They came to the same conclusion for thorium.

2 But, I looked at that and we don't  
3 really have good DC sampling which would be  
4 the, you know, apples and apples for what was  
5 done in '96 in the '80s time frame, late '80s  
6 time frame that you could compare and  
7 likewise, I think there's some hazards with  
8 particle sizing analyses and I went ahead and  
9 cited at least one study that was done in the  
10 '90s by Coleman. Just to point out that, you  
11 know, even when they were doing some extensive  
12 particle sizing in the '90s, they were finding  
13 out that you had to be really careful about a  
14 representative sample and looking at thorium  
15 versus uranium, because you have so little  
16 thorium that, you know, if you didn't do it  
17 right, very easily you could misrepresent what  
18 you were looking at.

19 And in this particular case, they  
20 found that the thorium particulate size, the  
21 sizing was actually a lot smaller than the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 uranium, which wasn't expected.

2           So, again, I think that's an  
3 interesting question, but again, I don't think  
4 it answers yours, which is for '91, do you  
5 have any data that would give you a starting  
6 point for looking at mass ratios that would  
7 give you some confidence that the mass ratio  
8 was valid for that time frame?

9           And I think that's what we pointed  
10 out in our paper that no, you don't have any  
11 data. If you did, you could corroborate even  
12 the value that you have from the '96 data, but  
13 there's no data that you can compare it  
14 against to give you that confidence and that's  
15 kind of where we're at.

16           MR. HINNEFELD: Well, I think we'll  
17 want to make a real careful statement about  
18 what are the -- what is it that leads us to  
19 the conclusion that in 1991 it's not feasible  
20 to reconstruct thorium doses for these  
21 reasons. I mean, and that's maybe the task at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 hand is to come up with that careful  
2 statement. You know, being the -- see,  
3 because I have to essentially convince my boss  
4 and so --

5 MEMBER BEACH: So, let me make sure  
6 I'm clear. We're not talking about just '91.  
7 We're talking about pre. So, '84 to --

8 MR. HINNEFELD: Well, everything up  
9 to '91 is already off the board because it  
10 relies on a uranium intake. Our thorium  
11 approach relies on a uranium intake.

12 MEMBER BEACH: Okay.

13 MR. HINNEFELD: And so, the Work  
14 Group has recommended that --

15 MEMBER BEACH: Because we are --  
16 okay.

17 MR. HINNEFELD: -- through '90 we  
18 can't do uranium. So, since we can't do  
19 uranium --

20 MEMBER BEACH: So, we're just  
21 looking for '91. Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. HINNEFELD: We're looking at the  
2 single year 1991.

3                   MEMBER BEACH: Okay. I wanted to  
4 make sure I understood what was happening.

5                   MR. HINNEFELD: Yes, and so --

6                   MEMBER BEACH: Thank you.

7                   MR. HINNEFELD: -- if that's the --  
8 so, if that's the Work Group's consensus that  
9 in 1991 there is sufficient reason to doubt  
10 that the technique we proposed, because I  
11 don't see us proposing another one, that the  
12 thorium to uranium mass ratio is -- there is  
13 not enough evidence to support that and here  
14 are the reasons why.

15                   Then that should be a careful  
16 statement here and it should be on the record  
17 at the Advisory Board meeting, so that that's  
18 explained well on the record and then my boss  
19 and the Secretary can take that information.

20                   Because our Evaluation Report says  
21 we're going to -- we can do dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 reconstructions and so they have to have a  
2 rationed argument that says, a well-reasoned  
3 argument that says these are the reasons why  
4 we are concluding as the Advisory Board,  
5 assuming the Advisory Board works real close  
6 with the Work Group, that I, the Secretary, am  
7 concluding with the Advisory Board that this  
8 is not feasible. So, we have to have a well-  
9 reasoned statement for 1991 for the thorium  
10 ratio not being valid.

11 MEMBER BEACH: I was just looking  
12 at SC&A's conclusion on page 21 of 25 and it's  
13 pretty well stated in that paragraph, I would  
14 think.

15 MR. KATZ: Do you want to read  
16 that?

17 MS. LIN: Josie, I think there's a  
18 difference between the SC&A's position in the  
19 White Paper, which is a draft working paper --

20 MEMBER BEACH: Right.

21 MS. LIN: -- versus the position

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 adopted by the Work Group and the Advisory  
2 Board.

3 MEMBER BEACH: Sure.

4 MS. LIN: So, if that specific  
5 justification is something that the Work Group  
6 also concurs, that needs to be filed to the  
7 Advisory Board.

8 MEMBER BEACH: Yes, and I thought  
9 that Stu might want to read that since that's  
10 what he was looking for as a well-worded  
11 statement.

12 MR. KATZ: Yes, you can read it. I  
13 mean, if you have it, you can read it, if you  
14 think that's a rationale.

15 CHAIRMAN CLAWSON: Joe, why don't  
16 --

17 MR. FITZGERALD: Do you want me to  
18 read it?

19 Well, let me just read. There's  
20 two places that would be a basis for some  
21 wording by the Work Group.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   On page 21 of -- I have 26 on the  
2 PA version, this is right -- this paragraph  
3 right before the conclusion. It says "For the  
4 mass ratios being proposed by NIOSH, the  
5 differences between the pre-imposed '91 W55  
6 disassembly practices and the advent of  
7 glovebox containment negative pressurization  
8 and filtration and improved workplace  
9 contamination, smearing and air sampling would  
10 have likely led to different ratios of thorium  
11 oxide to DU found in the 1980s than those  
12 found in '96 and beyond. Confirmation of this  
13 difference would require analysis of  
14 contamination surveys of both uranium and  
15 thorium from this earlier period which are not  
16 available."

17                   And then in the conclusion itself,  
18 the statement reads: "The use of a mass-  
19 ratio-based intake value for thorium, i.e., 2  
20 percent, based on air sampling data from 1996  
21 is not valid for the SEC period in question -

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 - 1984 to, in this case '91 -- because such a  
2 parameter would not be necessarily  
3 representative of or bounding for that  
4 operational time period due to significant  
5 differences in worker handling practices,  
6 contamination controls, and workplace and  
7 personnel monitoring."

8 MR. KATZ: Can I just, as a  
9 layperson listening to this, see if I  
10 understand?

11 I mean, what I heard Joe to say is  
12 that since, in the earlier period they would  
13 probably be generating more thorium than they  
14 were once they got wise to the need to be  
15 careful in how they did that disassembly, then  
16 when you get these measurements that you have  
17 outside the glovebox at that point in the  
18 glovebox, they would be more careful, more  
19 likely to be more careful with the thorium,  
20 they'd be generating less thorium.

21 So, that ratio you're getting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 outside of the glovebox, even if it was  
2 disproportional to what's inside the glovebox,  
3 wouldn't necessarily reflect a ratio you would  
4 have had when they had worse practices earlier  
5 on.

6 Is that correct, Joe?

7 MR. FITZGERALD: Well, I think it's  
8 --

9 MR. KATZ: More or less.

10 MR. FITZGERALD: -- another way of  
11 saying it. It's just --

12 MR. KATZ: It's layperson's.

13 MR. FITZGERALD: If the mass ratio  
14 is meant to bound previous exposures, the  
15 values in that mass ratio have to be  
16 representative of those exposures.

17 MR. KATZ: Right.

18 MR. FITZGERALD: And there's no way  
19 to demonstrate that, given the data that's  
20 available and there's not any real good data  
21 available for '91.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   That's the issue as I see it. That  
2 the data that's being relied upon comes from  
3 '96 and that is, after these practices were  
4 changed, after the glovebox was put in place  
5 and there's no demonstration that those values  
6 for uranium and thorium would be -- would  
7 represent the same values in the '80s when you  
8 had none of that. That it's more than likely  
9 that the quantities that were available for  
10 exposure were different.

11                   How different? It's not --

12                   MR. HINNEFELD: Okay. So, that I  
13 think where I'm coming from on this is that --  
14 I think is that with the installation of the  
15 downdraft tables, of necessity the work  
16 practices would have to change because they've  
17 got different access to different parts  
18 because you're essentially out of the glovebox  
19 trying to -- and having changed because of  
20 that, if there's -- you would have little  
21 confidence that the new work practices would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 generate the same relative ratios as was done  
2 with the old work practice.

3 CHAIRMAN CLAWSON: Or there was no  
4 downdraft.

5 MR. HINNEFELD: Well, yes. Okay.  
6 So, that -- okay. So, that sounds like the  
7 argument and then you could even, you know,  
8 what we talked about earlier. Since we're not  
9 talking about uranium and thorium coexisting  
10 in the same piece, but they are different  
11 pieces, the behavior on any given unit could  
12 alter the ratio of that unit. So, you may not  
13 have a consistent ratio anyway. Even without  
14 the change of practices necessitated by the  
15 downdraft.

16 MEMBER BEACH: When was 53  
17 finished? Was it before '96?

18 MR. FITZGERALD: You're talking  
19 about 55.

20 MEMBER BEACH: No, 55 was put into  
21 the downdraft. Fifty-three was still on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 outside because it was too big for the  
2 downdraft table, but that was because it was  
3 coming to the end of its project. So, was  
4 that even going in '96?

5 MR. ROLFES: The 53 didn't contain  
6 thorium.

7 MEMBER BEACH: Oh, I thought it  
8 did. Oh, it did not. Okay. Just depleted  
9 uranium. Okay.

10 MS. LIN: So, maybe I missed it.  
11 So, how are we only talking about thorium in  
12 1991?

13 MR. HINNEFELD: Because of the --

14 MR. KATZ: The SEC. It's covered  
15 already.

16 MR. HINNEFELD: That's --  
17 everything up to '91 is in the SEC. The SEC  
18 petition goes through '91. At the end of '91,  
19 the downdraft table is installed and so  
20 presumably, the conditions observed in '96  
21 would have pertained after the installation of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the downdraft.

2 MR. FITZGERALD: I think your issue  
3 goes to the fact that the thorium value relies  
4 upon the uranium value and the Work Group  
5 recommended an SEC be considered for '84 to  
6 '90 for the uranium which subsumes the  
7 thorium. Yes, automatically.

8 MEMBER BEACH: Wouldn't you still  
9 have -- because we're going to take it to the  
10 Board through the end of '89. So, you'd still  
11 have '90 and '91.

12 MR. KATZ: The recommendation's  
13 through '90.

14 CHAIRMAN CLAWSON: We've got one  
15 year that we're worried about, and all the  
16 thorium data is past -- but, their sample is  
17 '96 time era where everything is changed and  
18 that was -- you know, SC&A's standpoint on it.

19 MR. HINNEFELD: I think that's  
20 something that we could write up.

21 CHAIRMAN CLAWSON: Well, with that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 then, I'd like to bring before the Board --  
2 well, before the Work Group right now that we  
3 establish an SEC from -- let's see, it would  
4 be January 1st, 1991 to December --

5 MR. KATZ: December 31st.

6 CHAIRMAN CLAWSON: -- 31st, 1991.  
7 One-year period.

8 MR. ROLFES: Brad, is this for all  
9 employees?

10 CHAIRMAN CLAWSON: Yes.

11 MR. ROLFES: This is for all  
12 employees?

13 CHAIRMAN CLAWSON: Yes, until the  
14 downdraft -- the downdraft table was put in,  
15 everybody was under the same process and then  
16 it came in in part of '91. So, I don't think  
17 you'd be able to distinguish between it. So,  
18 let's say for all employees from January 1st,  
19 1991 to December 31st, 1991.

20 MR. ROLFES: I did want to --  
21 sorry.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   CHAIRMAN CLAWSON: Go ahead.

2                   MR. ROLFES: I did want to point  
3 out that there is an Access database that  
4 would give you names of the employees that  
5 were specifically working on certain aspects  
6 of disassembly. That I didn't know if --

7                   CHAIRMAN CLAWSON: You know what?  
8 We looked into that in great detail too, and  
9 in that time frame, everybody had access to  
10 those hallways and as a matter of fact, the  
11 assembly/disassembly areas where they were  
12 doing it still ran down through all -- the  
13 only thing that was protecting them was the  
14 screens. Bottom line, there was no buffers in  
15 between them.

16                   Remember right off that tour, we  
17 had that big fan that was pulling everything  
18 out into the hallway and then out.

19                   I don't think you'd be able to  
20 distinguish between which people there were,  
21 especially for thorium with that process.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 So, I'm proposing that it be for all employees  
2 at that time.

3 Any questions on it from other  
4 Board Members?

5 MEMBER SCHOFIELD: No, that seems a  
6 reasonable approach to me.

7 CHAIRMAN CLAWSON: So, you accept  
8 that?

9 MEMBER SCHOFIELD: I accept that.

10 MEMBER BEACH: I accept that as  
11 well.

12 MR. ROLFES: I had another question  
13 --

14 CHAIRMAN CLAWSON: Okay.

15 MR. ROLFES: -- that might tip it.

16 MR. KATZ: Dr. Poston?

17 MEMBER POSTON: Yes, sir, I accept  
18 that, too.

19 MR. KATZ: Okay.

20 CHAIRMAN CLAWSON: So, with that,  
21 we're basically done.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. KATZ:    So, do you want to talk  
2    about preparing a presentation?

3                   CHAIRMAN CLAWSON:   Yes.

4                   MR. KATZ:    Do you want SC&A to  
5    draft something up?

6                   CHAIRMAN CLAWSON:   Actually, yes,  
7    they do a fine job on that.  I do it the same  
8    as we did with Fernald.  Joe will bring up a  
9    short synopsis of where we are at and then  
10   I'll bring my recommendation to the Board  
11   after Joe gets done.

12                   Well, go ahead, Joe.

13                   MR. KATZ:    Do you need to present  
14   or --

15                   MR. HINNEFELD:   Like yesterday, I'm  
16   --

17                   MR. KATZ:    Yes.  Okay.

18                   MR. HINNEFELD:   -- happy not.

19                   MR. KATZ:    I'm just asking the  
20   question.  That's all.

21                   MR. HINNEFELD:    No, I will be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 available for comment for the Board Members  
2 who want to come. So.

3 MR. KATZ: Okay.

4 MR. FITZGERALD: I would just say -

5 -

6 MR. HINNEFELD: That have  
7 questions.

8 MR. FITZGERALD: -- given the  
9 careful wording on a couple of these, I guess  
10 there will be enough cross-talk to make sure  
11 the wording is representative.

12 MR. KATZ: Please do that. Yes,  
13 please do that up front. Okay.

14 CHAIRMAN CLAWSON: Are there any  
15 other things that need to come before the Work  
16 Group?

17 MR. KATZ: Well, so, are there any  
18 outstanding TBD issues at this point?

19 MR. FITZGERALD: There is, but  
20 really, you have the presentation. I have the  
21 presentation before the Board from last -- and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 we're going back historically here. So, some  
2 of this is getting old.

3 But, what I would propose is that I  
4 update what was a survey outline of open and  
5 closed items and there's some nuances here  
6 because there's been some issues just by  
7 virtue of this process that we have closed out  
8 effectively, and I think for the Board's sake  
9 it would be helpful to provide the basis for  
10 how that was closed so that you can, you know,  
11 report to the full Board that this is how it  
12 was dispositioned.

13 There's some other loose ends, but  
14 quite frankly, there aren't that many. There  
15 are a few. I think you mentioned data  
16 adequacy and completeness. A few T's that  
17 need to be crossed, but as far as substantive  
18 technical issues, there aren't any major  
19 substantive technical issues. Certainly no  
20 SEC issues that are pending.

21 MR. KATZ: Well, there's no SEC,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 clearly, because we've --

2 (Simultaneous speaking.)

3 MR. KATZ: -- the SEC, but I guess  
4 my question is just, it will be good at the  
5 Board meeting to present, if there are any TBD  
6 issues whatsoever that affect how they plan to  
7 do dose reconstructions for those who they can  
8 do the incomplete ones in effect, partial dose  
9 reconstructions.

10 If there are any issues remaining  
11 that need to be discussed, it would be good to  
12 just present that to the Board and they  
13 realize what -- if there's something left for  
14 this Work Group to do.

15 MR. FITZGERALD: Yes, since we have  
16 a little time, let me just kind of --

17 MR. HINNEFELD: Can we take a  
18 comfort break before we get into that?

19 MR. KATZ: Yes. Okay. So, just a  
20 ten-minute comfort break. It's 10:35. About  
21 a quarter to 11:00, we'll just set back up.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   (Whereupon,     the     above-entitled  
2     matter went off the record at 10:35 a.m., and  
3     resumed at 10:55 a.m.)

4                   MR. KATZ:   Okay.   We are the Pantex  
5     Work Group.   We're back from a short break.

6                   Let me just check and see.   John  
7     Poston, are you on the line?

8                   MEMBER POSTON:   I am.

9                   MR. KATZ:   Great.   We can carry on.

10                  MR. FITZGERALD:   Okay.   Yes, this  
11     is Joe Fitzgerald again.

12                  We're moving to the -- what we call  
13     the site matrix listing of issues for Pantex.

14     I'm looking at a May 2011 edition or version.

15     Which is the last version that was developed  
16     and we haven't returned to the matrix because  
17     we've been focusing on the SEC issues, but  
18     just to go through this listing and identify  
19     where the status of Site Profile issues and  
20     closed issues might be.

21                  I know a lot of you don't have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 this. I'm going to try to give you some  
2 background as I go.

3 The first issue on this matrix is  
4 adequacy of internal dose records and much of  
5 this focuses on thorium, uranium, plutonium  
6 bioassay. Looks at the -- and tritium -- and  
7 looks at the methods in terms of the  
8 completeness of the data feeding those  
9 methods.

10 And the last status which is going  
11 back to May of 2011 pertains to the data  
12 completeness and adequacy paper that SC&A  
13 generated in April of 2011 where we cite some  
14 concerns over how the TBDs read. Particularly  
15 the internal TBD reads as far as the handling  
16 of bioassay information, the completeness and  
17 adequacy of that bioassay information.

18 And based on the discussion and  
19 conclusions reached on the SECs that dealt  
20 with thorium and uranium, I think a lot of  
21 that is certainly the basis for changing the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1        wording as NIOSH tends to do once an SEC  
2        passes. Goes back and changes the language in  
3        the TBD as well as supplements the existing  
4        evaluation report to illustrate the  
5        conclusions reached about the adequacy and  
6        completeness of data that supports dose  
7        reconstruction. In this case, internal.

8                        So, much of what we have in this  
9        first item, the adequacy of internal dose  
10       records, I think has been dispositioned by the  
11       discussions, extensive discussions that this  
12       Work Group has had on uranium and thorium.

13                      We did, I think, reach a  
14       satisfactory conclusion that there's no issue  
15       with tritium and plutonium. Certainly -- a  
16       lot of data revolving around -- you know,  
17       Pantex did a lot of bioassays around tritium  
18       and plutonium over time. So, to us, that's  
19       not an issue and in terms of uranium and  
20       thorium, we have dispositioned that.

21                      So, subject to changing the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 language in the -- or supplementing the ER and  
2 changing the language in the TBDs, I think  
3 we've dispatched the question of the adequacy  
4 of the internal dose records.

5 It was listed as open in the  
6 presentation before the full Board in August  
7 of 2011. So, I think that's something that  
8 the Work Group can consider as far as closure.

9 MEMBER BEACH: Would we put that in  
10 abeyance or would that be a closure based on -  
11 -

12 MR. KATZ: I mean the abeyance is  
13 sort of procedure stuff, but where you've put  
14 together -- where you've really put it all to  
15 bed because you have an SEC, I think you could  
16 just say closed. Because you don't really  
17 have to wait and see what technical approach  
18 is being preferred. There isn't one.

19 MEMBER BEACH: True.

20 MR. FITZGERALD: So, is that  
21 closed?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   CHAIRMAN CLAWSON:  Yes, that's good  
2                   with me.  I guess, you know, I just still want  
3                   to be able to see what NIOSH -- if they change  
4                   that at all, you know, I just -- I guess we're  
5                   going to review -- are you going to change any  
6                   of the --

7                   MR. HINNEFELD:  Well, I mean if the  
8                   Class is added for what has been discussed,  
9                   assuming the Board agrees with the Work Group  
10                  and goes forward, we revise that profile to  
11                  reflect that decision.  So, what we would say  
12                  is that the approaches we have proposed for  
13                  uranium from '58 to 1990 and for thorium in  
14                  '91 are not feasible to be done for all  
15                  workers.

16                 As far as I know there's not been  
17                 any particular criticism of individual  
18                 bioassay numbers.  So, in the event that  
19                 someone has some bioassay in that person's  
20                 exposure record and they have a non-  
21                 presumptive cancer, we would use -- we would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 interpret it as -- if we can interpret with  
2 our existing procedures, we would use that in  
3 their -- that's normally what we do.

4 CHAIRMAN CLAWSON: Okay.

5 MR. HINNEFELD: So, we would  
6 rewrite the Site Profile to say things like  
7 that. To explain why we're not doing uranium  
8 intakes for everybody, but -- if like a person  
9 has got a bioassay in the record we will.

10 CHAIRMAN CLAWSON: I understand.  
11 So that one could be closed. Phil.

12 MEMBER SCHOFIELD: Yes.

13 MEMBER BEACH: Yes.

14 MR. KATZ: So, Dr. Poston, is that  
15 good? I think maybe you took yourself -- put  
16 yourself on mute. John.

17 MEMBER POSTON: Okay. Sorry.

18 MR. KATZ: Yes, there you go.

19 MEMBER POSTON: I lost count as to  
20 whether I was up or down.

21 MR. KATZ: You need a special light

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 on your phone, John. Okay.

2 MR. FITZGERALD: Okay. On the  
3 second item, internal dose models for uranium,  
4 I mean that's what we closed out today. So, I  
5 think --

6 CHAIRMAN CLAWSON: That's closed.  
7 Phil?

8 MEMBER BEACH: I agree.

9 MEMBER SCHOFIELD: I agree.

10 CHAIRMAN CLAWSON: Everybody agree  
11 with that?

12 MR. FITZGERALD: Now, moving  
13 briskly along. Number three deals with  
14 plutonium which the conclusion we had here --  
15 well, SC&A questions the methodology and we  
16 had some questions on that. The issues appear  
17 to be moot given the lack of any historic  
18 evidence that routine Pu internal exposures  
19 occurred due to lack of integrity of  
20 components handled.

21 So, there and again, we had some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 issues, but there was no evidence that we  
2 could identify where you had, you know, an  
3 exposure question with plutonium and the  
4 instance that we had identified were covered  
5 and we had recommended closure on that back  
6 two years ago and so, that's just pending  
7 before the Work Group.

8 CHAIRMAN CLAWSON: I would say that  
9 we've closed it.

10 MEMBER BEACH: I agree.

11 MEMBER SCHOFIELD: I agree.

12 CHAIRMAN CLAWSON: Mark, remind me.  
13 Because one of the logs that came up was the  
14 cracked pit and you guys had already --

15 MR. ROLFES: Back in 1961, there was an  
16 incident where there was a plutonium release  
17 into the cell and they basically -- there were  
18 three people involved that evacuated and  
19 subsequently provided a bioassay for plutonium  
20 intakes or to assess the plutonium intakes.

21 They set up like a radiological

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 decontamination plan for the time and we have  
2 a list of workers who participated in the  
3 decontamination of basically the tools, the  
4 floor and the unit itself. They all  
5 participated in the bioassay and those data  
6 are available to us.

7 CHAIRMAN CLAWSON: Okay. And this  
8 would take into the cracked pit one?

9 MR. ROLFES: That would be 1993.  
10 Okay.

11 CHAIRMAN CLAWSON: You know, I just  
12 wanted to make sure.

13 MR. ROLFES: There were two  
14 different incidents where there were plutonium  
15 releases that occurred. There was the one in  
16 1961 in the cell and then there was one  
17 subsequent to that in 1993 where those people  
18 also provided bioassay samples for --

19 CHAIRMAN CLAWSON: Okay.

20 MR. ROLFES: -- the incident.

21 CHAIRMAN CLAWSON: So, I guess my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 recommendation to the Work Group is that's  
2 closed.

3 MEMBER SCHOFIELD: Second that.

4 MEMBER BEACH: I agree with --

5 MEMBER POSTON: I agree.

6 CHAIRMAN CLAWSON: Thank you, John.

7 MR. FITZGERALD: Okay. Item four  
8 is dose estimate approach for thorium and  
9 clearly, we have dispositioned that today.

10 CHAIRMAN CLAWSON: So, this  
11 outstanding issue of thorium would be closed.  
12 Phil?

13 MEMBER SCHOFIELD: Agree.

14 CHAIRMAN CLAWSON: Agree, Josie?

15 MEMBER BEACH: Yes.

16 CHAIRMAN CLAWSON: Dr. Poston?

17 MEMBER POSTON: I agree.

18 CHAIRMAN CLAWSON: Thank you.

19 MR. FITZGERALD: Okay. Item five  
20 is metal tritides and we recommended closure  
21 two years ago on that particular issue. I can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 give you an update on that.

2 That was really involved with  
3 sealed reservoirs and whether or not you might  
4 have some tritides present on the outside due  
5 to permeation through the reservoirs as well  
6 as the use -- possible exposure from the boom  
7 box if you remember some of the explosions and  
8 whatnot.

9 And following a final data capture,  
10 that was in June of 2011 and that was the  
11 design to look at what actual compounds may  
12 have figured and we didn't find any evidence  
13 that there was any exposure potential from  
14 tritides, but we wanted to cross that T back  
15 then.

16 So, we recommend the issue be  
17 closed back in May of 2011.

18 CHAIRMAN CLAWSON: This is also,  
19 too, when they quit reusing the reservoirs due  
20 to permeation through at Savannah River.

21 MR. FITZGERALD: Right. Well, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 know again that was -- we were going back four  
2 or five years and there was a question at that  
3 time on that issue and I think that's been put  
4 to bed. So, there's not a question on  
5 tritides with Pantex.

6 You're right. This was a generic  
7 issue that was raised at several sites.

8 CHAIRMAN CLAWSON: Yes. So, for  
9 Pantex, this one would be closed, too. I  
10 recommend that the Work Group close the  
11 tritides.

12 MEMBER SCHOFIELD: I agree.

13 MEMBER BEACH: I agree.

14 MEMBER POSTON: I agree.

15 CHAIRMAN CLAWSON: Thank you.

16 MR. FITZGERALD: Okay. Item six is  
17 the interpretation of external dosimetry data  
18 and if the Work Group recalls two or three  
19 years ago we had spent a fair amount of time  
20 before we got into the internal dose issues  
21 trying to reconcile some questions that we had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 on neutron exposures from weapons systems and  
2 some of the questions about how you -- neutron  
3 gamma-photon ratios and there was other  
4 questions about how those dose estimates were  
5 arrived at in the NIOSH scheme and some of  
6 these were actually cross issues. We had  
7 neutron-photon ratio issues from Mound at the  
8 same time we were trying to deal with them at  
9 Pantex.

10 If you recall, this is where we got  
11 into some questions about how the data would  
12 be analyzed and how the information would be  
13 used and what we ended -- I'm just reading  
14 from the 2011 -- and this gets into the  
15 adjustment factors and this why we felt this  
16 was a Site Profile issue. During the May 2nd,  
17 2011 Work Group meeting SC&A noted that we had  
18 a number of Site Profile issues and most of  
19 these involved what we felt were incorrect  
20 adjustment factors of values that would affect  
21 the accuracy of dose estimates that would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 used.

2 And at that time, NIOSH agreed to  
3 review the external dose findings from the  
4 SC&A Site Profile review in that context. In  
5 other words, not SEC, but whether those  
6 adjustment factors were appropriate or not and  
7 these were also cited in the adequacy and  
8 completeness paper that was presented in April  
9 2011.

10 I think we did not get a --

11 MR. HINNEFELD: Yes. My  
12 recollection or what my understanding is is  
13 that I thought we had reached some sort of  
14 agreement on the NTA adjustment factors. That  
15 that was essentially disposition and the  
16 neutron dosimetry at Pantex, they are  
17 essentially divided in three blocks to think  
18 about.

19 There was the NTA period. There  
20 was the initial, the early TLD period. We'll  
21 call it the TLD period and then it was the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 late TLD period.

2           The early TLD period, normally, you  
3 think when people start doing dosimetry with  
4 TLDs, they're pretty good for neutrons. But,  
5 after they had been using their neutron TLD or  
6 neutrons for a while, the DOELAP testing came  
7 up and they performed badly on the DOELAP  
8 testing and so, that called into question that  
9 early TLD period which runs up through about I  
10 want to say '92. Something like that.

11           And so, what we've done is that the  
12 site -- you know, what it was was an algorithm  
13 problem. An algorithm is the set of  
14 calculations. It describes how they calculate  
15 the output of each of these films -- each TLD  
16 chip and what calculations you do in order to  
17 arrive at a neutron dose and a gamma dose and  
18 whatever doses you're measuring.

19           And so, they -- after they had the  
20 poor performance on their TLDs, Pantex rewrote  
21 the algorithm and did a corrected or a revised

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 or corrected algorithm for that early period  
2 and recalculated people's doses with the  
3 revised algorithm and the revised algorithm,  
4 using that, then they're data didn't pass  
5 DOELAP.

6           Shortly after that, they got a new  
7 TLD badge and they've been -- and it passed  
8 right away and so, the late TLD period, we're  
9 not concerned about.

10           The early TLD period, we have the -  
11 - here's what we have. We have the TLD  
12 adjusted data and the unadjusted, the old  
13 algorithm data for the early TLD period.  
14 We've got both sets of data and we have --  
15 but, we have it unidentified. We don't have  
16 the person's name with it.

17           So, based -- so, what we've done is  
18 we have taken the adjusted data, you know, the  
19 corrected algorithm data and generated neutron  
20 to photon ratios using that and then we'll  
21 apply that to the photon, because they always

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 passed photons.

2 Apply that to the photon dose for  
3 the early TLD period to arrive at the neutron  
4 dose.

5 So, that's what we're proposing to  
6 do. We just -- that work was just recently  
7 completed, but that is what we expect to do.

8 CHAIRMAN CLAWSON: So, SC&A has not  
9 been able to see that yet?

10 MR. HINNEFELD: No. No. I mean  
11 this would be one we'd want to go through and  
12 sort out.

13 CHAIRMAN CLAWSON: Sure.

14 MR. HINNEFELD: There is -- we have  
15 -- it might be possible if we can get DOE to  
16 convince Pantex that we have a right to get  
17 it. Because this is data for all places.  
18 This isn't just claims data.

19 We might be able to get it  
20 identified since they've recalculated all  
21 these with the new algorithm. We might be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 able to get it identified. Because when I  
2 first saw this, I said why are we doing this?  
3 Why don't we just use the adjusted numbers  
4 and I found out that we got it de-identified.

5 So, if we get it, you know,  
6 identified meaning we know who, you know, Joe  
7 Smith and this is his revised, we would just  
8 use that because that revised algorithm did  
9 pass the DOELAP testing that the earlier  
10 algorithm failed.

11 CHAIRMAN CLAWSON: So, basically,  
12 that one's still open?

13 MR. HINNEFELD: I think it's open,  
14 but that seems to me as really doable and it  
15 falls almost entirely within the Class.

16 CHAIRMAN CLAWSON: Yes. Well, I'm  
17 going to be honest because this brings up one  
18 of the petitioner's issues and so forth that  
19 came in. Was when all of their -- you know,  
20 they got all these different notices and then  
21 a few years later, all of a sudden everything

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 got changed.

2 MR. HINNEFELD: Yes.

3 CHAIRMAN CLAWSON: This is --

4 MR. HINNEFELD: That's why.

5 CHAIRMAN CLAWSON: Well, and this  
6 is going to bring that to bed, too. So, I'd  
7 say that's still an open issue that --

8 MEMBER BEACH: Yes, I just looked  
9 up -- the last response we had was from March  
10 2011 which was NIOSH's answers to the Site  
11 Profile stuff. So, it's 54 pages and it goes  
12 right through each one of these and you're  
13 saying there's a later one so there's a  
14 change.

15 MR. HINNEFELD: We just got the  
16 most recent one. I think -- my understanding  
17 and I wasn't real engaged two years ago with  
18 Pantex. But, my understanding was that we  
19 felt like we had a good correction factor for  
20 the NTA film period and that the early TLD  
21 period still was an open question because that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 TLD had failed DOELAP testing for neutron  
2 exposure.

3 And so, that's what we've worked on  
4 recently. Is to determine what can we do and  
5 like I said, we got this de-identified  
6 dataset. If it's -- I hate to ask Pantex for  
7 stuff because it takes so long to get it. You  
8 know what I'm saying?

9 CHAIRMAN CLAWSON: Yes. Yes.

10 MR. HINNEFELD: And if this is  
11 acceptable, I would rather go with what we  
12 have.

13 CHAIRMAN CLAWSON: Yes.

14 MR. HINNEFELD: So, that's what  
15 we're going go with.

16 CHAIRMAN CLAWSON: Well, I guess  
17 then what my suggestion would be is for SC&A  
18 to review this and --

19 MR. HINNEFELD: Yes, they don't  
20 have it yet, but we --

21 MR. FITZGERALD: We don't have it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 yet.

2 MR. HINNEFELD: -- we'll get it to  
3 them.

4 MR. FITZGERALD: And what we did  
5 receive, Josie was taking about in March, we  
6 were favorably disposed and at that point felt  
7 that it was responsive to some of the  
8 adjustment factor issues and if you look  
9 through the matrix there's a number of these  
10 sort of cats-and-dogs adjustment factor issues  
11 that weren't SEC issues, but questions of  
12 whether they were sufficiently accurate or  
13 adequate or that kind of thing.

14 And I think because they weren't  
15 SEC, we didn't spend a lot of time trying to  
16 resolve them all.

17 CHAIRMAN CLAWSON: Right.

18 MR. FITZGERALD: But, I think  
19 there's a lot on the table and with the  
20 addition of this newer piece, I think we can  
21 close this out, but it's probably not closed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 now.

2 CHAIRMAN CLAWSON: Okay.

3 MR. KATZ: Okay. So, that's an  
4 action item for SC&A just to review the new  
5 material when you get it.

6 MR. HINNEFELD: Yes. The first  
7 action for us is to get it to them.

8 MR. KATZ: Right. No, I --

9 MR. FITZGERALD: And with the March  
10 2011 response which we did look at, but did  
11 not formally respond to, that will give us  
12 enough to recommend to the Work Group where  
13 that stands.

14 MR. KATZ: And this is item seven.  
15 Right?

16 MR. FITZGERALD: That was item six.  
17 Item six.

18 Item seven actually we did manage  
19 to reach closure on and it took a while. This  
20 is the neutron-photon ratio issue as a basis  
21 and we actually benefited from the Mound

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 discussion efficiency.

2 This is where the MCNP approach was  
3 ultimately proposed as a better way to go and  
4 that was applied at Mound and subsequently  
5 applied at Pantex and if you look at the  
6 matrix, we are waiting for a disposition on  
7 the MCNP, but we did reach closure on MCNP.  
8 So, as a reasonable approach to apply to  
9 Pantex as well.

10 As opposed to -- we had problems  
11 with the neutron-photon ratio.

12 CHAIRMAN CLAWSON: Right. Which is  
13 basically still open there, but --

14 MR. HINNEFELD: Well, I think the  
15 MCNP approach was for the NTA film. I think  
16 that's how we arrived at the --

17 MR. FITZGERALD: Right. But, the --  
18 - we had a generic issue with the --

19 MR. HINNEFELD: Yes.

20 MR. FITZGERALD: -- ratios and the  
21 NTA film and how that was applied and I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the overall strategy of going to MCNP is a  
2 better way to go. Was the resolution at  
3 Mound. I'm just saying that that carried over  
4 to Pantex and I think we agreed that all-in-  
5 all that was satisfactory.

6 So, the neutron-photon ratio has  
7 been closed, but we haven't officially -- the  
8 Work Group hasn't officially closed it.

9 CHAIRMAN CLAWSON: And this isn't -  
10 - I guess I'm kind of getting confused and so,  
11 this isn't tied to the earlier neutron?

12 MR. HINNEFELD: No, this --  
13 remember I said there were three periods.

14 CHAIRMAN CLAWSON: Right.

15 MR. HINNEFELD: There's the NTA  
16 film period, the early TLD and the late TLD.  
17 The first thing I talked about was the early  
18 TLD period. The MCNP pertains to the NTA.

19 CHAIRMAN CLAWSON: Oh. Okay. I  
20 understand that.

21 MR. FITZGERALD: Let me just go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 ahead and read the -- we haven't talked about  
2 this in a while and just sort of reach a  
3 bottom line.

4 I'm going to start from the  
5 beginning. "The current Site Profile for  
6 Pantex recommends you use the following method  
7 to assign neutron doses prior to '94. For  
8 unmonitored workers who may have had the  
9 potential to be exposed to neutrons, multiply  
10 the claimant's photon dose by 0.8 to the 50th  
11 percentile neutron-photon ratio value to  
12 assign neutron dose. For monitored workers,  
13 if monitored for neutrons or had the potential  
14 for neutrons, multiply the claimant's photon  
15 dose by 1.7 which is the 95th percentile  
16 neutron-photon ratio value to assigned neutron  
17 dose. For '94 forward, third period, use the  
18 recorded neutron dose with the appropriate  
19 ICRP-60 adjustments.

20 "In response to concerns that while  
21 the recommended neutron to photon ratio method

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 may bound some of the Pantex workers neutron  
2 doses, it cannot be assumed that it will bound  
3 all worker neutron doses for '51 to '93.

4 "NIOSH proposed a new approach that  
5 mirrors a similar one proposed by NIOSH in the  
6 course of the Mound Work Group SEC review  
7 proceedings. This approach applies measured  
8 doses in place of neutron-photon ratios with  
9 corresponding correction factors for NTA film  
10 and MCNP modeling for missed doses of certain  
11 energies for the coworker model."

12 Okay. This is the update. "In  
13 response to Work Group request to SC&A, review  
14 the new approach to neutron dose estimation.  
15 SC&A provided a review that was forwarded to  
16 the Work Group and NIOSH on December 27th,  
17 2010.

18 "At the May 3rd, 2011 Work Group  
19 meeting, SC&A also raised the need for NIOSH  
20 to demonstrate in its upcoming response to  
21 this SC&A review how the proposed parameter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 for MCNP are bounding for the ranged of  
2 systems assembled/disassembled for the period  
3 '51 to '91 at Pantex."

4 And that was the endpoint. I  
5 believe NIOSH provided that information as far  
6 as the different systems.

7 And we -- and I'll have to go back.  
8 We would clarify the implication in a memo  
9 report now that the notes have been cleared.  
10 So, we presented something and I don't have it  
11 with me on that.

12 MEMBER BEACH: Right. And the last  
13 thing we got on this issue was the March paper  
14 and it's quite extensive of a write-up and I'm  
15 -- does that incorporate NIOSH's work?

16 MR. FITZGERALD: That's the MCNP.

17 MEMBER BEACH: That is the --

18 MR. FITZGERALD: And like I said,  
19 our concern was using the neutron-photon ratio  
20 for 30-some years covering all particular  
21 operations and, you know, we felt that wasn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 going to work for all those time periods and  
2 operations involved and that's where the new  
3 approach was introduced and I -- you know, I  
4 can go back and verify. I don't have it with  
5 me, but we had a memo, and I recall it, that  
6 basically closed this out. Recommended  
7 closure and laid it all out. We had it  
8 cleared by DOE and sent it to the Work Group.  
9 But, this was two years ago.

10 So, I can -- if you want to  
11 condition closure based on my resurrecting  
12 that memo and making sure that everybody sees  
13 it again, but we felt satisfied with the new  
14 approach on neutrons and the issue of reliance  
15 on the ratio across all time periods  
16 effectively went away.

17 CHAIRMAN CLAWSON: Okay. Like you  
18 said, I'd conditionally close that, but I'd  
19 still like to review it. It's been a while.

20 MR. FITZGERALD: I'm going to go  
21 ahead and get --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR.     KATZ:           No     reason     to  
2     conditionally.     Just     we'll     put     that     in     the  
3     same     teleconference     when     we     address     issue     five  
4     and     six.

5                   CHAIRMAN     CLAWSON:     Okay.     I     just  
6     wanted     to     read     something     to     you.     I     just     got     a  
7     text     from     Sarah     Ray     that     she     wasn't     going     to  
8     be     able     to     join     us     back,     but     especially     for  
9     Mark,     myself     and     Joe,     "Please     let     the     Work  
10    Group     know     that     two     wonderful     members     that  
11    they     interviewed     passed     away.     Bob     Tolley     and  
12    Tomes.     Just     passed     away."

13                          You     guys     interviewed     them     and     they  
14    were     --     "Appreciate     for     all     your     help.     Sorry  
15    I     won't     be     able     to     return.     Tell     everybody  
16    thank     you     for     all     the     sincere     work     and     honest  
17    effort     that     they've     put     into     this.     Thank     you,  
18    Sarah     Ray."

19                          So,           those           people           were  
20    inspirational.     We     interviewed     them     quite     in  
21    depth.     They     were     the     last     ones     that     we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 weren't able to get to. They were in a rest  
2 home and stuff. So, I just wanted to make  
3 sure that everybody knew that. Okay.

4 MR. FITZGERALD: That was item  
5 seven. So, that's held pending. Producing an  
6 update and further information.

7 Eight is completeness of  
8 interpretation of historical radiological  
9 exposure sources. This is kind of one of  
10 these -- it's not a technical issue. It's  
11 just the review that we had done of the TBD.  
12 We felt there were operations -- historic  
13 operational things. Like I think we cite  
14 Tweezer which is the off-site activity and  
15 other things that were not covered in the TBD  
16 and what we basically concluded, the types and  
17 sources raised exposure at Pantex from an  
18 historic had not been fully characterized in  
19 the TBDs.

20 And I think the document of note  
21 for that issue is the data completeness

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 adequacy piece again that was submitted in  
2 April 2011 to the Work Group and NIOSH which  
3 talks to some of these activities.

4 That is open. I mean it's  
5 certainly a TBD question of completeness and  
6 it's not very different from some of the other  
7 issues we raised at other sites saying that we  
8 identify certain activities or exposure  
9 sources that don't seem to be addressed in TBD  
10 and it's almost one of these informational  
11 things that certainly in the next revision  
12 maybe consideration ought to be given to  
13 including that for the sake of the dose  
14 reconstructor and that's kind of how we left  
15 it.

16 And I think almost every review --  
17 Site Profile review we kind of highlight if we  
18 find anything that doesn't seem to be  
19 addressed in the TBD. We highlight it for  
20 information's sake.

21 So, that certainly is where that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 comes from.

2 MR. KATZ: Just a question, sir.  
3 Do these things that have been omitted, these  
4 operations that have been omitted, they have a  
5 functional impact on how the dose  
6 reconstruction would be done?

7 MR. FITZGERALD: No. Again, that's  
8 what I'm saying. The way it's worded, it's  
9 completeness of the historical rad exposure  
10 sources. It's just a question of source  
11 terms. Whether there's any source terms that  
12 aren't highlighted in the TBD as a source of  
13 the exposure. It wouldn't change the  
14 methodology necessarily.

15 MR. ROLFES: This is Mark and we  
16 did address this in the past I know, but the  
17 Tweezer facility operations weren't conducted  
18 on-site at Pantex. They were off-site at the  
19 Nevada Test Site.

20 CHAIRMAN CLAWSON: Did we address  
21 the broken arrows that came in though? How

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 they dealt with that. Because that was a --

2 MR. HINNEFELD: Well, did any come  
3 in before '58 or what -- I mean the broken  
4 arrows that came back to be examined would  
5 have been either --

6 MR. ROLFES: Thule and Palomares  
7 wastes were shipped from the Medina facility  
8 at the time of closure over to Pantex and  
9 there was an incident that occurred in 1979 at  
10 Pantex in one of the igloos and we do have --  
11 there were some contamination measurements  
12 made and some bioassays requests -- for  
13 bioassay samples requested from the employees.

14 We do have a few memos and some  
15 group participants on who entered that igloo  
16 and was involved in the clean-up of the  
17 plutonium and tritium waste that were shipped  
18 to the sites.

19 CHAIRMAN CLAWSON: Well, because  
20 that -- I'm trying to -- how can I word this?

21 Because this was one of them that came in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that actually burned up in the fuel and --

2 MR. FITZGERALD: Let me suggest  
3 this. You know, we recognized that there were  
4 a number of questions about completeness and  
5 that was the genesis of writing that White  
6 Paper in April of 2011 saying okay, now here  
7 is the, you know, collection of sort of  
8 completeness questions in terms of exposures,  
9 source terms, what have you that we felt were  
10 germane to Pantex and we put that in that  
11 particular paper.

12 I don't think between NIOSH and the  
13 Work Group, we actually have dispositioned  
14 that paper and I would suggest that on this  
15 item as well as the previous item we, you  
16 know, go back to taking that White Paper and  
17 just dispositioning it. Because I think  
18 that's going to be the way to resolve, you  
19 know, where everything stands as far as the  
20 TBDs and everything without getting into a new  
21 round of discussion. That paper pretty much

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 presents this issue as well as questions on  
2 the databases.

3 Now, the databases are pretty much  
4 resolved because we resolved them as part of  
5 the SEC discussions, but in terms of  
6 operations and whatnot, that's also reflected  
7 in the -- in that document and in the matrix  
8 we point to that document as the hand-off  
9 point for this issue anyway.

10 So, I think that's -- you know,  
11 given the way it's laid out in the matrix,  
12 that would be the way to address it.

13 CHAIRMAN CLAWSON: Okay. We could  
14 regenerate that one or --

15 MR. FITZGERALD: Well, it's out  
16 there and I think it's even posted. So --

17 MEMBER BEACH: Well, I was able to  
18 find NIOSH's responses, but the dates are  
19 wrong and that was in May. But, I never did  
20 in just my quick review this morning find --

21 MR. FITZGERALD: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MEMBER BEACH:    -- find the data  
2                   adequacy paper of April.

3                   MR. FITZGERALD:  It's April 2011.

4                   MEMBER BEACH:  Yes.    And I'm sure  
5                   I'll find it, but maybe we could resend it.

6                   MR. HINNEFELD:  Okay.    So, we'll  
7                   look then at the April 2011 completeness and  
8                   adequacy paper and then also our May paper and  
9                   see what we responded to there.

10                  MEMBER BEACH:  Yes.    Yes.

11                  MR. HINNEFELD:       Some of these  
12                  things may have been overcome by events.

13                  MEMBER BEACH:       This says March.  
14                  Which seems odd.

15                  MR. HINNEFELD:  You know, when you  
16                  have an SEC Class '57 through -- or '58  
17                  through '90 and what we can do in that period  
18                  for internal intakes is going to be limited by  
19                  what's in the person's exposure record.  So,  
20                  you know, as a practical matter, it sounds  
21                  like it's probably going to be dispositional.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. FITZGERALD:   Okay.   So, again,  
2   I think that would be item eight.   That we can  
3   focus on the paper and where NIOSH stands on  
4   that and I think some of these issues can be  
5   speedily addressed.

6                   Number nine deals with incidents  
7   and where we felt there was some  
8   incompleteness with the incidents that were  
9   acknowledged in the TBD and that too is  
10  addressed in the data completeness piece.

11                  So, again, I would suggest rather  
12  than sort of having all these separate issues  
13  since that was how it was all consolidated  
14  that that can be addressed similarly.

15                  CHAIRMAN CLAWSON:   That's fine with  
16  me.   Yes.

17                  MR. FITZGERALD:   Yes, I think that  
18  was actually the purpose of that paper -- was  
19  trying to assimilate all these different SEC  
20  matrix issues and make sure they were  
21  addressed in some form so that it's in that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 document.

2                   Number            ten,            inadequate  
3 consideration given to the firing sites.  
4 Obviously, in this last rendition, we spent  
5 some time focusing on that at the site and  
6 felt that that should be closed as part of the  
7 SEC process.

8                   CHAIRMAN CLAWSON: All right.

9                   MR. FITZGERALD: There were some  
10 questions there, but now, there is a residual  
11 question on the hydroshots that is actually in  
12 this recent paper. Where I think a 95th  
13 percentile is used based on the data from the  
14 '60s applying it to the hydroshots with DU and  
15 we raise a TBD question there as to whether  
16 that's conservative enough given the variables  
17 that are cited in that analysis and there's  
18 quite a few variables, wind direction, assumed  
19 locations and they're all cited in the  
20 footnote to that item in this most recent  
21 paper we just gave you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   And that is something I think that  
2 would bear some further discussion as to --

3                   CHAIRMAN CLAWSON: Right.

4                   MR. FITZGERALD: -- whether the  
5 95th or maybe even consideration at the 98th  
6 or 99th might be appropriate given the number  
7 of variables involved in coming to the  
8 conclusion, but again, that's a judgment call.

9                   CHAIRMAN CLAWSON: All right. So  
10 --

11                  MR. KATZ: Is there more that's  
12 needed from NIOSH on that?

13                  MR. HINNEFELD: So this was  
14 described in the paper you just delivered last  
15 week?

16                  MR. FITZGERALD: Yes, it's touched  
17 upon. I wouldn't say it's really --

18                  MR. HINNEFELD: Touched upon, but  
19 it refers back to earlier work?

20                  MR. FITZGERALD: Yes, it -- in  
21 closing out the hydroshots in the '51 to '57,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 if you look at that one, it's on page 16 to  
2 17. Actually, it's on page 17.

3 We go on to say "The raw data SC&A  
4 reviewed do not support use of the 95th  
5 percentile. The 1960's outside air  
6 concentration of 24 picocuries per cubic  
7 meter's appropriate or necessarily claimant  
8 favorable given the likelihood of the large  
9 variance due to highly variable conditions  
10 during firings" and in the footnote, I say  
11 "For example, the TBD cites differing masses  
12 of DU and HEU that exist at location samplers  
13 in relation to cloud, varying particle sizes"  
14 and sort of a list of variables that were  
15 involved.

16 And given the number and extent of  
17 the variables, we're questioning whether the  
18 95th might actually be conservative enough and  
19 whether some consideration of something that  
20 would be more conservative would be warranted.

21 That discussion we really haven't had. That

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 was actually a Site Profile finding that got  
2 carried over into the matrix, but, you know,  
3 was acknowledged in the Site Profile issue  
4 from the get-go.

5 So, not a question of whether you  
6 can do it. Whether the variables involved  
7 would argue for something more conservative at  
8 95th. That's just hydroshots.

9 MR. KATZ: Right.

10 MR. FITZGERALD: So, on number ten,  
11 that would be the only question that's sort of  
12 out there.

13 CHAIRMAN CLAWSON: Right.

14 MEMBER BEACH: So, we would put  
15 that under a Site Profile to be --

16 MR. KATZ: Yes, for the  
17 teleconference, too.

18 MEMBER BEACH: Okay.

19 MR. FITZGERALD: And there may be a  
20 valid, you know, basis for saying the 95th is  
21 conservative enough, but we were concerned

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 given the extent of the variables cited.

2 Number 11, we're recommending  
3 closure which is the question of whether the  
4 most highly exposed worker was badged or not.

5 I think there was a fair amount of discussion  
6 in the Work Group on that. Let's just go back  
7 to this.

8 I'm not going to read all of this,  
9 but we say "The information presented on this  
10 question by NIOSH addresses practices in the  
11 later disassembly years 1980 to 2000, but not  
12 in the earlier era. While no documentation is  
13 valid regarding the implementation of  
14 monitoring against these requirements, the  
15 issue of back extrapolating exposure  
16 experience and monitoring effectiveness has  
17 been challenged by SC&A for internal dose  
18 estimation. For external dose estimation,  
19 SC&A has indicated at the May 2nd, 2011 Work  
20 Group meeting that it believes the use of  
21 latter-day dose distributions for coworker

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 dose assignment per the Strom 2004 study is  
2 sufficiently accurate for the weapon systems  
3 involved."

4 So, again for external which is  
5 what we're talking about here, we felt the  
6 basis in Strom 2004 was sufficient and this is  
7 again after some discussion. This went back  
8 and forth for a while.

9 CHAIRMAN CLAWSON: Okay. So, that  
10 one is going to be closed then?

11 MR. FITZGERALD: Number 11's  
12 recommended for closure.

13 CHAIRMAN CLAWSON: Any Work Group  
14 --

15 MEMBER BEACH: I agree with that.

16 MEMBER SCHOFIELD: I agree with  
17 that.

18 MEMBER POSTON: I agree.

19 CHAIRMAN CLAWSON: Thank you, John.

20 MR. FITZGERALD: Number 12 is  
21 accuracy of plant exposure data. This is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 petitioner issue and let me just read what the  
2 issue is.

3 "The ER implies that early film  
4 dosimeter data for Pantex are reliable. The  
5 ER and external TBD do not recognize the  
6 inaccuracies in calibration methods and  
7 uncertainties introduced into the dosimetry  
8 program by poor or improper practices. In an  
9 assessment of the external dosimetry program,  
10 the," and this goes back to a DOE  
11 investigation, "the DOE investigation cited  
12 key findings that concluded the following."

13 This is from the petitioner.  
14 "Gamma calibration response curves for TLDs  
15 did not have sufficient range. The scientists  
16 and laboratory technicians assigned to the  
17 Pantex dosimetry program were inadequately  
18 trained. There were no formal operating  
19 procedures for the Pantex dosimetry program.  
20 The quality of the Pantex dosimetry program  
21 was less than adequate.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   "SC&A considers the deficiencies  
2 identified by the DOE investigative Board to  
3 be highly relevant to the credibility of the  
4 dosimetry data for Pantex. The ER needs to  
5 consider these deficiencies for their  
6 implications on the accuracy of external dose  
7 reconstruction."

8                   Number two, "Further complicating  
9 matters are issues with individuals not  
10 wearing their dosimeters all the time. During  
11 a survey of film badge utilization in June  
12 '69, Pointer, a name, found several instances  
13 where personnel were not wearing their badges.  
14 The extent of issues that involved  
15 inappropriate wearing of the dosimetry is  
16 unknown. However, radiological control staff  
17 subsequently established a program to spot-  
18 check badge racks to determine whether  
19 individuals were wearing their badges."

20                   And this is the bottom lines. The  
21 deficiencies noted in the 19 DOE -- 1980 DOE

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1     investigative board only apply to the '72 to  
2     1980 period that the TLD program was operated  
3     in-house. Prior to '72, film badge service  
4     was supplied by a reliable commercial service.

5     From '80 to '93, the TLD dosimetry program  
6     was based on a reliable Panasonic TLD and  
7     readers with an acceptable uncertainty range.

8     After '93, the DOELAP-accredited Panasonic  
9     TLD program had an uncertainty range that was  
10    even less than that. Plus or minus 10  
11    percent.

12                   And then finally, SC&A noticed that  
13    this additional information response to  
14    petitioner issues -- notes this additional  
15    information that was provided by NIOSH in  
16    response to petitioner issues and recommends  
17    that the Work Group consider this issue  
18    closed. This was back in May of 2011.

19                   So, that additional perspective was  
20    provided.

21                   CHAIRMAN CLAWSON: So, I move that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the Work Group would close.

2 MEMBER BEACH: I agree.

3 MEMBER SCHOFIELD: I agree.

4 MEMBER POSTON: I agree.

5 MR. FITZGERALD: Okay. Number 13  
6 was too few workers monitored for valid dose  
7 reconstruction. This again was a petitioner  
8 issue, and our initial review showed that  
9 statistics provided for external monitoring by  
10 year are based on limited data prior to 1958.  
11 There was limited data prior to '58.

12 The ER, Evaluation Report, does not  
13 provide the population of radiological and  
14 non-radiological workers by year for  
15 comparison to the number monitored. So, it's  
16 difficult to answer that petitioner question  
17 from that standpoint.

18 Early monitoring was concentrated  
19 on radiographers. This is back in the '50s.  
20 Whereas, later years included multiple job  
21 categories.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1           The ER has not demonstrated that  
2 variations in badge radiation workers are the  
3 result of changes in weapons production rates  
4 on the rad material present.

5           Now, in turn, NIOSH cites ORAU 13-6  
6 and a SRDB reference 14338 by statistical  
7 responses to SC&A questions regarding concerns  
8 raised by the petitioners.

9           And, again, we haven't provided the  
10 Work Group an assessment of that particular  
11 issue, but the statistics provided by the TBD  
12 and this particular reference basically  
13 provides the distribution that supports the  
14 NIOSH ER recommendation.

15           But we haven't closed that out yet.

16           That's something that we owe the Work Group.

17           CHAIRMAN CLAWSON:       Can I say,  
18 because that also brings in a question that I  
19 had in the earlier years and so --

20           MR. FITZGERALD:       Yes, the earlier  
21 years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. KATZ: SC&A action.

2 MR. FITZGERALD: Right. Number 14,  
3 records incomplete for subcontractors, temp  
4 workers, short-term employees. Again, another  
5 petitioner issue.

6 And the question was the response  
7 was not specifically provided in any  
8 Evaluation Report. And we have as initial  
9 review SC&A responses pending additional  
10 record review, and our latest reading is all  
11 short-term or temporary workers were treated  
12 as visitors and monitored as such. These  
13 records were preserved by name and other  
14 identifying information.

15 So, we recommended, based on our  
16 review of that and -- oh, I'm sorry. Let me  
17 go a little further on that.

18 We've reviewed additional data  
19 capture information in our visit to Pantex.  
20 Unless additional information is found that  
21 would be inconsistent with NIOSH's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 characterization of past practice and how  
2 temporary or short-term employees were  
3 handled, we would recommend Work Group closure  
4 of this issue.

5 This was back in May of 2011 and we  
6 have not found anything that would question  
7 how that -- how short-term workers or  
8 temporary workers were handled. They were  
9 handled as visitors and we pretty much have  
10 found that to be the case in terms of the  
11 records.

12 So, we would recommend closure of  
13 that issue.

14 CHAIRMAN CLAWSON: We can close  
15 that one?

16 MEMBER BEACH: I agree.

17 MEMBER SCHOFIELD: I agree.

18 MEMBER POSTON: I agree.

19 MR. FITZGERALD: Number 15 is  
20 exposure from tritium leaks, and that was  
21 another petitioner issue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   You know, reservoirs began arriving  
2                   at Pantex in late '56 or early '57. However,  
3                   there's no mention of how tritium doses prior  
4                   to '60 would assessed. So, there's a bit of a  
5                   gap there in terms of tritium reservoirs.

6                   The ER indicates that Pantex  
7                   tritium monitoring focused on workers of the  
8                   highest likely exposure. Furthermore, they  
9                   indicate this data can be used to bound  
10                  tritium dose.

11                  Prior to 1972, the ER suggests that  
12                  ten individuals were randomly selected per  
13                  month for tritium bioassay from about 1960 to  
14                  '71. However, the ER does not explain how the  
15                  "highest likely exposed" individuals were  
16                  selected and how they have verified this  
17                  assumption.

18                  Table 5-3 of the TBD indicates that  
19                  the number of workers monitored for tritium  
20                  uptakes was not constant and only up to four  
21                  workers were monitored per year from 1972 to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 '75.

2 But it goes on to say that -- so,  
3 there's some questions about the TBD in terms  
4 of how tritium exposures were characterized,  
5 and we go on to say that the TBD does not  
6 clearly define either the data used or the  
7 values that were derived from the data. So,  
8 there's some questions on how tritium in the  
9 early years was done.

10 And we go on to say this issue's  
11 addressed in more detail in the data  
12 completeness and adequacy paper of April 2011,  
13 and we'll defer further conclusion until  
14 responses forthcoming from NIOSH.

15 So, again, this is a question of  
16 data completeness. In this case, where  
17 tritium is included in that paper. So, again,  
18 this is one that would --

19 CHAIRMAN CLAWSON: Still be open?

20 MR. FITZGERALD: That would be  
21 open, but would again be subsumed within that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 review of that particular paper that was  
2 generated.

3 To be frank, it was generated in  
4 April of 2011, right before the last Work  
5 Group meeting and we were in the throes of  
6 trying to disposition the W28 question. So, I  
7 think, you know, since this was a Site Profile  
8 question, it just wasn't picked up on at that  
9 point in time.

10 CHAIRMAN CLAWSON: Okay.

11 MR. KATZ: So, this is a NIOSH  
12 response.

13 MR. FITZGERALD: To -- yes, for the  
14 paper.

15 MR. KATZ: Right.

16 MR. FITZGERALD: Just to go back.  
17 Item -- and there's only two more -- Item 16 is  
18 badge placement, and this was another  
19 petitioner issue, and there wasn't a response  
20 that was addressed specifically in the ER.  
21 This gets to worker geometry and proximity to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 radioactive materials, and in particular with  
2 the systems that were being handled. That,  
3 you know, obviously was a pretty important  
4 question and how they were handled in the  
5 early years were such that there was quite a  
6 bit of contact. So, the question of geometry  
7 was relevant.

8           And the petitioners have stated  
9 some workers held bare pits on their laps  
10 during some work practices such as cleaning  
11 the pit surface. It was determined that the  
12 surface of a new pit could be cleaned in only  
13 a few minutes prior to assembly. It was also  
14 determined that throughout the history of  
15 Pantex operations, pits and other components  
16 have been handled in various fixtures.

17           NIOSH acknowledges that while some  
18 workers could have held pits in their laps, it  
19 would be possible to estimate conservative  
20 doses requiring some adjustment to calculated  
21 organ doses for work in the early years. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 early years in this case being '59 to 1970.

2           When use of fixtures for handling  
3 pits was not rigorously required, i.e., after  
4 '70, you had these frames that could be used.

5       Before that, it's certainly, from worker  
6 accounts, likely that they held pits in their  
7 laps and that kind of thing.

8           For pit operations that took place  
9 at waist level, the guidance of OTIB-10, and  
10 this is OCAS 2005, should apply and would be  
11 an adequate basis for any corrections to organ  
12 doses.

13           And our response in May of 2011,  
14 SC&A still questions how NIOSH will apply  
15 guidance from OTIB-10 for a glovebox geometry  
16 to a -- that was for a glovebox geometry. For  
17 a more variable geometry such as a worker  
18 handling a pit, which is obviously different,  
19 so if the basis for an OTIB-10 is a glovebox  
20 geometry, how would that fact be applied to a  
21 much more variable situation where you're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 having direct handling of a pit?

2 That was kind of an outstanding  
3 question given that initial NIOSH response.

4 CHAIRMAN CLAWSON: This is still an  
5 open issue?

6 MR. FITZGERALD: Yes, I would think  
7 so.

8 MEMBER BEACH: With NIOSH having  
9 the action. Right?

10 CHAIRMAN CLAWSON: Yes.

11 MR. FITZGERALD: And maybe there's  
12 something that has superseded OTIB-10, but  
13 that's where we had left it.

14 The last one, item 17 is the  
15 efficacy of the health physics and IH  
16 programs. This was a petitioner issue and  
17 this goes back to the 1990 Tiger Team report  
18 on Pantex which raised a number of HP and IH  
19 programmatic issues, and I think we're pretty  
20 familiar with most of those. And I'm not  
21 going to go through all of them. But, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 know, there's a litany of issues that were  
2 raised about how the IH and HP program was  
3 managed.

4 SC&A addressed the adequacy of  
5 employee exposure records under Item Two and  
6 Seven of this matrix. The characterization of  
7 the workplace exposure conditions was  
8 addressed also under Item One. So, we were  
9 trying to parse out, of these many issues,  
10 which ones we had already addressed.

11 Health physics support staffing  
12 levels and training, general health and  
13 safety, program inadequacies and the  
14 controlled rad sources provided valuable  
15 background information on the effect of  
16 control of the short-term, but are not  
17 directly pertinent to dose reconstruction.  
18 There was a lot of stuff that was in there on  
19 program management that didn't bear on dose  
20 reconstruction. So, we wanted to make that  
21 clear.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   And maintenance of survey records,  
2                   contamination records and field air sampling  
3                   records were mentioned by the petitioner as  
4                   being important to the dose reconstruction  
5                   effort in the absence of personnel monitoring  
6                   data. And we felt that was -- you know, that  
7                   was something that NIOSH considers, you know,  
8                   whether or not you have secondary survey  
9                   records, monitoring records and field air  
10                  sampling to backup dose reconstruction.  
11                 That's the hierarchy of what data is relied  
12                 upon.

13                 In any case, we in this particular  
14                 item recommend that this be closed, and the  
15                 issues that we felt important were, in fact,  
16                 addressed elsewhere in the matrix. So, you  
17                 know, the ones that dealt with the adequacy of  
18                 -- let me see. You know, characterization of  
19                 worker exposure conditions and the  
20                 completeness and adequacy of the employee  
21                 exposure records themselves for both internal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 and external, which were three of the primary  
2 items that were cited in that particular  
3 petitioner comment we obviously addressed  
4 already elsewhere in this matrix. So, we felt  
5 this was one that could be closed as far as  
6 having been addressed pretty completely during  
7 the course of the Work Group proceedings.

8 CHAIRMAN CLAWSON: Okay. I move  
9 that it be closed.

10 MEMBER SCHOFIELD: Second that.

11 MEMBER BEACH: I agree.

12 MEMBER POSTON: I agree.

13 MR. FITZGERALD: And that is the  
14 list.

15 MEMBER BEACH: Joe, the last thing  
16 was the addendum note regarding burn area  
17 exposures, and everything has been covered on  
18 the note that you have in the matrix. I just  
19 wanted to just double check that that is all  
20 completely covered with the other items.

21 MR. FITZGERALD: Yeah, this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 addressed in the most recent analysis. Just  
2 to, again, one reason we went ahead and put  
3 the burn area exposures and the hydroshots in  
4 the most recent paper is that, one, they're  
5 germane to the early period, but, two, I  
6 didn't think we really dispositioned them  
7 completely. We had touched on, we had raised  
8 some questions, we had some dialogue. But I  
9 thought we ought to close them out. So,  
10 that's closed out relative to the most recent  
11 paper.

12 We felt the information, the air  
13 sampling data that was available for the burn  
14 pits was, one, you know, certainly extensive  
15 enough for that time period and, two, the  
16 practices behind how they did that in the late  
17 '50s was comparable and representative of the  
18 '60s when the data was actually captured.

19 So, the issue for us is could you  
20 take that data from the '60s and use it for  
21 the late '50s on the burn pits? And we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 concluded, yes, you can because you have  
2 enough of it and the practices themselves had  
3 not changed in any degree.

4 We have interview information that  
5 sort of supports that fact. We have the  
6 individual who was in charge of the burn pits  
7 from the '50s into the '60s. So, that was  
8 pretty strong substantiation of that.

9 So, this was really put in here as  
10 a footnote to make sure that there was some  
11 detailed information on both the burn pits and  
12 hydroshots because, again, there was some  
13 nuances there that we thought wouldn't be  
14 captured in the Site -- I'm sorry, the issue  
15 matrix itself. So, that was tacked on the  
16 back.

17 But, that's the reason it was  
18 addressed more fully in this latest paper.

19 CHAIRMAN CLAWSON: And the only one  
20 in question is the hydroshots. The burn pits,  
21 we've determined that we've got sufficient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 data to be able to cover that. That was the  
2 placement of the air sampling data, the  
3 boundaries around --

4 MR. FITZGERALD: Yes, and if you  
5 read this here, this is almost the same  
6 assessment. In fact, I had looked at this  
7 when I wrote the other paper. We raised that  
8 question relative to the hydroshots. For the  
9 burn pits, we raised the question that all the  
10 sampling data came from the '60s, and so the  
11 question was whether you could retroactively  
12 apply it. And as we say in this latest  
13 analysis, we feel you can.

14 So, we kind of -- these are just  
15 laying out the issues, but they don't really  
16 provide any conclusions. The latest paper  
17 provides the conclusions, but we do have that  
18 one hydroshot issue left.

19 MEMBER BEACH: And I just found the  
20 last -- NIOSH's response to SC&A for data  
21 completeness and adequacy was August 5th,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 2011. That's when we got that.

2 MR. FITZGERALD: Okay. So, we  
3 actually have something we can use then.

4 CHAIRMAN CLAWSON: Can you forward  
5 that to me, Josie?

6 MEMBER BEACH: Sure. It's on my  
7 flashdrive. I'll give it to you off my  
8 flashdrive.

9 MR. KATZ: So, are you saying,  
10 Josie, that some of these things that we think  
11 are not action items may already be addressed  
12 in that response?

13 MEMBER BEACH: Potentially.

14 MR. FITZGERALD: It may be SC&A's  
15 action to close that out then.

16 MEMBER BEACH: To look at their  
17 responses.

18 MR. FITZGERALD: All right.

19 MR. HINNEFELD: I think we can take  
20 a read, too. I mean this going to be -- you  
21 know, it's kind of getting long in the tooth,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 and so we'll take a look at the April report  
2 from SC&A and our response and how we feel  
3 about it today.

4 And some of these things might be  
5 subsumed by events that have occurred in the  
6 meantime.

7 MR. FITZGERALD: So, we'll deal  
8 with it on a joint basis and the timing of  
9 August 2011 was, of course, the W28, the date.

10 So, I have a feeling that the response came  
11 in in the mail, but may not have been focused  
12 on at that time given the Site Profile issue.

13 MR. KATZ: So, then it seems to me,  
14 for the Board meeting, Joe, you can give just  
15 sort of a very summary status of the TBD and  
16 let the Board know that down the road there  
17 will be a teleconference and then there will  
18 be a full presentation to the Board to close  
19 up the TBD matters.

20 MR. FITZGERALD: Right. And it'll  
21 be much -- obviously, much more succinct.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. KATZ: Yes. Right.

2 MR. FITZGERALD: But, yes, I --

3 MR. KATZ: But you don't really  
4 need to go into details at this Board meeting  
5 on TBD matters.

6 MR. FITZGERALD: No. No. Just to  
7 acknowledge that we do have a few loose ends  
8 that --

9 MR. KATZ: Right.

10 MR. FITZGERALD: -- you know, we  
11 had shifted focus to the uranium and thorium  
12 and are returning now to some loose ends that  
13 exist.

14 CHAIRMAN CLAWSON: And let me bring  
15 up a question on that. Because I'd really  
16 like to keep the Site Profile issues separate  
17 from the SEC issues. I'd like to take care of  
18 them first and then maybe just have a follow-  
19 up on the remaining issues there.

20 MR. KATZ: Joe, I think we've got  
21 an hour and half for Pantex, too. So, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 should have plenty of time for, you know, him  
2 to give a footnote at the end about where the  
3 TBD business stands.

4 CHAIRMAN CLAWSON: Right.

5 MR. FITZGERALD: So, I was just  
6 pointing out to Josie in the presentation in  
7 August before the full Board, that we  
8 acknowledge --

9 MR. KATZ: Yes. Yeah, our timing  
10 that you were saying.

11 MR. FITZGERALD: Yes, so -- yeah,  
12 again, we have to close that out.

13 MR. KATZ: Okay. So good.

14 CHAIRMAN CLAWSON: Okay. Are there  
15 any more questions that need to come before  
16 the Work Group or, if not, I suggest that we  
17 adjourn the Pantex Work Group at this time.

18 MR. KATZ: Thank you, everybody,  
19 for all the hard work and have a good day,  
20 everyone on the line.

21 MEMBER POSTON: So long.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Pantex Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Pantex Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                                   MR. KATZ: Bye, John. Very good.

2                                   (Whereupon, at 11:53 a.m., the  
3 meeting in the above-entitled matter was  
4 adjourned.)

5

6

7

8

9

10

11

12

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)