

This transcript of the Advisory Board on Radiation and Worker Health, Procedures Subcommittee, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Procedures Subcommittee for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

SUBCOMMITTEE ON PROCEDURES REVIEW

+ + + + +

THURSDAY
AUGUST 28, 2014

+ + + + +

The Subcommittee convened via teleconference at 11:00 a.m., Eastern Daylight Time, Wanda I. Munn, Chair, presiding.

PRESENT:

WANDA I. MUNN, Chair
JOSIE BEACH, Member
PAUL L. ZIEMER, Member

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

ALSO PRESENT:

TED KATZ, Designated Federal Official
MATT ARNO, ORAU Team
BOB BARTON, SC&A
HANS BEHLING, SC&A
KATHY BEHLING, SC&A
RON BUCHANAN, SC&A
BOB BURNS, ORAU Team
HARRY CHMELYNSKI, SC&A
DOUG FARVER, SC&A
STU HINNEFELD, DCAS
LORI MARION-MOSS, DCAS
STEPHEN MARSCHKE, SC&A
JOHN MAURO, SC&A
JAMES NETON, DCAS
STEVE OSTROW, SC&A
SCOTT SIEBERT, ORAU Team
MATTHEW SMITH, ORAU Team
DANIEL STANCESCU, DCAS
JOHN STIVER, SC&A
ELYSE THOMAS, ORAU Team

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

T-A-B-L-E O-F C-O-N-T-E-N-T-S

	<u>PAGE</u>
Welcome and Roll Call	5
Review off-line BRS changes	6
ALL	
Paper-contaminant cleansing of localized skin exposure	23
NIOSH	
PER-0031-Report review - Carryover	32
NIOSH	
Summary clarification of AP wording - IG 001 Finding 25	44
NIOSH	
Criteria for AIC/Weibull distribution IREP V. 5.7	51
NIOSH	
OTIB-83 - Findings report - Carryover	77
NIOSH	
OTIB-34 Rev 0, Finding 4	84
SC&A	
OTIB-34 Rev 1, Finding 7, 8	123
NIOSH	
Status - PER-0038 case audits	145

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

SC&A

OTIB-54 - Reactor modeling report 145
NIOSH

OTIB-54 - Response status Findings
1-4, 5, 8, 10 146
NIOSH

New PER reviews - 42, 43, 45 152
SC&A

Prioritized list of PER
recommendations..... 231
SC&A

Administrative detail carryovers
- Status RPRT 53, PER-0037,
PER-0011, PER-0018, PER-0033..... 243
SC&A

- PPG status comment for record.... 254

- Next meeting..... 254

Adjourn 259

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20

P-R-O-C-E-E-D-I-N-G-S

(10:58 a.m.)

MR. KATZ: So this is the Advisory Board on Radiation and Worker Health Subcommittee on Procedures Review. There are materials for this meeting that are posted on the NIOSH website along with the agenda under the Board section under meetings, today's date. So people can also follow along with some of the materials that we'll be talking about which are posted there.

Let's do roll call. As far as conflict of interest is concerned, there shouldn't be any material that relates to conflicts in today's agenda. But I just remind my Board Members to just keep that in mind in case something comes up related to a site you have conflict with.

And so let's go with the roll call for Board Members.

1 (Roll Call)

2 MR. KATZ: Very good. Wanda, it's
3 your agenda.

4 CHAIR MUNN: Thank you much, and
5 thanks to everybody who is joining us today, and
6 thanks especially to those of you who submitted
7 papers for us to deal with today. After that
8 long hiatus, I hope you're all ready to attack
9 these issues again.

10 And let's start with very quickly
11 taking a look at what Steve has up on the screen
12 for us. Thank you, Steve, for being
13 johnny-on-the-spot getting the material in
14 front of us to look at.

15 And let's take a look at what you and
16 Lori have posted to the BRS that we discussed
17 at our last meeting but was going to be taken
18 care of offline. Steve, you want to lead off
19 on that and Lori if you can follow afterwards?

20 MR. MARSCHKE: I'm not aware of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anything that was really we needed to be posted,
2 Wanda. Let me see, up to the last --

3 CHAIR MUNN: I just thought there
4 were one or two things that were so wordy that
5 you were going to take care of it after the
6 meeting. But perhaps you did it before we ever
7 got offline last time.

8 Lori?

9 MR. MARSCHKE: If I did I -- I'm
10 sorry, Wanda. I mean if I did, I did it right
11 after the meeting which was, you know --

12 CHAIR MUNN: So long ago.

13 MR. MARSCHKE: So long ago that
14 I've forgotten. And I went back and looked at
15 the transcript to see if there were any SC&A
16 action items which were expected of me and I
17 didn't see any.

18 CHAIR MUNN: All right. That's
19 fine. Lori?

20 MS. MARION-MOSS: Yes, Wanda. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do believe the only thing that's been updated
2 since that time is the findings that I sent out
3 to the Committee the other day. And I do
4 believe Steve Ostrow had updated the BRS with
5 some responses to OTIB-54 findings since our
6 last meeting.

7 DR. OSTROW: This is Steve.
8 That's correct. I had updated that. Right
9 after the meeting I updated the BRS on OTIB-54.

10 CHAIR MUNN: So can we take a look
11 at OTIB-54 and that update to make sure that it
12 meets the expectations of the Board Members?
13 Here we are.

14 DR. OSTROW: Wanda, this is Steve
15 again. The updating I did was on April 16th to
16 all the open findings and I said the exact same
17 thing in each one. This finding in OTIB-0054
18 Rev 1 also applies to Revision 2.

19 CHAIR MUNN: Yes.

20 DR. OSTROW: Because subsequently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to my making the comments on Rev 1, NIOSH
2 released Rev 2. And I reviewed Rev 2 and didn't
3 see any material change between Rev 2 and Rev
4 1.

5 CHAIR MUNN: Excellent.

6 DR. OSTROW: So I just basically
7 said that all of the findings apply to Rev 2.

8 CHAIR MUNN: Good. Any thoughts
9 from anyone else? If not, thank you for that
10 Dr. Ostrow. And --

11 MS. MARION-MOSS: Wanda?

12 CHAIR MUNN: Yes?

13 MS. MARION-MOSS: Lori. I would
14 just like to point out to the Board,
15 Subcommittee Members, that the BRS looks a
16 little different since the last time --

17 CHAIR MUNN: Yes, it certainly
18 does. It was a surprise.

19 (Simultaneous speaking)

20 MS. MARION-MOSS: The major change

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 here that we have is actually the display on the
2 screen and the option of being able to change
3 your font size. And that's basically the
4 biggest change.

5 CHAIR MUNN: That's very
6 convenient though, and I certainly approve. I
7 don't know whether anyone else has had an
8 opportunity to play with it a little bit. I
9 pulled it up for the first time day before
10 yesterday, I guess.

11 So I looked at it a little bit
12 yesterday and looked at the report sheets to see
13 what our figures were looking like, so, but was
14 very pleased with the look of the current index.
15 It seems to be easy to work with.

16 If anyone else has a comment in that
17 regard, please join in.

18 MEMBER BEACH: Yes, Wanda, this is
19 Josie. I also was playing with the BRS the last
20 couple of days, and I really like the changes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that were made. So thank you.

2 CHAIR MUNN: Good, yes. True.

3 And if we have any other comments, I'm assuming
4 that Josie, you and Paul both have seen Lori's
5 email and the changes that she listed there.

6 MEMBER ZIEMER: Yes.

7 MEMBER BEACH: Yes.

8 CHAIR MUNN: All right. No
9 question about any of that. If not, then thank
10 everyone who was involved in that and let's move
11 on to our first item other than the BRS.

12 MS. K. BEHLING: Wanda?

13 CHAIR MUNN: Yes?

14 MS. K. BEHLING: This is Kathy
15 Behling. I was just going to ask a question.
16 Because I was attempting, or I was going to
17 attempt to put the findings in from our review
18 of the DCAS PERs that we're going to be
19 discussing today, 42, 43, and 45.

20 And I'm not sure that I have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 authorization to do that because, and if I do
2 I don't know how to do it. I assume that
3 perhaps NIOSH needs to enter those PERs for us
4 first and then I would be able to put the
5 findings in because I don't know how to actually
6 enter a new document.

7 CHAIR MUNN: Oh. And we don't have
8 those three PERs in yet?

9 MS. K. BEHLING: I didn't see them.
10 Maybe they are. Did I miss them?

11 MR. MARSCHKE: Actually, they're
12 in the unassigned queue. I guess we've got to
13 figure out how to take them from the unassigned
14 queue. I mean you can look and see on the
15 screen now, this is the unassigned queue. And
16 there's 42, 43 --

17 CHAIR MUNN: 43 and 45.

18 MR. MARSCHKE: -- and 45 are there.
19 Now we have to basically take them from the
20 unassigned queue and bring them over into the,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assign them, I guess, to the Subcommittee, and
2 I'm not sure how you do that.

3 CHAIR MUNN: Yes, that sounds
4 reasonable. Lori, is this an activity that
5 we've gone through before behind the scenes and
6 that the rest of us are aware of?

7 MS. MARION-MOSS: Yes, but Steve
8 should be able to do that. If you will, Steve,
9 click on PER-0042 and let's see what happens.

10 You clicked on it?

11 MR. MARSCHKE: I clicked on it. Do
12 you want it expanded?

13 MS. MARION-MOSS: Yes.

14 MR. MARSCHKE: Okay, Assign to Work
15 Group. Okay, basically what I should do --

16 MS. MARION-MOSS: First, select
17 our committee.

18 MR. MARSCHKE: Subcommittee, and
19 then that's it. Pretty simple.

20 MS. MARION-MOSS: That's,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hopefully.

2 MR. MARSCHKE: Are you sure you
3 would like to assign one --

4 (Simultaneous speaking.)

5 MR. MARSCHKE: Okay, and then
6 basically we go down to, 45 is here.

7 DR. MAURO: We've come a long way,
8 baby.

9 CHAIR MUNN: We certainly have.
10 That's marvelous.

11 MR. MARSCHKE: And 43 is here.
12 That's the last one, right? Kathy?

13 MS. MARION-MOSS: Yes, it is.
14 Yes.

15 MS. K. BEHLING: 42, 43, 45.

16 CHAIR MUNN: 45.

17 MR. MARSCHKE: Okay, so now they,
18 okay, let me get this one done.

19 CHAIR MUNN: Steve and Lori have
20 now accomplished digital magic for us. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Kathy, hopefully the next time you attempt
2 this, maybe even yet today, you may be able to
3 do what you wanted to do.

4 MS. K. BEHLING: Very good. Thank
5 you.

6 CHAIR MUNN: You bet. That's
7 wonderful. Ah yes, this is moving the way it
8 should. That's excellent. Thank you so much.

9 MR. MARSCHKE: PERs, right?

10 CHAIR MUNN: PERs.

11 MS. MARION-MOSS: Steve, you're in
12 the wrong committee. You're in the PR
13 Subcommittee.

14 MR. MARSCHKE: Oh, I'm in the
15 Construction and they don't have any PERs.

16 CHAIR MUNN: Which is a good thing.

17 MR. MARSCHKE: Evaluation for
18 PERs.

19 CHAIR MUNN: Here we are.

20 MR. MARSCHKE: 42, 45 and 43.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Do you see them? I
2 don't see them on my screen yet, but yes. Yes,
3 there they are. There's 43.

4 MR. MARSCHKE: We've already got
5 them so we can basically --

6 CHAIR MUNN: 43 and 44?

7 MR. MARSCHKE: Yes.

8 CHAIR MUNN: Yes. 42 for some
9 reason is out of --

10 (Simultaneous speaking)

11 CHAIR MUNN: But that's all right.
12 We don't care. We know where it is.

13 DR. MAURO: Hey Wanda, this is
14 John. Quick question. How far have we gone on
15 the interconnectedness between the
16 Subcommittee and Work Groups and et cetera, et
17 cetera? You know, the grand dream that started
18 about five or six years ago, are we moving in
19 on that?

20 CHAIR MUNN: I think my experience

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has been it just depends almost entirely on the
2 Chair of the individual Work Group or the
3 Subcommittee. If the Chair is active about
4 this, then it's moving forward slowly but
5 surely. For Chairs that are not particularly
6 enthusiastic or don't feel comfortable with the
7 process --

8 DR. MAURO: But the wherewithal
9 exists that is within the framework --

10 CHAIR MUNN: Yes.

11 DR. MAURO: -- like we're looking
12 at right now can accept that if so desired by
13 the Chair?

14 CHAIR MUNN: That is my
15 understanding and that's been my experience.
16 Perhaps someone else has a different
17 experience? If so, please let us know.

18 MR. HINNEFELD: Well, this is Stu
19 at NIOSH. And we intend going forward when we
20 get, like we start a new site fresh, to put

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 findings in the BRS. And particularly, I know
2 we did that with the Pacific Proving Ground
3 findings from the Site Profile Review of
4 Pacific Proving Ground.

5 And then I believe, well, it's my
6 intention that we hope to do the same with some
7 of the more recent Site Profile reviews that
8 we're now working on responses to. But before
9 we get down this pathway very long I'd like to
10 get those documents.

11 And I'm thinking of putting things
12 like, I think there's a W.R. Grace, a NUMEC,
13 maybe Ames. I forget. And so our hope is to
14 enter those in the appropriate Subcommittee or
15 Working Group or with its own Working Group if
16 one's established for those. And so then just
17 kind of move people on to this.

18 Now in reality, we recognize that
19 findings and responses will probably still have
20 to be shared as they traditionally have been for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certain people's comfort level, but we think
2 using the BRS will make it easier to collect the
3 record of the discussion that's done at those
4 meetings.

5 CHAIR MUNN: So perhaps a more
6 accurate response to your question, John, is
7 that in any case NIOSH will use this as the real
8 honest-to-goodness basis of where we are and
9 for their own tracking whether or not the
10 individual Work Groups participate in it.

11 MR. KATZ: Yes, this is Ted. NIOSH
12 and SC&A both will actually do that, enter their
13 findings and so on. So yes, as Stu was saying,
14 so for everything new, for all new work. So
15 something like SRS that's been going on a long
16 time, the SEC, we're not going to change horses
17 midstream. For everything new we'll work this
18 way.

19 MR. STIVER: Wonderful. Yes, this
20 is John Stiver. I had a question for Stu.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Back to over a year ago, we had the occasion to
2 talk about maybe adjusting the BRS a bit where
3 it could handle the Dose Reconstruction
4 Subcommittee findings as well. And I was
5 wondering, where are we on that? I don't quite
6 remember where that stands.

7 MR. HINNEFELD: I don't go to that
8 Subcommittee meeting anymore very much, so I'm
9 not really tuned in to what's proceeding there.
10 I would think that at any time, I think the best
11 place to start might be with a new set of
12 findings when there's a new set of dose
13 reconstruction reviews done.

14 MR. STIVER: Yes, that makes sense.
15 I think I recall we were going to go ahead and
16 finish out the 13 sets using the matrices and
17 then to migrate over after that.

18 MR. HINNEFELD: Yes, I think the
19 intention was that it could be done, and I think
20 we roughed out some sort of business logic --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Right, right, right.

2 MR. HINNEFELD: -- on terms of what
3 would be the document reviewed and, you know,
4 those kinds of things. And so as far as I know
5 we could probably start using it at any time,
6 but I would think we would want to start with
7 a set of reviews that the discussion has not yet
8 started on.

9 MR. KATZ: Yes, Stu, I think if we
10 could get, if your folks could start loading on,
11 I guess, Set 14, 15, because those ones you're
12 starting to review and respond to, I think, even
13 though the Subcommittee hasn't gotten to them
14 yet.

15 CHAIR MUNN: Yes, I think that's
16 true. And the Subcommittee Chair has been
17 trying very hard to close out all of the last
18 remaining issues from the older sets so that we
19 can start with a reasonably fresh slate with the
20 new group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. MARION-MOSS: This is Lori.
2 Steve, if you could, to kind of address John
3 Stiver's question, if you can on the string
4 there go to the DR Subcommittee Work Group
5 filter.

6 As a result of that meeting, John,
7 efforts were made to put in some of the matrix
8 for the DR Subcommittee so that, I believe it
9 was Doug Farver, I believe, that attended that
10 meeting that had some questions.

11 We went ahead and put in some sets
12 for the DR Subcommittee, and we wanted to give
13 the Committee an opportunity to take a look at
14 how that information was entered to see if there
15 was any adjustments that needed to be made to
16 fit the committee's needs.

17 And so far that's the last I've
18 heard of it, so what you see here now on the
19 screen is what was done as a result of that
20 meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. MARSCHKE: I'm seeing for the
2 eighth set there. But I'll go talk to Doug
3 offline. I'm spending a lot of time on this
4 right now with reviewing an upcoming DR
5 Subcommittee meeting that might be a topic of
6 discussion there.

7 MR. KATZ: Yes. Well, and I'm just
8 thinking, there won't be a lot of time in that
9 Subcommittee meeting for talking about these
10 other matters either.

11 But just if you guys, John and Doug,
12 if you look at it and it's making sense to you,
13 I think that's probably enough to say to the
14 folks, Stu's folks, that it's okay, why don't
15 we upload, you know, 14 and 15 findings.

16 MR. MARSCHKE: Okay, I'll touch
17 base with Doug on it.

18 MR. KATZ: Yes, thank you.

19 MR. MARSCHKE: All right.

20 CHAIR MUNN: All right, thank you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very much. I think we should all be pleased.
2 Thanks very much to those of you who are working
3 behind the scenes on the BRS. It is looking
4 very good and I think you should all put a
5 feather in your caps. We're making progress.
6 Thank you.

7 Now moving on to our first item, we
8 were going to have some information from NIOSH
9 continuing our discussion about the
10 contaminant cleaning and localized skin
11 exposure.

12 Stu?

13 MR. HINNEFELD: Yes, I think Jim's
14 going to talk about that.

15 CHAIR MUNN: Oh good.

16 DR. NETON: Yes, this is Jim,
17 Wanda.

18 CHAIR MUNN: Yes, good. Glad
19 you're here, Jim. Thank you.

20 DR. NETON: Thank you. This issue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is related to overarching Concern Number 9,
2 overarching Issue Number 9, I believe it's
3 Concern Number 1.

4 And that is that we had agreed in
5 principle with SC&A about adding skin
6 contamination to certain workers under certain
7 circumstances, but the concern was raised about
8 the efficacy of washing off a skin
9 contamination. That is, it lasts just eight
10 hours and it was showered off, or did it persist
11 for, you know, a 24-hour time period to some
12 degree?

13 And it was our opinion, based on
14 just observations from working at uranium
15 facilities, that uranium is particularly
16 readily cleaned off with regular showering,
17 soap and water. And that was certainly Stu's
18 opinion having spent years working at Fernald,
19 and we sort of almost thought it was sort of
20 common industry knowledge.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Well, SC&A didn't necessarily
2 disagree, but they said you need to provide some
3 backup evidence to support that position. So
4 I've spent some time looking through the
5 literature trying to find some documentation
6 that would support that position.

7 I've looked through a number of
8 documents including NCRP 161 which is
9 Management of Persons Contaminated with
10 Radionuclides. There is a DOE guidance for
11 good practice at uranium facilities and there's
12 some WHO guidance out there, none of which
13 specifically talk about uranium contamination.

14 Well, the DOE guidance for good
15 practices does, but all of them start with the
16 suggestion that the contamination should be
17 started to be cleaned with soap and water.
18 That's always the recommended practice, and
19 then you follow up with more aggressive
20 treatment modalities later.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And none of them make the case that
2 the uranium was particularly recalcitrant to
3 cleaning, but none of them also said that it was
4 readily cleansed.

5 I dug a little deeper and I
6 discovered a DTRA report that was produced
7 actually by SENES, DTRA Report TR-09-16, which
8 talks about radiation doses to skin from dermal
9 contamination as specifically written for
10 handling the DTRA cases, which of course would
11 be directly relevant to fallout deposition.

12 There's a very lengthy treatment of
13 skin contamination on these cases and how they
14 deal with it but what caught my eye was a paper
15 that was referenced from 1958, where there was
16 actually an experiment done with what they
17 called simulated fallout.

18 And they actually created a mixture
19 of soil with a known particle size distribution
20 and labeled it with lanthanum-140, which is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uranium but it is radioactive, and applied it
2 to the skin of various test subjects and
3 evaluated the efficacy of removal with various
4 treatment regimes.

5 It turns out that for that
6 particular experiment almost 95.8 percent was
7 removed with a single washing with just regular
8 soap and water. So that's not uranium, but it
9 is indicative that the material can be readily
10 cleansed with soap and water. I didn't think
11 that was going to, you know, that was suggestive
12 evidence but it wasn't uranium.

13 I searched further and just
14 recently, actually, found a paper that was done
15 in 1959 written by some folks from Los Alamos
16 where they published this in the American
17 Industrial Hygiene Association Journal, and
18 the title of that document, "Surface
19 Contamination Control with Uranium
20 Operations."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's amazing to me that they
2 actually did this. Los Alamos evaluated some
3 uranium rolling operations in the late '50s
4 where they actually used, if you recall, the
5 salt bath method which is an extremely messy
6 form of rolling uranium. Or not extremely
7 messy, but it's pretty messy, where you dip the
8 uranium in a salt bath, heat it up and then roll
9 it, and it creates a lot of scaling and
10 particulate contamination.

11 Well, the point of the article is
12 really how you address contamination control in
13 the facility, and they did a lot of surveys and
14 evaluation of anti-Cs and such. But one aspect
15 of the study, they actually monitored personnel
16 before they left the area for contamination,
17 both their clothing and their bodies.

18 And where they did find
19 contamination they allowed the personnel to
20 wash with soap and water and then surveyed them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again. And conclusion of their study, that
2 little study, was washing with soap or
3 detergent, it says, usually removes any
4 personal contamination with skin.

5 In other words, they didn't find any
6 real difficult contamination to remove at least
7 in this experiment, which I believe is fairly
8 relevant because it's at an actual uranium
9 rolling operation.

10 So the point of all this discussion
11 is I'm getting close to writing up something
12 that would sort of end up being a weight of the
13 evidence approach. I don't have any direct
14 data though. I was really hoping to find some
15 personal contaminations where they were, you
16 know, before and after using soap and water,
17 which is typically what's used, and I was not
18 able to find any of those. So
19 that's where I'm at on this finding. I intend
20 to write this up as a brief White Paper to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 document what I just discussed with the
2 Subcommittee. That's it.

3 CHAIR MUNN: Thank you, Jim.
4 We'll look forward to seeing that. Does SC&A
5 have any comment?

6 DR. OSTROW: Yes, this is Steve
7 Ostrow. Just a brief comment. I just got
8 finished reading a bunch of site interviews at
9 Idaho, INL. We just conducted lots of site
10 interviews there with former workers.

11 And a number of them mentioned for
12 skin contamination, especially in the earlier
13 days, they just used sort of a crude bleach
14 solution to take off the contamination. But I
15 didn't really see any record of, you know,
16 before and after readings. But that would seem
17 to be the common method they were using to
18 decontaminate, you know, things like hands that
19 became contaminated.

20 DR. NETON: Right. That would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have been for what was going on at Idaho, and
2 I hear what you're saying. This particular
3 finding though, I believe we were focused more
4 on the early years of uranium processing
5 operations --

6 DR. OSTROW: Right.

7 DR. NETON: -- where they rolled
8 uranium, and, you know, these people had no
9 monitors. There was no contamination surveys.
10 No way to really tell if people contaminated.

11 And, you know, the idea was, well,
12 if they were contaminated and took a shower did
13 it clean it off or not, or should we assign this
14 for 250 days and sort of 24 hours a day kind of
15 thing.

16 DR. OSTROW: Right.

17 DR. NETON: And again it's been our
18 experience that uranium's fairly readily
19 washed off with soap and water. The Los Alamos
20 study seems to indicate that. So I'm not sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where to go from here other than sort of provide
2 the weight of the evidence that we have.

3 DR. OSTROW: Okay, thank you.

4 DR. NETON: I think we should look
5 at it.

6 CHAIR MUNN: Probably wise. My
7 guess would be that the Idaho workers like in
8 most complexes, certainly in later years, were
9 receiving hand and foot monitoring frequently
10 throughout the day when they were in
11 contaminated areas.

12 And I don't know that it was a common
13 practice to record quantitatively what was
14 happening on site as they came out, but they
15 were usually monitored very carefully, I think.
16 We'll look forward to that paper.

17 One comment that I neglected to make
18 before we started was the fact that those of you
19 who may have taken a look at the transcript from
20 last time may be aware of the fact that we seem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to have dropped our conversational tones, our
2 pace, at some juncture fairly frequently during
3 our last meeting so that the transcriptionist
4 had a hard time picking up our full comments.

5 It's something that's easy to
6 forget because we get so conversational on
7 these sessions. It is wise to remind ourselves
8 from time to time, we do need to be very careful
9 in our articulation as we are speaking if we
10 want to rely on the transcripts later for
11 accurate information about what was actually
12 said and what we discussed.

13 Having said that we are on to
14 PER-0031, a carryover from last time. Do we
15 have a report from NIOSH?

16 MR. HINNEFELD: Yes, this is Stu.
17 To be honest, I've been trying to figure out
18 exactly what instructions we've given to our
19 contractor on that. And because the issue, I
20 think, in front of us, this is the Y-12 PER, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that PER was written because of some other
2 changes, some changes were made to the Site
3 Profile.

4 But in reviewing the PER, SC&A
5 pointed out that hey, you know, this Site
6 Profile says that you're going to do thorium
7 internal dose assessment based on in vivo
8 monitoring after some year, and they used
9 essentially the same in vivo monitoring
10 technology that Fernald did.

11 They reported results in milligrams
12 the way Fernald did, and at Fernald we
13 determined that you couldn't really interpret
14 those readings. So how does that, you know,
15 what effect does that have on Y-12?

16 And so the effort has to be put into this
17 so it really becomes a Y-12 Site Profile issue
18 that we intend to pursue. And I'm just trying
19 to figure out now, you know, how far along or
20 have we even gone very far down this path at all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in terms of determining what might be possible.

2 We do know that they have a lot of
3 air monitoring data from Y-12, a quite a bit of
4 it which we think is thorium air monitoring data
5 for the period in question. And so there might
6 be techniques other than in vivo if in fact we
7 decide the in vivo isn't appropriate. There
8 may be a way to determine the in vivo monitoring
9 is appropriate and maybe you can interpret
10 those results. So I don't think we're very far
11 down that path but we intend to go down that
12 path.

13 So, but with respect to the actual
14 PER-0031, you know, it would be okay for the
15 Subcommittee and SC&A to finish reviewing
16 PER-0031 with respect to seeing was this PER
17 done correctly, meaning were the changes that
18 were incorporated into the Y-12 Site Profile,
19 were those adequately considered when, were
20 cases adequately reconsidered as a result of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those changes?

2 So I mean it would be feasible if the
3 Subcommittee wanted to proceed with PER-0031,
4 you know, either worry about these findings
5 later or transfer them to Y-12 Work Group and
6 finish the PER-0031 work essentially, and, you
7 know, if that were what you wanted to do.

8 Alternative thought is depending on
9 how this works out, there could be another PER
10 for Y-12 and maybe we'll just take a look at
11 claims at that point.

12 CHAIR MUNN: Now you've confused
13 me, Stu.

14 MR. HINNEFELD: Well, the PER-0031
15 was written for a specific purpose. I haven't
16 prepared myself very well or I'd know what that
17 was. And that, you know, PER-0031, the reason
18 it was written had nothing to do with in vivo
19 monitoring. It was some other change that was
20 made.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Do we have any
2 knowledge of what that change was?

3 MR. HINNEFELD: Yes, I'm looking
4 for it. Here, hang on just a minute.

5 DR. MAURO: Wanda, this is John. I
6 might be able to help a little bit out with
7 regard to what, we've encountered the
8 circumstance in the past. That is, everything
9 we do is a living process, whether it's a Site
10 Profile Review or it's a PER review.

11 And what we have now on the record
12 is a PER review based on certain activities that
13 took place and changes to the Site Profile, the
14 procedures that took place up to that point in
15 time, and of course SC&A then reviewed the PER
16 with respect to that.

17 As life goes on, we always find that
18 maybe things change again. In the past it's
19 been our position that we just keep grinding.
20 That is, yes, we have a PER that's been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reviewed. We have comments.

2 The fact that there's a new round of
3 possible PERs that may emerge that we'll deal
4 with that when that happens, but let's grind
5 through and put to bed the ones that we have
6 before us now. That's how we've handled it
7 before. That doesn't mean we have to continue
8 in that mode.

9 CHAIR MUNN: Thank you, John.

10 MR. HINNEFELD: Yes, this is Stu.
11 Wanda, this is Stu. And I guess I spoke naively
12 a while ago. This PER was actually performed
13 because there was, you know, the technical
14 documentation was changed to change the
15 equilibrium ratio for a couple thorium
16 isotopes, thorium 228 to 232, and that was
17 changed from 100 percent to 80 percent
18 assumption, and so that would raise certain
19 doses. And because of that we did the PER, so
20 it was an in vivo PER.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But the additional question of can
2 this even be interpreted at all, that's really
3 a Site Profile question rather than a PER
4 question.

5 DR. BUCHANAN: Yes, this is Ron
6 Buchanan with SC&A, and that's correct.
7 PER-0031 was issued because of the change in
8 ratio of equilibrium, and I'm the one that did
9 the review and the finding on that. And so Stu
10 is right that that is, the end result was that
11 we found that this then actually decreased the
12 dose if you applied it strictly the way the PER
13 stated it and the TBD stated it.

14 And so we questioned, you know,
15 whether we could even use this data. And so Stu
16 went back and said, okay, we're going to need
17 to look at this and see if we can, because it
18 was a problem at Fernald and it's the same model
19 as Fernald used.

20 Basically, I looked and seen I did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 carry through with a PER then to see if it was
2 done correctly, on the other hand, and I found
3 that the dose -- I'd have to go back. I didn't
4 look for stuff this morning, all the doses I
5 looked at, all the cases.

6 But the cases I looked at is that it
7 was not applied uniformly. This 80 to 100
8 change was not applied uniformly then in the new
9 DR. So when we ran into the fact that this was
10 maybe not the way to do dose reconstructions or
11 it needed some attention, I think that kind of
12 stalled that progress of saying okay, even
13 though we had this revision in the TBD it's not
14 being applied uniformly, and so that's where it
15 kind of stands at this point.

16 MR. KATZ: So this is Ted. I think
17 what I would suggest, Stu, since we don't have
18 an active Y-12 Work Group, I realize there was
19 one once upon a time --

20 CHAIR MUNN: Yes, but --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, exactly. But
2 since we don't have one active now, I mean I
3 don't see any reason why we can't just move
4 forward this under the PER process.

5 CHAIR MUNN: It seems most
6 expedient to me, especially in light of the
7 current status of Work Groups for Y-12. I
8 would hate to see this languish any longer on
9 the vine, and it seems to me that we are the
10 logical venue at this point to address it.

11 MR. KATZ: Right. If we had a Work
12 Group we'd shift it over to them, but since we
13 don't it just seems like it'll be fine. You
14 have good representation on this Work Group. I
15 think you can grind through the issue with the
16 Subcommittee.

17 CHAIR MUNN: I certainly agree with
18 that interpretation.

19 Paul, any feedback from you and
20 Josie?

1 MEMBER ZIEMER: Well, (telephonic
2 interference).

3 CHAIR MUNN: You're breaking up for
4 me, Paul. I don't know if you are for others.

5 MEMBER ZIEMER: I was on speaker
6 and maybe it, I'm getting an echo. I hear
7 myself. In any event --

8 CHAIR MUNN: That's much better,
9 thank you.

10 MEMBER ZIEMER: -- I just wanted
11 to ask a question. This doesn't apply just to
12 Y-12 though does it?

13 MR. HINNEFELD: Yes, this is Stu.
14 The question, well, it would apply where --
15 anyplace in vivo results are reported in
16 milligrams rather than in the activity of the
17 various isotopes that are being --

18 MEMBER ZIEMER: Right.

19 MR. HINNEFELD: -- added. So the
20 question arose at Fernald and was actually the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basis for an SEC Class up through roughly '78
2 for about a ten-year period when the in vivo,
3 when they used the mobile counter. Now Y-12
4 didn't use the mobile counter but they used the
5 same type of system and the same reporting.

6 So anyplace where in vivo counting
7 was reported in units of milligrams rather than
8 activity of the radionuclides being counted,
9 you're going to face this question, is this data
10 interpretable.

11 But I don't know of any place other
12 than Y-12 and Fernald where there was thorium
13 exposure that was measured by in vivo.
14 Certainly the mobile counter went to the
15 gaseous diffusion plants, but I don't believe
16 there was thorium exposure at those plants.

17 CHAIR MUNN: Well, I'm beginning to
18 remember a little bit about the original review
19 of this PER now. And that's helpful to know
20 that it wasn't just Y-12, because it helps us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to see that it's not truly site specific.

2 MR. HINNEFELD: Yes, I don't think
3 I've encountered this reporting at anyplace,
4 you know, for thorium exposure other than at
5 Y-12 and Fernald.

6 CHAIR MUNN: Well, in any case,
7 does that answer your question, Paul?

8 MEMBER ZIEMER: Yes. I think we
9 could just proceed within the committee here.

10 CHAIR MUNN: Yes.

11 MEMBER BEACH: So Wanda, this is
12 Josie.

13 CHAIR MUNN: Yes.

14 MEMBER BEACH: I have a comment and
15 question. So I agree that we should proceed
16 with this finding, but my question is kind of
17 an overall. Y-12 has a couple other OTIBs that
18 have been reworked and reissued recently. I
19 think the last one I looked at was 0064.

20 And if we take on this one issue,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what happens to these other TBDs that have been
2 updated that haven't been looked at? I guess
3 this is probably a Ted question.

4 MR. KATZ: Right. Well, at some
5 point, Josie, I mean we'll assign other, I mean,
6 you know, TBDs are getting updated for
7 different sites all the time.

8 MEMBER BEACH: Right.

9 MR. KATZ: So at some point we'll
10 have more assignments to review new versions or
11 new TBDs. But that has to be done first and
12 SC&A then would then have to do its work first.

13 MEMBER BEACH: Right. Okay.

14 CHAIR MUNN: All right, any other
15 questions, Josie?

16 MEMBER BEACH: No.

17 CHAIR MUNN: Okay, it sounds to me
18 as though we have a general consensus that we
19 need to address the PER-0031 issues here in the
20 Procedures Subcommittee. Not having heard any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comment to the contrary, we will proceed with
2 that, I think, appropriately.

3 This means from my perspective that
4 we will anticipate feedback from NIOSH on each
5 of the findings that we have next time. Is that
6 appropriate, Stu? I hate to make that
7 statement without a commitment from you.

8 MR. HINNEFELD: Well, we don't have
9 feedback on all these findings. I can't
10 promise next time.

11 CHAIR MUNN: Okay, we'll carry it
12 over and question it next time.

13 MR. HINNEFELD: Yes, right.

14 CHAIR MUNN: All right, fine. And
15 then let's move on to the summary clarification
16 of the IG-001 Finding.

17 NIOSH?

18 MR. HINNEFELD: Yes, this is us
19 again, Stu, one more time. I don't know,
20 Steve, can you maybe pull this up? We'll maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 look at what the finding is? I don't know if
2 that's going to matter or not though.

3 The issue here, just to refresh
4 everyone's memory, is that IG-001 has a
5 paragraph and has a section that's kind of
6 disjointedly written but the information's in
7 there.

8 And it has this, the section refers
9 to dose correction factors as a function of the
10 geometry whether it's AP rotational or
11 isotropic geometry, et cetera. For most
12 organs, AP geometry is the most favorable and
13 so it's appropriate to default to AP.

14 There are, I think, four target
15 organs, blood surface, blood bone marrow, bone
16 surface, bone marrow, I think it's lung and
17 esophagus for which AP is not more favorable
18 than say rotational which seems like that could
19 be a likely one in some kinds of jobs, or
20 isotropic which seems like that might be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 little less likely.

2 And so there's a statement in there
3 that says that since, for these four target
4 organs, one of these other geometries is more
5 favorable you should default to those unless
6 you have a reason to use AP. If you think a
7 person's job would be such that they were
8 probably exposed in AP geometry most often,
9 then you can use AP.

10 And so from that I think it's a
11 logical conclusion that says that if you
12 choose, you know, since there's a default
13 that's, say, rotational and if you choose to use
14 AP in your dose reconstruction you should
15 explain that you chose to use that and why. And
16 that's what's being done now, but it wasn't done
17 for a while.

18 And so the question in front of us
19 is, there are two questions in front of us.
20 First of all, do we think the wording in IG-001

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is okay as it is, and the answer to that question
2 in my opinion is yes. It pretty much says what
3 we want it to say.

4 And the second question is are we
5 going to go back and do a PER or a PER-like look
6 at cases that were done previously before we
7 overtly started making dose reconstructors say
8 yes, this should be an AP case and here's why,
9 because that wasn't done originally.

10 Should we go back and look at those cases
11 that were done beforehand and say is AP in fact
12 the appropriate one to select there if the dose
13 reconstructor used AP.

14 So we do think we do have that
15 look-back work to do, and it would be our
16 preference though to do that in conjunction
17 with a PER that we know is coming having to do
18 with the new ICRP, I think it's 116,
19 recommendations for dose conversion factors.

20 That document's been out for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 little while. We've done some comparisons of
2 what would be done, you know, having the ICRP,
3 dose conversion factors compared to the
4 previously published ones that we're using now.
5 There will be quite a number of changes. Some
6 organs will go up, some organs will go down.

7 So it looks like we're going to have
8 to do a relatively large PER to incorporate the
9 new dose conversion factors from ICRP 116. And
10 so it would be our view that the best time to
11 take care of this PER-like activity from this
12 IG-001 statement would be when we have to do
13 that whole wrap-up anyway or that whole look
14 from ICRP 116.

15 So that's kind of where we are on our
16 position on this. I don't think I have entered
17 that into the BRS yet, but I think we can
18 probably do that.

19 CHAIR MUNN: Yes.

20 MEMBER ZIEMER: This is Ziemer. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thought what happened last time were that NIOSH
2 indicated that they didn't feel there was any
3 need to revise it, just that they would have a
4 response to the IG --

5 CHAIR MUNN: That was my
6 expectation. I thought we were going to have
7 --

8 MEMBER ZIEMER: But he just
9 described it. I thought we had actually put it
10 to bed and were going to do what Stu just
11 described.

12 CHAIR MUNN: Yes. What I had
13 expected was a paragraph clarifying the wording
14 and essentially saying what Stu just said. I
15 think if memory serves, I can't remember for
16 sure, I think we expected last time that we
17 would have a small written paper so that we
18 could just reference that in the BRS.

19 But is that your understanding,
20 Josie?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Yes, absolutely.

2 NIOSH had the action just to add wording to
3 indicate the process.

4 CHAIR MUNN: I thought we were just
5 going to have a summary, just a paragraph
6 summary.

7 MR. HINNEFELD: Well, I apologize
8 for not getting that done before the meeting,
9 but I'll get it done shortly after, today.

10 CHAIR MUNN: Okay, good. If you do
11 that then I think, certainly my understanding
12 is we don't have any firm knowledge of when
13 we're going to have to address the ICRP 116
14 issues and it would be much nicer if we could
15 get this one off the books and look forward to
16 what's coming down the pike when it comes down
17 the pike instead of holding this in abeyance.

18 Good. If you'll get us a very brief
19 summary, it doesn't have to say much more than
20 what you just said, I think that will satisfy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this Subcommittee and we'll look for that next
2 time.

3 MR. HINNEFELD: All right, thank
4 you.

5 CHAIR MUNN: Okay. And don't go
6 away, we still have you on deck for the Weibull
7 distribution criteria. We were going to hear
8 something about that that would hopefully close
9 that for us this time.

10 MR. HINNEFELD: Yes, I'm going to
11 volley that one over to Jim also.

12 CHAIR MUNN: Okay.

13 DR. NETON: Okay. Thanks, Stu.
14 This is not really a finding anywhere from the
15 Subcommittee, and I forgot exactly how it came
16 up. But I believe it just arose in discussions
17 during the last Procedures Subcommittee
18 meeting.

19 CHAIR MUNN: Yes, before that
20 actually. We addressed it during the last --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking)

2 DR. NETON: Sort of surprised to
3 see us using the Weibull distribution, and then
4 we had a general conversation about when did we
5 start using it, why are we using it and how do
6 we decide which distribution --

7 CHAIR MUNN: Yes, that's correct,
8 Jim. The question as I recall was raised, I
9 believe, at our February meeting, and then
10 there was some discussion about it in our April
11 meeting. At that time, I believe that we
12 committed to providing a brief written criteria
13 so that SC&A could sort of mull that over.

14 DR. NETON: And we've done that.
15 The Subcommittee should have received, I think
16 from Lori, a paper that was written by Daniel
17 Stancescu our staff statistician. It's titled
18 "Fitting Distributions to Dose Data."

19 CHAIR MUNN: Yes.

20 DR. NETON: And it's a short paper

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that tries to address the issues I just
2 mentioned that, you know, how do we determine
3 what's the best fit for a distribution that
4 we're going to use? Why do we use that
5 criteria?

6 And it goes through, I think it's a
7 nice little write-up about the different types
8 of statistical tests that are out there and why
9 we've chose to go with these more modern
10 approaches that evaluate the information
11 criteria.

12 CHAIR MUNN: It is a good paper, and
13 specifically --

14 DR. NETON: Actually, you know, it
15 discusses why we use, I believe it's Akaike
16 Information Criteria as our test statistics.
17 So that's covered.

18 And then it goes on to specifically
19 talk about the Weibull distribution and how we
20 came to use it during the development of the CLL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Probability Model. A lot of those
2 distributions that we used from the literature
3 were Weibull distributions so we were sort of
4 forced into it.

5 But once a distribution was
6 available, and it is now one of the eight
7 distribution types that can be selected as an
8 IREP input, it's out there and it has been used
9 in some other instances other than CLL fitting.

10 And primarily it's used in the
11 fitting of external dosimetry data. For
12 example, when you have a badge result that has
13 an uncertainty associated with it that's
14 normally distributed, like plus or minus 20
15 percent, and then I always fold in some dose
16 conversion factor, for example, DCF for
17 conversion of that badge reading to the dose to
18 the liver.

19 And those distributions, the dose
20 conversion factors tend to be distributions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that are triangular in nature and have some
2 unique shapes to them.

3 Well, when you use Monte Carlo
4 techniques and you fold in a normal
5 distribution with the triangular, you end up
6 with a hyper-distribution that doesn't often
7 fit the normal or log-normal distribution very
8 well.

9 And we've found from experience
10 that the Weibull distribution accommodates
11 those fits better. It tends to be a little bit
12 of a chameleon. You can go through and read the
13 paper, but there are three factors that can be
14 used to modify the Weibull distribution, the
15 shape, scale and location. And as
16 indicated in Figure 2 of Daniel's paper, it
17 shows you the range and types of distributions
18 that could be generated. And when the Weibull
19 is used and compared to a log-normal, then we
20 would use that AIC criterion to determine which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is the best fit based on just a numerical value
2 that is generated using that technique, using
3 the AIC test.

4 So it's there in a nutshell. I know
5 it came out fairly late. Even though it was
6 written in May, I didn't get it distributed
7 until fairly recently.

8 I will say that the version that was
9 distributed to the Subcommittee says that it
10 may have Privacy Act information. Of course
11 there is none in there. I just didn't get a
12 chance to have it formally cleared before it got
13 distributed. It has since been reviewed and
14 that footnote has now been modified to say that
15 it's been cleared for review for Privacy Act
16 issues and it's cleared for distribution.

17 That version is out there on the
18 website under the Board's meeting, today's
19 meeting. It went out there yesterday morning,
20 I believe, or this morning. I forgot. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's out there, I checked this morning.

2 So that's a brief summary of what's
3 there. I know people haven't had a chance to
4 read it, but I think it covers the issues that
5 we were asked to describe fairly well.

6 CHAIR MUNN: It does indeed, and
7 thank you very much for that Jim. And I have
8 certainly had an opportunity to read it, and I
9 suspect that our other Board Members have as
10 well since we did get it time to peruse it and
11 it's well written.

12 Thanks to Dr. Stancescu for having
13 compiled this, because even the lay
14 statistician can follow it quite well and it's
15 appreciated.

16 Any questions or comments from SC&A
17 or from any of the Board Members with respect
18 to the fitting distributions to dose data?

19 DR. FARVER: Wanda, this is Doug
20 Farver.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Yes, Doug.

2 DR. FARVER: I just have a
3 question, and I really have no questions about
4 the paper itself. It was an explanation of the
5 distribution and that's fine. Mine is more
6 practical.

7 On the IREP table you have a list of
8 Parameters 1, 2, and Parameters 3, and that is
9 how they determine a dose, or one of those or
10 more of those values determine the dose. For
11 example, for a normal distribution the dose is
12 under Parameter 1. For triangular, the dose is
13 under Parameter 2. Now for the Weibull it
14 appears that it's the sum of Parameter 2 and 3.
15 Is that true?

16 DR. NETON: I'm not sure what
17 you're asking, Doug. If you select Weibull,
18 there's always three boxes to fill in. And
19 what you fill in will be determined based on
20 what distribution you selected.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. FARVER: Right. I mean when
2 you look at the IREP table that you, the final
3 one that goes into the IREP program.

4 DR. NETON: Okay.

5 DR. FARVER: Okay. At the very
6 right hand side it lists a Parameter 1, a
7 Parameter 2 and a Parameter 3.

8 DR. NETON: Right.

9 DR. FARVER: When there's a normal
10 distribution Parameter 1 has the dose value.

11 DR. NETON: And Parameter 2 should
12 have the uncertainty.

13 DR. FARVER: Correct. And when
14 there's a triangular distribution Parameter 2
15 has the dose value. Now for the Weibull
16 distribution it looks like the dose is the sum
17 of Parameter 2 and Parameter 3. That's what's
18 used to total the dose. Is that true?

19 DR. NETON: Well, Daniel's on the
20 phone. Daniel, can you help me out there? I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not sure.

2 MR. STANCESCU: Yes, this is Daniel
3 Stancescu from NIOSH. So the three parameters
4 that you see is equal parameters for the Weibull
5 distribution in IREP. The first one
6 corresponds to the shape. The second one
7 corresponds to the scale, and the third one
8 corresponds to the location.

9 If the Weibull distribution, unlike
10 the normal and log-normal distribution, it has
11 a different formula to compute the mean. The
12 mean of the Weibull distribution is a function
13 of the shape, scale and location. So it's not
14 as easily calculated as for the normal.

15 So for the normal, the mean is the
16 first parameter. For the log-normal, you
17 know, the geometric mean is the median. For
18 the Weibull you can compute the mean. There is
19 a formula which involves these three
20 parameters. So we don't have a parameter for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the mean of the Weibull distribution.

2 DR. FARVER: No, I understand.
3 I'm asking you how you determine the dose.
4 Which parameter?

5 MR. STANCESCU: You mean which of
6 these parameter is the most significant
7 corresponding to the mean of the distribution?

8 DR. FARVER: No, which value equals
9 the dose for a given year? Like 1963, photon
10 dose, external. There's got to be a dose value
11 in that IREP table.

12 MR. SIEBERT: I'm sorry, let me
13 interrupt. This is Scott Siebert from ORAU
14 Team. I think what Doug is referring more to
15 is not necessarily the dose because the dose is
16 actually the full distribution.

17 But Doug, you're actually referring
18 to the dose as we refer to it in a dose
19 reconstruction report, correct?

20 DR. FARVER: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SIEBERT: Okay, and I think
2 that's the basis of the confusion on the
3 question. When it comes to the report, as
4 Daniel said, there is no simple way to calculate
5 the mean for each of the years so that we could
6 report the mean for the dose for each of those
7 years.

8 So you are correct. What we have
9 done as a reporting consideration is to add
10 Parameter 2 and Parameter 3 which gets us in the
11 same general location as the mean. It's not
12 exact, if I recall correctly, but it's in the
13 same general location as where you would have
14 the mean.

15 That's what we were trying to create
16 for a reporting scheme, rather than having
17 someone have to use all three parameters for the
18 Weibull distribution, calculate the actual
19 mean which you could never directly get from the
20 IREP sheet. Whereas, the approximation of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Parameter 2 and Parameter 3 got us into the
2 right location so you're using that as the
3 reported dose for the reports.

4 DR. FARVER: Okay, but it's the sum
5 of 2 and 3?

6 MR. SIEBERT: Yes, that is correct.

7 MR. STANCESCU: Okay, and if I can
8 mention here, the reason. So it is the sum of
9 Parameters 2 and 3 which is the scale and the
10 location and it can be proved theoretically
11 that the sum of the scale and location for a
12 Weibull distribution is equal to the 63rd
13 percentile of the distribution.

14 So it's kind of close to the mean
15 value, somewhat so, and that was an easy way to
16 report a value that is kind of representative
17 for the distribution.

18 DR. FARVER: Okay. And Parameter
19 3 can be both positive or negative?

20 MR. STANCESCU: Yes, that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct.

2 DR. MAURO: This is John Mauro.
3 Again from a practical standpoint, so if I'm
4 filling out the IREP input table and they're all
5 line items, and let's say I'm doing the external
6 dose to some organs, and somehow to get to that
7 external dose the Weibull distribution was used
8 because it's a combination of, let's say, some
9 film badge data combined with some triangular
10 which might be log-normal and some other data,
11 like the dose conversion factor, which is
12 triangular, and the two of course are
13 multiplied together and then the outcome is
14 some distribution. Now that's a Weibull
15 distribution as I understand that is the
16 selection now because it's an improvement on
17 the fit.

18 Now in the input to IREP I will put
19 in the word "Weibull" as the distribution that
20 applies. I haven't done this but I'm assuming

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this is the case. Then to the right of that
2 there will be columns that will open up that
3 might be Parameter 1, 2 and 3 as described
4 earlier.

5 Now somehow those parameters have,
6 there's some very specific instructions what
7 you put in under what would be called Parameter
8 1, 2 and 3. There's some number that has to go
9 in there.

10 And unlike what we used to do where
11 we would put in the geometric mean in the first
12 one, or we'd put in the arithmetic mean and then
13 next to that we would put in the geometric
14 standard deviation if we're dealing with
15 log-normal, here you're saying that what we
16 actually put into the boxes to the right of
17 where we say Weibull, there's some other things
18 we put in.

19 Is that all straightforward now? I
20 mean in other words are the instructions on how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to load the input to IREP straightforward so
2 that we do it right and we don't put the wrong
3 numbers in those boxes?

4 MR. STANCESCU: I think it is. If
5 you go to the IREP website and if you try to
6 enter the doses, if you click on the menu it's
7 going to show you the order of the parameters
8 for each of the distributions. So, for
9 example, for triangular the order is the
10 minimum more than the maximum.

11 DR. MAURO: Right. And we
12 understand that so, you know, we've learned to
13 do that.

14 MR. STANCESCU: Yes, and for the
15 Weibull it showed that the first one is going
16 to be the shape, the second is the scale, and
17 the third one is the location.

18 DR. MAURO: Bingo. That's what I
19 was looking for. Thank you.

20 MR. STANCESCU: Yes.

1 DR. MAURO: Good, you've answered
2 my question.

3 MR. STANCESCU: Okay.

4 DR. FARVER: Okay, this is Doug
5 again. I've just got a couple more just, and
6 the reason I have these questions is we were
7 having difficulty matching the NIOSH number,
8 and so we were scratching our heads a little.

9 For CLL cancers, Parameter 3 seems
10 to be much larger when compared to Parameter 2.
11 And I've only seen positive numbers for that.
12 Is that just coincidence or --

13 DR. NETON: This is Jim. I can't
14 exactly speak from experience in looking at
15 those parameters recently, but yes, I would
16 assume it's just the way the --

17 DR. FARVER: Okay.

18 DR. NETON: The way the fit works
19 out for the CLL cases. They tend to be a little
20 bit different because of the various

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 distributions that, you know, there's a lot of
2 distributions that are combined to get that
3 final product.

4 DR. FARVER: Okay. But the
5 Parameter 3 seems to be more significant.
6 Because if we excluded Parameter 3 and just
7 added up Parameter 2, much farther off from the
8 NIOSH value. So it seems more significant. I
9 don't know if that's true or not.

10 DR. NETON: Well, in what's the
11 third parameter's location?

12 DR. FARVER: Yes.

13 DR. NETON: Yes. Well, I'm
14 assuming the location, you know, the tripping
15 of that curve on the X-axis is significantly
16 different for CLL cases.

17 And remember, CLL cases are very
18 different than the ones you would see for
19 external dose calculation. I mean you're just
20 folding in a triangular and a normal. In CLL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're folding in a lot of distributions.

2 So the shape of, that's one thing
3 about the Weibull is it can have a lot of
4 different shapes and locations on the curve.
5 So I think that's all you're seeing is an
6 artifact of the CLL model itself.

7 DR. FARVER: Okay. One final
8 question. Scott, is there any instructions
9 given to the dose reconstructors along these
10 lines about so they can check their work?

11 MR. SIEBERT: There's does not need
12 to be because Weibull is only used in best
13 estimate claims where the tool creates the fit
14 and the tool directly imports that information
15 into IREP.

16 The dose reconstructor never had
17 the option to pick a Weibull distribution
18 because it's not a general distribution to use
19 unless it's coming out of a best estimate Monte
20 Carlo calculation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. FARVER: Okay, and we'll never
2 go in and sum up his doses just to make sure his
3 numbers match?

4 MR. SIEBERT: Oh, you're talking
5 about for summing the dose. We have tools that
6 do that for us so the dose reconstructor doesn't
7 have to go by hand and do the addition.

8 DR. FARVER: Okay. We've run into
9 situations before where there have been doses
10 omitted and it'd be better if they went and
11 checked the final doses. That's a suggestion.
12 But I understand what you're saying.

13 Okay, my main concern was that
14 Parameter 2 and Parameter 3 are totaled up to
15 give the dose. So that's good. Thank you.

16 CHAIR MUNN: Any other questions or
17 comments?

18 DR. CHMELYNSKI: Yes, this is Harry
19 Chmelynski from SC&A. From what I had just
20 heard in a discussion, the Weibull is only being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 used internally to model results of simulation
2 programs, and generally when you do that you
3 don't have non-detects.

4 I was wondering, is it also used
5 anywhere to fit data where you have
6 non-detects?

7 DR. NETON: This is Jim. I don't
8 know offhand if we've done that. Does anyone,
9 Scott or Daniel?

10 MR. STANCESCU: I'm not sure how
11 it's used in practice. I know that ORAU is
12 using the VOS Tool and I think the Weibull can
13 feed the data with the sensor values. But I'm
14 not sure if this was used in practice. If
15 somebody is familiar with the VOS Tool maybe
16 might know this answer.

17 MR. SIEBERT: Well, this is Scott.
18 My understanding is the fact that we are only
19 using this for the combination of different
20 distributions that are already set, and in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 combining those distributions we're not using
2 the Weibull, as far as I understand, to fit any
3 raw data which would include detects and
4 non-detects.

5 It would be multiplying a
6 triangular distribution by a log-normal
7 distribution by a normal distribution by those
8 weird distributions that are in CLL, not
9 actually fitting back to the raw data. Is that
10 your concern?

11 DR. CHMELYNSKI: Okay, that
12 answers my question then. If we're not going
13 to have non-detects it's not a problem.

14 DR. NETON: Okay. Yes, because I
15 can't think of any coworker model we've ever
16 developed that's anything other than a normal
17 distribution, if that's what you're getting at
18 maybe. I mean a log-normal distribution.
19 Okay.

20 CHAIR MUNN: Any other thoughts or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comments?

2 MEMBER ZIEMER: Hi, this is Ziemer.
3 I sort of followed the logic here, and, you
4 know, the statistics are beyond my capacity.
5 But I just want to ask what action do we need
6 to take as a Subcommittee, if any?

7 CHAIR MUNN: I didn't believe that
8 we had any action. I thought we were
9 attempting to satisfy SC&A's curiosity about
10 why the Weibull distribution was used and how
11 it is used.

12 And it was my understanding that if
13 this discussion met the criteria of the
14 original questioners that we had no further
15 work to do.

16 MEMBER ZIEMER: Okay.

17 CHAIR MUNN: Am I incorrect in
18 that, SC&A?

19 I don't hear any.

20 MR. KATZ: This is Ted. I'm not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 SC&A, but that is correct. This was raised by
2 SC&A for reasons that have been talked about,
3 and if there is no further issue then you're
4 finished with it.

5 CHAIR MUNN: Yes, that was my
6 expectation. Is that correct for you, Paul?

7 MEMBER ZIEMER: Yes. I didn't
8 know that we had to take any specific action.
9 I guess we have to confirm that SC&A is
10 comfortable with this.

11 CHAIR MUNN: Yes, and that's --

12 MEMBER ZIEMER: I think as I
13 understand the discussion, it appears that SC&A
14 is okay on this. Is that correct? I don't
15 want to presume it.

16 CHAIR MUNN: I don't know, but I
17 don't think we can presume it. Is there anyone
18 on the call from the original questioning group
19 that has any further concern, or can we assume
20 that your concerns have been adequately

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 addressed?

2 DR. FARVER: This is Doug, Wanda.

3 CHAIR MUNN: Yes, Doug.

4 DR. FARVER: They addressed my
5 concerns about, you know, what parameters were
6 used, so now we can move on with that. I'm a
7 little concerned that the distribution is not
8 mentioned anywhere in their technical
9 documents or in their TBDs.

10 And I don't know if that'll cause
11 conflicts later on such as they might have it
12 written in their TBD where it says you'll use
13 a normal distribution and add in, you know,
14 geometric -- for deviation. I'm not sure if
15 there will conflicts with that later on.

16 But I'm okay, since I believe we
17 brought up the original question about what's
18 it doing here, why is it being used and how is
19 it being used was the big thing. So I
20 understand it from a dose reconstructor's point

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of view.

2 CHAIR MUNN: Thank you, Doug. My
3 question to NIOSH then is do we have any
4 internal documents, workbooks, anything of
5 that sort that help address the concern here?

6 DR. NETON: This is Jim. I don't
7 know what internal workbooks it would be. I
8 mean there are eight separate distributions
9 that are listed in the IREP input line for dose
10 inputs.

11 There's triangular, log uniform,
12 uniform, constant, I mean they're all there. I
13 think when we do use a particular distribution
14 it is discussed and documented as such, such as
15 in the CLL model. So I don't know where else
16 we would document use of it other than when we
17 do use it. You know what I'm saying?

18 CHAIR MUNN: Yes, we certainly
19 don't. But is that comfortable for you, Doug?

20 I'm not hearing a response. Are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you muted, Doug?

2 MEMBER ZIEMER: While Doug is
3 trying to get off mute, this is Ziemer again.
4 Will the White Paper itself, that is, the
5 putting distributions to dose data, will that
6 be attached to any of our documents in the
7 database?

8 CHAIR MUNN: You know, I'm not sure
9 exactly what we could attach it to --

10 MEMBER ZIEMER: Well, that's why
11 I'm asking.

12 CHAIR MUNN: -- Paul.

13 MEMBER ZIEMER: It didn't arise in
14 connection with a particular finding then.

15 CHAIR MUNN: No, it did not. It
16 was a general question from the contractor, and
17 I wouldn't know where we would logically do
18 that. It is a part of the permanent record now,
19 having been posted online so that it's easily
20 referenceable by anyone who has a concern.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And from my perspective, being a
2 part of the public record should be adequate for
3 it since it is not connected to a specific
4 finding that we were addressing in Committee.

5 Does anyone have feelings otherwise
6 with regard to this very nicely done paper?

7 DR. BUCHANAN: This is Ron
8 Buchanan. Where can we find this paper?

9 CHAIR MUNN: You can find it --

10 DR. BUCHANAN: I'm not looking at
11 my screen now, but where is it located?

12 CHAIR MUNN: It's online under
13 today's agenda in the Office of Compensation
14 Analysis website.

15 DR. BUCHANAN: Okay, thank you.

16 CHAIR MUNN: Yes.

17 MEMBER ZIEMER: Well, that being
18 the case, I think we've satisfied SC&A's
19 concern and the document is available. I don't
20 know that we need an action, but I think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we have closed the item in a sense.

2 CHAIR MUNN: We have from my
3 perspective. Josie, do you agree?

4 MEMBER BEACH: Yes, Wanda, I do
5 agree with that.

6 CHAIR MUNN: Very good. Let's
7 consider that item closed and we'll move on to
8 OTIB-83, the findings report. That was a
9 carryover that NIOSH was going to have for us
10 today.

11 DR. NETON: Okay, this is Jim.

12 MR. HINNEFELD: This is Stu, and
13 once again I think I'm going to defer to Jim on
14 this discussion.

15 CHAIR MUNN: Thank you, Stu.

16 DR. NETON: Yes, this is Jim. I've
17 got this one. Well, I've had quite a bit of
18 time to reflect on this, and I went back and
19 reread both documents again, you know, the 83
20 and the SC&A review.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And at this point, NIOSH does agree
2 with the comments made by SC&A that the target
3 audience is not well defined. There's a
4 discussion of this Type L plutonium. Just for
5 background reference, the review had to do with
6 how we would treat plutonium-238 exposures,
7 internal exposures, essentially on a
8 complex-wide basis which is implied for this
9 TIB.

10 SC&A raised a number of concerns
11 about who exactly this would be applied to and
12 also questioned the general applicability of
13 the so-called Type L model that was developed
14 since it was only developed on selected cases,
15 I believe five cases of exposure at the Mound
16 facility.

17 We do agree that this needs to be
18 fleshed out better. Unfortunately it's going
19 to take a while because we are going to go back
20 and review the other Mound cases for possible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incorporation into the model.

2 We used five. I believe there's at
3 least around 40 different cases that could have
4 been evaluated. It turns out the five we
5 thought were the best and, you know, for
6 expediency purposes we used the five figuring
7 they were representative, but at this point we
8 agree that we need to go back and demonstrate
9 that to some extent.

10 So we're going to back, rebuild the
11 model based on the cases at Mound. But as
12 importantly, I think we need to also
13 demonstrate at least documentation-wise that
14 the types of exposure, the Type L exposures are
15 fairly standard anywhere plutonium-238 is
16 handled. That to me is not clearly defined in
17 this document.

18 It's understood like plutonium-238
19 behaved differently, at least is conjectured
20 because of a high specific activity, but if it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not clear to me, although it seems to be, some
2 people think it's general knowledge that it's
3 not maybe that Type L is specific to the type
4 of microspheres that were being produced at
5 Mound.

6 I'm not sure, but we need to go back
7 and we'll add a section of this document that
8 defines the scope and specifically under which
9 exposure conditions we can expect this Type L
10 material to be present. And if it's
11 universally potentially present, no matter
12 what type of plutonium-238's there we will make
13 sure that's demonstrated and documented.

14 So we've got quite a bit of work to do to
15 shore up some of the pieces of this, so we're
16 going to put this on our program planning
17 schedule and work through the issues. But at
18 this point we do accept SC&A's critique of the
19 document itself.

20 CHAIR MUNN: How many findings do

1 we actually have?

2 DR. NETON: Well, I believe there
3 are nine findings, but in my opinion many of
4 them tend to be related to the same issues.

5 MEMBER BEACH: I thought there were
6 14.

7 DR. NETON: Right, 14. There's a
8 lot of findings, but a lot of them have to do
9 with the representativeness of the Type L model
10 and who this model is going to be applied to.
11 And those are the two major issues.

12 MEMBER BEACH: Yes, four key items
13 in the findings.

14 MR. MARSCHKE: I agree. This is
15 Steve Marschke with SC&A. I agree with Jim
16 that's basically there's a lot of findings but
17 there's a lot of duplication in some of the
18 findings. And so I think they do collapse down
19 to maybe four or five.

20 CHAIR MUNN: Is it possible that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could request SC&A to take a look at that and
2 see if we could eliminate some of the extraneous
3 findings? If we can combine them into a single
4 one it would be expedient, I think, from our
5 point of view, and I think it would be helpful
6 for NIOSH.

7 Am I speaking out of turn, Jim?

8 DR. NETON: Oh no, absolutely. I
9 mean it would be a lot easier for us. I think
10 the two issues I summarized do cover most of
11 them. I'll not say all. There may be a couple
12 other ones. But yes, that would be extremely
13 helpful to us.

14 MR. MARSCHKE: Yes, I'll work with
15 Joyce. Joyce was the primary reviewer on this,
16 and I can work with Joyce and try and get, you
17 know, combine some of these together.

18 CHAIR MUNN: Okay.

19 DR. NETON: And we'll put this on
20 the schedule and I should be able to report, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know, where we are on this once we get on the
2 schedule and move forward.

3 CHAIR MUNN: Good. Could we make
4 the request that next time SC&A will bring us
5 suggestions for combining the findings and
6 NIOSH will provide us with their anticipated
7 rough schedule for addressing them?

8 DR. NETON: Sure.

9 MR. KATZ: Yes, and this is Ted.
10 If I could just suggest, SC&A, feel free to chat
11 with Jim in doing that so that you can sort of
12 get to the endpoint before the Subcommittee
13 meeting.

14 DR. H. BEHLING: Yes, Ted. We will
15 do that.

16 MR. KATZ: Thanks a lot.

17 CHAIR MUNN: Okay, that's great.
18 What is your desire? This would be a good
19 opportunity, I think it looks like a good point
20 in the agenda to break for lunch. But I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 want to do that if you would prefer to keep going
2 for another half hour.

3 How do you folks who are on the east
4 coast feel about that? Would you prefer to go
5 to lunch now or to keep moving through the
6 agenda?

7 MEMBER ZIEMER: This is Ziemer. I
8 wouldn't mind keeping moving a little bit, but
9 I'm flexible.

10 CHAIR MUNN: All right. NIOSH, do
11 you want to stick with it?

12 MR. HINNEFELD: It doesn't
13 particularly matter to me. I can always eat
14 lunch or I can --

15 CHAIR MUNN: Okay, then let's go
16 ahead and address OTIB-34 then because I think
17 some of the PER findings may take us longer this
18 afternoon. So let's take a look at OTIB-34. I
19 believe that's SC&A's Finding 4 and Rev 1,
20 Finding 7 and 8.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: Okay, that's mine.

2 This is Hans Behling. And I just want to play
3 catch up with some of the people who may not
4 recall that Finding 4, really, was the finding
5 that was identified by SC&A in our previous
6 review of OTIB-34 Rev 00.

7 And so it was really not an integral
8 part of the more recent review of the revised
9 OTIB-34 among the findings that I identified
10 there, but I'll go over it.

11 In our original review of OTIB-34
12 Rev 0, the finding was as follows, and I'll just
13 read it. The assumed and predicted intake fits
14 versus the values in the first approximately
15 five years are much less, from about 3,800 days
16 to 7,200 days. The model fit is much higher,
17 indicating that the percentile used for
18 deriving the intake should be greater. This in
19 turn would be more claimant favorable.

20 And you'll see what this refers to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in a second. In response to that finding, the
2 original finding in our 2007 review, NIOSH
3 responded and it's unclear what is meant by this
4 comment. In the fit to the early data there are
5 eight results above the line of fit and eight
6 points equally below, which would seem to
7 indicate an adequate fit.

8 And if I can draw your attention to
9 what's on the screen, you will see at this point
10 the exact statement and how they fit into the
11 picture.

12 When you look at Figure A-28, you
13 will see the blue dots and those indicate the
14 actual integral measurements at the 50
15 percentile value for data up to around 6,000 and
16 some odd days after 1968.

17 And if you count the blue dots, you
18 will see the blue dots on the left hand side,
19 there will be eight dots above the best fit line
20 up to the point of about 3,600 days, and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looking for answers.

2 It is my understanding that IMBA
3 really is not intended to be used in this kind
4 of capacity. In the end, IMBA, as we normally
5 view IMBA, is used to take bioassay data for a
6 given one individual over a period of time, and
7 then on the various assumptions that says this
8 person was subjected to chronic intake
9 throughout this whole period, let's say it's a
10 10- or 20-year period and this is what we best
11 assume was the intake that caused -- daily
12 intake, using a chronic assumption exposure
13 intake -- this is the best results that we can
14 take in terms of saying what is the daily
15 intake, from which we then estimate our dose to
16 a particular organ in question.

17 Now how IMBA can be used to do the
18 same thing in behalf of an entire large group
19 of people that are part in this case from '68
20 to '84, is something that I'm not able to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comprehend. Because as is clear, when we have
2 a chronic intake for a radionuclide that
3 persists in a body long, for long periods of
4 time, there is successive accumulation of
5 radioactivity in that organ so that the intake
6 that occurred five years before the next
7 particular assessment will contribute and
8 continue to contribute.

9 And when you have a dynamic
10 population where people come in and out of that
11 population at will, which you don't know, I'm
12 not sure how you can use IMBA.

13 After all, IMBA is really a
14 sophisticated model that implements the
15 International Commission on Radiological
16 Protection, various models involving the
17 respiratory tract, the GI tract, tissue
18 dosimetry and all the other biokinetic and
19 bioassay models that are adopted into IMBA.

20 How that applies to a group of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 individuals is something that I'm not able to
2 understand, and how IMBA can be used to actually
3 establish a best fit for an entire worker group
4 over a period of time.

5 And so I'm throwing this question
6 out. So my question transcends, actually, the
7 issue that was identified in this particular
8 finding, and I'm hoping that somebody can
9 answer my question.

10 MS. K. BEHLING: And just for
11 clarification, OTIB-34 is the internal
12 dosimetry coworker data for X-10, just to
13 remind everyone.

14 CHAIR MUNN: Thank you, Kathy.
15 Thank you, Hans. NIOSH, respond to Hans'
16 question?

17 MR. HINNEFELD: Well, I don't know
18 that --

19 DR. NETON: This is Jim. I wasn't
20 prepared to talk about this.

1 MR. HINNEFELD: Maybe Jim can make
2 some comments.

3 DR. NETON: Is that Stu?

4 MR. HINNEFELD: Jim, yes, that was
5 me. I was talking, but I don't have anything
6 particular to say here. Maybe you can comment
7 on it?

8 DR. NETON: No, again I wasn't
9 prepared. This is a fairly general
10 overarching type discussion to your point, but
11 I think what you need to look and just think
12 about or a person needs to think about is, is
13 the 50th percentile of the distribution of the
14 monitored workers representative of what the
15 exposures were in that facility?

16 So we're modeling, that fit goes
17 through the 50th percentile of the bioassay
18 points, the 50th percentile of the bioassay on
19 a year-by-year or whatever selected period
20 basis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And if you can convince yourself
2 that that's representative of what the
3 workforce was exposed to, then I don't see,
4 there's nothing special about IMBA or a single
5 person versus the 50th percentile distribution
6 being used.

7 DR. H. BEHLING: Well, what it
8 comes down to, I believe, is the following.
9 You establish the 50th percentile value which
10 represents each of those blue dots using IMBA.
11 In other words, you probably took --

12 DR. NETON: IMBA did not establish
13 that 50th percentile dot. That is the 50th
14 percentile of the measured data.

15 DR. H. BEHLING: The measured data.

16 DR. NETON: Bioassay data. And
17 then IMBA decides what type of intake is needed
18 to make those dots exist like that. The y-axis
19 is excretion in dpm per day. That is actually
20 the urinary excretion of the worker.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: Yes, I understand
2 that. I understand that.

3 DR. NETON: IMBA doesn't come into
4 play in that at all.

5 DR. H. BEHLING: Well, to me it
6 sounds much like a very primitive fit line that
7 you would be able to establish using such as a
8 least square or something else, and would have
9 very little to do with IMBA.

10 DR. NETON: Well, no, but IMBA, you
11 have to estimate what the chronic intake was in
12 order to get those bioassay data points, right?

13 DR. H. BEHLING: I understand that.

14 DR. NETON: How much does a person
15 have to inhale every day of every work day in
16 order to see this straight line excretion
17 function? Now what happens though, in the
18 beginning of the excretion period it has to
19 start at zero necessarily because there is no
20 intake, right? So that means --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: Yes.

2 DR. NETON: -- you ramp up a little
3 bit and then go through. But on average it
4 gives you the right value.

5 DR. H. BEHLING: Well, I fully
6 understand what you're saying, but I will
7 disagree with it because when I look at the
8 curve, in other words at Time Zero or close to
9 zero whenever that first blue dot appears,
10 there was a fairly high excretion rate that
11 corresponds to an intake value that doesn't
12 match the fit line. And I'm just not --

13 DR. NETON: But then you also have
14 to think about what happens, we tend to model
15 periods of time that have a similar pattern,
16 like you see the blue dots on the screen there.
17 Those seem to be a similar excretion constant
18 pattern.

19 It will drop over different periods
20 of time, so then the next model period will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 start from zero again and move up, but it
2 doesn't account for any of the residual
3 excretion that is still occurring from that
4 previously modeled period.

5 So in essence, what happens is if a
6 person worked in both model periods, they would
7 receive the first model period intake, the
8 second model period intake, but they would also
9 continue to receive any inhalation that's
10 residual from the first period that's not even
11 included in there. It tends to overestimate
12 the doses quite a bit for intakes.

13 DR. H. BEHLING: The real question
14 is that for other radionuclides those kinds,
15 the distribution seems to have fragmented into
16 multiple time periods. In this case for
17 americium we only have two periods. One that
18 goes from '68 to '84, and the second one for the
19 shorter period, basically from '85 to '88.

20 And why wouldn't you choose to have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 perhaps a more, a higher number of time periods
2 to segregate that distribution between '68 and
3 '84, because you did that for plutonium?

4 And I don't know, it seems so
5 arbitrary to essentially establish a fit for
6 the '68 to '84 in the case of americium and have
7 more discrete periods for which an intake was
8 developed before that same period. You could
9 have easily --

10 DR. NETON: Well, if you look at the
11 data that are on the screen though, it does
12 appear that it was a fairly constant, chronic
13 excretion going on there.

14 So you can, you know, if the
15 excretion rates are fairly constant over time
16 you're going to fit that as long as you can,
17 because that's what you're trying to model is
18 a chronic scenario. You wouldn't want to break
19 it up into multiple periods because it doesn't
20 make any sense.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: This is John. I always
2 try to get things down to something that I can
3 simply understand. You've got a dpm per day
4 y-axis with a blue dot, the very first blue dot
5 for this americium which is 0.12 dpm per day.

6 DR. NETON: Right.

7 DR. MAURO: That's an excretion
8 rate for a single person or for the average
9 number?

10 DR. NETON: It's the 50th
11 percentile results for the population in that
12 --

13 DR. MAURO: Okay, so you've got a
14 population of workers where you have collected
15 excretion data, and the 50th percentile
16 geometric mean is that number, that 0.12.

17 Then you have taken, I guess, at another
18 time period which may be the same population of
19 workers or a mix of some new and some old and
20 so forth, and you have another number. And so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in effect what we're looking at is the geometric
2 mean of the excretion rate or of americium in
3 a group of workers at different time periods in
4 sequence.

5 Now to go back to Hans' question.
6 Now if you're saying to him, okay, I have some
7 data on different time periods and what people
8 are seeing, and I would agree just from a common
9 sense point of view it looks like, gee, you've
10 got all these groups of people over this time
11 period which covers a number of years,
12 apparently, they're all around, you know,
13 anywhere from about 0.09 to 0.12 dpm per day.

14 So as far as I'm concerned, what
15 this says is that each, and notwithstanding
16 whether you run IMBA or whatever you have, it's
17 almost like let's walk away from that for a
18 second. All you're really saying here is that
19 the concentration or the excretion rate of
20 americium in each cluster of people on that day

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 when that sample was collected from those
2 people, they're all the same. I mean to me the
3 difference between, well, within a factor of 2.

4 So as far as I'm concerned given the
5 uncertainty in all these things we talk about,
6 what you're really saying here is every time we
7 grabbed a group of people over this time period,
8 which covers many years, those group of people
9 always had the same excretion rate. And that
10 transcends IMBA. All that says is that's what
11 we see. We're not seeing anything's changing.

12 Now, so in my mind, I guess maybe I
13 go back to Hans' concern, and maybe I'm
14 referring to it correctly is, where does IMBA
15 come in? All you're really saying is, look,
16 hey, we've got all these people, we've got all
17 this data. A lot of data over a lot of years.
18 I don't know how many people are represented in
19 each dot but it could be a lot of people. And
20 they always have the same excretion rate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And to me a difference of between 0.09 and
2 0.15, to me as a biologist that means nothing
3 to me. That means there's no difference.
4 Maybe there are those who see that as important.
5 I don't.

6 So now I know that over that time
7 period, everybody that you were looking at had
8 this chronic excretion rate that was always
9 around, you know, 0.1, 0.12 dpm per day. And
10 then the next question is, okay, what type of
11 chronic intake rate by all these people over all
12 this time would give you that excretion rate?
13 And you're done. And that of course is where
14 IMBA would come in.

15 DR. NETON: And that's what we've
16 done. If you go back down to the graph at the
17 bottom there, the chart.

18 DR. MAURO: Yes.

19 DR. NETON: Go back. Could you go
20 down to the table?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Yes.

2 DR. NETON: There's a table, and it
3 said between 1968 and '84 a person would have
4 to have inhaled 6.673 picocuries per day?

5 MR. MARSCHKE: Disintegrations per
6 minute per day.

7 DR. NETON: Dpm per day. They have
8 to have inhaled that much per day in order to
9 get that curve.

10 DR. MAURO: Right, and not even a
11 curve.

12 DR. NETON: That's the intake per
13 period --

14 (Simultaneous speaking)

15 DR. NETON: What's the printed --

16 DR. MAURO: Yes. I have to say in
17 the simplest terms, what you're simply saying,
18 listen, all these people are more or less being
19 exposed to the same concentration, having the
20 same intake over all this time period, which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very surprising. So it's a very stable,
2 chronic situation, and you could very well
3 back-calculate out what the intake rate was.

4 Now of course --

5 DR. NETON: That black curve there
6 is the IMBA curve that was fit for those data
7 points for a chronic exposure and that's the
8 best fit for the data that said what chronic
9 exposure would give you those data points, and
10 that's what we came up with.

11 DR. MAURO: As if it was a single
12 person that had this data?

13 DR. NETON: No, the 50th percentile
14 person had the data. All these data points are
15 for the 50th percentile person.

16 MR. MARSCHKE: It's a single 50th
17 percentile. It's a single person. I mean
18 IMBA runs on a single person. So it's really
19 a single person who has the 50th percentile
20 excretions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Each point is the 50th
2 percentile of each year. So it's a 50th
3 percentile excretion value of the distribution
4 for each year.

5 DR. MAURO: Got it. But as I said
6 before --

7 DR. NETON: Then you fit a curve to
8 that and say what would you have to inhale every
9 day in order to maintain that type of excretion
10 pattern if you were the 50th percentile worker?

11 DR. MAURO: Now why wouldn't you do
12 something much simpler and simply say, listen,
13 I have all of these data points, it looks to me
14 that, you know, for any group of people, the
15 excretion rate, the highest we see for a given
16 group was 0.15, and you say to yourself, well,
17 that certainly would be an upper bound of what
18 an excretion rate would be for all these people
19 over all this time.

20 We know that most of them had less

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than that. All of them had less than that.
2 And why wouldn't you simply say, given that I
3 have a person that has been chronically exposed
4 over all this time and he continuously had a
5 constant excretion rate of 0.15 picocuries, I'm
6 sorry, dpm per day, then ask myself the question
7 and run IMBA, what would my chronic intake rate
8 be that I would have --

9 (Simultaneous speaking)

10 DR. NETON: What you're saying,
11 change all of those points to 0.15. All the
12 blue dots become 0.15?

13 DR. MAURO: Yes. I mean I'm not
14 saying you should do that.

15 DR. NETON: You're ignoring the
16 data. I mean why bother? It doesn't make any
17 sense to me to pick the highest value --

18 DR. MAURO: I just picked that to
19 say, if anybody wanted to say, listen, how bad
20 could it have been --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking)

2 DR. NETON: But the point is John we
3 have the data. These are the data. They fit
4 a fairly straight line across, which indicates
5 a chronic exposure pattern and we fit a chronic
6 exposure model, the best fit that IMBA can
7 produce, and got that result.

8 DR. MAURO: You know what it is? I
9 think it may be, the thing that's confusing me,
10 and it's just probably my own lack of knowledge,
11 is, you know, why wouldn't you simply take all
12 those data points, come up with the geometric
13 means and standard deviations, say okay, here
14 is the, for this population of workers over all
15 this time, they're all, you know, this
16 represents what the excretion rate has been,
17 chronically, as a reasonable geometric mean or
18 upper bound, say --

19 DR. NETON: You don't have to fit
20 IMBA to it to come up with an intake.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Then I would run IMBA
2 after that. See, I don't understand how these
3 numbers, like Hans brought up initially, I
4 understand Hans question. He says why would
5 you somehow need to use IMBA to represent what
6 these dots mean? I guess I'm having trouble
7 with that --

8 DR. NETON: You have to use IMBA to
9 come up with an intake.

10 DR. MAURO: Yes --

11 DR. H. BEHLING: But Jim, this is
12 what I'm constantly going to ask is, you're not
13 using IMBA the way it was intended to be.
14 You're looking at the 50th percentile value for
15 each of the time frames that represent the dots
16 and then you're trying to use an IMBA fitting
17 for those.

18 I mean to me, if I look at those blue
19 dots, you would almost, if you did a least
20 square on those blue dots you would end up with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a value somewhere around 0.12 dpm per day
2 excretion.

3 And rather than a ramping up, I
4 don't see the ramping up here. This is what
5 IMBA would suggest --

6 DR. NETON: But Hans, that's a
7 function of the assumption of using a chronic
8 exposure model. A chronic exposure model can
9 start at zero at some point by definition.

10 DR. H. BEHLING: Of course. I
11 understand how IMBA works for a single
12 individual. I do not understand how it applies
13 to a collective group of individuals.

14 DR. NETON: We're trying to
15 estimate what the unmonitored workers'
16 exposures were, not what these monitored
17 workers were exposed to, period. Right? This
18 has nothing to do with what the monitored
19 workers' exposure was. Totally irrelevant.

20 This is trying to estimate what our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 best estimate for someone who had zero
2 monitoring that could have been potentially
3 exposed, what they could have received. And
4 our approach, this has been this way for over
5 12 years, is that the 50th percentile of the
6 monitored worker distribution can be used to
7 establish a chronic exposure scenario and
8 that's what we do.

9 I mean I don't --

10 MR. STIVER: This is John Stiver.
11 If I could jump in for a second here. I mean,
12 you know, John and Hans, this is the classic
13 pooled data coworker model approach that we
14 looked at many, many different times, where
15 NIOSH will pull together a series of 50th
16 percentiles and that look to be kind of a
17 homogenous representative of a given intake
18 regime, if you will.

19 And it really, the IMBA is basically
20 being used to model, as Jim said earlier, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 50th percentile individual which will then be
2 used to assign a coworker dose. And each one
3 of these is looked at as though it's a single
4 intake with no previous contribution from an
5 earlier intake regime. And, you
6 know, we talked about this a lot and I'm pretty
7 sure that we've come to a general agreement, I
8 mean without regard to the OPOS application and
9 so forth. But this type of approach to
10 coworker modeling is okay and appropriate.

11 I may be missing something but it's
12 been my general understanding.

13 MR. BARTON: This is Bob Barton.
14 Could I make a comment here? I think from what
15 I'm hearing, and Hans, correct me if I'm wrong,
16 I think part of what his concern is is that when
17 you fit this line here, I mean you kind of
18 assume, basically, what this modeling is, this
19 is your average worker if he was chronically
20 exposed from 1968 to 1984.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now how you select that time period
2 as I understand it is sort of in the eye of the
3 beholder. You kind of take a look at it and you
4 try to group bioassay results together, but
5 there's really no guidelines as to how you
6 select that, as John just put it, intake regime.

7 I mean if took these blue dots and
8 instead of fitting a line through all of them,
9 let's say we just arbitrarily cut them in half,
10 that's going to increase what intake that IMBA
11 would model.

12 So I guess I would add is there any
13 way we could, I mean are there guidelines as to
14 how you select the actual intake regime or how
15 you group these bioassay results together?
16 Because this is modeling a chronically exposed
17 worker for this large time period, but if you
18 were to model any one of these individual data
19 points, obviously the intake is going to be
20 fundamentally higher. Hans, did I get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that correctly?

2 DR. H. BEHLING: Yes. As I said,
3 I'm just not sure why this time period is only
4 one large time period and a very small second
5 time period, when I compare that to the data
6 involved in Figures A-26 and 27, which are dose
7 for plutonium-239 where we have, I guess, four
8 time periods which are modeled here and the data
9 doesn't look all that different.

10 (Simultaneous speaking)

11 DR. NETON: I can't see them. I'm
12 sorry.

13 DR. MAURO: Could we scroll up?

14 (Off the record comments)

15 DR. H. BEHLING: I didn't ask for
16 that, but in addressing this issue, which by the
17 way as I said was not an issue that I identified,
18 I'm only responding to --

19 DR. NETON: Oh, we're passed it
20 now. Anyway the bottom line is, it is as Bob

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Barton said. There is a professional judgment
2 involved when you do any kind of an intake
3 assessment like this, and it has to do with the
4 patterns.

5 I mean you can see on A-24, there's
6 apparently a clear reduction here in the amount
7 of material being excreted as compared to the
8 first time period.

9 DR. H. BEHLING: Yes.

10 DR. NETON: And that's what's done.
11 I mean I don't know how you could do it any
12 better than say, you know, it's, I don't know.
13 I don't know if you can create quantitative
14 criteria on exactly how many years' worth of
15 data are fit.

16 In general you will see that there
17 are trends in the data that seem to follow
18 along. You'll see like a certain period where
19 it looks like it's fairly uniform and then it'll
20 drop. Maybe the project, some project was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 terminated and the chronic exposures went way
2 down. Well, then you would model that chronic
3 exposure as a separate time period.

4 MR. STIVER: Okay, Hans, could I
5 just jump in? This is John Stiver again.
6 You'd mentioned earlier that like if this was
7 a radionuclide you'd have different exposures.
8 And, I guess, correct me if I'm wrong, I'm
9 trying to interpret what you're getting at.

10 You're saying that there's a lack of
11 consistency if you were indeed getting both of
12 those nuclides in a chronic exposure over a
13 given period of time then you should be using
14 the same intake regime as opposed to having
15 different regimes.

16 But, you know, if you had different
17 types of campaigns where different materials
18 were being used, then I think you'd have to set
19 your intake regimes that would correspond to
20 the exposure scenario under question of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particular nuclide.

2 I kind of, is that what you were
3 really getting at or am I off base on that?

4 DR. H. BEHLING: I'm not looking to
5 make a change here in the way we assess a worker,
6 coworker model. I realize there are
7 limitations.

8 And I guess the coworker model as
9 it's being used I would consider much more
10 credible if that coworker model consisted of a
11 fixed group of people that were there in exact
12 numbers and same people not coming in and out
13 of the workforce, so that it would, in essence,
14 simulate an individual who was there and whose
15 data we were assessing on an individual basis
16 as opposed to a group of individuals.

17 I guess the weakness of a coworker
18 model is that that similarity between multiple
19 bioassays for a given individual as opposed to
20 many bioassays for a group is kind of lost when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you realize there's a certain amount of dynamic
2 movement in terms of the size of the work force,
3 people coming in and out, retiring, entering
4 the work force, where these data are not
5 necessarily what IMBA intended to do here.

6 And I'll accept the fact that this
7 is not going to change. It's the best we can
8 do. But there are some issues here that I guess
9 are subject to criticism when you use IMBA.

10 DR. NETON: I understand all the
11 points you're making, Hans. But I like to
12 think about it this way, is that we're not,
13 we're trying to say what was the potential
14 exposure for a person who wasn't monitored.

15 DR. H. BEHLING: Yes.

16 DR. NETON: And so I think we might
17 agree, I would hope at some point, that for an
18 unmonitored worker could have received about
19 the 50th percentile of what the workers were
20 experiencing on an every-year basis, right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I mean it's not that worker, but if
2 he were in the work force he couldn't have
3 probably been exposed to more than what the 50th
4 percentile worker was exposed to every year.

5 So it doesn't matter whether it's
6 the same worker or not, if I am an unmonitored
7 worker I could have been exposed to about the
8 50th percentile. And then we fit that curve.
9 So it's not so much about what individual worker
10 exposure was, but if I was not monitored and I
11 worked in the plant, I don't believe that the
12 worker would have received more, have had
13 excreted more than what the 50th percentile
14 worker excreted and been unmonitored. That's
15 all we're trying to say here.

16 I think it's a little different way
17 of looking at than saying we're trying to
18 exactly reconstruct the dose to every single
19 worker during that time period. We're not.
20 We're trying to say what was the potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposure experience of an unmonitored worker?

2 And I would submit that it's
3 unlikely that an unmonitored worker would have
4 received more than a 50th percentile excretion
5 value every single year of that time period.
6 And then that begs the question what that
7 included intake could have been and that's
8 where IMBA comes in. So I don't know.

9 DR. H. BEHLING: No, I'm going to
10 cut this short. I wasn't looking to change the
11 way we do business and I accept that the numbers
12 that correspond to that table. I'm talking
13 about Table A-12, which as you already
14 mentioned would suggest that the average daily
15 intake rate for americium-241 is 6.673 dpm per
16 day, and I think that's not an unreasonable
17 approach.

18 I'm just trying to satisfy the
19 initial concerns that were raised back in 2007,
20 and I did have some questions about the use of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 IMBA, but I think this is as good as we can do.

2 DR. NETON: I appreciate that.
3 Thanks.

4 MR. BARTON: This is Bob again. I
5 think, fundamentally, and we could all agree
6 that the finer you parse the intake assessments
7 the higher the actual calculated intakes would
8 be. As I said, Figure A-28 is essentially
9 modeling as if you were chronically exposed
10 from '68 all the way to '84.

11 Now let's say if you worked for a
12 shorter period of time and still had that
13 average excretion rate, you know, whatever the
14 time period it is, the actual intake would be
15 higher.

16 And I don't know if there's a way
17 around, I don't know, sort of adjusting the
18 coworker model for workers who were there on a
19 shorter duration but might have had that
20 average excretion rate which would actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 represent a higher intake. Because the more
2 bioassay results you group together the lower
3 the actual calculated intake will be, if I'm
4 correct.

5 DR. NETON: Well, the more you
6 slice the salami the more you start talking
7 about incident modeling, Bob.

8 MR. BARTON: Right.

9 DR. NETON: And you've agreed that,
10 well, we have adopted a chronic exposure model
11 here and we're not doing incident modeling at
12 all. I mean ideally if you do it on a
13 day-by-day basis it's essentially acute
14 exposures.

15 MR. BARTON: Well, we're not
16 talking day-by-day. We're talking about a
17 16-year period here. I don't know. And
18 again, professional judgment has to come into
19 it because you have to try to select a period
20 to model.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I just, I don't know if it would be
2 possible to sort of develop criteria for how you
3 select it or if we're kind of stuck where you
4 just have to leave it to, I guess, visual
5 inspection. You use bioassay results and
6 let's see what they're about to say, and so
7 we're going to group them all together.

8 It's just very, you know, as I said, I mean
9 if you broke this time period from '68 to '84
10 in half, your calculated intake's going to be
11 much higher for both intake regimes. I mean
12 we're not talking about days here, we're
13 talking about many years.

14 DR. NETON: Again the whole premise
15 of the chronic exposure, if I look at those blue
16 points, to me it looks like people are excreting
17 about the same amount during that entire time
18 period indicating a chronic exposure model
19 would be appropriate.

20 DR. MAURO: Jim, I'm not disputing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any of that. The only thing that I've got is
2 this little knot in my head that said what does
3 that black line mean? In other words you have
4 all these blue dots --

5 DR. NETON: That is the IMBA fit to
6 that data point.

7 DR. MAURO: Yes, I understand.
8 And to me, as Hans pointed out, they don't have
9 anything to do with each other. In other words
10 the IMBA, in other words to me --

11 DR. NETON: Because the chronic
12 model starts out at zero, right? You can't be
13 chronically, you start excreting, you know, on
14 Day Zero, Time Zero, you have zero excretion and
15 then you start breathing six picocuries per day
16 and that's what that shows.

17 As you keep breathing six
18 picocuries per day it goes up. What it doesn't
19 show is previous monitoring periods where it
20 was also modeled and there's some residual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 carried over into that period that's not shown
2 here.

3 DR. MAURO: You know, I would agree
4 with that if every dot was the same person. In
5 other words, every one of those dots was the
6 excretion rate we measured on a given person on
7 that day and then the next, and then you have,
8 and that's the data that came out.

9 DR. NETON: But John, we're not
10 modeling people. We're modeling a
11 distribution because we're trying to figure out
12 what the potential missed intakes would have
13 been from an unmonitored worker.

14 And all I'm saying is if they
15 excrete the 50th percentile of all the
16 monitored workers, I think that's a fair
17 bounding representation of their intake during
18 that period of time. They weren't monitored,
19 remember? We're not talking about
20 reconstructing monitored workers' doses. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has nothing to do with that.

2 MR. STIVER: You know, this is John
3 again. I think we may be sort of straying far
4 afield from, you know, the --

5 DR. NETON: I agree.

6 MR. STIVER: -- maybe the Procedure
7 Subcommittee and getting more of the
8 overarching issues about coworker modeling in
9 general. And maybe we ought to try and get back
10 down to the --

11 DR. MAURO: Yes, let me back out of
12 this, because I'm just looking at the graph and
13 I'm trying to make it make sense to me why an
14 IMBA black line is on there.

15 DR. NETON: John --

16 (Simultaneous speaking)

17 DR. NETON: -- for 12 years.

18 DR. MAURO: Clearly you're
19 comfortable with that --

20 DR. NETON: I guess you just woke up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and saw them or something.

2 CHAIR MUNN: So is the upshot of
3 that discussion that we can or cannot accept the
4 response that NIOSH has given to the finding?

5 DR. H. BEHLING: If I can weigh in
6 on this issue, I would say I will accept NIOSH's
7 explanation that there are eight points above
8 the line, eight points below the line, and on
9 average the numbers will somehow or other do
10 justice to the unmonitored person by assigning
11 him the intake values that are identified in
12 Table A-12.

13 I mean it's not a perfect approach
14 to doing this, but in the absence of data that's
15 as good as we're going to get.

16 CHAIR MUNN: Thank you, Hans.
17 That's appreciated. Can we then identify
18 Finding 4 of Rev 1 as having been discussed and
19 agreed in Committee, and the Committee
20 recommends closure. Is that appropriate?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: I think so.

2 CHAIR MUNN: All right.

3 Paul?

4 MEMBER ZIEMER: Yes, I'm agreed.

5 CHAIR MUNN: Josie?

6 MEMBER BEACH: I agree also.

7 CHAIR MUNN: Steve, can you input
8 that finding for us?

9 MR. MARSCHKE: A lot more names in
10 here than we had before.

11 CHAIR MUNN: We're getting very
12 popular with this system.

13 DR. MAURO: Hey, Steve, my wife
14 used to be a typist and she could do 120 words
15 a minute, all right?

16 MR. MARSCHKE: I do 12.

17 MR. KATZ: That's good, John.

18 CHAIR MUNN: That was when we
19 called it typing, now it's keyboarding.
20 That's different, John.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. OSTROW: This is Steve. I can
2 do about 50 words a minute but not all of them
3 are correct.

4 CHAIR MUNN: Thank you very much.

5 MR. MARSCHKE: Okay, that's what I
6 put in.

7 CHAIR MUNN: It's fine with me.
8 Any problem with that from anyone? If not,
9 that's the way it will be and we will close this
10 finding for Rev 0. And we will go on to Rev 1,
11 Findings 7 and 8. I believe that's a NIOSH
12 comment?

13 MS. MARION-MOSS: Hi Wanda, this is
14 Lori. For Finding Number 7, I think since the
15 last time the Committee addressed this
16 particular finding, I believe we have revised
17 Document OTIB-34. We are currently on OTIB-34
18 Rev 3.

19 So to bring the Committee up to
20 speed on how we've addressed this, I'm going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ask Joe Guido to get the Committee an update on
2 the proper selection of the 95th percentile.

3 Are you on, Joe?

4 MS. THOMAS: Lori, this is Elyse.
5 Joe was on and he had another call, so let me
6 try to get him back on to address that. It
7 might take a few minutes. He just got off for
8 a 1 o'clock call, but I'll see if I can get him
9 on.

10 MR. HINNEFELD: Well, now this is
11 Stu. I mean our response, our latest response
12 just says that we've added wording to the Rev
13 2, which I think is still there in Rev 3 of this
14 document that describes when to use the 95th
15 percentile. I don't know if anybody's looked
16 at that or not.

17 I mean then I look, let's see, we're
18 on Finding 7, right?

19 CHAIR MUNN: Finding 7, correct, of
20 Rev 1.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Okay, expand that.

2 Yes, our latest entry is additional guidance on
3 assigning that 95th percentile has been added
4 to Section 5 of the OTIB. And then what that
5 OTIB said, I had it open. What Section
6 5 says is in most cases, doses for individuals
7 who are potentially exposed routinely should be
8 calculated from the 50th percentile intake
9 rates by assuming the solubility type that
10 results in the largest Probability of
11 Causation.

12 GSD values have been not less than
13 three, et cetera, et cetera. For cases in
14 which there's justification that the
15 individual might have had larger intakes than
16 the 50th percentile intake, the dose
17 reconstructors should use the 95th percentile
18 intake rate input into IREP as a constant.

19 So there is, you know, there's
20 instruction there, and I don't think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's a lot of hope of identifying the
2 specific cases when, and a priori or in advance
3 what specific conditions would put people in
4 that situation.

5 I think you'd have to evaluate the
6 claim individually to determine that hey, this
7 person looks like maybe they shouldn't be in the
8 50th percentile, but rather should be in the
9 95th.

10 DR. H. BEHLING: Well, that was my
11 concern from the very beginning. It's nice to
12 have the option, but in the absence of defining
13 the specific incidents when the 95th percentile
14 applies, it is too arbitrary on the part of the
15 dose reconstructor to make that decision.

16 And one of the things I've always
17 been concerned about is the option or the
18 nonprescriptive approach when you do dose
19 reconstruction, which for one instance, for one
20 dose reconstructor means I think this person

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 qualifies for the 95th, and the other instance
2 for dose reconstructors is no, he's 50th
3 percentile.

4 And consistency is a big issue that
5 I always want to look at when I assess a dose
6 reconstruction and I sort of say is it the luck
7 of the draw that defines whether or not the
8 person goes over the 50th percentile. And I
9 would like to see a very, very prescriptive
10 approach to avoid that issue out of fairness.

11 MR. HINNEFELD: Well, that's a
12 valid point. The consistency question is a
13 valid point. I still though, I think that
14 there would almost have to be a finding, a
15 programmatic finding that there's a category of
16 worker at -- and this is what, X-10 -- that was
17 heavily exposed but not monitored and in that
18 case they should be put into this.

19 So I don't know that we've made a
20 finding like that at X-10, but it's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 possibility that we could.

2 DR. H. BEHLING: Let me offer, for
3 instance, an example so as to at least give some
4 limited guidance. I would say the 95th
5 percentile would be very appropriate for a
6 worker who is an operator, in-plant operator
7 that is considered to be a high risk, but for
8 some reason or another his dose records, we know
9 his employment period coincides with potential
10 high exposures involving other people who were
11 operators, but for some reason his dosimetry
12 records are missing that we can't account for.

13 I would say that would be a perfect
14 example to say we must give him the benefit of
15 the doubt based on the time period of exposure,
16 his job description as a high risk individual
17 but there are no data.

18 I think this would be a perfect
19 example for saying this is when you should
20 consider 95th percentile in lieu of the 50th

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percentile that might be very, very fair for the
2 other average people for whom we have no data.

3 MR. HINNEFELD: Yes, that's a good
4 point.

5 DR. MAURO: This is John. Let me
6 add a little richness to this too, which is a
7 little contrary to what Hans is saying, with all
8 due respect.

9 I've reviewed mainly AWEs. I don't
10 know how many now, and I can't think of a time
11 when the judgment was made, there was
12 discretion to be used by the dose reconstructor
13 in what airborne concentration should be
14 assumed.

15 And in my opinion it was always an
16 overestimate. In other words, so my
17 experience with AWEs is that when push came to
18 shove and the dose reconstructor had to make a
19 judgment, he always erred on the side of the
20 claimant. That's AWE world.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I mean, I'd like to speak, you
2 know, in a positive sense here. Those
3 judgments have been made in a prudent way, if
4 not a very claimant favorable way, for the dose
5 reconstructions that I've been involved in,
6 mainly AWEs.

7 DR. H. BEHLING: Well, John, this
8 is not an AWE. This is for the X-10 worker.

9 DR. MAURO: There you go, I mouthed
10 it off prematurely. Okay.

11 (Simultaneous speaking.)

12 DR. MAURO: Okay, okay.

13 MR. BARTON: Well, actually, John,
14 I mean I can give some sort of, an example of
15 precedence is Simonds Saw and Steel in which the
16 decision hasn't come out but I essentially
17 agreed in principle that the 95th percentile is
18 going to be applied to the plant workers, or
19 essentially who would be assigned as a
20 radiological worker.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the 50th percentile of the GSD
2 would be assigned to sort of like the ancillary
3 workers, you know, that may have been in the
4 plant briefly but weren't actually working
5 inside Simonds.

6 So I think that's what Hans is
7 saying is you want to take out the judgment
8 call. Essentially, you can't be prescriptive
9 for every situation, but that's only an example
10 of where we say, okay, the 95th percentile is
11 appropriate if you were an unmonitored plant
12 worker at Simonds. And that's --

13 DR. NETON: I've got this task at
14 hand right now to do the implementation guide
15 for coworker models, and that's one of the
16 issues that I'm wrestling with in that draft
17 document right now.

18 And we talked a little bit about it
19 at the Idaho SEC Work Group meeting, SEC Issues
20 Work Group meeting. And, you know, I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's the appropriate place to put this, the
2 guidance, and I think it'll be fleshed out over
3 time as that document becomes closer to
4 completion.

5 We've had lists before, and I know
6 there are some documents that do mention some
7 Classes of workers as being appropriate to have
8 the 95th percentile. I've forgotten which
9 ones.

10 MR. MARSCHKE: Jim, this is Steve
11 Marschke. I think if you look at OTIB-20, when
12 we were reviewing the construction trade
13 workers we had a sentence that was added to
14 OTIB-20 which basically identified
15 construction trade workers, in particular
16 pipefitters, that basically should be applied
17 to these.

18 DR. NETON: So this has resurfaced
19 periodically and --

20 MR. MARSCHKE: It has. And if I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recall from some of those discussions, and to
2 paraphrase NIOSH, what I remember as being
3 NIOSH's position was that these assignments of
4 percentiles are not the sole, or, you know, the
5 dose reconstructor is not the endpoint on these
6 assignments. They get reviewed multiple
7 times.

8 DR. NETON: They do. But I do
9 agree with Hans' issue on consistency. My
10 problem is when you start naming a couple
11 categories of workers, which we have in the
12 past, then people say, well, what about this
13 Class and this Class? Because there's a lot of
14 workers out there that probably were more
15 heavily exposed than you would think just based
16 on their job classification.

17 So I'm reluctant to have a
18 definitive list. I do agree that someone like
19 a chemical operator who clearly should have
20 been monitored and his dose records were lost,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's a no-brainer. But beyond that I'm not
2 quite sure.

3 Anyway I think the place to address
4 this and maybe to carry this on is in this
5 implementation guide that I'm putting
6 together, and it's certainly one of the issues
7 that have to be addressed.

8 MR. HINNEFELD: Okay, so if we
9 enter another response that says that this is
10 being addressed in an implementation guide for
11 use in coworker models and we expect some
12 additional guidance to come out of that, would
13 that kind of put this to be in abeyance or
14 something for now?

15 MR. MARSCHKE: Or would it be
16 transferred?

17 CHAIR MUNN: Well, I don't believe
18 it would be transferred. I believe it would be
19 in abeyance, if that is in fact agreeable to the
20 rest of the parties here. Would the addition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of this information in an implementation guide
2 be acceptable to SC&A?

3 DR. H. BEHLING: I would be
4 agreeable if the current wording hasn't been
5 changed the way Stu read them would be just
6 slightly amended with a single example.

7 As I said, I'm not looking for to
8 broaden the scope by which 95th percentile is
9 assigned. For an unmonitored worker, I think
10 it's reasonable to conclude what NIOSH has
11 always stated that if you weren't monitored you
12 were probably not among the high end exposed
13 individuals. I agree with that.

14 What I do want to say, when it is
15 used at the discretion of the dose
16 reconstructor that it's used properly not
17 whimsically. And it should be probably highly
18 restricted when the 95th percentile is used and
19 correspond to unusual circumstances like the
20 one I said.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 An operator who's been there for
2 many years whose coworkers are among the people
3 who were maximally exposed but for some reason
4 that workers' dose records have been lost, I
5 think that's a no-brainer, and I think the kind
6 of limited situation that the 95th percentile
7 should be used.

8 CHAIR MUNN: So if we, of course
9 when the change has occurred it comes back to
10 us to agree that it meets the criteria
11 anticipated.

12 So is it amenable for all concerned
13 for us to indicate this particular Finding 7 for
14 Rev 1 is in abeyance awaiting a NIOSH
15 implementation guide which addresses the
16 concern?

17 DR. H. BEHLING: Yes.

18 CHAIR MUNN: Very good. Steve,
19 can you make the change for us? Just after
20 discussion? Will be addressed in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 implementation guide. Excellent. Is that
2 wording acceptable to all involved?

3 DR. H. BEHLING: Yes.

4 CHAIR MUNN: Very good. Thank
5 you, Steve. Appreciate it.

6 MEMBER ZIEMER: There's a spelling
7 error, did you catch that? The last phrase?
8 Yes, there you go. Okay, you've got it.

9 CHAIR MUNN: Now let's move on to
10 Rev 1 Finding 8.

11 MR. HINNEFELD: Well, this is Stu
12 Hinnefeld and I'll start here once again. And
13 this had to do with is there additional evidence
14 that this particular data column is a daily
15 24-hour excretion?

16 And there is response here, refers
17 to a document in SRDB which is the data
18 dictionary for the database and a description
19 of it, and that is attached. That SRDB
20 document is attached and it's Pages 12 and 13.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So if you scroll down to the, see,
2 there's the data field disintegrations per
3 minute for 24 hours, and then I think the
4 description's on the next page. No, this is
5 the one. This is actually the one I was
6 thinking of.

7 The next to the last entry there,
8 position 70 to 78 as a numeric value for the
9 disintegrations per minute for 24 hours to one
10 decimal place." And so this was, I think, the
11 database that the data was drawn from and this
12 is the data dictionary for that database.

13 And so we felt like this is
14 sufficient evidence that it's a
15 disintegrations per minute for 24 hours'
16 excretion and that the data are presented, you
17 know, essentially to one decimal place. And so
18 you essentially have to insert that in the way
19 you look at the data.

20 Now if Joe's on, he can probably say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I did it wrong. But I don't know if he's on the
2 phone yet or not.

3 CHAIR MUNN: Do we have Joe yet?

4 Apparently not.

5 MS. THOMAS: This is Elyse. Yes, I
6 think he's on that other call, but Matt Arno may
7 be on. Matt, are you on?

8 MR. ARNO: Yes, I'm on.

9 MS. THOMAS: Okay, yes. Can you
10 explain?

11 MR. ARNO: Yes, that was an
12 accurate explanation of what the data
13 dictionary means and how we interpreted it.

14 MR. HINNEFELD: So anyway, so
15 that's we presented and that's what we put in
16 the database as our response, so we feel like
17 there is adequate information to give us
18 confidence that that data is dpm per day.

19 CHAIR MUNN: Is that acceptable for
20 SC&A?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: I guess what
2 prompted this, and I'm not sure I can reconcile
3 what I just saw on the screen here. But what
4 prompted me to raise the question were data that
5 I collected. Just sampling data that go back
6 to 1951, where in the first column was the dpm
7 per sample which turns out to be identical to
8 the dpm per 24-hour period. And this is for
9 1951.

10 And I mean that raised the question,
11 does a dpm per sample necessarily equate,
12 unless one were to sample in the case of
13 reference man at 1,400 mL urine sample in saying
14 this is what we saw in that sample. If it was
15 a fraction of the 24-hour urine excretion value
16 then I would have to say that does not apply.

17 And so I'm not really sure how that
18 applies to other years, I only took a sample.
19 So when I raised that question and I raised the
20 question as a conditional question, I was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basically looking at 1951 data that only
2 identifies, and I assume the primary source of
3 that data really represents the dpm per sample
4 which then is presumably transferred to mean
5 the dpm per 24 hours.

6 And this is what my question was,
7 can you be reasonably sure that a sample, that
8 the activity per sample corresponds to a
9 24-hour sampling of volume?

10 MR. HINNEFELD: Matt, do you have
11 anything more to add?

12 MR. ARNO: That was the general
13 practice at Oak Ridge National Lab was to
14 collect a 24-hour urine sample. Per sample is
15 per 24 hours regardless of volume that is the
16 person's actual excretion over 24 hours.

17 MR. BARTON: This is Bob Barton.
18 Just an observation. That reference that we
19 were just looking at, it appeared as if there
20 were two columns. One was the dpm per sample,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and then the next column over, after I get some
2 filler space, was the dpm per day.

3 So it seemed like they were adding
4 them both, both the per sample activity and then
5 they were converting it over to dpm per day. So
6 it looked like both values were there.

7 MR. ARNO: I mean pretty much the
8 only exception to the 24-hour samples on these
9 was tritium, so if you're looking at tritium
10 data you'll see the difference, but for
11 everything else pretty much per sampling per
12 day are equal.

13 CHAIR MUNN: So is the feeling that
14 the response is adequate? May we close this
15 item?

16 DR. H. BEHLING: Well, I guess on
17 the assumption that the care was taken, I can't
18 imagine that all these urine samples were 24
19 hours. Normally, you know, if a person shows
20 up to work and you take a sample, if it's only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a partial 24-hour volume just adjust it or
2 standardize.

3 So if a person at the end of a shift
4 submits a urine sample for analysis and it turns
5 out to be, let's say, 300 mL, you would then
6 simply take the activity and then standardize
7 it to 1,400 mL and say okay, that would be what
8 you would see in a 24-hour sample. I just don't
9 know if that was done. At this point I can only
10 assume that that care was taken.

11 MR. BARTON: Couldn't they
12 possibly have been overnight samples? I mean
13 sometimes they, I could say, you know, give you
14 a kit to take home.

15 DR. H. BEHLING: Yes. I mean
16 that's a cumbersome approach to doing
17 urinalysis is to ask people to walk around 24
18 hours for a given day to collect this urine.
19 I've done it myself. It's not very nice if
20 you're obviously doing anything other than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 staying at home.

2 So I always question when we talk
3 about an activity for sample as representing a
4 24-hour void. To me it's suspicious, because
5 as I said it's not a very easy thing to do.

6 And I know that from experience in
7 other areas we had serious problems, because I
8 remember in some instances where people were
9 trying to tell us that a 400 mL sample
10 represented a 24-hour urine void sample, it's
11 obviously not likely we were getting the truth.

12 MR. HINNEFELD: I could be
13 facetious here and say that in Oak Ridge in 1951
14 there was nothing to do except go home.

15 CHAIR MUNN: That's probably not
16 too facetious. It's very close to reality.

17 DR. H. BEHLING: Well, given the
18 uncertainty, I guess we will just have to give
19 the benefit of the doubt to the people who were
20 doing this that a 24-hour urine sample is also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 representative by dpm per sample, and just
2 assume that they obviously took all those
3 variables into consideration.

4 CHAIR MUNN: All right, does anyone
5 else have any further comment? If not, Steve,
6 may we close this item? If so, Steve, will you
7 please indicate that the issue was discussed
8 and it was agreed to close the item at this
9 meeting.

10 Is that okay with the other Board
11 Members? Paul?

12 MEMBER ZIEMER: Yes, that's okay
13 for me.

14 MEMBER BEACH: Yes, that's okay
15 with me.

16 CHAIR MUNN: All right. Steve,
17 can you accommodate us?

18 Thank you very much, Steve. If
19 there's no further comment we will thank you
20 very much for clearing up OTIB-34. We're going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to take our lunch break now, and due of the fact
2 that we're running a little behind time, is
3 there any objection to resuming on the hour?

4 Do you need longer than 35 minutes
5 for your lunch?

6 MEMBER ZIEMER: Well, I don't.

7 MEMBER BEACH: I don't either.

8 CHAIR MUNN: All right, then let's
9 resume at the next hour, whatever that is
10 wherever you are, and we will see you back at
11 that time. Thanks so much and have a nice
12 lunch.

13 (Whereupon, the above-entitled
14 matter went off the record at 1:26 p.m. and
15 resumed at 2:03 p.m.)

16 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

17 (2:03 p.m.)

18 CHAIR MUNN: Our next item is our
19 2:30 agenda item. Status on PER-0038 case
20 audits. SC&A?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: This is Kathy
2 Behling. That is work in progress.
3 Unfortunately I did not get that completed.
4 It's been a busy month here. Sorry about that.

5 CHAIR MUNN: That's all right.
6 That will be a carryover for next time.

7 MS. K. BEHLING: That was the
8 Hooker Site Profile revisions and there were
9 three cases that were selected for review. As
10 I said, work is in progress.

11 CHAIR MUNN: Very good. We'll
12 carry it over until next time, and we'll move
13 on to OTIB-54, the reactor modeling report.
14 NIOSH?

15 MR. HINNEFELD: Jim, that's you,
16 isn't it?

17 DR. NETON: What's that, Stu?

18 MR. HINNEFELD: The reactor. The
19 report.

20 DR. NETON: I thought ORAU was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to handle that with, who is it, Morris or

2 --

3 MS. THOMAS: Bob Burns is on. This
4 is Elyse.

5 MR. BURNS: All right. Well, for
6 the reactor modeling report, internally that's
7 our Report 67. That has been approved by DCAS
8 and I believe issued as an official project
9 document. So I'm not sure as to the status of
10 it. I don't know if I have much more to add
11 beyond that.

12 DR. OSTROW: Hi Bob. This is Steve
13 Ostrow. I haven't seen the report so it's out
14 of ORAU whenever, but I don't think it's hit the
15 street yet.

16 MR. BURNS: Okay.

17 MS. MARION-MOSS: Steve, this is
18 Lori. The report has just been approved by
19 NIOSH and it's in the process of being published
20 to the public.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. OSTROW: Okay, great, so we
2 should see it soon. Okay, the way I understand
3 it, the reactor modeling report covers the
4 Findings 1 through 4, so that'll be good to see.
5 I think NIOSH also had action items from the
6 last meeting and was supposed to respond to
7 Findings 5, 9 and 10 also.

8 CHAIR MUNN: Yes, that's correct.
9 That's our next item. So do I understand
10 correctly that next time we will have the
11 reactor modeling report which as pointed out
12 covers Findings 1 through 4?

13 MS. MARION-MOSS: Correct.

14 CHAIR MUNN: Very good. It's on
15 the schedule for carry-on next time.

16 DR. OSTROW: Maybe I can say
17 something, Wanda, also. After the last
18 meeting we had, I think which was April 16th,
19 we had a technical call, SC&A, ORAU and NIOSH,
20 on May 13th on Findings 5 and 10.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And just a brief summary, that SC&A
2 recommended that we close Finding 10. And
3 Finding 10 had one part in it where it referred
4 to Finding 5 about release fractions, but we
5 wanted -- NIOSH and ORAU agreed that release
6 fractions will be handled in Finding 5 and
7 whatever's left for Finding 10 we agreed to
8 close it.

9 CHAIR MUNN: That's good
10 information, we appreciate it. I see nothing
11 is on my screen being shared.

12 DR. OSTROW: Finding 5 is still
13 open. That's release fraction. And Finding 9
14 is still open. It has to do with the workbook
15 tool.

16 Scott Siebert had updated the BRS on
17 August 22nd with a comment on that, a long
18 comment, and I don't want to speak for NIOSH and
19 ORAU. But it seemed to me that the basic thrust
20 was that the workbook tool works now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We didn't check it out yet, but we
2 had a few comments on it last time. So we would
3 like to take a little time and just check it out
4 to see if it does work correctly now.

5 CHAIR MUNN: So SC&A is going to
6 respond to Item 9 next time?

7 DR. OSTROW: Yes.

8 CHAIR MUNN: Is that correct?

9 DR. OSTROW: Yes, we'll go ahead
10 and do it. I think Ron Buchanan had looked at
11 the workbook tool last time and we had a few
12 comments that the workbook tool wasn't totally
13 updated from the last revision of the OTIB.

14 And Scott Siebert seemed to
15 indicate that it has been updated correctly,
16 but we'd like to take a look at it and report
17 back to you guys just to make sure that it works
18 now correctly.

19 CHAIR MUNN: All right. So my list
20 tells me now that we're going to see the reactor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 modeling report next time, and next time we're
2 going to see Finding 5 and SC&A will report on
3 the remainder of Finding 9. And right now we
4 are going --

5 DR. OSTROW: We'd like to close 10.
6 We recommend that we close 10.

7 CHAIR MUNN: We will do that right
8 now. We just need to find it. And we're on our
9 way.

10 DR. OSTROW: Here, Finding 10
11 referred to a large generic one. I think John
12 Mauro had brought that up originally that the
13 question that we sort of believe that the OTIB
14 is conservative, worker-friendly and all that,
15 claimant-friendly, but we weren't sure on
16 whether it reflected reality or whether it was
17 too claimant-friendly or whatever.

18 But after some discussions with
19 NIOSH there's nothing very specific to point to
20 so we'd like to drop Item 10, Finding 10.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: All right. So we're
2 correct, that Item, actually 36 --

3 (Simultaneous speaking)

4 CHAIR MUNN: Correct?

5 DR. OSTROW: Right.

6 CHAIR MUNN: And it's been
7 discussed by the contractor and the agency as
8 well as the Subcommittee.

9 DR. OSTROW: Yes, and that was on
10 April 13th we had that technical call. May
11 13th, excuse me. May 13th.

12 CHAIR MUNN: All right. Okay,
13 then I see the way we have this broken out,
14 Steve, we have multiple sections. I don't know
15 if we have one Finding 10. We have their
16 response there which is --

17 DR. OSTROW: Yes. The one from May
18 14th which is right in the middle of the screen.
19 Don't move it, Steve Marschke. Keep it.

20 CHAIR MUNN: Yes, it's right there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Yes, it's there. And it's recommended the
2 issue be closed. And Paul, Josie? Any
3 negative response to that suggestion?

4 MEMBER BEACH: No, I don't have any
5 negative responses, Wanda.

6 CHAIR MUNN: All right. Paul?

7 MEMBER ZIEMER: And I agree it
8 should be closed.

9 CHAIR MUNN: All right, very good.
10 Let's just indicate on this date that the
11 Subcommittee agrees the item is closed.

12 Yes, I think that's all we need.

13 DR. OSTROW: Looks good to me.

14 CHAIR MUNN: Very good. That tops
15 off our list, and I believe that the only thing
16 we have of OTIB-54.

17 DR. OSTROW: That's correct.

18 CHAIR MUNN: Very good. Let's
19 move on then to Kathy's PER reviews. Kathy,
20 you want to start us off with PER-0042?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Actually, Hans is
2 going to do the PER reviews.

3 CHAIR MUNN: All right, very good.

4 DR. H. BEHLING: In fact I'm stuck
5 with three PERs, so you can love me or hang up.

6 CHAIR MUNN: Hans, we love you. We
7 wouldn't hang up for all the tea in China.
8 Please carry on.

9 DR. H. BEHLING: Well, we'll talk
10 about that after the last PER is discussed.

11 CHAIR MUNN: All right, thanks.

12 DR. H. BEHLING: Okay, we'll start
13 out with PER-0042 which is the Linde Ceramics
14 Plant TBD revision. And just as a brief
15 update, the Linde Ceramic Plant was actively
16 producing uranium oxide as a coloring agent
17 before it was contracted to the AEC to produce
18 or refine uranium materials and both from
19 domestic ores and foreign ores.

20 And one of the things that sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 complicated the whole description of what took
2 place was the fact that there were numerous
3 periods, and also in addition to the fact that
4 it was an AWE, there was also a component of
5 Linde Ceramics that was considered a DOE
6 facility known as Tonawanda Laboratory.

7 Anyway let me just briefly discuss some
8 of the issues of relevance here. The PER-0042,
9 in essence, considered changes that were made
10 between the current revision, which is Revision
11 3, and all previous revisions to the TBD. And
12 in total there were a total of five revisions
13 following the initial.

14 And as part of the original Rev 0 of
15 the TBD which SC&A reviewed, we were also party
16 to all the changes. And one of the things that
17 has to be kept in mind here is that this PER
18 somehow differs from previous PERs.

19 The successive revisions into Rev 1
20 were changes that either increase, decrease or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 both increase and decrease estimates of dose.
2 But the most important changes that occurred
3 were the decrease in potential internal
4 exposures due to insufficient monitoring data
5 that ultimately led to three SEC Classes that
6 span from the period of October 1, 1942 through
7 December 31st, 1969. So you have a very
8 lengthy residual time period during which was
9 covered the SEC petitions of Classes. The
10 changes that occurred throughout this time
11 period to the TBD involved revisions and
12 changes that were extensively discussed and
13 resolved in the total of 20 different
14 conference and teleconference meetings that
15 occurred over a five-year period.

16 And these meetings were conducted
17 by the Board's Linde Ceramics Work Group but was
18 heavily patronized by the people from NIOSH and
19 our contractor SC&A personnel.

20 And I want to just emphasize a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 couple statements here. During the last
2 teleconference that occurred in June 2012, the
3 Linde Work Group, NIOSH and SC&A agreed that all
4 TBD issues had been resolved.

5 And Revision 3 of the TBD, Linde
6 Ceramic TBD, was issued six weeks later on July
7 26, 2012, and was followed up by the DCAS
8 PER-0042 on November 16th, 2012.

9 So the prompting of the SEC petition
10 was really based on the successive changes to
11 the original TBD that occurred in 2005 and
12 culminated in the revised Rev 3 that was issued
13 in 2013.

14 With regard to the Subtask 1 that we
15 normally address in our review of the PER, SC&A
16 has no finding pertaining to the issue of how
17 PER-0042 came to be.

18 With regard to Subtask 2, which we
19 are required to assess NIOSH's specific method
20 for corrective action, we have to look at this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particular PER slightly differently in light of
2 the fact that we were party to all of the issues
3 that led up to the revision of 0.3 of the TBD.

4 However, we did not necessarily
5 review TBD, the revision of TBD as Rev 3 and that
6 is really the source for this PER. And what we
7 intend to do here in this PER, for those who have
8 read the review of the PER-0042, is that we went
9 through the Revision Number 3 as if it were a
10 new TBD because all subsequent revised dose
11 estimates were based on that.

12 So I will just briefly go through
13 each of the time periods and issues that we
14 addressed. The first time period was the
15 internal exposures for the period of November
16 1, '47 through December 31st, '53, and that is
17 the operating time period during which it was
18 assumed that the bioassay data for all workers
19 involved in, exposed to uranium, radium and
20 radon exposures were insufficient to really do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dose reconstruction.

2 However, and this is important
3 because it will come back later on in a
4 different format, but the statements are in the
5 TBD. In spite of the, and I'll read it. And
6 I'm not sure, for those who may be following the
7 review of PER-0042 online, I'm on Page 12 of the
8 writeup, the statements are as follows.

9 In spite of the paucity of bioassay
10 data and the establishment of three SEC
11 Classes, the TBD acknowledged that uranium
12 bioassays are available for a limited number of
13 workers for the period of '47 through 1950, and
14 if uranium bioassay are available for a worker
15 they should be used to reconstruct an
16 individual dose. And the same thing applies to
17 radium and to radon exposures.

18 And the point that I want to make
19 here is that this period is covered under the
20 SEC, and yet because of the fact that there are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a limited amount of bioassay data involving
2 uranium, radium and radon exposures, the TBD
3 specifically states that a partial dose
4 reconstruction may be available and may be done
5 for workers for whom these data are available.

6 And this is not an issue here. I
7 just wanted to make that statement because
8 later on I'm going to come back to another area
9 that involves the residual period that may be
10 relevant to the issues that I've just raised
11 here.

12 So in context with the Subtask 2,
13 again SC&A found no inconsistencies and no
14 areas for partial internal dose estimates for
15 the time period '47 through '53.

16 For the external exposures to Linde
17 Ceramic period for the duration of '42 to '53,
18 film badges were used to monitor some workers
19 for beta dose during select time periods when
20 the African ore was produced. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for, I guess as a summary, for the external dose
2 reconstruction, NIOSH simplified and
3 consolidated a large volume of information that
4 was introduced in Table 4-1 through 4-23 into
5 a single table that is 4-24, for all years going
6 from '42 to '53 by regrouping various job
7 workers into high, medium and low exposure
8 groups. And as a convenience to the reader, I
9 have included Table 4-24 as Table 5 in my
10 summary for those who may want to take a look
11 at that.

12 So in essence, for the external
13 exposure data, the various data that were
14 introduced as Table 4-1 through 4-23 were
15 consolidated and simplified into a single table
16 that was identified as 4-25, and in my writeup
17 was reproduced as Table 5. So I may make
18 reference to that in a few minutes.

19 Okay, I do have in addition to two
20 findings there was a single observation, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what I wanted to do is to bring that to the
2 attention of NIOSH for simply correcting the
3 text that appears in the TBD.

4 And that involves, and I'll go to,
5 to simplify it, to Page 17 of my writeup where
6 there is a discrepancy between information that
7 was expressed in Table 4-6 which erroneously
8 cites the value of 26 rem per year to the hands
9 and forearms for the loader. That's a worker
10 category.

11 In fact, the correct value, 74 rem
12 per year, is given in the fourth bullet on Page
13 45, so it's strictly an observation and a
14 correction.

15 A second correction under
16 Observation 1 is that the third bullet on Page
17 45 of the TBD incorrectly cites 221 rems per
18 year to the hands and forearms to the Step 2
19 process operator, and the correct value is 158
20 rem per year as shown in Table 4-6.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The benefit or the good part is that
2 these values were actually not incorporated in
3 the final table, which I assume is really the
4 source for the dose reconstructor to extract
5 year by year dose to the various groups of
6 people who are identified as such. So this
7 observation is strictly a correction of two
8 errors that are identified in the text of the
9 TBD.

10 So let me go on now. We just
11 finished, as I said, there no findings for the
12 operating period with the exception of the
13 observations that I just cited and are
14 described on Page 17 of my writeup.

15 The next time period is the exposure
16 estimates from the residual contamination
17 after 1953. And here's where I will come back
18 to the issue of a partial dose reconstruction
19 that was ultimately identified as the first
20 finding that I'll come to in a moment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I do want to read a following
2 item that I think is important to understand in
3 behalf of that first finding. In Section 6 of
4 the Linde Ceramics TBD it starts out with the
5 following statement.

6 This section develops parameters
7 for reconstruction of doses due to internal and
8 external exposure at the ceramics plant
9 starting January 1, 1954, which is the
10 beginning of the residual period.

11 NIOSH has determined with
12 concurrence from the secretary of DHHS that
13 internal doses at the Linde Ceramic Plant
14 cannot be reconstructed with sufficient
15 accuracy from the beginning of 1954 to the end
16 of 1969.

17 If monitoring data are available
18 for workers who are included in the SEC dose,
19 including the SEC Class dose is to be
20 reconstructed as appropriate based on such

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data. However, such dose reconstructions are
2 still considered partial dose reconstruction
3 because NIOSH has determined that internal
4 exposures during the SEC Class period 1954
5 through '69 cannot be bounded.

6 And it's important to take that into
7 consideration with what I previously stated
8 that during the operation period, as I
9 mentioned earlier, internal dose
10 reconstruction for uranium and product as well
11 as radon could be added even though those years
12 of the operating period were also covered by the
13 SEC.

14 And here again by and large they
15 said beginning from 1954 to the end of 1969, if
16 data are available a partial dose
17 reconstruction may be added. So having said
18 that I will go and discuss what was done in terms
19 of estimates of internal exposures.

20 When I looked at the data, NIOSH elected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to avoid estimating internal exposures for the
2 period, for the 16-year period of 1954 to 1969,
3 and did something that sort of puzzled me.

4 In terms of uranium, NIOSH employed
5 the following information assumptions for
6 dividing inhalation intakes for the residual
7 period that no longer starts in 1954, but
8 actually was decided to represent only the
9 years from 1970 to 2009, and this is what they
10 did.

11 They by and large said that because
12 of the SEC, I assume because of the SEC period
13 that extends through 1969, the residual period
14 between '54 and '69 is skipped.

15 And so what they did, they said you
16 will not get any internal exposure but we're
17 going to do the following. We're going to take
18 a maximum air concentration of 161 dpm per cubic
19 meter that was observed in 1950. This is four
20 years before the beginning of the residual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 period.

2 And then in 1976, a survey of
3 Building 30 showed air concentrations of 0.0422
4 dpm per cubic meter. And so they used in 1950
5 data point that actually precedes the residual
6 contamination period that starts in 1954 and
7 transported the 1950 data points and assigned
8 it to 1970. And that to me makes no sense.

9 And then by extrapolation used the
10 data to cover the period from 1970 to the
11 balance of the period of, that goes all the way
12 to, I guess, 2009.

13 And I have no way of accepting or
14 understanding this in light of the fact that why
15 would you take a 1950 data point and without
16 modifying that data point assign that same data
17 point to 1970 and then use the extrapolation of
18 that data point to a 1970 data point for all
19 years and assume that this is how you're going
20 to assign internal exposure?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And the same thing was done with
2 radon, where again because there were no radon
3 surveyed for the years '54 to '69, NIOSH
4 employed the radon air concentration value of
5 10 picocuries per liter and which corresponds
6 to a radon exposure rate of 0.48 working level
7 year that had been assumed for Linde workers for
8 the years '47 through '53, and assigned that
9 same value again to 1970.

10 And then using a 1981 data point
11 again to determine what the source term
12 depletion rate would be for those two data
13 points and assigned these data for the entire
14 residual period. And so I find that very
15 puzzling.

16 And so in light of the fact that
17 there was an encouragement to use any form of
18 available data for partial dose reconstruction
19 as was done during the operational period, if
20 there were bioassay data for uranium and radon

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposure values that were considered
2 incomplete but for a partial was used.

3 And for the residual period, even
4 though we have data that predate, actually, in
5 both instances the uranium and the radon
6 exposure residual period by several years and
7 then transport those two data points for
8 uranium and radon to a time period that follows
9 the SEC period that terminated in the end of
10 1969 and start off with that.

11 And so to me that makes no sense at
12 all and it violates by and large the
13 recommendation that defines OTIB-70. So
14 Finding Number 1 which appears on Page 18, I
15 state that SC&A questions NIOSH's restrictive
16 methodology to deriving internal exposures to
17 ceramic plant workers from residual
18 contamination.

19 The availability of data that
20 satisfied criteria cited in OTIB-70 allow for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the assignment of internal exposures to uranium
2 and to radon inclusive of years 1954 to 1970,
3 in spite of the fact that this time period is
4 part of the SEC period.

5 And so as far as I'm concerned, it
6 doesn't seem to agree with the statements that
7 appear in the TBD and it does not comply with
8 the requirements of OTIB-70.

9 If NIOSH would like to comment, I
10 guess it's a good time to try to get an
11 understanding of why that was done.

12 CHAIR MUNN: Thank you, Hans. I
13 don't know whether NIOSH is prepared to comment
14 yet, not having had an opportunity to provide
15 a response. But if you do have comments, let's
16 hear them.

17 MR. HINNEFELD: Well, this is Stu.
18 I would just offer that, you know, we got these
19 reports, what, a week or so ago and we've not
20 really distilled them very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't know, Jim, if you had any
2 reaction that you wanted to get into on this
3 one?

4 DR. NETON: No, like I say, I agree
5 with you. We just got them a week or so ago,
6 and it's somewhat complicated because this is
7 one of the few if only sites that I can remember
8 that we added an SEC during the residual period.
9 So I'll have to go back and look at that pretty
10 carefully.

11 DR. H. BEHLING: Yes, I was trying
12 to scan OTIB-70 and try to understand whether
13 or not OTIB-70 would be exempted from the
14 recommendations for defining exposures due to
15 six independent protocols that they offer you
16 and say that this will not apply during an SEC
17 period.

18 But I didn't see anything like that,
19 and in light of the language that was used
20 throughout the TBD that says a partial dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconstruction may be done if the available
2 data exists that allows you to do so, and I
3 consider the data points that were obtained for
4 the residual period that predate the actual
5 start of the residual period of 1954 certainly
6 would satisfy the criteria of OTIB-70.

7 DR. NETON: Well, again, it's
8 complicated because that blanket statement
9 that you read we include in all SECs, we say,
10 and really it applies. I don't know if it's an
11 individual monitoring data or not, but that's
12 the intent, bioassay data not air sampling
13 data. And so that's one issue there.

14 But again it's complicated because you
15 have an SEC period that covers, a residual
16 contamination period that's in the middle
17 between a covered period and an ending residual
18 period.

19 So I'll have to go back and look.
20 And I understand what you commented on about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 OTIB-70 and extrapolation through the SEC
2 residual period. But again I'll have to go
3 back and look at it a little more closely.

4 DR. H. BEHLING: Yes. If it turns
5 out that the SEC period precludes the use of
6 OTIB-70 that's okay. And if that's the case,
7 then those two starting data points that
8 predate the SEC period by several years for both
9 the uranium and the radon issue should as a
10 minimum then be reduced to, I mean we're talking
11 about a 20-year time period. You can't
12 transport uranium data that was taken in 1950
13 and then say, oh that same value now applies to
14 1970, and then decrease it in a rapid fashion
15 that corresponds to a subsequent data point.
16 That makes absolutely no sense.

17 DR. NETON: Yes, I hear what you're
18 saying. We just need to look at it a little
19 more closely.

20 CHAIR MUNN: All right, I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assuming that we do not have those findings as
2 yet posted on the BRS, or am I incorrect in that?

3 MS. K. BEHLING: This is Kathy, and
4 no, I did not get a chance to do that. I
5 apologize.

6 CHAIR MUNN: All right.

7 MR. HINNEFELD: Wanda, that's
8 related to what we did at the start of the
9 meeting. We only assigned them, quote, in the
10 system at the start of the meeting and so there
11 was no way they could have entered them until
12 --

13 CHAIR MUNN: That's why I was
14 assuming that they weren't there, Stu, not
15 being able to check it myself right now. But
16 our first action item is to add those two
17 findings to the BRS, and our next action then
18 will be to anticipate a response from NIOSH for
19 those two findings.

20 Any other comments with respect to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Linde PER?

2 DR. BUCHANAN: Yes, this is Ron
3 Buchanan at SC&A.

4 CHAIR MUNN: Yes, Ron.

5 DR. BUCHANAN: Hans worked on that
6 part. I worked on part of it too, and so I
7 worked on Subtask 3 and 4. And so do you want
8 me to cover that section at this time?

9 CHAIR MUNN: I think that's
10 appropriate, Ron, yes.

11 DR. H. BEHLING: Well, can I
12 interrupt, Ron?

13 CHAIR MUNN: Oh, yes.

14 DR. H. BEHLING: I'm not finished
15 quite yet. I was going to turn you over in
16 about five minutes.

17 DR. BUCHANAN: Oh, okay.

18 CHAIR MUNN: My mistake, Hans.
19 I'm sorry. I didn't mean --

20 DR. H. BEHLING: No, no, no.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: That's fine.

2 (Simultaneous speaking)

3 DR. H. BEHLING: I should have
4 stated that up front that Subtask 4 and 5 will
5 be covered by Ron.

6 CHAIR MUNN: Very good, yes, and go
7 on from there. Are we done with your coverage
8 of your portion of the report? I don't want to
9 shortchange you, but if you have more to say
10 please continue.

11 DR. H. BEHLING: Yes. The second,
12 I told you there was an observation that I
13 identified in the first finding, and I'm about
14 to briefly discuss Finding Number 2 without
15 going through a lot of things. But the Finding
16 Number 2 centers around the utility tunnel
17 exposures involving internal exposure to
18 uranium and to, and in progeny as well as to
19 radon exposures.

20 And let me just briefly, and for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those who may be following me I'm on Page 19,
2 and you can quickly, because when I talk about
3 numbers it's very hard to somehow mentally get
4 an understanding, and it would certainly help
5 if you would actually look at the writings and
6 the description of the issues that are being
7 discussed.

8 So right now I'm on Page 19 and I'm
9 discussing utility tunnel exposures. And for
10 internal exposures to uranium and progeny, the
11 assumption was that for modeling the annual
12 exposure times were identified as 1,000 hours
13 per year for trade workers and 100 hours per
14 year for all others. And there were other
15 assumptions which are not relevant to the
16 discussion.

17 And those estimates were based on
18 tunnels surveyed, beta measurements on
19 surfaces during, in the tunnels. And for the
20 radon exposures, NIOSH derived radon

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentrations and worker exposures for two
2 independent source terms, and this is really
3 the key here to Finding Number 2.

4 The two source terms were based on
5 radium-226 surface contamination inside the
6 tunnel. So you have activity, contamination
7 activities that were on the inside of the
8 tunnels that were used by trade workers for
9 during work times as well as transit times for
10 other people.

11 The second source term for radon was
12 from radium-226 levels in the soils that
13 surround the underground tunnels. So there
14 are two independent source terms, interior
15 contamination of the tunnels themselves, and
16 contaminated soil that surround the tunnels
17 that just like in a house that in itself is not
18 contaminated but radon permeates from the
19 surrounding soil of a basement, et cetera. So
20 those are the issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And quite frankly I can make it
2 quickly an issue here that the 100 hours per
3 year for trade workers and 100 hour per year for
4 all others is a reasonable assumption.

5 But here's what happened. In the case of
6 the radon exposures from surface
7 contamination, those were the actual exposure
8 time periods used, 100 hours per year or 50
9 percent of a full work year for trade workers
10 and 100 hours per year, which is five percent,
11 for all others, respectively.

12 When it comes to radon exposures due
13 to contaminated soils, I'll read to you what the
14 issues were here. It was assumed, and I'm
15 quoting directly here.

16 It was assumed that trade workers
17 and laborers worked in these tunnels doing
18 maintenance work for eight hours per workday,
19 and in parentheses, two months of the year, and
20 for the other ten months a transit time of ten

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 minutes per workday using the tunnels to get
2 between buildings. For all other workers,
3 only the transit time of ten minutes per workday
4 would be applied for year-around.

5 And they ended up resulting into
6 estimates of working level months per year for
7 trade workers and for others. And if you do a
8 simple calculation that corresponds to the
9 eight hours per workday for two months and the
10 ten minutes transit time for the balance of the
11 ten months, you only end up with 375 hours per
12 year for the trades worker and 41.7 hours for
13 all others.

14 And of course you cannot separate
15 these two source terms. I mean if you're in the
16 tunnel for source term number one, you're going
17 to be exposed not just only to the radon that
18 comes from the contamination that's inside the
19 tunnel, you're also going to be exposed to radon
20 that permeates the tunnel from contaminated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 soil. So you cannot separate these two source
2 terms in terms of exposure time.

3 So in essence, my Finding Number 2
4 states the following, and I'm on Page 20. The
5 assigned radon exposure rates in Table 6-11 and
6 6-12 are correctly based on the identical
7 occupancy of 50 percent and five percent which
8 translates to 100 hours per year, and 100 hours
9 per year for trade workers and all others,
10 respectively, and not by the stated occupancy
11 factors described in the text.

12 So once again you have a situation
13 here where the actual numbers are correct, but
14 the supporting time frames that support those
15 numbers are incorrect in the text.

16 Again I don't expect a response, but this
17 is strictly a technical error that says the
18 actual numbers that appear in Table 4-24 use the
19 consistent time frames of 1,000 hours and 100
20 hours, respectively, but the text is incorrect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in stating, state times for the second source
2 term that simply do not apply to those hours.

3 At this point, if there's no comment
4 from NIOSH I will turn this over to Ron Buchanan
5 for just a quick discussion of Subtask 3 and
6 Subtask 4.

7 MR. HINNEFELD: No, we don't have
8 any comment. We can go on to Ron.

9 DR. BUCHANAN: Okay, thank you.
10 This is Ron Buchanan, SC&A. And of course
11 Subtask 3 has to do with the approach that NIOSH
12 include the correct number of claims to
13 reevaluate.

14 While in this case is unusual, I
15 stated earlier in that there was so much change
16 in the TBD that they reevaluated all the claims
17 that had qualified.

18 Now it's kind of hard to go back and
19 determine how many claims are actually on the
20 drawing board in this. I think it's July of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 2012 when the TBD was issued in the latest
2 revision.

3 And so what I did is I went back and
4 seen if what they said made sense or if there's
5 any contradiction to that and what's available
6 on the database at this time, and I found no
7 contradiction.

8 And that my best estimate was that
9 there was about 250 claims had been submitted
10 and DRs performed in July of 2012 and that 134
11 of these had PoCs better than 50 percent. And
12 so we don't need to go back and revisit those.
13 So that leaves 116 claims that needed to be
14 evaluated.

15 Now 38 of these had SEC cancers only
16 and so those would be paid and so you would not
17 reevaluate those. Now some of them had SEC
18 cancers plus non-SEC covered cancers, so we
19 want to reevaluate those because they might be
20 paid for medical benefits under the non-SEC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cancers.

2 So that left 78 claims, and I tried
3 to kind of list of those there on Page 21 of our
4 report in decreasing numbers. And so it left
5 78 original dose reconstructions with PoCs less
6 than 50 percent that need reevaluated.

7 They did reevaluations on all 78
8 cases. Seventy four of those resulted in the
9 PoC being less than 50 percent as the original
10 DR indicated but with the new TBD
11 recommendations, and four of them came out with
12 PoCs greater than 50 percent.

13 And two of those four had SEC
14 covered cancers and also non-SEC covered
15 cancers, and so they would qualify for the
16 medical benefits if necessary there. Two of
17 them had no SEC cancers to them, and so one of
18 them was greater than 50 percent so that would
19 be available for compensation.

20 The other one was kind of an unusual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 case in that originally the original DR was less
2 than 50 percent, when they came back and did it
3 with a revised TBD is was greater than 50
4 percent and DOL sent down a letter saying that
5 the employment period was incorrect and that
6 they decreased the employment period so the PoC
7 went below 50 percent on that.

8 And so that was our analysis of
9 Subtask 3. We felt that NIOSH did what they
10 needed to do. They reevaluated all the claims
11 that had been done before July of 2012 and went
12 down the right process, so we had no findings
13 in that section. And we agreed other than the
14 findings Hans said in the TBD revision.

15 As far as selecting the cases, we
16 felt that that was correct. Any questions on
17 that?

18 CHAIR MUNN: None here.

19 DR. BUCHANAN: Okay. And so that
20 brings us to Subtask 4 on Page 23. And so, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this section, we recommend that SC&A audit two
2 of the cases that were below 50 percent to see
3 that the TBD Revision 3 was correctly applied.

4 Now, this will mostly consist of a
5 complete audit of the cases because there was
6 so many changes that it was completely
7 reworked. And so we won't just do a focused
8 audit like we do in some PERs where we just look
9 at internal plutonium or something. In this
10 case we'll have to look at the whole set and
11 determine that it was done just like we do
12 during the audit process for other cases.

13 And another option, we think we'd
14 probably recommend two of those that the Board
15 would work with NIOSH to assign to us to audit,
16 and then perhaps audit that one case that was
17 below 50 and then greater than 50 then less than
18 50, to make sure that the final DR on that was
19 actually less than 50 percent according to the
20 new TBD, the Rev 3.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so that's our conclusion on this
2 PER.

3 CHAIR MUNN: Thank you so much,
4 Ron. Any comment from any source?

5 MR. HINNEFELD: This is Stu. I
6 mean, there aren't different flavors there,
7 right, like we'd need one from Column A and one
8 from Column B in picking these two? It's just
9 any two?

10 DR. BUCHANAN: Right. Since
11 they'll undergo a complete audit, any two. And
12 then, of course, the third one would be that
13 particular case.

14 MR. HINNEFELD: Okay. There's a
15 new app that shows cases that were reviewed
16 under PERs. Do you guys just want to select
17 them yourself? If you can look on our Staff
18 Tools page.

19 DR. BUCHANAN: Yes.

20 MR. HINNEFELD: Let's see what I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can get here. If you look on our Staff Tools
2 page, there should be something called -- let
3 me look for it a minute. I saw it just the other
4 day. Program Evaluation Reports. That makes
5 sense.

6 DR. BUCHANAN: Yeah.

7 MR. HINNEFELD: One of the buttons,
8 like Board Review System is a button.

9 DR. BUCHANAN: Okay.

10 CHAIR MUNN: Sounds like a logical
11 thing.

12 DR. BUCHANAN: And it'll have the
13 cases that were associated with that?

14 MR. HINNEFELD: Click on Program
15 Evaluation Reports, you get a list that
16 actually looks like the Board Review System,
17 but it's a list of the PERs that have been done.

18 And if you find the one you're
19 interested in and press the select button, it
20 will display all the cases that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reevaluated under that. And it includes the
2 files of the reevaluation. There's a link to
3 those files as well.

4 DR. BUCHANAN: Okay. With the
5 Work Group's approval, I will address two
6 cases, the 71 and the one case that had the
7 different PoCs at different times.

8 MS. K. BEHLING: This is Kathy
9 Behling. Should we proceed with that or do we
10 wait until there's been some discussion on the
11 two findings?

12 CHAIR MUNN: It appears to me that
13 you're going to need to complete the
14 reevaluation of the audits, and under any
15 circumstance, how do the other Board Members
16 perceive that? Any differently?

17 MEMBER BEACH: No, not here, Wanda.

18 MEMBER ZIEMER: That wouldn't
19 affect what they do on this, would it?

20 CHAIR MUNN: I wouldn't think so.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You're still going to have two cases that
2 require -- that should have audit. It seems to
3 me that the two could occur in parallel.

4 DR. H. BEHLING: Yeah, there's a
5 significant difference between what may have
6 been done and the two findings really involve
7 the residual periods that at this point
8 eliminates any consideration for exposure for
9 the years '54 through '69. And then that could
10 impact at least a case that's very close to
11 being compensated.

12 CHAIR MUNN: So you're suggesting
13 that we wait until we've addressed the
14 findings?

15 DR. H. BEHLING: Yeah. Because
16 we're potentially biasing in our review of
17 cases that do not consider potential exposures
18 from residual contamination for years '54
19 through 1959, if it turns out that NIOSH will
20 concede that issue in saying we could do a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 possible dose reconstruction during that
2 period of the SEC period for some residual
3 contamination.

4 MR. KATZ: This is Ted. Without
5 speaking to that, because I wouldn't make any
6 assumption about that, but it's going to make
7 no difference auditing whether or not how the
8 other two findings come out.

9 It's going to make no difference,
10 because the purpose of the audit isn't to
11 determine if the case would change, it's just
12 to determine whether the procedures were
13 applied correctly. And you're not going to be
14 auditing a procedure that's in contention for
15 that. So I think you can do the audit now. It
16 will make no difference.

17 MS. K. BEHLING: This is Kathy.
18 And, yes, that's correct. And if they were to
19 make some change to the residual period, there
20 will be another PER issued.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Exactly.

2 MS. K. BEHLING: I would assume.
3 So, yeah, okay. I just wanted to be sure that
4 we could go on parallel paths here. That's
5 fine.

6 CHAIR MUNN: We see no reason why
7 not. So our actions will show two findings
8 that need to be posted and will require a NIOSH
9 response, and the audit of two cases will
10 proceed.

11 DR. BUCHANAN: Just to clarify, do
12 you want to do just two cases rather than the
13 third one involving the one that went up and
14 down on the PoC?

15 MR. KATZ: Ron, can you just
16 explain for everybody, why does that make that
17 -- why should that be audited?

18 DR. BUCHANAN: Well, it bothered me
19 that it was less than 50 percent, greater than
20 50 percent and then went back to less than 50

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent. And I just wanted to point it out. I
2 mean, if the Work Group doesn't want that
3 checked out that's fine. It was kind of an
4 anomaly compared to the rest of them.

5 CHAIR MUNN: Well, it was. But by
6 the same token, since the Department of Labor
7 has reduced the amount of allowable work time,
8 then it seems to me it's a fairly clear-cut
9 rationale.

10 DR. BUCHANAN: Okay.

11 CHAIR MUNN: I personally don't see
12 any compelling reason to pursue that further.
13 Paul?

14 MEMBER ZIEMER: No, I agree.

15 CHAIR MUNN: Josie?

16 MEMBER BEACH: Well, I guess I'm
17 kind of at a loss on that. I think that there's
18 no reason why we shouldn't do a third audit if
19 SC&A thinks that it's logical to do that.

20 MR. KATZ: Well, I mean, it's more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 like, just because -- it's an odd circumstance
2 but it's an explained circumstance. And I
3 don't think you do audits by, you know, by
4 glancing at what you've run across catches your
5 eye. I mean, I don't think that's really good
6 procedure.

7 MEMBER BEACH: Okay. Well, that's
8 probably true.

9 CHAIR MUNN: Fine. I think we can
10 do without the audit, Ron.

11 DR. BUCHANAN: Okay, fine. Two
12 will be fine.

13 CHAIR MUNN: The two will be fine
14 from our perspective.

15 All right, any further comments
16 with respect to Linde? If not, then now let's
17 move on to ICD-9, PER-0043.

18 DR. H. BEHLING: PER-0043. Yes,
19 again I was the person who reviewed this. Just
20 for background information, this particular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 PER addressed the OTIB-5, which is the bulletin
2 for internal dosimetry organ and external
3 dosimetry organ IREP model selection of ICD-9
4 codes.

5 And just as a review, the original
6 version of OTIB-5 was issued back in 2003, and
7 since that time there were a total of nine
8 revisions. Of the nine revisions, however,
9 only seven made significant changes to
10 potential dose reconstruction.

11 And for those who are looking at
12 their screen, I am on Page 7 as well as now I'm
13 going to 8 and 9. And at the bottom of Page 7
14 and 8, I identify what each of those revisions
15 really did in terms of affecting dose
16 reconstruction, and comments involving those
17 changes to these revisions are on the bottom of
18 Page 8.

19 And one of the things that I looked
20 at was how were these changes made? And for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 your benefit again here, I quoted a section of
2 the TBD that identified how these changes came
3 about. And again, if I'm on Page 9 here, I
4 quote Section 2 from the PER.

5 And it identifies that the
6 Department of Labor is really the key agency or
7 group of individuals that identify what
8 assigned ICD-9 codes apply in the dose
9 reconstruction process, with exception of
10 those instances where you have a medical
11 review, which then obviously involves the
12 contractor to the NIOSH people.

13 And on that assumption, SC&A
14 concludes the following -- and I'm on the very
15 bottom of Page 9 where I say the SC&A concludes
16 that revisions to OTIB-5 were exclusively
17 introduced by parties that are generally not
18 within the scope of SC&A's review.

19 So we assume that these changes and
20 additions to ICD-9 codes reflect updates and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 revisions to the International Classification
2 of Diseases and ORAU's improved understanding
3 of corresponding internal and external target
4 organ. Therefore, SC&A accepts these changes
5 introduced to ORAUT-OTIB-5 and there are no
6 findings.

7 Under Subtask 2, again I looked at
8 all the revisions, and again they all basically
9 complied with what was stated in the revisions
10 and how they were introduced in the final
11 tables. And so again, in behalf of Subtask 2,
12 SC&A found no discrepancies and no findings.

13 For Subtask 3, which
14 evaluates the PER stated approach for
15 identifying the number of DRs requiring
16 reevaluation of dose, they were by and large --
17 I'm on Page 13. There are four particular
18 criteria that have to be met and potentially are
19 used to determine whether or not a claim will
20 have to be reviewed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And in the case of Subtask 3, again
2 SC&A has no findings pertaining to the
3 identification of claims that were impacted,
4 and there were a total of 36 claims that are
5 subject to dose reevaluation.

6 For Subtask 2, of the 34 DRs that are
7 subject to audit, SC&A recommends selection of
8 one claim from each of the following revisions
9 of ICD-9 codes. And there I identified those
10 revisions, and they are Revisions Number 2 in
11 ICD-9 code number 50; Revision 3, ICD-9 code
12 155.1; and from Revision 4, ICD-9 code 232, as
13 well as 238.

14 So in this case, as I said, if we can
15 select one of each of those revisions I think
16 that would satisfy our need to evaluate
17 PER-0043.

18 CHAIR MUNN: Any thoughts or
19 comments? In this case, as the preceding one,
20 there is no -- unlike the preceding one, we do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not have specific findings. I see nothing for
2 NIOSH to respond to, except for the selection
3 of claims for the audit. Is that the same view
4 of the other Board Members?

5 MEMBER BEACH: Yes, Wanda. It is
6 for me.

7 MEMBER ZIEMER: And for me.

8 CHAIR MUNN: Very good. Then all
9 that remains for us is to identify how the
10 choices will be made for the audit cases.

11 MR. MARSCHKE: Wanda?

12 CHAIR MUNN: Yes.

13 MR. MARSCHKE: This is Steve. Do
14 you want us to enter a finding of no findings
15 in the BRS for this one?

16 CHAIR MUNN: I believe that's
17 appropriate and will keep us from being puzzled
18 two years from now.

19 MR. MARSCHKE: Okay.

20 CHAIR MUNN: Thanks, Steve. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will make a notation that a choice needs to be
2 made with respect to auditing cases.

3 And is there any concern with
4 respect to the recommendation as far as numbers
5 are concerned? I find that to be, personally,
6 quite acceptable. If anyone has any concerns,
7 please express them now, otherwise I'll take
8 that as assent.

9 Hearing none, we can proceed with
10 selection of claims for audit. I'll ask that
11 --

12 MR. HINNEFELD: This is Stu. In
13 this case, since there are categories based on
14 ICD-9 codes, we can probably query for these
15 ICD-9 codes and provide the claim numbers that
16 fall into these ICD-9 codes to SC&A, and so that
17 they can then select from those numbers.
18 Because if they were to go to the PER
19 application, and in order to find the ICD-9 code
20 they'd have to open each case to see what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ICD-9 code was for that. So --

2 CHAIR MUNN: If you'll be good
3 enough to do that, that would be helpful, Stu.

4 MR. HINNEFELD: And probably run a
5 query and then just generate the list of here
6 are the claims that have ICD-9 codes, the 150
7 and so forth. So we can provide that. And
8 since it's an ICD-9 code selection that should
9 be pretty straightforward.

10 CHAIR MUNN: All right.

11 MR. HINNEFELD: And then once we
12 have that available we'll just send it out to
13 SC&A and to the Work Group.

14 CHAIR MUNN: Thank you.

15 MR. HINNEFELD: And then I would
16 assume SC&A could select the cases from those.
17 Is that correct?

18 CHAIR MUNN: I'm assuming that that
19 will be the case. Hans? Ron? Is that all
20 right with you?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: This is Kathy.
2 I'll probably be doing that, so, yes, it's fine.
3 I can do that if you're in agreement.

4 CHAIR MUNN: Very good. No
5 problem here. Any problem from anyone, speak
6 now.

7 Thank you, Stu. I'll make a
8 notation to verify if we haven't had a status.
9 Thanks much.

10 And we can move on to Aliquippa
11 Forge, PER-0045.

12 DR. H. BEHLING: Okay, that's me
13 again. You're going to get tired of hearing me
14 talk.

15 CHAIR MUNN: No, that's quite all
16 right. We'll just forge on.

17 DR. H. BEHLING: Well,
18 unfortunately the worst one's for last, and
19 this one is going to be very difficult to
20 follow, really. And I'm hoping that we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 minimize the discussion to only do most
2 critical issues here that involves the
3 individual findings, because they're quite
4 difficult at times to understand without having
5 first read the document in its entirety and
6 understand the various issues. Because they
7 talk about different values, different times
8 and numerical quantities that are difficult to
9 assess without having a full understanding of
10 what's involved.

11 CHAIR MUNN: There's a lot of
12 material here.

13 DR. H. BEHLING: Yes. The
14 PER-0045 involves the Aliquippa Forge TBD
15 revision. And the original TBD was issued back
16 in 2004 and then was revised in 2012. And this
17 TBD addresses the changes that occurred in the
18 TBD revisions between those years.

19 For the sake of getting a few pieces
20 of information, I provided my write-up on Page

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 8, specific issues and a timeline for those
2 issues. And I want to briefly -- I won't go
3 through all of these, but I want to point out
4 the items identified as Number 2, 3, 13 and 14.

5 Number 2 identifies the time frame
6 during which Aliquippa Forge was a production
7 facility for the AEC, under contract, and that
8 period extends from August 16th, '48 through
9 February 28, 1950. The issues there was they
10 were rolling operations of uranium.

11 In Item Number 3, it identifies the
12 actual period of residual contamination, which
13 starts March 1, 1950 through December 31st,
14 1987, and again from January 1, 1989 through
15 December 31st, 1992. So those time frames are
16 very important in understanding the issues that
17 I will be addressing with reference to
18 particular findings.

19 Item Number 13, and again these are
20 integrated now. In August 1983, the Aliquippa

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Forge site was designated for remedial action
2 under FUSRAP. In December '87, storage
3 activities began in Building 3 and then
4 remedial actions were taken from October to
5 December 1988 to enable additional restricted
6 use for Building 3. But those dates are very
7 important, and again I don't expect people to
8 remember them but it's something that at least
9 NIOSH has to look at in more careful terms.

10 And finally, Item 14 identifies the
11 final remedial activities that occurred from
12 June '93 to September 1994.

13 So also the items that I did not
14 discuss I briefly discussed under SC&A's
15 comments that by and large states that the most
16 basic health physics practices in facility
17 engineering designs and controls were lacking
18 during the operational period.

19 And correspondingly the air
20 concentrations during rolling operations were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 orders of magnitude above the AEC-recommended
2 preferred level of 50 micrograms per cubic
3 meter, or the more conventional metric of 70 dpm
4 per cubic meter.

5 And the last bullet -- I'll skip the
6 third bullet. The last bullet was under
7 FUSRAP, these are the dates that need to be
8 recalled. A radiological survey of the
9 Aliquippa site was conducted in 1978, and there
10 was an interim remedial action undertaken to
11 decontaminate the facility in 1988.

12 And the final site remediation
13 occurred between June of '93 and September '94,
14 because those are dates that will come into play
15 in dealing with the findings.

16 Then we go to the next page, and
17 under Subtask 1, identify the circumstance that
18 necessitated the need for DCAS PER-0045. And
19 obviously it was these changes that occurred
20 between Revision 1 and Revision 2.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What's important to note, however,
2 is that neither Revision 0 and Revision 1 of the
3 Aliquippa Forge TBD had ever been previously
4 reviewed by SC&A. So once again we're kind of
5 starting out after the fact, or we're putting
6 the cart before the horse in terms of this PER
7 since we did not really review the TBDs.

8 The major changes we have to address
9 under PER-0045 as a supporting document really
10 involves the ORAUT-OTIB-70. And I will read
11 from DCAS PER-0045. It states the following:
12 Revision 1 of the Aliquippa Forge Technical
13 Basis Document revised the dose estimates in a
14 residual period starting 3/1/1950. This
15 revision included both internal and external
16 dose and was the result of both new data, and
17 this I underline, a revision of OTIB-70.

18 Now, when I read that, it doesn't
19 really matter and I only identified it as
20 observation. The fact that OTIB-70 postdates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the original version of the TBD by several
2 years, it is not something that is technically
3 correct to assign that it was a revision of
4 ORAUT-OTIB-70 that prompted the issue of a PER,
5 it was the fact that when I looked at the data
6 it shows that the original OTIB for Alquippa had
7 made no reference to any OTIB because it didn't
8 exist. And it was based on assumptions and
9 methodologies which had very little in common
10 with ORAUT-OTIB-70.

11 So it was not the revision that were
12 introduced in Rev 1 of OTIB-70, but the very
13 existence and substitution of guidance
14 contained in Rev 1 of OTIB-70 for earlier
15 estimates that identified, that prompted or
16 that introduced these changes.

17 So, in essence, Observation 1 is
18 that NIOSH should rephrase the role of OTIB-70
19 in Section 2.0 of DCAS 45. Also, Observation
20 2, review of records indicate that neither Rev

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 0 nor Rev 1 of the Aliquippa Forge TBD was ever
2 reviewed or audited by SC&A.

3 Going to Subtask 2, Subtask 2
4 requests that SC&A assess NIOSH's specific
5 methods for corrective action. I want to
6 briefly go and review the basic issues that are
7 defined in ORAUT-OTIB-70.

8 And one of the things that in our
9 review showed that we had several criticisms
10 that involved the depletion of rates that was
11 originally identified as one percent a day.
12 And two, the resuspension of residual
13 contamination of 1E minus 6 per meter.

14 In our review, we were able to get
15 NIOSH to rescind its one percent per day
16 depletion rate into a much lower value. But
17 with regards to the residual contamination of
18 1E minus 6 per meter, that remained unchanged
19 with the exception of the fact that a footnote
20 was added. And I'm going to ask you to turn to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Page 14 of the write-up, where the footnote
2 appears that was introduced, and perhaps in
3 light of our concerns that maybe 1E minus 6 may
4 not always be the appropriate resuspension
5 value that should be used.

6 And I read to you in the footnote,
7 it says, in cases where the contaminated area
8 is still involved in active operation, a
9 site-by-site analysis of the appropriateness
10 of the 1 times minus 6 per meter suspension
11 should be done. And that issue also appears in
12 Page 23 of this report later on.

13 The table itself identifies clearly
14 a total of six potential options where a dose
15 may be reconstructed based on the availability
16 of air sampling data and surface contamination
17 in combinations, and you see in the Table 1 that
18 I provided, those different combinations and
19 how they may be used.

20 So then we go to Page 16, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 involves estimates of residual external dose.
2 I briefly explain how doses, external exposures
3 would be constructed by NIOSH using residual
4 radioactivity. And I quote from the document
5 that says to reconstruct external exposure to
6 residual radioactivity, the maximum reported
7 exposure rate of 0.01 milli-R per hour was
8 back-extrapolated using the source term
9 depletion rate calculated from internal data.

10 And I put that in brackets, which
11 were defined by 1.15 into the minus 4 per day
12 or 0.042 per year, and assuming that workers
13 were exposed to 2,000 hours per year. And in
14 the load that I briefly identify with those
15 numbers -- by the way there's a typo that needs
16 to be corrected, but the actual value is that
17 0.082 rem times 5.6 gives you a dose of 0.15 rem.
18 That is the starting point.

19 Finding 1 is the failure to account
20 for previous D&D effort. What this really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 involves is that they took the year 1992 as a
2 point to extrapolate between, and as I
3 mentioned earlier, and this is why I spend a
4 fair amount of time identifying that on Page 9
5 of the report, I identified the fact that in
6 1988 there was a remediation or decontamination
7 effort that was substantially going to reduce
8 the exposure. So that when you extrapolate
9 from 1992 and ignore the remediation effort
10 that took place in 1999, you're going to grossly
11 underestimate dose exposures that occurred
12 between 1988 and 1950.

13 So the Finding Number 1 is, in
14 essence, an issue where we underestimate the
15 exposures by ignoring the decontamination
16 effort that took place several years before the
17 final remediation in 1992.

18 Finding Number 2 addresses the
19 issue of the 1.15 times 10 to the minus 4 per
20 day. It's also a problem because it was based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on removable contamination. And when you talk
2 about a dose rate, the dose rate does not
3 differentiate between removable contamination
4 and fixed contamination.

5 So when you by and large take
6 removable contamination to establish a
7 decrease in activity, you're making a mistake,
8 because it should not be based on the removable
9 contamination but on the collective
10 contaminations since dose rates do not
11 distinguish between removable and fixed.

12 And so the backward extrapolation
13 by means of the NIOSH-devised source term is
14 incorrect because it is based on a dose rate
15 that does not necessarily reflect the removable
16 contamination by itself. And I elaborate on
17 that issue at the bottom of Page 17 and 18. So
18 that's Finding Number 2.

19 Let's see here. It's very difficult
20 to talk about things that we may not be in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 position to go through, but I did want to make
2 an issue here about the estimates of residual
3 internal exposures.

4 There's numerous findings
5 associated with it and they come in stages.
6 The first attempt was to, in essence, identify
7 what NIOSH did and see if we can duplicate those
8 numbers. And then the second level of review
9 is to say, what's the protocol that NIOSH used,
10 was it correct? So on Page 18, I start to at
11 least identify the methodology that NIOSH used
12 in assessing the estimates of residual internal
13 exposure.

14 And so on Page 18 I have a verbatim
15 transcript of the information that was used.
16 And what they did was to actually identify
17 activity levels, contamination levels, in the
18 furnace area which was identified at 5.9
19 microgram per cubic meter, which, based on the
20 specific activity of 1.516 dpm per microgram,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 translates to 8.94 dpm per cubic meter. And so
2 that becomes your data point for 1950.

3 And, let's see, they then used that
4 data point and said let this activity level,
5 this is an air sampling data point, the 5.9
6 micrograms per cubic meter or the 8.94 dpm per
7 cubic meter, is an air sampling point, and that
8 needs to be understood.

9 And so in the next paragraph, I
10 underlined it, that to calculate -- and this
11 comes from the document itself -- to calculate
12 internal exposure from residual activity, the
13 analysis assumed that all buildings had an air
14 concentration of 8.9 dpm per cubic meter in
15 1950.

16 And it says this operational air
17 concentration was assumed to have occurred for
18 one year with no cleanup, and an indoor
19 deposition velocity of 0.00, the standard
20 deposition velocity was applied to calculate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 2.11 times 10 to the 5 dpm per square meter
2 surface contamination level at the end of
3 operations or start of the residual period.

4 And then they applied the standard
5 resuspension factor that's identified in
6 OTIB-70 of 1 times 10 to the minus 6 per meter,
7 and applied that to the surface contamination
8 level that would result from the deposition for
9 one year as formerly mentioned, and then they
10 came up with an air concentration that would
11 result from that resuspension.

12 And then they also used the 1992
13 calculated air concentration of 0.35 dpm was
14 based on applying the resuspension factor of 1
15 minus 6 per meter and so forth and so forth.

16 And we looked at this, and here on
17 Table 3, which I included, on the basis of those
18 numbers they came up with inhalation of
19 picocuries per day for all the years between
20 1950 and 1992.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 When I accepted this without
2 reservation, the air data, I came up with the
3 fact that, for 1950 and 1992, for those two
4 years that I selected from Table 3, I came up
5 with slightly different numbers.

6 And for the 1950 data, I'm on Page
7 20, the cited value of 0.627 picocuries per day
8 matches NIOSH's numbers, so this number was
9 okay. For the 1992 number, I tried to match
10 that and I ended up with a value that was
11 slightly lower and does not match NIOSH's value
12 of 0.112 picocuries per day.

13 So in trying to simply determine
14 whether or not the NIOSH's approach I could
15 match the numbers, I was able to match one but
16 not the other. And the same thing, because the
17 daily ingestion rates were based on air
18 concentration values, I was not able to match
19 those numbers either, and I'm on Page 21.

20 So Finding Number 3 says that I was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not able to match NIOSH's number exactly,
2 without considering whether or not their
3 protocol was correct. So that's Finding
4 Number 3.

5 But a much more critical assessment
6 I am going to discuss involves Section 4.2.3 on
7 Page 21 of my write-up. And that involves two
8 elements. The first element I will discuss
9 states the following.

10 In Section 5 of the TBD it states the
11 following: After the end of AEC rolling
12 operations, a July 1949 survey was performed
13 and the survey indicated that the maximum air
14 dust concentration taken during normal
15 operation in the furnace areas was 5.9
16 micrograms per cubic meter, which translates to
17 the 8.994 dpm per cubic meter that we identified
18 above.

19 They also state, as I had already
20 mentioned before, that all buildings were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assumed to have an air concentration of 8.94 dpm
2 in 1950, and therefore they came up with the
3 estimates that are defined in the previous
4 table that I mentioned.

5 When I looked at the actual data
6 from which this came -- and that's included in
7 Attachment 1 of this report, which is on Page
8 30 and 31, if you can go there, Page 30 and 31
9 has Attachment 1. What you'll see on Page 31
10 is the furnace area value of 5.9 -- I think I
11 blocked it out and hopefully that's easily
12 identifiable, middle page -- the furnace area
13 had 5.9 micrograms per cubic meter, and so it
14 corresponds to the number that was cited.

15 However, right below, it says during
16 floor sweeping of the mill area the samples
17 showed 110 micrograms per cubic meter, this
18 being the only sample in excess of the preferred
19 level.

20 And so the first question that comes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to mind is why wasn't that sample used, because
2 it is part of the area that was frequented. It
3 was obtained by a floor sweeping activity,
4 which is not outrageous, which is something you
5 would expect in an operational setting.

6 And so the original value that was
7 identified as the maximum, 5.9, is not correct,
8 but 110 micrograms could have been used as a
9 bounding value for air concentrations in 1950.
10 So it turns out to be -- I guess it's Finding
11 Number 5.

12 But the second, more important
13 issue, and probably the single most important
14 issue that I want to address here, is Finding
15 Number 6 and how all this comes to light when
16 you use the suspension factor as a way -- and
17 what NIOSH did, they took an empirical air
18 sample of 5.9 micrograms per cubic meter, which
19 converts to 8.93 picocuries per meter, and then
20 goes and reconfigures that internal air

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentration.

2 And this is -- I'm sorry, I should
3 have said 8.94 dpm per cubic meters. That is
4 an actual empirical air sample. And what they
5 did, in essence, was to say, no, we're not going
6 to accept an empirical air sample. And we will
7 rather, then, convert it into another air
8 sample by assuming that that air concentration
9 will deposit on the floor for one whole year,
10 and then use a resuspension factor of E minus
11 6 per meter and then come up with a revised,
12 modeled air concentration that is 42-fold
13 lower.

14 And this leaves me baffled in terms
15 of how do you justify taking an air sample and
16 then using a modeling approach that involves an
17 assumed deposition velocity and assumed
18 resuspension factor and then establish a new
19 air concentration that is 42-fold lower than
20 the empirical air concentration that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 started out with?

2 That, I think, is really the most
3 serious issue that I have come across. And
4 actually, on Pages 23 and 24, I actually
5 identify the flaw in the use of the E minus 6
6 resuspension factor. If you were to use the
7 higher concentration that was identified in
8 floor sweeping in the furnace area of 110
9 micrograms per cubic meter, you would end up
10 with a resuspension factor that's close to E
11 minus 4.

12 And this is what we always talked
13 about. When you have a facility where there
14 are still residual activity, the resuspension
15 factor of E minus 6 is probably a factor of up
16 to two-fold too low and would support a factor
17 of E minus 6, which I then actually came up with,
18 and I describe that briefly on the top of Page
19 24.

20 With regard to, let's see, the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 issue comes on in the middle of Page 24,
2 comments pertaining to NIOSH's assessment of
3 the source term depletion rate. Here they
4 used, let's see, 1992 survey data that showed
5 an air concentration of 0.035 dpm per cubic
6 meter. And they also had the 350 dpm alpha per
7 100 centimeters square that -- let's see, I'm
8 trying to recall exactly what I've done here.

9

10 Yeah, this issue once again goes to
11 the issue of the removable contamination
12 levels. And in Finding Number 7, NIOSH's
13 choice of the 1992 survey measurement of 350 dpm
14 per 100 centimeters square removable alpha
15 contamination is compromised by the fact that
16 it postdates the interim decontamination
17 efforts of 1988 here.

18 And so one should not take a point
19 in time that does not consider a previous
20 decontamination level. Remember that in 1988

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the decontamination was fairly significant.
2 Not as stringent as it was in the subsequent
3 time period between 1992 and '93, but it clearly
4 was something that has to be taken into
5 consideration. And you cannot take a point in
6 time that does not address the interim
7 decontamination that took place in 1988.

8 Okay. And I guess the final
9 finding raises the issue, why wasn't OTIB used
10 in the way I would have expected it to be used?
11 And that is, if you have, assuming for a moment
12 that we're not even going to address the highest
13 contamination level that was considerably
14 higher than the 5.9 micrograms per cubic meter,
15 but instead use 110, assuming for a moment that
16 NIOSH were to continue to insist that that is
17 the more appropriate value, why couldn't you
18 have used that, the measured air concentration
19 of 8.94 dpm per cubic meter, at the end of the
20 rolling period and the beginning of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 residual period, and then use the source term
2 depletion factor, as defined in OTIB-70, of
3 0.00067 per day and then calculate what the air
4 concentration would have been for all years
5 subsequent to the beginning of the residual
6 period?

7 That, to me, again, is not
8 consistent with the recommendations in
9 OTIB-70. And that particular recommendation
10 is the one that I would have preferred, but
11 alternatively, among the six options that
12 OTIB-70 permits for, you could have chosen the
13 second and third tier option. In other words,
14 that would have also provided a suitable means
15 by accommodating the residual period.

16 So that is pretty much the end of the
17 findings. And then considering the
18 significance of the findings, especially the
19 way the air concentrations were modeled, like
20 I said, where you take an empirical air

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentration and then reconfigure it by using
2 an assumption about deposition for a year,
3 resuspension, and then coming up with a
4 starting air concentration that is 42 fold
5 lower than the empirical air concentration data
6 that you start out with makes no sense.

7 And given the significance of that error,
8 I made a recommendation that it would be
9 premature to assess any potential reworked DRs
10 until this issue's addressed.

11 CHAIR MUNN: Thank you, Hans. And
12 given the scope and depth of the findings that
13 you've presented, I have a tendency to agree
14 with you with respect to the audits.

15 Does anyone have any comments one
16 way or the other with respect to the
17 recommendation relative to postponing the
18 audits? Paul?

19 MEMBER ZIEMER: You're asking
20 about postponing the audits?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Yes. The
2 recommendation from the contractor is that the
3 audits be postponed until after NIOSH has had
4 an opportunity to review and respond to the
5 findings.

6 MEMBER ZIEMER: Well, I don't
7 object to that. Is this a case where it's going
8 to make a big difference?

9 DR. H. BEHLING: The difference is,
10 like I said, when you start out, even if you
11 ignore the highest air concentration sample
12 that defines the residual period that was 20
13 times higher than the --

14 MEMBER ZIEMER: Yeah, yeah. We
15 better have -- yeah, I understand what you're
16 saying. We better have NIOSH respond first,
17 probably, yeah.

18 DR. H. BEHLING: Yeah. But even if
19 you ignored that difference of the 20-fold
20 difference between the sample that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 selected as the highest but accept the fact that
2 the 5.9 microgram per cubic meter is in fact the
3 more credible value, what they did was they
4 converted that value, which is an air sample
5 value, and converted by modeling it with
6 assumptions about a deposition velocity and
7 resuspension that results in a starting air
8 sample concentration that is 42-fold lower. I
9 mean, how do you --

10 MEMBER ZIEMER: Well, like I said,
11 I'd like to hear whether NIOSH has any immediate
12 response. Is there something that was
13 overlooked or is it something that's been
14 misunderstood or any immediate reaction to the
15 review?

16 MR. HINNEFELD: This is Stu. I
17 don't have any. I don't know if Jim has looked
18 at it more than I have or not.

19 DR. NETON: I think I can make a
20 general observation. The conversion that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we've done here has been applied at a number of
2 sites. This is not unique to Aliquippa Forge.
3 That is, take the end of operational period air
4 sample data and convert it and use it to
5 estimate the surface contamination. Because,
6 in reality, what you're trying to do is get an
7 estimate of the amount of air concentration due
8 to resuspension of surface material, not to use
9 the air data that was conducted during the
10 operational period, which is a combination of
11 resuspension and some source term activity
12 that's been ongoing.

13 So it makes no sense to use the
14 operational air sample data to start with. And
15 we've done this many times. You take the
16 operational air sample data that is a source
17 term generator and deposit it on the ground
18 using those default parameters that Hans
19 mentioned to come up with the surface
20 contamination.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Right. That was
2 my impression, that that was not different from
3 --

4 DR. NETON: It's been the practice
5 for a number of years. There's nothing new
6 here. Because you, really, if you start with
7 the operational data, of course you're way
8 overestimating what the resuspension is in the
9 residual period because you have no -- you don't
10 need to account for the source term that's
11 generated in the air during that period.

12 As far as the floor sweeping sample
13 goes, that's not a source term generator.
14 That's just a resuspension generator. And
15 I'll reverse judgment on the use of 10 to the
16 minus 5th, 10 to the minus 6th. I think, you
17 know, we need to talk about that later.

18 But as far as using a sweeping
19 activity that is not really a source term
20 generator to estimate the deposition back onto

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the ground makes no sense at all. It's just
2 technically not correct.

3 DR. H. BEHLING: But let me --

4 DR. NETON: -- some kind of an air
5 sample that is representative of what was
6 generated during the operations of the plant.

7 DR. H. BEHLING: Well, the value of
8 5.9 was documented July 28th, 1949. That's
9 when rolling operations ceased. And so I would
10 consider that almost the best estimate for the
11 beginning of the residual period. And to take
12 an air sample that truly defines the beginning
13 of the residual period and then convert it and
14 come up with a 42-fold reduction --

15 DR. NETON: Well, that's where we
16 need to go back and look and see, is that really
17 representative of operations or is that
18 representative of, what I would call, the end
19 of operations, where there was nothing ongoing?
20 I don't know. We'd have to take a look at that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But if it really was with operations
2 ongoing, then it's totally valid, I think, what
3 was done. If it's true what you just said, that
4 there was no activity going on in the plant at
5 all, then I would tend to agree with you. So
6 I'm needing to look at it.

7 MR. BARTON: This is Bob Barton.
8 Just to make a comment here. I hear what you're
9 saying, Jim, about the application of a
10 deposition velocity at other sites, but that's
11 not always used.

12 I mean, we just wrapped up the
13 Simonds discussions and they actually used
14 operational general air sampling as the
15 starting source term for the residual period.
16 So it's not always the case.

17 DR. NETON: I understand that, Bob,
18 but there's usually a reason behind that.
19 There's the quality of the data, or we don't
20 have -- it's determined to be general area data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to begin with, which would be indicative of
2 resuspension, versus a sample that was taken in
3 the middle of a process. So you've got to be
4 careful what you compare.

5 MR. BARTON: I understand. And in
6 this case it was general air during an actual
7 rolling operation.

8 DR. NETON: Exactly. And general
9 area air samples have been considered to be more
10 representative of resuspension than a sample
11 that was taken in a process, while a process was
12 ongoing, you know, right at the process.

13 So we need to look at it a little
14 closer, but there are reasons why one is used
15 versus the other, and we've been behaving
16 fairly consistently for a number of years in
17 this area.

18 The only thing that really concerns
19 me now is if this 5.4 sample was truly -- was
20 operations-driven or whether it actually more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 representative of a general area sample where
2 nothing was going on. We'll take a look at it.

3 CHAIR MUNN: All right, very good.
4 I have as our action items for next time to add
5 eight findings to the BRS, and anticipated
6 responses from NIOSH at our next meeting. Any
7 other comments?

8 DR. H. BEHLING: I just want to make
9 a comment. I understand what Jim Neton was
10 saying regarding the modeling of an air
11 concentration at some point in time, let it
12 settle for a year and using resuspension,
13 because that basically complies with one of the
14 six methodologies that says you can start out
15 with surface contamination and end up with an
16 air concentration.

17 But in the case where you start out
18 with an air concentration, I think you should
19 stay with an air concentration either by using,
20 under Table 1 that I identified on Page 14,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those are the various options. You can use an
2 air sample during operational period and an air
3 sampling during post operational period, or you
4 can use an operational air sample and then apply
5 the depletion factor of 0.00067.

6 And I can guarantee you, if you did
7 that, even if you assume that the 5.9 microgram
8 per cubic meter was an operational air sample,
9 you would end up with a higher dose than you're
10 getting with the model that was used here. And
11 I would assume that the various options that are
12 recommended in OTIB-70, and as I said, those are
13 the options defined on Page 14 of my write-up,
14 they're taken directly from OTIB-70, you have
15 to use various options.

16 And I would imagine that they are
17 given in order of priority. Highest priority
18 meaning that you have air sampling data, which
19 you do have. The lower tier options for
20 reconstruction exposures would involve the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surface contamination during operational and
2 post-operational period.

3 So there were multiple options here
4 available for use and I'm not sure I go along
5 with the methodology that was used by NIOSH.

6 DR. NETON: Just for your
7 information, those are not listed in order of
8 priority. That the operational air samplers
9 are the best way to reconstruct inhalation in
10 the residual period, that just doesn't make any
11 sense. It's an option, but to take an
12 operational air sample that is in the middle of
13 a process of generating airborne activity and
14 say that's representative of what's being
15 resuspended from the ground during the residual
16 period, it makes no sense.

17 I mean, if that's all you have,
18 that's all you have. But if you've got
19 something better, I think that you should use
20 it. In fact, surface contamination

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measurements in the post-operational period,
2 which is the last choice, is probably the best
3 thing to use.

4 DR. H. BEHLING: Well, as you read
5 in my footnote on Page 14, I did acknowledge the
6 following: SC&A notes that Method 1 was
7 identified as the method of choice for bounding
8 internal exposures from residual contamination
9 in behalf of the Dow Chemical Company/Madison
10 Site, and this is identified in NIOSH 2008.

11 DR. NETON: What, using
12 operational air data was the method of choice?

13 DR. H. BEHLING: Yes.

14 DR. NETON: I'd have to look at
15 that. I'll take a look at it, but that's
16 certainly not what I would consider to be true.

17 CHAIR MUNN: We'll address it after
18 NIOSH has had an opportunity to review
19 in-depth.

20 DR. NETON: And it may be the method

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of choice for that particular site given the
2 data we had available. That may be true. But
3 certainly if we had surface contamination data
4 available that was taken during the residual
5 period we would have used it. So I think you're
6 misinterpreting what was said there.

7 CHAIR MUNN: We will address
8 PER-0045 at our next meeting, and thank you all
9 for your comments.

10 Our next item on the agenda is the
11 prioritized list of PER recommendations. And
12 thank you, John Stiver, for providing that to
13 us. Would you like to address that, please?

14 MS. K. BEHLING: This is Kathy
15 Behling. John, if you'd like, I can take that.

16 CHAIR MUNN: All right. Thank you.

17 MS. K. BEHLING: Okay. Yes, we
18 sent out a memo on the 25th of August, and just
19 I updated the three tables that are provided.
20 In Table 1, I just moved these three PERs that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we've discussed today into that table, and I've
2 highlighted in blue those things that have
3 changed since our last meeting.

4 And I guess what you're really most
5 interested in is Table 2, which is actually a
6 list of four new PERs that have been issued by
7 NIOSH. And I do give you a summary description
8 of those four PERs.

9 And I will point out that three of
10 the four, the first thing you're going to read
11 is that no TBD actually existed for these, and
12 however there was a template that was used and
13 that template has changed and that's what
14 prompted the PER.

15 And I think maybe at first glance
16 you might say, well, why do we need to look at
17 these? But my feeling is that the fact that a
18 template did exist, it hasn't been really a
19 formalized document that SC&A has looked at,
20 and we go back to this consistency issue. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so in all of the cases of four new PERs, I did
2 suggest that we may, as a minimum, want to do
3 a pre-review for those new PERs.

4 And in some of the cases, there are
5 50-some, almost 60 cases that were reevaluated.
6 So that was my recommendation. In Table 2
7 there are four new PERs and that you may want
8 to have us at least do a pre-review.

9 And then, finally, Table 3 is -- I
10 probably won't get too formal, we won't even
11 need this. But I just indicated on there,
12 there are a few of the very earlier PERs that
13 were done that we never went back and
14 reevaluated any claims. I don't know if that's
15 something that's even necessary at this point.
16 And I indicated on there that you have assigned
17 us claims for the Hooker and that work is
18 underway.

19 CHAIR MUNN: Thank you, Kathy.

20 MS. K. BEHLING: You're welcome.

1 CHAIR MUNN: Does any Member of the
2 Subcommittee have any questions for Kathy with
3 respect to the list that you have in hand?

4 MEMBER ZIEMER: Well, we
5 appreciate her analysis of these for us. Thank
6 you, Kathy.

7 MS. K. BEHLING: You're welcome.

8 CHAIR MUNN: So the question now,
9 what is your desire with respect to the four
10 that have not been assigned and have been
11 recommended? Does a pre-review seem to meet
12 your personal feelings with regard to proper --

13 MEMBER ZIEMER: Well, how much
14 difference is there in the workload, Kathy, for
15 a pre-review versus a review?

16 MS. K. BEHLING: Well, actually, I
17 was going to say I think, to some extent, we're
18 adding a step that probably can be avoided.
19 Because I do think that in each case, the only
20 one that I actually would say is perhaps the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Bliss & Laughlin, just because when I look
2 through these, TBD-6000 has been reviewed quite
3 extensively by us. We've reviewed OTIB-4, and
4 I know with OTIB-4 there were a lot of very
5 conservative assumptions there. I have a hard
6 time imagining that there would be a lot of
7 increase in dose associated with that
8 particular one.

9 But I would really almost
10 recommend, rather than just a pre-review on at
11 least 47, 52 and 54 to just go ahead and do a
12 full review rather than adding the additional
13 step.

14 As I said, these were done by
15 templates which were never formalized or
16 reviewed by SC&A, and I just think it might be
17 a good idea to do a full review of those three
18 as a minimum.

19 And I can dig a little bit closer and
20 do a pre-review of the 50, PER-0050, because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that may be a little bit more extensive. But
2 that would be my recommendation.

3 MR. KATZ: So, Kathy, let me ask you
4 a question about the carborundum, because I had
5 asked Stu just to give me his thoughts about how
6 significant the changes are here in terms of how
7 complex they are and how much likelihood there
8 is of there being much here at the end of the
9 day. Because we've done a lot of PER reviews
10 at this point, and quite a number of them,
11 really. I mean, they've been good in sort of
12 finding concurrence that everything was done
13 right, but in fact we didn't learn much was out
14 of whack for the vast majority of PER reviews
15 that you've done so far.

16 And carborundum, I mean, as I
17 understand, I think, from Stu, I mean, the main
18 thing here is the revision of depletion values
19 in OTIB-70, which, you know, SC&A was heavily
20 involved in, so there's not much new here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: No.

2 MR. KATZ: So I was wondering
3 whether there's, for example, really any value
4 in going further on that one.

5 MS. K. BEHLING: Yeah, you're
6 right. As I look at this, as I said, with the
7 Bliss & Laughlin that's true. This was more
8 based on the OTIB-70. I was just looking at the
9 number of claims that were affected by this.
10 But you're probably correct. I guess probably
11 a full review of 47 and 52 would be probably
12 adequate.

13 MR. STIVER: This is John Stiver,
14 if I can just jump in for a minute. I'd just
15 kind of like to remind everybody that the reason
16 we started doing these pre-reviews was to avoid
17 kind of having to, you know, guess at the level
18 of value in realtime during the meetings.

19 But you do a quick scoping review,
20 I know Hans did the last -- correct me if I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wrong, but I don't think he spent more than
2 about a day or two doing that. So, you know,
3 let you take a quick look and you can just say
4 right away that, you know, this is something,
5 this is a no-never mind. The others might take
6 a little bit more digging. So I wouldn't
7 necessarily recommend abandoning the
8 pre-review stuff with some of these things.

9 MR. KATZ: Yeah, except that, I
10 mean, every PER doesn't need to be reviewed at
11 all. I mean, there's still -- there doesn't
12 have to be a sense that all PERs get reviewed.
13 In fact, I mean, that doesn't make much sense
14 given the experience so far to be reviewing
15 every PER.

16 So, anyway, that's why I just raised
17 the question about, similar to what Kathy said
18 about 50, about 54. It's not looking like
19 there's anything there of value.

20 CHAIR MUNN: Thank you, Ted, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thank you, John. Any other thoughts? Any
2 recommendations with respect to the four PERs
3 we're looking at?

4 MEMBER BEACH: I think I agree with
5 all the discussions so far. I think 47 and 52
6 look like likely candidates, and 50 and 54 have
7 been reviewed based on OTIB-6000 and 70, so I'm
8 good with that.

9 CHAIR MUNN: I have a tendency to
10 agree. Paul, how do you feel about it?

11 MEMBER ZIEMER: Yeah, I think it
12 makes sense. Just certainly backing just the
13 two of them.

14 CHAIR MUNN: Let's move forward
15 with PER 47 and 52.

16 MEMBER ZIEMER: Yeah, yeah.

17 CHAIR MUNN: And recommend those to
18 SC&A as appropriate subjects for --

19 MEMBER ZIEMER: Are we talking
20 about pre-reviews or reviews?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: We're talking about
2 reviews, I believe.

3 MR. KATZ: Yeah, what I would
4 suggest is just assume it's a full review. If
5 SC&A, John, if you guys get into it in one of
6 those and there's not much there, then, you
7 know, you can back off of it. But if there
8 seems to be some pith there then carry on and
9 just do a full review.

10 MR. STIVER: Okay, we'll go ahead
11 and take those marching orders.

12 MEMBER BEACH: And then I have one
13 question on 54. This is just in general.
14 Because of the number of cases, did SC&A feel
15 like we should do a pre-review on that or just
16 eliminate them totally from review?

17 MS. K. BEHLING: Well, based on the
18 fact that we have looked pretty extensively at
19 OTIB-70, I guess, as indicated by Ted, perhaps
20 we don't even need a pre-review.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Again, if you want us to do just a
2 quick look at this, we can do that. And like
3 Ted said, back off if we feel that there's no
4 real need.

5 CHAIR MUNN: When I first looked at
6 it, the OTIB-70 jumped out at me as being
7 something we have vetted extensively, and for
8 that reason, and primarily that reason, I would
9 have a tendency to reject 54, personally.

10 MS. K. BEHLING: Okay. And I'm
11 comfortable with that. I just wanted to throw
12 it in.

13 MEMBER ZIEMER: Yeah, I would hold
14 off on it and let this one --

15 (Simultaneous speaking.)

16 CHAIR MUNN: Yeah, 52 has been
17 appropriately assigned and we can move on to
18 Table 3 and your response to the question as to
19 whether or not you feel that it's appropriate
20 to be going back to these and addressing them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 further.

2 I don't see any really driving
3 reason to do that, personally, but if other
4 Board Members --

5 MEMBER BEACH: The only one that
6 stands out to me is PER-0029 and that's just
7 because of the number of claims that
8 potentially affect.

9 CHAIR MUNN: Paul?

10 MEMBER ZIEMER: Yeah, I don't have
11 any that jump out at me, but I'd go along with
12 29 if Josie is concerned about it.

13 MEMBER BEACH: Well, I just noticed
14 it was referred back to the Work Group, so they
15 may make a decision on that themselves.

16 CHAIR MUNN: Yeah, it doesn't seem
17 necessary for us to address this here, in my
18 personal view. I don't want to throw a monkey
19 wrench in that.

20 MEMBER BEACH: No, I'm fine with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that.

2 CHAIR MUNN: All right. So I think
3 we can dispense with concerns for that. And
4 thank you. Anything else from anyone with
5 respect to the PER recommendations? If not,
6 then let's take a look at some of our details
7 from carry-overs from previous meetings.

8 We have status reports that we
9 haven't had in quite some time on what's going
10 on with one report and four PERs as listed on
11 your agenda. Can we hear a status update on
12 RPRT-53 and the four PERs?

13 MR. HINNEFELD: I think RPRT-53
14 might be Jim.

15 DR. NETON: I'm sorry, I was
16 distracted there for a second. RPRT-53. I
17 think most people are aware, the Board is pretty
18 aware of where we are. NIOSH is in the process
19 of preparing an implementation guide in draft
20 form right now that is accepting comments from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Board.

2 We had a fairly lengthy discussion
3 about that document, about the draft version of
4 that document, at the Idaho Working Group that
5 was held prior to the Idaho Board Meeting and
6 received a lot of good feedback. The
7 transcripts have been issued and I'm combing
8 through the transcripts trying to glean the
9 suggestions that were made during that
10 discussion, and there were a number of them.

11 We're working on that from that
12 front, and that will address a number of the
13 findings that were made on -- at least to put
14 NIOSH's position on paper as to the number of
15 findings that were made in RPRT-53.

16 Also I think that a lot of that has
17 to do with the evaluation of a significant
18 difference, how you evaluate significant
19 difference between a strata of coworker models,
20 and NIOSH has put out a paper on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But we also, I think, have made some
2 progress on the OPOS, the issue of OPOS. There
3 seem to be some favorable reaction, at least
4 from my opinion, from SC&A, on the use of a
5 backwards time-weighted average calculation.
6 I owe a response to SC&A on comments that was
7 made on the use of calculations that we've done
8 using IMBA in chronic versus -- multiple acute
9 intakes versus a single chronic acute intake,
10 and I will provide that shortly.

11 But I think there was -- I sense that
12 there was reasonable agreement on that, so we
13 may be moving towards some agreement on how OPOS
14 is calculated. The key difference, the key
15 thing in my mind right now is the evaluation of
16 what do we consider a practical or a significant
17 difference between two strata. That's about
18 all I have to say.

19 CHAIR MUNN: Thank you, Jim.
20 Shall we continue to carry this as a request for

1 status report, or are we almost to the point
2 where we can begin to address your response to
3 the findings specifically?

4 DR. NETON: I would carry it right
5 now just as a status.

6 CHAIR MUNN: All right. We'll
7 continue to carry it the way we have been.

8 Who is prepared to give us a status
9 on the four PERs?

10 MR. HINNEFELD: This is Stu and
11 I'll give it a try. Maybe somebody else can
12 help me out. PER-0037 is Ames. And we also
13 have an Ames Site Profile Review that we're
14 working on responding to, so I presume that our
15 research and response efforts for the Ames Site
16 Profile Review will include the -- we'll just
17 go ahead and throw the PER-0037 findings in
18 there. I don't know to what extent they're
19 similar, but we'll sort that out.

20 I was trying to look around BRS on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 PER-0037. That particular one wasn't working.
2 Everything else was working great and that one
3 didn't seem to bring up any findings and didn't
4 even seem to bring up a field that looked even
5 remotely familiar, I mean a page that looked
6 even remotely familiar. So did anyone try to
7 put findings in the PER-0037 from --

8 MR. KATZ: Stu, there's no review
9 yet.

10 MR. HINNEFELD: Oh, there is no
11 review yet?

12 MR. KATZ: Right. The review's
13 waiting for the Site Profile resolution.

14 MR. HINNEFELD: Thank you.

15 DR. NETON: That makes sense. I
16 was going to say, because we didn't roll in any
17 PER-0037.

18 MR. KATZ: That's right. No, I was
19 about to interject. But SC&A we had to hold off
20 on the PER review until the Site Profile goes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 through resolution with the Work Group, which
2 is just awaiting for your responses.

3 MR. HINNEFELD: Okay, good. So
4 then I'm not behind the eight ball on 37.

5 DR. NETON: I can report that,
6 somewhat related, that we have addressed all
7 the findings, I think there were 22 on Ames, and
8 I've got them on my inbox for review now. So
9 we should be able to put those out fairly
10 shortly.

11 (Simultaneous speaking.)

12 MR. HINNEFELD: PER-0011 is K-25, I
13 think, that is -- really the finding that is
14 open relates to how are construction trades
15 workers identified and how are they selected,
16 treated. And in going through that issue we've
17 determined that there was some confusion on
18 which workers that construction trades workers
19 adjustment should be applied to. And so we are
20 in the process of doing that. In fact, I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we may have just reissued that construction
2 trades worker OTIB, didn't we, Jim?

3 MS. K. BEHLING: OTIB-52 was
4 updated.

5 MR. HINNEFELD: Yeah. So I think
6 there might be some effort associated with
7 trying to make sure, you know, look back at
8 cases that maybe weren't treated
9 appropriately. The confusion came in to
10 whether the construction trade workers
11 adjustment should apply only to subcontractor
12 construction trade workers or should be applied
13 to everybody with a construction trade worker
14 job title, including what I would call in-house
15 employees, the prime contractor employees.

16 So there was some confusion about
17 that and it wasn't being used consistently, and
18 so we've sorted that now that it should
19 apparently apply to all construction trade
20 workers regardless of their employer. And so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's going to have to be some sort of
2 look-back associated with that change as well.

3 Now, once that -- I think that
4 action in some fashion may be able to finish out
5 -- or we might be able to finish out this
6 PER-0011 finding by referring to whatever
7 action's coming out of the OTIB-52 revision and
8 associated PER.

9 So we still need to sort that out.
10 I'm not really ready to say definitely how we
11 will say it, but I think we can probably close
12 this finding based on that that OTIB-52 work.

13 I know that PER-0033 is a Reduction
14 Pilot Plant and the only finding is no finding,
15 so I don't know what we would have to do on that.
16 Anybody got any ideas there, if I missed
17 something there?

18 CHAIR MUNN: Certainly not I.
19 Perhaps we need to take a close look at why we're
20 even carrying that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: Yeah, this is Ron
2 Buchanan. We discussed that at the February
3 13th, and I have a note that we had no findings
4 on the two cases we audited and that it was
5 closed at the meeting on 2/13-14.

6 CHAIR MUNN: Do we say that in the
7 BRS, or is that our only --

8 MR. HINNEFELD: The BRS has no
9 finding in it, so there were no findings.

10 CHAIR MUNN: If there were no
11 findings then we don't need to continue
12 carrying it, if we've said that in the BRS.

13 MR. HINNEFELD: Yeah, it's in
14 there.

15 CHAIR MUNN: Good. All right, I
16 think Steve's checking on that for us just to
17 make sure. Thank you, Steve.

18 MS. K. BEHLING: This is Kathy
19 Behling. I can speak to PER-0018.

20 CHAIR MUNN: Kathy, you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 breaking up badly.

2 MR. HINNEFELD: Can't hear you.

3 No, still can't hear you.

4 CHAIR MUNN: Something happened to
5 your connection. You're really bad now.

6 MS. K. BEHLING: Okay. Hold on
7 just a second.

8 MR. KATZ: It's a bad case of
9 laryngitis.

10 MR. MARSCHKE: Wanda, am I looking
11 for 18?

12 CHAIR MUNN: I'm sorry about that.
13 No, PER-0033.

14 MR. MARSCHKE: 33.

15 CHAIR MUNN: There it is. No
16 findings.

17 MR. MARSCHKE: Yeah, but it's open.
18 We should make it as closed, shouldn't we?

19 CHAIR MUNN: Yeah, we should. I
20 don't think you even need to have a comment. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just needs to be closed.

2 MR. KATZ: So what Kathy was saying
3 is that she could address PER-0018.

4 MS. K. BEHLING: Yes, can you hear
5 me?

6 MR. KATZ: No. You're still --

7 MS. K. BEHLING: Hold on one
8 second. Hold on one second.

9 CHAIR MUNN: Now we're hearing you.
10 We're hearing you, Kathy. Suddenly you're
11 okay.

12 MS. K. BEHLING: Is that any
13 better?

14 MR. KATZ: Yeah.

15 CHAIR MUNN: It is.

16 MS. K. BEHLING: I think my phone
17 was telling on me here. PER-0018, we actually
18 finished our subtask for review on PER-0018,
19 and PER-0018 is the LANL Site Profile revision.
20 And that was sent out on May 30th of this year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I can briefly go through that if you'd like.

2 There were five cases that we reviewed and four
3 findings. Do you still want to do that today?

4 CHAIR MUNN: I don't think we need
5 to review the findings. Do you know whether we
6 have them in the system?

7 MS. K. BEHLING: We do not. I have
8 not gotten them into the system yet but I can
9 certainly do that.

10 CHAIR MUNN: Okay. I think if we
11 had that as our action item for next time then
12 we will pull it out of our status review and
13 bring it to the forefront.

14 MS. K. BEHLING: Okay.

15 CHAIR MUNN: Thanks, Kathy. I'd
16 appreciate that.

17 MS. K. BEHLING: All right.

18 CHAIR MUNN: We'll look forward to
19 that when you have an opportunity to do it.

20 MS. K. BEHLING: Okay, I will do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that shortly after this meeting.

2 CHAIR MUNN: Thank you. No
3 further questions here with regard to status on
4 the outstanding items that we have listed.
5 Does anyone have any question or do you have any
6 additional items that need to be added to our
7 status list?

8 If not, then we'll move on to the
9 next item, which is simply to comment for those
10 who have any concern about it and who might not
11 have followed what transpired recently.

12 The PPG status will be going to the
13 hands of that Work Group, which has now been
14 established, and we will stop carrying this
15 item as a concern for us until we hear back from
16 the Work Group.

17 Our next meeting needs to be
18 defined. My suggestion would be two months
19 from today, a little bit before today. My
20 suggestion would be Wednesday, October the 22nd

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or Thursday, October the 23rd.

2 MR. KATZ: Those are out of the
3 question for me and probably Stu too.

4 CHAIR MUNN: Okay.

5 MEMBER BEACH: That's out of the
6 question. Anything after the 20th for me won't
7 work.

8 CHAIR MUNN: Then can we say either
9 the 15th or the 16th?

10 MR. KATZ: I'm just wondering, it's
11 awfully soon, considering that work needs to be
12 done before it's a worthwhile meeting. I think
13 we might as well then -- and I know that Josie's
14 going to be off until, when do you get back,
15 Josie?

16 MEMBER BEACH: November 20th.

17 CHAIR MUNN: That would put us into
18 Thanksgiving. I would suggest not postponing
19 it another month.

20 MR. KATZ: Well, I don't think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have much work to do if we give it six weeks or
2 whatever. I mean, there will be hardly
3 anything to address.

4 CHAIR MUNN: Well, Ted, I might
5 take a little issue with that. We have all of
6 the things that we looked at early this morning
7 we'll take care of that next time, we know what
8 we're doing, we just haven't done it yet.

9 MR. KATZ: But the next time, I
10 mean, we'll take care of it means they have to
11 do work to be ready. The next time, I mean, we
12 haven't been meeting --

13 CHAIR MUNN: All right.

14 MR. KATZ: -- every two months --

15 CHAIR MUNN: When would we suggest?

16 MR. KATZ: So, well, I would
17 suggest we do this later in November, then.
18 Stu can see --

19 (Simultaneous speaking.)

20 MR. KATZ: -- and if there's plenty

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of work to have a meeting, that's fine. I'm not
2 seeing where all that work is.

3 MR. HINNEFELD: Well, it's a little
4 hard to judge how much will be accomplished. I
5 think there are probably some things we can
6 enter but I don't know how much. I mean, we
7 always have the option for having a shorter
8 meeting. Since we're not traveling, you know,
9 there's no imperative to have a full-day
10 meeting or a six-hour meeting.

11 We will accomplish what we -- you
12 know, we will work to accomplish it, like we
13 always do, to align, you know, fitting it in
14 with the other work we do on the program. So
15 we'll try to get -- but it's hard to judge today
16 how much exactly we'll have in there.

17 CHAIR MUNN: Well, someone suggest
18 a target date to me after the 20th, after the
19 week of the 20th, if Josie is not --

20 MEMBER BEACH: Actually, Wanda,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this is --

2 CHAIR MUNN: -- to find out where
3 she lives again.

4 MEMBER BEACH: Wanda, this is
5 Josie. I'm actually available the 18th and
6 19th of November, if that works.

7 CHAIR MUNN: I'm waiting for a
8 suggestion from others.

9 MR. HINNEFELD: Well, not that it
10 matters very much but those dates work for me.

11 DR. NETON: The 19th does not work
12 for me.

13 MEMBER BEACH: How's the 18th?

14 DR. NETON: Yes, the 18th works.

15 CHAIR MUNN: 11:00 a.m. Eastern
16 Time, October the 18th.

17 DR. NETON: Wait, in October or
18 November?

19 CHAIR MUNN: November the 18th.

20 MR. KATZ: November the 18th is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 good here. Paul, is that good for you? Do we
2 still have Paul on the line?

3 MEMBER ZIEMER: Yes, I was on mute.
4 Is that October 18th?

5 CHAIR MUNN: No, November 18th.

6 MEMBER ZIEMER: Or November
7 rather. Hang on here. November 18th, yes,
8 that's okay.

9 CHAIR MUNN: Anyone have any
10 problem with November 18th?

11 MR. KATZ: I'll send a note to Dick.
12 But we'll do that in any event because it seems
13 like his availability -- when he's available,
14 it can fall away pretty quickly.

15 MEMBER ZIEMER: Was that November
16 18th?

17 CHAIR MUNN: November 18th.
18 Tuesday, November 18th.

19 MEMBER ZIEMER: Yes, that's fine
20 for me.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Any other business to
2 be addressed today? If not, thank you for your
3 help, and we will see you, talk to you,
4 hopefully we'll see you in Los Angeles, and if
5 not, then we'll talk to you three months from
6 now.

7 MR. KATZ: Thanks, everyone.

8 CHAIR MUNN: Thank you much.

9 MEMBER ZIEMER: Thanks, Wanda.

10 CHAIR MUNN: Bye-bye.

11 MEMBER ZIEMER: Bye-bye.

12 (Whereupon, the above-entitled
13 matter went off the record at 4:13 p.m.)