

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

SEC ISSUES WORKGROUP

+ + + + +

FRIDAY  
JANUARY 24, 2014

+ + + + +

The Work Group convened via  
teleconference at 11:00 a.m., Eastern  
Daylight Time, James M. Melius, Chairman,  
presiding

PRESENT:

JAMES M. MELIUS, Chairman  
JOSIE BEACH, Member  
GENEVIEVE S. ROESSLER, Member  
PAUL L. ZIEMER, Member

ALSO PRESENT:

TED KATZ, Designated Federal Official  
BOB BARTON, SC&A  
HARRY CHMELYNSKI, SC&A  
ARJUN MAKHIJANI, SC&A  
JOYCE LIPSZTEIN, SC&A  
JOHN MAURO, SC&A  
JAMES NETON, DCAS  
MICHAEL RAFKY, HHS  
DANIEL STANCESCU, DCAS  
JOHN STIVER, SC&A  
TIM TAULBEE, DCAS

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

T-A-B-L-E O-F C-O-N-T-E-N-T-S

	<u>PAGE</u>
Introduction	
Chairman Melius .....	3
NIOSH Update on Report 0053/sufficient accuracy analyses	
Dr. Jim Neton .....	4
SC&A Review Update	
Mr. John Stiver .....	33
Dr. Harry Chmelynski .....	35
Dr. Joyce Lipsztein .....	46
Comments and Questions .....	56
Plans for Work Group & January ABRWH Meeting Session .....	59
Adjourn	

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

P-R-O-C-E-E-D-I-N-G-S

(11:00 a.m.)

CHAIRMAN MELIUS: Okay. Thanks, Ted. Welcome, everybody. I appreciate you taking the time. I believe this will be a relatively brief meeting. It's mostly to get prepared for the Board Meeting next week and sort of decide where we are on sort of dealing with the SEC review group that's been looking at the whole issue, along with working with NIOSH and others on ORAU and SC&A on this issue of sufficient accuracy. And just wanted to get an update prior to the meeting and then decide what, if anything, about this topic we want to discuss at the meeting next Tuesday.

So I think our first order of business is sort of get an update from where NIOSH is. And, Jim?

DR. NETON: Okay. Thank you, Dr. Melius. I have a couple brief documents that I can share with you as to our progress

1 in two areas that we were agreed to look  
2 into at the last Working Group Meeting.

3 And that has to do with what I'll  
4 call the 100 millirem experiment where we're  
5 going to add 100 millirem to some NOCTS  
6 cases and see how that affected PC outcome.  
7 And then a little bit on where I am at with  
8 the -- I committed that we would start to  
9 draft an implementation guide for coworker  
10 models. And I've made some progress on  
11 that, but honestly I have more questions  
12 than answers at this point.

13 Regarding the first issue, I've  
14 just got a brief presentation here about the  
15 practical significant dose evaluation. And  
16 just this slide -- can everybody see my  
17 slide, by the way?

18 MEMBER ROESSLER: You know, this  
19 is amazing. This is Gen. I got this  
20 invitation this week to get on this live  
21 stuff on the computer and I've been fussing  
22 with it for a whole day. Ted just sent the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information, I got on and I see it. This is  
2 great.

3 DR. NETON: Excellent. That's  
4 Gen. Everybody else, too?

5 MEMBER BEACH: Yeah, I do.

6 MEMBER ZIEMER: Yes, I see it,  
7 but it's not centered. Can I do something  
8 about this or can you close the left side of  
9 your screen?

10 MEMBER BEACH: No, you can center  
11 it, Paul. I did.

12 MEMBER ROESSLER: Well, how do  
13 you do it?

14 MEMBER BEACH: Down at the bottom  
15 of your screen.

16 MEMBER ROESSLER: Yeah, you  
17 should be able to click on slideshow and do  
18 it, but that doesn't work.

19 MEMBER BEACH: There's a bar at  
20 the bottom.

21 DR. NETON: Well, I did slideshow  
22 but it's too big, so I kind of left it in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this mode.

2 MEMBER ROESSLER: Okay, I've got  
3 it centered now.

4 DR. NETON: Just so it can all  
5 fit on the screen. I only have -- this is  
6 my only slide. So we don't have to labor  
7 too much.

8 MEMBER ROESSLER: You have a  
9 bunch of neophytes here.

10 DR. NETON: Yes.

11 MEMBER ZIEMER: Yeah, it's too  
12 big for my screen for some reason.

13 DR. NETON: This is the only one  
14 and all I want to do is just summarize what  
15 we said we were going to do, and then get  
16 into another document that gives us some  
17 preliminary results.

18 MEMBER ZIEMER: Okay.

19 DR. NETON: So just bear with me  
20 on these four bullets here. Just to refresh  
21 your memory, we had proposed to evaluate the  
22 significance -- to attempt to start to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 evaluate the significance of what dose  
2 really makes a practical difference in a  
3 dose reconstruction.

4 We agreed to look at a bunch of  
5 NOCTS claims, and the idea was to identify  
6 NOCTS claims with a single cancer that had a  
7 Probability of Causation between 45 and 50  
8 percent. And those, by definition, are best  
9 estimates, because over 45 percent we're  
10 required to do a best estimate. And we also  
11 felt, if you recall, that anything below 45  
12 percent would be unlikely to be changed by  
13 addition of 100 millirem.

14 And we also -- in the protocol  
15 that we established, we're going to insert a  
16 zero millirem exposure line for each case,  
17 and then do 30 IREP runs of 10,000  
18 iterations for each NOCTS case and calculate  
19 the average PC of all those cases.

20 Between 45 and 50, this is  
21 standard protocol. We're required to do 30  
22 runs of 10,000 because it minimizes the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       uncertainty in the estimate.  And then we  
2       were going to change that zero millirem  
3       exposure to 100 millirem, and this is going  
4       to be external dose only, and do the same  
5       thing, do the 30 IREP runs and calculate the  
6       average PC.

7                       Well, we selected the cases and  
8       it turns out that, at the end of the day, we  
9       ended up with 175 cases out of about 38,000  
10      claims that had been dose reconstructed that  
11      met our selection criteria.

12                      So we went about doing exactly  
13      what I just outlined here, and it took a lot  
14      of computer horsepower.  We moved a lot of  
15      electrons around doing this analysis, and  
16      these are preliminary results because  
17      honestly we just got them a few days ago.

18                      And so all I'm going to be able  
19      to present here is sort of a brief sketch of  
20      what we ended up seeing.  And, of course,  
21      there's a lot analysis to do here on these  
22      data sets, but I wanted to give you a flavor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for where we are at this point.

2 First table here just sort of  
3 summarizes what -- it does summarize the  
4 frequency distribution of the cancers that  
5 came out of that 174 or 175 case set.

6 What surprised me is about half  
7 the cases -- almost half the cases were  
8 either lung cancers or non-melanoma basal  
9 cell carcinomas, which really surprised me.  
10 I thought it would be more of an even  
11 distribution, or more likely I thought the  
12 leukemias would be in that category, but  
13 they weren't. There was only three  
14 leukemias, excluding chronic lymphocytic  
15 leukemia, that met the criteria.

16 So, anyway, this is a  
17 distribution of the cancers that we saw. If  
18 anybody has any questions, please chime in,  
19 because again this is very preliminary and  
20 I'm kind of looking at this only for the  
21 second time myself.

22 MEMBER ROESSLER: This is kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 -- I remember talking about this at the  
2 meeting, but all of a sudden now having to  
3 think about it and things are flashing  
4 around on my screen, which you're probably  
5 doing.

6 DR. NETON: Yes.

7 MEMBER ROESSLER: What does that  
8 mean, that only 175 out of 38,000?

9 DR. NETON: There were only 175  
10 cases of all the cases that we did dose  
11 reconstruction that had a Probability of  
12 Causation between 45 and 50 percent, or less  
13 than --

14 MEMBER ROESSLER: Oh, okay. I  
15 get it. Okay, I see what you're saying. So  
16 those are the ones then that you will test -  
17 -

18 DR. NETON: Exactly.

19 MEMBER ROESSLER: Really what  
20 you're asking is what does 100 millirem do  
21 to the PC?

22 DR. NETON: Exactly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ROESSLER: Okay, I got it.

2                   DR. NETON: And this is just for  
3 general interest, you know, which cancers  
4 comprise the 174, 175 cases. And you can  
5 see that about half were between -- 54 out  
6 of those were for lung and 30 were for non-  
7 melanoma BCC.

8                   The rest were fairly evenly  
9 distributed. You have, I guess, all male  
10 genitalia and colon cancer represented, next  
11 two highest number of cases. That's sort of  
12 telling us --

13                  DR. MAURO: Jim, this is John.  
14 I'm sorry to interrupt, I also have a  
15 question of the nature that Gen just asked.

16                  DR. NETON: Yes.

17                  DR. MAURO: So you've got this  
18 group that falls into the category of 45 to  
19 50 percent. And the process you went  
20 through, you lost me a little bit on when  
21 you described the zeros and 100 a little.  
22 Conceptually, what I'm seeing is you've got

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       these cases and you're adding 100 millirem  
2       to the totality of the external dose, or is  
3       that 100 millirem per year?

4                   DR. NETON:  No, to the totality.  
5       It's 100 millirem increase in the total  
6       dose.

7                   DR. MAURO:  Okay.  So whatever  
8       the dose was reconstructed using your  
9       standard protocols, including the non-  
10      detects, including the coworker models, and  
11      everything else that went into these  
12      realistic dose reconstructions for all these  
13      cases, you just went ahead and said, okay,  
14      I'm going to add another 100 millirem at  
15      some point in time.

16                   DR. NETON:  Right.

17                   DR. MAURO:  Because we are  
18      covering, I guess, multiple years.  I guess  
19      you just pick some time, a given year, and  
20      say I'm just going to add in to that year?

21                   DR. NETON:  Actually, we thought  
22      about this some, and it was in the protocol,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I didn't go over it, but we decided to pick,  
2 since it was external exposure, we added it  
3 to the first year of employment, that it  
4 would maximize the latency.

5 DR. MAURO: Got you.

6 DR. NETON: We didn't want to put  
7 it too close in time.

8 DR. MAURO: Okay, so you picked  
9 that year. I'm with you. Okay.

10 DR. NETON: We did a slightly  
11 different adjustment for leukemia because  
12 leukemias have a shorter latency.

13 DR. MAURO: Yeah, two years on  
14 that one. Yeah.

15 DR. NETON: And I forget where we  
16 put it, I think we put it at five years out,  
17 the exposure, because that was the maximum  
18 credit that would be given.

19 Now, it might be a little  
20 confusing why we added -- since we already  
21 had the runs, why did we add a zero line?

22 Well, what happens is, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we reset the zero and put the line in and  
2 reran it, and then when we added the 100 we  
3 reran the same cases with the same random  
4 number seeds so that we could truly look at  
5 the difference between adding 100 millirem,  
6 and sort of isolate the variability that is  
7 due to just the uncertainty in the Monte  
8 Carlo calculation.

9                   So, what I'm going to be  
10 presenting, not to be confusing, is I've got  
11 three comparisons. I'll have the original  
12 PC value, I'll have the recalculated value  
13 with zero added, and the recalculated value  
14 with 100 millirem added. And you'll see  
15 there are differences.

16                   DR. MAURO: Got you.

17                   DR. NETON: And the main  
18 difference that you're going to see is that,  
19 if you compare the original run with 100  
20 millirem added, there's more variability  
21 there because they're run on two different  
22 random sets of number seeds and that shows

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the inherent variability of the IREP  
2 calculation itself.

3 DR. MAURO: Good.

4 DR. NETON: We're still looking  
5 into this, so, again, a lot of this is going  
6 to have to go through the gristmill before  
7 we --

8 DR. MAURO: I understand what you  
9 said. Very good, thank you.

10 DR. NETON: All right. And in  
11 fact this next slide shows exactly that.

12 MEMBER ROESSLER: My picture went  
13 away, did I hit something?

14 MEMBER ZIEMER: Mine went away  
15 also. My Live Meeting says nothing is  
16 currently shared.

17 DR. NETON: Well, I've got  
18 something on my screen here, which is  
19 interesting.

20 MEMBER BEACH: Yeah, I've got  
21 nothing, too.

22 DR. NETON: Okay, well, maybe it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       timed out or something. Let me try it  
2       again. I have Paul Ziemer's desktop showing  
3       being shared.

4                   MEMBER ROESSLER: Uh oh.

5                   MEMBER ZIEMER: Well, maybe I  
6       took you over, but I didn't know I was  
7       sharing anything.

8                   DR. NETON: No, you took me over  
9       I think. Let me -

10                  MEMBER ZIEMER: How do I undo  
11       that?

12                  DR. NETON: I'm going to do it  
13       myself here. Okay, now I'm going to go back  
14       to share and share my desktop.

15                  MR. KATZ: All right, that  
16       worked.

17                  DR. NETON: Is that back?

18                  MEMBER ROESSLER: Yes. That's  
19       back.

20                  MEMBER ZIEMER: Okay. I'm seeing  
21       a chart, is that what you're showing?

22                  DR. NETON: Yeah, now I'm looking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at the second table here which is titled  
2 "variable."

3 MEMBER ROESSLER: Okay, very  
4 good. I've got it.

5 DR. NETON: It has a minimum,  
6 medium, and maximum.

7 MEMBER ROESSLER: Mm-hmm.

8 DR. NETON: Okay. This  
9 particular graph, table, shows the direct  
10 comparison. The average PC of the original  
11 174 cases you see a minimum, median, mean,  
12 and maximum.

13 So the mean value of the original  
14 cases, of all the cases added up, the PC was  
15 47.37 percent. When we added the zero dose  
16 the mean value of all the cases when we  
17 reran them with a different random number  
18 seed, was also 47.37, which was good. We  
19 would hope that would be the case.

20 When we added 100 millirem dose  
21 to all 174 cases, the median value of all  
22 the cases rose to 47.43. In other words, a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 0.06 percent increase. So not much, which  
2 was kind of interesting.

3 And if you look at the minimum  
4 and maximum values, of course the original  
5 value had a minimum of 44.9 and a maximum of  
6 49.87. In the cases where we added either  
7 zero or 0.1, none of the cases exceeded 50  
8 percent, which is interesting.

9 So, you know, you have a lot of  
10 cases here that were very close to 50  
11 percent. And, again, we reran all 174 and  
12 not one of them moved over the 50  
13 percentile, or 50 percent of the 99th  
14 percentile. So that was kind of interesting  
15 itself.

16 And so the difference of 0.06 is  
17 pretty small. I expected more, actually.  
18 So we tried to -- you know, Daniel Stancescu  
19 did these comparisons, so I'll give him the  
20 credit here, but, you know, we had a few  
21 days to look at this so we tried to do a few  
22 little breakouts here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And here we, the next table that  
2                   you'll see that has "cancer type" in the  
3                   title, shows a comparison of what the  
4                   results look like with leukemia cancers  
5                   versus solid cancers. And you really don't  
6                   see a huge difference. I thought there  
7                   might be because leukemias tend to be more  
8                   radiosensitive and it might move more with  
9                   100 millirem added, but not necessarily.

10                   There was an uptick. If you look  
11                   at the average value right here, the average  
12                   PC to add 100 millirem dose, you got 47.67.  
13                   The average for the solid was a little bit  
14                   lower. So there was a little bit higher  
15                   increase there, but nothing really that  
16                   stuck out in my mind as super significant.

17                   Moving on to the next table, this  
18                   is just what I really kind of just said on  
19                   the original slide. The mean value changed  
20                   0.06 percent for all the cases. Now, you  
21                   will see that the spread of differences is  
22                   much greater in the add 100 millirem to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 original versus 100 millirem added to the  
2 zero dose added.

3 You'll see the minimum value was  
4 minus-0.43 and the maximum change was 0.67,  
5 so quite a spread versus zero when we  
6 compare the ones that were run with the same  
7 random number seed to a maximum of 0.34.

8 And what that really reflects is  
9 the inherent variability in the random  
10 number seed generation of the Monte Carlo  
11 calculation. Because the second line  
12 comparison here removes that degree of  
13 uncertainty because we ran them with the  
14 same random number seed.

15 So, moving on, I have another  
16 comparison here of leukemias and nothing  
17 really -- again, there's a slightly higher  
18 difference in the mean values, but nothing  
19 of substance that I think is of note at this  
20 point.

21 Again, further comparisons,  
22 cancer type, not much there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Just on a last note, we just  
2                   looked at the frequency of changes. That  
3                   is, how many went up and how many went down  
4                   and we did the comparisons. And if you  
5                   compare the average change from the values  
6                   when we -- the original to the 100 millirem  
7                   dose, compared those two values, the  
8                   frequency was 64, went down -- is that  
9                   right? Four had no change and 106 went up.

10                   That represents, I think, the  
11                   uncertainty of the Monte Carlo calculation  
12                   itself. And that's something we might want  
13                   to look into when we're talking about  
14                   significant dose, is maybe what degree of  
15                   dose is required to show a statistical  
16                   significant difference in the result above  
17                   and beyond the Monte Carlo uncertainty.

18                   And this last slide I have just  
19                   shows that when you compare the two that  
20                   were run with the same random number seed,  
21                   173 went up and 2 had no change. None went  
22                   down.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So, I think that's all I want to  
2                   say about that. That's all we can really  
3                   get right now. But to me the big item is  
4                   that none of the cases went over 50 percent  
5                   by adding 100 millirem.

6                   MEMBER ROESSLER: Will we get a  
7                   copy of this data when we get to the  
8                   meeting?

9                   DR. NETON: When you get to the  
10                  meeting?

11                  MEMBER ROESSLER: Yeah, or --

12                  DR. NETON: Well, I don't know.  
13                  I mean, that's -- we're going to decide that  
14                  I guess during this call, what we want to do  
15                  with this. These are very preliminary.  
16                  Again, you know, we just got these done. I  
17                  don't know how much time I'm going to have  
18                  to clean them up before the meeting.

19                  MEMBER ROESSLER: Okay. Well, I  
20                  think at some point it would be --

21                  DR. NETON: Oh, yeah, sure.

22                  CHAIRMAN MELIUS: At some point

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it should be a report.

2 DR. NETON: Yes, exactly. This  
3 was just to give you a heads up as a  
4 completed analysis and here's where we are  
5 right now.

6 CHAIRMAN MELIUS: Yes.

7 DR. NETON: But, yeah, we need to  
8 have a complete analysis and report of this  
9 all.

10 CHAIRMAN MELIUS: Yes. I think  
11 the question may be is -- before we, you  
12 know -- before you write your report or  
13 before we meet, are there other analyses  
14 that we want done?

15 You know, do we want to look at  
16 whether adding in a larger amount --  
17 remember we're trying to sort of figure out  
18 what -- how -- I don't know what the right  
19 word would be, but how much variability or  
20 how much, you know, sensitivity is there to  
21 error in some of the comparisons we're  
22 making on coworker analyses and so forth.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And it doesn't appear that 100  
2 millirem, you know, makes that much  
3 difference.

4                   DR. NETON: Yeah, and I think  
5 this may have, actually, more importance  
6 down the line in looking at the residual  
7 contamination reconstructions.

8                   CHAIRMAN MELIUS: Yeah.

9                   DR. NETON: But I'm still not --  
10 it's still out whether it really makes a big  
11 difference in the overall dose  
12 reconstruction.

13                   CHAIRMAN MELIUS: Right, yeah.  
14 And I don't want to try to push you, you  
15 know, into conclusions, you know, without  
16 giving you a chance to review the data and  
17 sit down and talk to it. But I would say  
18 that we, you know, do that -- and maybe the  
19 first step is to get at least, you know,  
20 give you a little bit more time to review  
21 this and pull it together and then, you  
22 know, either keep it as a presentation and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do it at another Work Group meeting or, you  
2 know, do it as a report and --

3 DR. NETON: Yeah. We're going to  
4 look at this and I think I already sort of  
5 hinted that we might try to look at the  
6 comparison of the uncertainty of the Monte  
7 Carlo calculation itself versus the addition  
8 of the dose and --

9 CHAIRMAN MELIUS: Yeah.

10 MEMBER ZIEMER: Yeah, Jim, this  
11 is Ziemer. I think that issue is probably  
12 important to pin down in any event, the  
13 uncertainty being the Monte Carlo itself.

14 DR. NETON: Right. And, you  
15 know, I'm trying to tease out here are there  
16 big differences in different cancer models  
17 and stuff. And we're not really seeing  
18 that. You know, I thought maybe for certain  
19 cancers it would, you know, be totally  
20 different.

21 Because each cancer has, of  
22 course, its own radiosensitivity to dose and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 certain latency adjustments and such, but  
2 from this first analysis, at least with  
3 external dose, it doesn't seem -- it seems  
4 sort of spread around pretty evenly.

5 CHAIRMAN MELIUS: Yeah, but you  
6 also have different exposure patterns for  
7 people and so there's probably a fair amount  
8 of noise in these calculations within a  
9 given type of cancer.

10 DR. NETON: Yeah, and I think  
11 it's -- to be honest with you, it's probably  
12 somewhat fortuitous that none of them went  
13 over 50 percent. I think that, you know, I  
14 can't guarantee that if we didn't do 200  
15 comparisons, one or two wouldn't come over.

16 CHAIRMAN MELIUS: Yeah. No, and  
17 I think that's why we got to be a little  
18 careful jumping to --

19 DR. NETON: Right. I'm not  
20 jumping to any --

21 CHAIRMAN MELIUS: -- conclusions  
22 and, you know, sort of what is -- does this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 help us to -- or where do we think  
2 sufficient accuracy is?

3 DR. NETON: Yeah. You know, it  
4 doesn't say much to me right now except, in  
5 my mind, 100 millirem doesn't mean a heck of  
6 a lot.

7 CHAIRMAN MELIUS: Yeah, yeah.

8 DR. NETON: That's about all I  
9 can say.

10 DR. MAKHIJANI: Dr. Melius, this  
11 is Arjun Makhijani.

12 CHAIRMAN MELIUS: Yes?

13 DR. MAKHIJANI: It seems to me  
14 that maybe instead of, you know, inserting  
15 some other number into the same calculation  
16 it might be useful to deliberate a little  
17 bit on what are the uncertainties in  
18 coworker doses, for instance, and whether  
19 they are different for internal exposure and  
20 external exposure. And then to do a  
21 sensitivity analysis based on that.

22 Of course, you know, it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 difficult to know what the uncertainties are  
2 in many cases, but I think that may be a  
3 more fruitful approach because then you are  
4 actually dealing with, you know, where the  
5 margins of your analysis lie and how many  
6 people might be pushed over if you use a  
7 different percentile and so on.

8           So, you know, there's a  
9 difference between the 84 percentile and the  
10 95 percentile, for instance, and that will  
11 vary from one coworker model to another and  
12 one set of data to another. And maybe it  
13 might be useful to get a glance at what  
14 those numbers are, especially for internal  
15 dose, because external we don't have as many  
16 difficulties in terms of estimation.

17           CHAIRMAN MELIUS: Yeah, though I  
18 think we -- I understand what you're saying  
19 and I think it can be helpful. I'm not sure  
20 I would want to make that step before, you  
21 know, understanding these data a little bit  
22 better. Because I think we still end up in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a problem, if we go directly to coworker of,  
2 you know, how much difference, you know, is  
3 too much or, you know, what's an appropriate  
4 difference. What kind of difference are we  
5 looking for or can we tolerate on these?

6 DR. MAKHIJANI: Yes, right.

7 MEMBER ZIEMER: Yes, this is  
8 Ziemer. I think though if we went through a  
9 group situation versus the individual.

10 CHAIRMAN MELIUS: Yeah.

11 DR. NETON: This is Jim. I think  
12 -- I agree that we maybe flush this out a  
13 little more and then when we get a handle on  
14 how much difference we're willing to  
15 tolerate, if I can use that word.

16 CHAIRMAN MELIUS: Yes.

17 DR. NETON: Then I think we can  
18 go and look at a couple internal coworker  
19 models as a test case and take it all the  
20 way through, because up till now all we've  
21 been saying is we're comparing the 50th or  
22 the 84th percentiles and saying are they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 different statistically, but you really need  
2 to carry it through the entire intake  
3 calculation, as I pointed out several times.

4 And given that there's going to  
5 be ups and downs on a year-by-year basis,  
6 you fit both sets and determine how do those  
7 come out and compare. That, to me, is the  
8 ultimate test.

9 Now, we would prefer not to do  
10 that for every single coworker model, but we  
11 might be able to do some sort of proof of  
12 principle on a test case or two.

13 CHAIRMAN MELIUS: Yeah. If you  
14 remember our plan out of the last Work Group  
15 meeting was to, you know, try to determine,  
16 you know, how much of a difference we can  
17 tolerate, or whatever you want to -- however  
18 we want to refer to that.

19 And, secondly, then see how that  
20 would -- apply that to external dose models,  
21 simply because they were less complicated  
22 than the internal -- and then go to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 internal.

2 DR. NETON: Right.

3 CHAIRMAN MELIUS: And I'm a  
4 little hesitant to change that pathway at  
5 this point in time.

6 Paul, or Gen, or Josie, any  
7 comments or questions?

8 MEMBER ROESSLER: This is pretty  
9 fascinating.

10 CHAIRMAN MELIUS: Yeah.

11 MEMBER ROESSLER: Good work.

12 MEMBER BEACH: I don't have any.

13 DR. MAURO: Jim, what was the  
14 highest case again? The 49 point what?

15 DR. NETON: The highest result?

16 DR. MAURO: Yeah, of all the  
17 cases you looked at, there was one that had  
18 the highest PoC.

19 DR. NETON: Right there, I think  
20 it's 49.87.

21 DR. MAURO: So you're at 49.87,  
22 you then take 100 millirem and you add it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 into the year that you think would have the  
2 greatest effect given latency for that  
3 particular cancer?

4 DR. NETON: Right.

5 DR. MAURO: And you still didn't  
6 move over 50 percent?

7 DR. NETON: Correct.

8 DR. MAURO: Okay, thank you.

9 DR. NETON: And you can see the  
10 average difference is 0.06, so that kind of  
11 falls in that that must of had a somewhat  
12 average increase, because there's others  
13 with higher increase.

14 DR. MAURO: Yes.

15 DR. NETON: I have a suspicion  
16 that the higher the dose the less it makes -  
17 - the less difference it makes because it's  
18 not a linear --

19 DR. MAURO: Sure.

20 DR. NETON: -- seen as a linear,  
21 so, you know, maybe the ones with the lower  
22 doses had the most increase. That's the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 kind of stuff we need to be looking at.

2 DR. MAURO: Yeah.

3 DR. NETON: But, yeah, you're  
4 right, John, that's exactly what happened.

5 CHAIRMAN MELIUS: Any other  
6 discussion on this? What I'd like to do,  
7 just get a quick update from SC&A on where  
8 they are, and then come back to decide what  
9 are our next steps and what do we do, if  
10 anything, at the Board meeting next week.

11 DR. NETON: Okay.

12 CHAIRMAN MELIUS: So, John, or --  
13 I don't know who's running the show at SC&A.  
14 Sounds like no one.

15 MR. STIVER: This is John Stiver,  
16 I was just getting back on line here.

17 CHAIRMAN MELIUS: Okay, I'm  
18 sorry.

19 MR. STIVER: Yeah, we had a --  
20 we're very close to producing our paper on  
21 the kind of consolidation of all of our  
22 positions on OPOS. And it looks like it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 probably -- before we get cleared and  
2 everything else and have it your hands, it  
3 would be probably another couple weeks.

4 So, I tried to ask Harry to put  
5 together some fundamental kind of 10,000-  
6 foot view slides of kind of highlighting our  
7 position on some of these various issues.  
8 Mainly, as a courtesy to NIOSH, to let them  
9 know where we stand, what's coming and to  
10 inform you all before the meeting next week.

11 Harry, do you have access to Live  
12 Meeting or would you like me to run through,  
13 just flip the slides for you?

14 DR. CHMELYNSKI: That would be  
15 better if you could do that.

16 MR. STIVER: Okay, let me try to  
17 take over here. Okay, can everybody see  
18 that?

19 MEMBER ROESSLER: Yes, I can see  
20 it.

21 MR. STIVER: Okay.

22 MEMBER ZIEMER: We also have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       these slides, you distributed them.

2                   MR. STIVER:   Right.  Yeah, we  
3       could do that.  I thought it might be a  
4       little easier for some of us who are on Live  
5       Meeting to do it this way.

6                   MEMBER ZIEMER:  Right.

7                   MR. STIVER:  Either way is fine.

8                   MR. STIVER:  As long as you have  
9       them you can follow along.  So, anyway,  
10       Harry, we're going to Slide 2 here.

11                   DR. CHMELYNSKI:  All right.  
12       We've been preparing a review on what is  
13       known as the OPOS methodology, and up till  
14       now it's usually taken to mean "one person,  
15       one sample."  But that's a little confusing  
16       because each person has lots of samples and  
17       what we're really talking about is one  
18       person, one statistic derived from those  
19       samples.

20                   And, in the simple case, the  
21       statistic we're talking about is just the  
22       average if there's no non-detects.  Now,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 OPOS was introduced by NIOSH to address two  
2 main problems that they introduced called  
3 data dominance, where a large number of  
4 samples from a few workers may skew the  
5 distributions. And there's also a problem  
6 with correlation. If there are a lot of  
7 samples taken one after each other, they  
8 would be correlated.

9 So we examined this problem and  
10 how extensive they were at the two sites  
11 where OPOS has been applied, which is  
12 Savannah River and Fernald. I'm moving on  
13 now to the next page.

14 And when there are non-detects,  
15 OPOS is to be calculated using what was  
16 called the maximum possible mean. And this  
17 algorithm that I put here, "Step 1-2-3," is  
18 taken out of one of the documents that is  
19 used by the analyst to construct the OPOS  
20 values for the sites where the methodology  
21 is being applied now.

22 And the Step 1 says that we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 going to use the MDA for all data that's  
2 reported less than the MDA.

3 Step 2 says if all of the samples  
4 for a worker are below the MDA, censored, in  
5 other words, then we have to treat the  
6 answer, the mean, for OPOS as a censored  
7 value.

8 And Step 3, if any of the data  
9 are uncensored then we do the same  
10 calculation, but we treat the mean as a  
11 measured value.

12 This is probably the most  
13 convenient way to define what OPOS is. When  
14 we looked into how this procedure was  
15 implemented, though, we found some problems,  
16 particularly in Step 1. What we found was,  
17 a lot of cases, they don't explicitly have  
18 the entry as less than some number.

19 They may have a zero there or  
20 they may have a negative number, or they may  
21 actually have a number which, if you look  
22 down the column, they all say 0.1 and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 rest of them are all less than 0.1, but this  
2 one nobody put the less than next to.

3 So there's lots of ways that data  
4 can be censored in the database, although  
5 sometimes not explicitly censored. And what  
6 we found is that unless there was actually a  
7 notation that said less than 0.3, or  
8 something like that, the number was actually  
9 taken at face value and used in the  
10 calculation for the maximum possible mean.

11 And this happened both at SRS and  
12 at Fernald, on occasions, so we're concerned  
13 that this can lead to some very strange  
14 answers, including negative answers, which,  
15 according to the algorithm, probably should  
16 be computed as non-detects.

17 But sometimes these numbers have  
18 remained in the calculations all the way  
19 through to determining what the coworker  
20 models should be. So that was one of the  
21 problems with implementation of the OPOS  
22 algorithm.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   The second application we were  
2           looking at is how OPOS is used to compare  
3           groups of workers. And our main concern  
4           here still applies.

5                   We had this as a finding in our  
6           old report, which is when you're comparing  
7           two groups of workers and these workers were  
8           monitored using a different monitoring  
9           program, trying to use a hypothesis test to  
10          compare the two sets of data seems to me not  
11          to make much sense.

12                   It's really a case of apples and  
13          oranges in a lot of cases here, especially,  
14          in particular, the comparison that we  
15          concentrate on is comparing onsite workers  
16          with contract workers. And a lot of times  
17          the contract workers weren't monitored the  
18          same way as the onsite workers.

19                   So, this problem remains that  
20          we've addressed previously. A new issue,  
21          though, that has come up in response to  
22          NIOSH's response to our review, is that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       there is a justification that they present  
2       for why you should use OPOS.

3                     And now we've changed the name,  
4       really. OPOS is now -- we're going to refer  
5       to it as the mean excretion rate, because  
6       that's what we're trying to estimate when we  
7       take the average of the results for the  
8       period. We're trying to find a mean value.

9                     And NIOSH came up with this  
10       argument that says, well, if you do the  
11       right regression problem and you use the  
12       right weights, you can show that the mean  
13       excretion rate should be proportional to the  
14       intake.

15                    Of course, we're trying to find  
16       the intake from these mean values, or from  
17       all the values, however the best way would  
18       be, but the answer that they came up with  
19       was that we should be able to use just the  
20       mean because it is proportional to the  
21       intake.

22                    We reviewed the source of this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 calculation and we found that there are  
2 several problems with it and Joyce will  
3 address these later when we get to them.

4 But, for now, let me just say  
5 that both OPOS and the weighted least  
6 squares approach ignores the timing of the  
7 data during the year. And this sometimes is  
8 important and sometimes not. Weighted least  
9 squares also ignores the timing of the  
10 bioassays. But when we use the word "mean  
11 excretion rate," I think what we're talking  
12 about is the time-weighted average year  
13 excretion rate over the year for the worker,  
14 and that we would think of OPOS as a  
15 statistic trying to estimate that mean.

16 On the next page, then, this is  
17 Page 8, there's an example of when OPOS will  
18 work well. And here's a curve that's  
19 presumably due to some exposure early in the  
20 year and it purports to be the concentration  
21 in the urine of the worker on each day of  
22 the year as you go across the curve.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Now, if we were to monitor this  
2 worker, say, eight times during the year at  
3 nice, equally spaced intervals and then take  
4 the average, the average we get is actually  
5 equal to the mean value of this under this  
6 curve.

7                   And, in fact, it's probably one  
8 of the best known ways of doing an integral,  
9 which is to do the Riemann sum and say, ah,  
10 that's what you can get when you do the  
11 integral.

12                  I've normalized the X axis so  
13 that it's all one year. It could be two  
14 years in some cases, but as long as you use  
15 one year then the area under the curve is  
16 equal to the mean.

17                  And in this case you see the  
18 actual calculation of the true mean, which  
19 is -- this is a cubic function and I was  
20 able to do the integral. It comes out very  
21 close to what the Riemann sum, or the OPOS  
22 calculates.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I'm going to go to the next  
2 slide, though. We see that the picture is a  
3 lot more complicated than thinking about  
4 equally spaced bioassay collections in time.

5 And what this graph shows is how  
6 many days we found between successive  
7 bioassays for plutonium for any given worker  
8 and the frequency count, basically, of how  
9 many of them had 30 days between them, 90  
10 days, et cetera.

11 And you can see pretty clearly  
12 that while there's a tendency to have  
13 testing done every 90 days in that first  
14 spike, or every 180 days, that's half, two a  
15 year. The next one is four a year, and even  
16 out there at 720 you can see where sometimes  
17 it's every two years. But the point of this  
18 slide is that, in general, we don't know  
19 that these workers were being sampled on any  
20 regular basis.

21 This is particularly true for the  
22 construction-type workers who may be in and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 out of the site a lot and may only be tested  
2 for a particular reason, if something turns  
3 up.

4 Given, then, that we don't  
5 believe that there were these nice,  
6 regularly spaced sampling for most workers,  
7 we can then think about, well, what is OPOS  
8 telling us if it's not telling us the  
9 integral under the curve?

10 Well, there's another way to  
11 think about it, which is if they are random  
12 sampling times then really what we've done  
13 is we've sampled at eight points along a  
14 curve and those points are just like taking  
15 a Monte Carlo integral to determine what the  
16 area under this curve is.

17 And that kind of calculation,  
18 usually you use a lot more than a handful of  
19 bioassays, such as we're doing here. Here,  
20 I think, you know, eight to ten is about the  
21 most you would reasonably see for any  
22 worker.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   But, still, you can think of it  
2                   as a Monte Carlo approximation to the  
3                   integral with just a small number of  
4                   iterations, maybe eight or even less.

5                   And if you do that, then, you can  
6                   put some statistical statements on what the  
7                   precision of your estimate of OPOS is,  
8                   thinking of it as a Monte Carlo estimate of  
9                   the integral.

10                  And, of course, as we already  
11                  know, what you're going to end up with is  
12                  the Student t-distribution, tells you what  
13                  the confidence bounds are for that estimate  
14                  of the mean. And, in particular, it's a  
15                  Student t-distribution within minus one  
16                  degrees of freedom, which we always have to  
17                  keep in mind here because when we're staring  
18                  to take averages of three or four samples  
19                  that gets us into problems.

20                  The next page has some formulas  
21                  for how you do the calculations for the  
22                  upper bound and the lower bound, so I'm not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 going to get into those. But, basically, if  
2 you just look at the picture that I drew  
3 here with the samples, eight samples, and I  
4 did calculate according to these formulas  
5 what the confidence bounds were.

6 If we go back to page, what was  
7 that, Page 10. And you see the confidence,  
8 the 95 percent confidence bounds almost span  
9 the whole range of the data here. Well, not  
10 all the way up to the top.

11 But we have eight samples here.  
12 Now, if you only had four, those confidence  
13 bounds would go beyond the range of the  
14 data. So it just makes me wonder why we put  
15 a lot of confidence in this number that we  
16 call OPOS, especially, as we're going to see  
17 soon, almost 95 percent of the time we're  
18 doing it with four or less samples.

19 At any rate, that was some of the  
20 concerns we've had going into this and I  
21 think maybe Joyce can start with the rest of  
22 these slides and give an overview of what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 her concerns were.

2 DR. LIPSZTEIN: Okay. John,  
3 please continue with the slides, I can't do  
4 it from here. We are on Slide 13 and I  
5 think some of this in this slide Harry  
6 already was talking about.

7 So, OPOS was designed to address  
8 the presence of data dominance, which is a  
9 large fraction of samples being submitted by  
10 a small fraction of individuals, and  
11 correlate the date where multiple samples  
12 submitted by individuals can be correlated,  
13 which greatly complicates the use of  
14 statistical tests.

15 Then we go to Slide 14. And we  
16 wanted to know how relevant is the problem  
17 of data dominance. And we wanted to know if  
18 a large number of incident-related samples  
19 from a few workers would skew the  
20 distribution use for coworker modeling. And  
21 we wanted to know how frequently do we find  
22 data dominance in the DOE facilities. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's why we looked at SRS and at Fernald.

2 The next slide, Slide 15, we can  
3 see that -- and Harry already told this --  
4 that in over 95 percent of the cases where  
5 OPOS would be applied at SRS, the workers  
6 have no more than four to twenty bioassays  
7 in the period. We did this for all the  
8 radionuclides that we examined, and there's  
9 very few cases where you would have workers  
10 with more than -- we saw a lot of samples  
11 with more than four bioassays.

12 And then we looked at data  
13 dominance at Fernald. So at Fernald we have  
14 one coworker model that was done in 2012  
15 using the coworker method. And we have the  
16 Version 1, which was done in 2010 and was  
17 done with the old methodology.

18 So we could have both of them to  
19 compare, and they are relatively new: 2010,  
20 2012. What we found out is that on the  
21 Revision 1 samples, code 50, which are  
22 samples that were taken on special jobs,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 implementations, they were not considered in  
2 the Revision 1 2010, but they were  
3 considered in the OPOS methodology.

4 And the accident-related samples,  
5 which were codes 40 and 49, were analyzed in  
6 both versions of it. And then we compared  
7 the 50 percent and the 95th percentiles  
8 intake rates derived in Revision 1 and  
9 Revision 2, and we wanted to know how the  
10 addition of samples code 50 would influence  
11 or not these intake rates.

12 And what we found was that there  
13 was no relation. It's not sometimes and  
14 some years, the OTIB 2012 had a higher  
15 intake rate than the one in 2010, but many  
16 times the 2010 had higher intake rates than  
17 2012.

18 And this was not related at all  
19 to the number of samples code 50, and also  
20 it was not related to the code 40 and 49,  
21 years that had more samples than codes 40  
22 and 49. You couldn't establish a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 relationship between those samples.

2 So then we aimed to see what was  
3 the problem of correlations. The fact that  
4 some workers have more samples than other  
5 workers in a given time period is not itself  
6 a basis to establish correlation.

7 We looked at both coworker  
8 models, Revisions 2012 and 2010, and they  
9 both cite the main -- the same problem of  
10 data dependence. And they explicitly, for  
11 example, in the OTIB, the coworker models  
12 from 2012, it's explicitly exemplified that  
13 they take some -- in order to derive the  
14 intakes for 1994-2006 periods.

15 Early intake rates significantly  
16 biased later intake rates for all solubility  
17 types of uranium compounds. So the problem  
18 of data correlation doesn't end with the use  
19 of the OPOS. You still have correlated data  
20 whether there is accidents or there is  
21 routine exposure, it doesn't matter, you  
22 always have data correlation when you have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 internal exposure.

2 Then we went to the problem of  
3 using weighted least squares to justify the  
4 OPOS. The problem is that if you go from  
5 the beginning, in order to justify that it's  
6 been -- that the mean excretion rate would  
7 be proportional to the mean intake rate, you  
8 have to go to least square using weighted  
9 least square.

10 The problem is that the weighted  
11 least square is only justified applying when  
12 there is one intake. And we have this  
13 explicitly said in MCFB 164 2003 13. We  
14 also have that explicitly said in IMBA  
15 application also.

16 And it all starts with the  
17 equation that you have to calculate the  
18 intake, and so in certain special  
19 circumstances you can say that the mean  
20 excretion rate would be very special  
21 circumstance, as you saw in Harry's slides.

22 You can say that the mean

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 excretion rate would be proportional to the  
2 mean intake, but that's excretion rates that  
3 are related to that intake. You cannot take  
4 the whole year intakes and take the means  
5 because then -- okay, Slide 19 is what IMBA  
6 says.

7 But then you can see you cannot  
8 take the mean excretion rate to be  
9 proportional to intake when you mix times in  
10 the year, times that there were no intakes  
11 with times that there were intakes.

12 What happens if you have a worker  
13 or a facility where the monitoring was very  
14 heavy so you have frequent monitoring for  
15 the workers before the incident or the  
16 special job? Then you have a smaller OPOS.  
17 If you don't have any monitoring before the  
18 incident or the special sample, then the  
19 OPOS which would be much higher.

20 So what happens is that the OPOS,  
21 in reality, if it's taken on a year basis,  
22 it would be proportional to the frequency of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 monitoring. This you can see on Slide 20.

2 The complication of OPOS for year  
3 average urine activities collected from  
4 periods of no intakes lumped together with  
5 activities from periods with intakes.

6 The consequences are strong  
7 dependence on the frequency of the  
8 monitoring, in addition to the number of  
9 significant exposures. We did an example  
10 that you will see on our paper, we took some  
11 people from Fernald that were exposed in the  
12 same incident. There was an incident in one  
13 of the years that we took as an example, and  
14 we compared, there were three workers, one  
15 worker was only monitored during the  
16 incident, but just one time.

17 Then there was another worker  
18 that was monitored during the incident but  
19 he had several monitoring during this  
20 incident. And then we had the worker that  
21 was monitored many times in the year before  
22 the incident.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And so the same worker worked in  
2                   the same incident and we could find that  
3                   those workers had similar exposure in this  
4                   incident. The OPOS of the person that was  
5                   heavily monitored before the -- routinely  
6                   monitored before the incident, had the  
7                   smaller OPOS.

8                   So what I mean with this is that  
9                   when you average the OPOS over the year  
10                  there is a dependence on the frequency of  
11                  monitoring.

12                 And, for the same reason, when you compare  
13                 two groups of workers, if one group of  
14                 workers is only monitored when there are  
15                 some kind of incidents or special jobs and  
16                 is not monitored before, and then you have a  
17                 group of workers that's been monitored both  
18                 routinely and when the special job is done  
19                 or the incident occurs, then you cannot  
20                 compare the two. Because in one of them you  
21                 were just comparing the incident or the  
22                 special job, and on the other worker you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were taking into account also the routine  
2 monitoring from when he was not exposed.  
3 So, that's it.

4 CHAIRMAN MELIUS: Okay. Anybody  
5 have questions?

6 MEMBER ZIEMER: Well, this is  
7 Ziemer. I --

8 CHAIRMAN MELIUS: It is difficult  
9 to -- I mean, I'm actually finding it very  
10 hard to ask questions. It's very hard to  
11 understand this kind of report from a slide  
12 presentation.

13 DR. MAKHIJANI: Dr. Melius?

14 CHAIRMAN MELIUS: Yes?

15 DR. MAKHIJANI: It might be  
16 helpful maybe if I can give you a bottom  
17 line of where our team wound up in regard to  
18 OPOS.

19 MEMBER ROESSLER: That would be  
20 helpful.

21 DR. MAKHIJANI: So, this is still  
22 in the final wordsmithing stages, but I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thought it might be helpful if I read you  
2 the words that we have in the final draft.

3 The use of OPOS on an annual or  
4 other fixed period basis, the way NIOSH has  
5 now constructed it, as a general matter does  
6 not appear to be scientifically justified.

7 The use of pooled, individual  
8 bioassay data is recommended despite its  
9 known drawbacks. When there's clear  
10 evidence of data dominance the samples  
11 related to a particular incident may be  
12 averaged to provide a single composite data  
13 point to be inserted into the distribution  
14 of the pooled data.

15 So, the bottom line from Harry  
16 and Joyce have been saying is that there are  
17 some times when you would want to combine  
18 samples, but you don't combine them on a  
19 fixed period or an annual period or any  
20 other period when you have incident-related  
21 samples that are clearly auto-correlated,  
22 then you will combine the samples related to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that incident into one data point because  
2 it's really relating one intake.

3 And then you put that into the  
4 distribution of pooled data. And so you  
5 have a mixed distribution that consists  
6 primarily of individual bioassay samples  
7 that would have some data points that are  
8 OPOS-like data points, although not as  
9 defined by NIOSH.

10 They'd be one person -- one  
11 incident, one statistic, you might say,  
12 points inserted into a distribution of  
13 bioassay samples. So, that's where we wound  
14 up.

15 CHAIRMAN MELIUS: Okay. Thanks  
16 for the summary, Arjun. Any questions or  
17 comments, Board Members?

18 MEMBER ZIEMER: This is Ziemer  
19 again. I assume we're going to get the  
20 detailed report, as will NIOSH, and then we  
21 will have a chance to study it.

22 CHAIRMAN MELIUS: Yes, that's --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 definitely.

2 MEMBER BEACH: This is a lot to  
3 take in. This is Josie.

4 CHAIRMAN MELIUS: Yeah, yeah.  
5 No, I agree.

6 MEMBER ROESSLER: And I'll echo  
7 that. I guess my question is does -- I  
8 couldn't understand it all as they went  
9 through it. Probably the first part was  
10 easier to understand, but if NIOSH  
11 understands it and can respond then I think  
12 we can evaluate it.

13 CHAIRMAN MELIUS: Well, I think  
14 we need a report to be able to --

15 MEMBER ROESSLER: Exactly.

16 MR. STIVER: This is John Stiver.  
17 That report should be in your hands within a  
18 couple of weeks.

19 CHAIRMAN MELIUS: Okay.

20 MR. STIVER: And I agree, it's a  
21 lot to try to assimilate, and the report  
22 goes into -- well, it's more detailed. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will be easier to understand once you're  
2 able to go through the entire thing.

3 DR. MAKHIJANI: Well, John,  
4 there's going to be a DOE review, so, you  
5 know, it's going to take -- it may be a  
6 little more than a couple of weeks.

7 CHAIRMAN MELIUS: Okay.

8 MR. STIVER: Two weeks is  
9 probably, maybe optimistic.

10 CHAIRMAN MELIUS: Okay.

11 MR. STIVER: We are kind of  
12 captive to how quickly DOE can get to it.

13 CHAIRMAN MELIUS: Okay. Then you  
14 have to give us time to read it. We'll  
15 figure out a schedule on that. Jim Neton,  
16 do you have anything you want to add?

17 DR. NETON: No. We discussed  
18 this late yesterday like everyone else. So  
19 I haven't had time to really think about it  
20 too much.

21 CHAIRMAN MELIUS: Okay. I want  
22 to go back to -- well, I guess, first of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 all, on this report, this set of slides, I  
2 would have severe qualms about using this at  
3 the Board meeting, because it -- I would  
4 rather put that off until the Work Group has  
5 had a chance to review the report.

6 I don't think it's fair or  
7 appropriate and I think it's going to sort  
8 of confuse issues until we've had a time to  
9 look at it and respond.

10 I don't know if any of the other  
11 Work Group Members feel differently, but --

12 MEMBER ZIEMER: This is Ziemer.  
13 I agree with that completely, and I think  
14 the only thing you need to report to the  
15 Board is that SC&A is completing a review of  
16 the OPOS methodology and we expect a report.  
17 That we had preliminary discussion at this  
18 meeting, but we expect a report in a few  
19 weeks and it'll be analyzed at that point.

20 CHAIRMAN MELIUS: Yeah, okay.  
21 And, Jim Neton, what do you feel comfortable  
22 presenting, if anything, at the Board

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 meeting? I mean, should we just give an  
2 update that, you know, you've received, you  
3 know, you're progressing on your report and  
4 SC&A is, you know, progressing on their  
5 deliverable and we're going to, you know, be  
6 getting those sometime in the relatively  
7 near future and then we'll have a Work Group  
8 meeting and then be able to report back?

9 DR. NETON: Yeah, I would be most  
10 comfortable with that.

11 CHAIRMAN MELIUS: Yeah. As  
12 interesting as it is, and it's going to --

13 DR. NETON: It almost raises more  
14 questions than it answers.

15 CHAIRMAN MELIUS: Well, yeah.  
16 That's what I'm concerned, and without  
17 having it in a report with, you know, sort  
18 of explanation and so forth I think it's  
19 hard. And in a Board setting, though, I  
20 think that a lot of the other Board Members  
21 would be interested.

22 Paul, Josie, Gen, does that --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER BEACH: This is Josie. I  
2 completely agree with that approach.

3                   MEMBER ROESSLER: I do, too.

4                   MEMBER ZIEMER: Yeah, this is  
5 Ziemer. I do, too.

6                   CHAIRMAN MELIUS: Okay. I mean,  
7 in some ways it's tempting to move forward,  
8 but at the same time I think it's hard to  
9 that until NIOSH has had a chance to analyze  
10 and we have a chance to review and discuss  
11 it and so forth and try to bring these  
12 reports together to the extent that we can.

13                   So, maybe -- I can't remember how  
14 long we set aside on the agenda. It'll give  
15 us a little bit more Board work time, but  
16 that may be fine.

17                   So, any other business? Ted,  
18 anything we need to --

19                   MR. KATZ: No, this all sounds  
20 good. And we only have a half an hour set  
21 aside for this anyway, so we can easily cede  
22 that back to Board work time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN MELIUS:  Okay.  Good,  
2                   yeah.  Okay, anyway, in that case, I thank  
3                   everybody for their work and the  
4                   presentations of the data and we will look  
5                   forward to seeing everybody, at least a  
6                   number of you, next week in Kansas City.

7                   MEMBER ROESSLER:  That depends on  
8                   whether the blizzard hits Minnesota again on  
9                   Monday.

10                  CHAIRMAN MELIUS:  Is there  
11                  another one coming?

12                  MEMBER ROESSLER:  Yes.  I'm  
13                  getting kind of tired of this.

14                  CHAIRMAN MELIUS:  I confess I  
15                  looked at the Kansas City weather the other  
16                  day.  It looked like it was going to be  
17                  cold.  I didn't see snow in the forecast.

18                  MEMBER ROESSLER:  Well, I thought  
19                  it looked wonderful.

20                  CHAIRMAN MELIUS:  Yeah, we've  
21                  been, you know, ten below or 20 below the  
22                  last few days, so some sympathy.  But the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 snow, our snow ended up in New York City and  
2 south entirely. The latest snow storm,  
3 anyway. But I cringe every time I hear  
4 about what's happening up your way, Gen.

5 MEMBER ROESSLER: Yeah, but at  
6 least we don't have as much snow, but it  
7 blows and that's then the problem and it  
8 reduces visibility to nothing and it's hard  
9 to drive then.

10 CHAIRMAN MELIUS: Yeah. No, it's  
11 hard, and I know, Paul, Indiana's been hit.

12 MEMBER ZIEMER: Yeah. We're cold  
13 and below zero, but we're surviving.

14 CHAIRMAN MELIUS: Okay, good,  
15 everybody. And even down in Atlanta I think  
16 it's been cold, Ted.

17 MR. KATZ: I don't think we get  
18 any sympathy though.

19 CHAIRMAN MELIUS: No, you don't.  
20 I had a very irate phone call once when I  
21 was working for NIOSH from the State Health  
22 Officer in North Dakota who couldn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 understand why nobody was answering their  
2 phones at CDC in Atlanta.

3 And he had looked at the weather  
4 and, you know, all they had had was an inch  
5 of snow, and he couldn't believe that they  
6 were closed down for two days in row. And  
7 had no sympathy. He was trying to track  
8 down some result from something.

9 MEMBER ROESSLER: Well, you know,  
10 it has to do with the amount of traffic that  
11 tries to move, too. In North Dakota there's  
12 not that much.

13 CHAIRMAN MELIUS: Yeah. It's  
14 also, I don't know if Atlanta's any better,  
15 but my experience down there used to be that  
16 they had no snow, you know, equipment at all  
17 and no salt to melt the ice and so forth.  
18 On top of bad traffic.

19 MEMBER ZIEMER: Yeah, we'll see  
20 how Kansas City does.

21 CHAIRMAN MELIUS: Yeah,  
22 hopefully. So, anyway, we'll look forward

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to seeing everybody in Kansas City next  
2 week. Thank you all for your time.

3 (Whereupon, the meeting was  
4 concluded at 12:06 p.m.)

5

6

7

8

9

10

11

12

13

14

15

16

17