

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

SAVANNAH RIVER SITE WORK GROUP

+ + + + +

WEDNESDAY
FEBRUARY 26, 2014

+ + + + +

The Work Group convened via teleconference at 10:00 a.m., Bradley P. Clawson, Acting Chairman, presiding.

PRESENT:

BRADLEY P. CLAWSON, Acting Chairman
JAMES E. LOCKEY, Member
PHILLIP SCHOFIELD, Member

ALSO PRESENT:

TED KATZ, Designated Federal Official
JIM NETON, DCAS
TIM TAULBEE, DCAS
DEKEELY HARTSFIELD, HHS
MATT ARNO, ORAU
MIKE MAHATHY, ORAU
ROBERT BARTON, SC&A
HARRY CHMELYNSKI, SC&A
JOE FITZGERALD, SC&A
JOYCE LIPSZTEIN, SC&A
ARJUN MAKHIJANI, SC&A
JOHN STIVER, SC&A
BUCK CAMERON, ATL
DAVID ANDERSON
BOB WARREN

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

T-A-B-L-E O-F C-O-N-T-E-N-T-S

Welcome and Roll Call	4
Mr. Ted Katz	

Continued from February 5, 2014

Work Group Discussion-Status of Findings and path forward.	
Neptunium Recap.....	5
Findings 1 through 8.....	9
OPOS-related Comparisons	
Deferred to SEC Work Group Findings 9, 10, 11	
Findings 12 through 19.....	84
Work Group Discussion-Status of Findings and path forward.	
Thorium Recap.....	87
Finding 1.....	84
Finding 2.....	153
Finding 3	156
Discussion and Closure	
Finding 4, Thoron.....	163
Findings 5B16, 19B23.....	187
OPOS-related Comparisons	
Deferred to SEC Work Group	
Findings 17.....	188
Discussion and Closure	
Finding 18.....	190
Findings 24-26.....	204
Overarching Data Validation	
Issue Remains, but Solubility	
Issue Closed	
Findings 27-32.....	211
Closing Action Items.....	214
Meeting Adjournment	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (10:05 a.m.)

3 MR. KATZ: So let's get started.
4 First of all this is the Advisory Board on
5 Radiation Worker Health, Savannah River Site
6 Work Group.

7 And there is an agenda for today's
8 meeting. It's the same agenda as was for the
9 meeting earlier in February, because we're
10 still going through that agenda. And we could
11 just kick off, where we left off from the last
12 meeting on there.

13 So there is also a document on the
14 web site that is a Matrix of Issues that has been
15 updated by SC&A so that we're abreast of
16 current status on all the issues that we're
17 working through. And I assume that will be
18 used heavily today.

19 So let's do roll call. When
20 speaking from specific sites, please speak to
21 conflict of interest when you respond.

22 (Roll call)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay then. Brad, you
2 can kick it off. But really, I think it would
3 probably be helpful if NIOSH or ORAU to tee up
4 where we left off.

5 ACTING CHAIRMAN CLAWSON: Well, yes
6 thanks, that's, like I say this is Brad Clawson.
7 I guess we're going to start up where we left
8 off. And I believe it was in NIOSH's court
9 there. So I'll turn it over to either Tim, or
10 who's going to respond?

11 Is that correct, or are we waiting,
12 is it SC&A?

13 DR. TAULBEE: This is Tim. I guess
14 I have a question for you as to how you want to
15 continue on? We could continue on with where
16 we stopped, which was issue or Finding Number
17 4 from the thorium, our responses on the thorium
18 issues of Addendum Number 3.

19 But I guess Joe Fitzgerald, he sent
20 out a memo to the Work Group the other evening,
21 that kind of summaries where we are at. And we
22 can kind of I guess in a sense, instead of going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 through line by line, kind of --

2 ACTING CHAIRMAN CLAWSON: Yes.

3 DR. TAULBEE: -- certain findings
4 together. That seemed to make more sense, that
5 we could discuss instead of going through the
6 line by line of the thorium, and then into 8th
7 Issues Matrix.

8 So Brad, I'm not sure which way you
9 want to try and respond to this? So it's
10 entirely up to you.

11 ACTING CHAIRMAN CLAWSON: Well,
12 let me talk with Arjun or how, Arjun, how would
13 you guys like to address this? I know that the
14 memo was sent out on the thorium. Do we want
15 to discuss that right now? Or what would you
16 like to do?

17 MR. FITZGERALD: Yes, Brad --

18 DR. MAKHIJANI: Well, Joe is my,
19 the top manager and I think he sent out the memo.
20 Maybe he should respond. I think maybe it
21 might be good to start with the memo that he sent
22 out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN CLAWSON: Okay,
2 that sounds good. I'm sorry, Joe.

3 DR. MAKHIJANI: I think maybe Joe
4 is most appropriate to respond.

5 MR. FITZGERALD: Yes, let me clarify
6 just a little bit. Just taking off what Tim
7 said. I thought it would be helpful given the
8 fact we are continuing this several weeks
9 later, to recap a little bit.

10 And we also as I had indicated two
11 weeks ago, we did not have a chance for Joyce
12 Lipsztein to provide her responses on some, a
13 number of neptunium issues, as a matter of fact.

14 Because she was, you know she was
15 out of the office during that time. So what I
16 would propose is maybe we could back pedal a
17 little bit. Go back into that neptunium report
18 and start with, what I indicated, is Finding 9.

19 Kind of where we kind of jumped
20 because we could not address those issues
21 because she wasn't available. And you know,
22 start with item, Finding 9 and go from there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And she is certainly on the phone and ready to
2 do that.

3 ACTING CHAIRMAN CLAWSON: Okay.
4 That sounds fine with me. I'm kind of taken by
5 surprise on this, so please forgive me if a
6 little bit cumbersome. Who's going to be
7 running the Live Meeting? Are they going to
8 put up any of these documents so that -- hello?

9 MR. KATZ: Brad, with respect to
10 documents for a Live Meeting, I mean you have
11 the matrix, you have the memo. The memo, I
12 don't know if it's been put up on the web site
13 yet. But I think it was, not sure whether it's
14 PA cleared yet.

15 MR. STIVER: I think, this is John
16 Stiver, I can pull that up onto Live Meeting if
17 you want?

18 MR. KATZ: Yes, if you would that
19 would be great, John, that's what I was going
20 to say. If you just pull the memo up, that
21 would be great.

22 MR. STIVER: Okay. Just give me a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 minute here.

2 MR. FITZGERALD: Okay. While he's
3 doing that, yes, it did come in late Monday. So
4 I realize this is really going to facilitate for
5 the participants but, more so than anything
6 else.

7 But Findings 1 through 8, we did
8 spend a fair amount of time in the February 5th
9 meeting. And I think there was some general
10 agreement that many of those issues touched on
11 the, so called, OPOS or statistical issues on
12 comparison of two worker groups, NCW and CTWs.

13 And I think we could just certainly
14 decide to defer the discussion to that forum.
15 So we're really kind of picking up on some of
16 the first specific neptunium issues that we
17 certainly could address in the Work Group.

18 And with that, Joyce do you want to
19 start with Number 9, or do you need anything
20 further on that?

21 DR. LIPSZTEIN: Okay.

22 MR. STIVER: Before we start, this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is John. Can everybody see the memo?

2 ACTING CHAIRMAN CLAWSON: Yes,
3 it's just, thank you. I just hate jumping back
4 and forth from these two when we have some of
5 this stuff come up. So appreciate that John.

6 MR. STIVER: Okay, thank you.

7 DR. LIPSZTEIN: Should I start?

8 ACTING CHAIRMAN CLAWSON: Yes, go
9 ahead Joyce.

10 DR. LIPSZTEIN: Okay. I think I'm
11 fine on Finding Number 9 there was some
12 misunderstanding between SC&A and NIOSH
13 because the response from NIOSH to our finding,
14 didn't answer our questioning.

15 So NIOSH has justified the use of
16 iodine-131 region to quantify neptunium-237.
17 SC&A doesn't question the use of iodine-131
18 region to calculate the protactinium-233,
19 which is the daughter of neptunium-237, to
20 calculate neptunium-237 activity in this item.

21 What we were questioning was the
22 choice of whole body counter geometry to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 calculate the exposure, as opposed to chest
2 geometry. Because we have reviewed data from
3 several workers at that time period, which was
4 the early 70s.

5 And we saw that many of the
6 geometry that was used was chest count instead
7 of the 40cm arc geometry. And specifically
8 neptunium-237 and iodine-131 activities were
9 often were both registered in the chest count
10 geometry.

11 We agree with NIOSH that in the
12 region that neptunium was quantified in this
13 time period, it's better to quantify it through
14 iodine-131 activities.

15 We only question this stretcher
16 method, and we saw, and we agree with NIOSH that
17 it is only a question of calibration and the
18 right calibration factor that should be used.

19 So what we question is, first, why
20 not use chest count geometry as well?

21 Why, wonder why only counts from
22 chest geometry, why were they discarded, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they were discarded? Because if they were not,
2 they should be used with another calibration
3 factor.

4 And so what we, and there are some,
5 also some countings that we did not see what,
6 there was no specification if it was chest
7 geometry that was used, or if it was 40 cm arc
8 geometry. And we would like to know what was
9 done with these, those countings, if there are
10 discarded or not?

11 So we were questioning the
12 calibration factor that was used and what was
13 done with the chest geometry counts because
14 it's effective to take it away?

15 We also noted and we are going to
16 discuss this later, that the intake rate that
17 was derived for the 1970 to 1974 intake period
18 was 93.5 dpm per day. While the intake rate
19 immediately before this period, 1968 to 1969,
20 was calculated as 1.79 dpm per day.

21 So it's a 50 times increase and we
22 don't know why this, there is this huge

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference? Maybe a problem with the
2 calibration factor, maybe it's not the right
3 people that were counted. So there are many
4 hypotheses on that. And we're going to discuss
5 this a little bit later also.

6 So that's for Finding Number 9. Is
7 there any question on what I said? Sometimes
8 I don't get myself understood very well.

9 DR. TAULBEE: This is Tim. I don't
10 have any questions, but I can begin a little bit
11 of response or discussion about this if you'd
12 like.

13 DR. LIPSZTEIN: Okay, please.

14 DR. TAULBEE: Okay. And I'm going
15 to rely on Matt Arno, a little bit here coming
16 up to give a little better explanation of the
17 in-vivo counts as to what data we used
18 associated with this.

19 But just to kind of back up a little
20 bit, the reason that we, well there's three,
21 there's two different geometries, three
22 actually.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Three geometries, you've got the 40
2 cm arc, and then you've got the chest count
3 which was done with phoswich detectors.

4 DR. LIPSZTEIN: Yes.

5 DR. TAULBEE: This goes up to about
6 1974. Around 1975, is when we have a stretcher
7 geometry where a series of sodium iodide
8 detectors were placed in a concave pattern
9 underneath the flat stretcher --

10 DR. LIPSZTEIN: Yes.

11 DR. TAULBEE: -- to give a kind of
12 simulated 40 cm arc type of exposure geometry.
13 And there's different calibration factors for
14 both of those.

15 We were able to pull those out of the
16 log, Jim Watson's lab notebook. So that's the
17 calibration data that we used to do this.

18 And I guess the other point before
19 I kick off here to Matt, to try and discuss which
20 data we used -- which I believe to be just the
21 40 cm arc and the stretcher geometries instead
22 of the chest count data -- and that is to address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 your comment on the 93.5 dpm from '70 to '74 and
2 that 50 fold increase.

3 It's not a problem with the
4 calibration factor, it's the change in
5 methodology.

6 In the 1960s Savannah River,
7 according to DPSOL 193-302, that's the Bioassay
8 Control Procedure, was monitoring folks based
9 upon urine bioassay for neptunium-237.

10 Since they had not seen any
11 exposures of neptunium that did not have an
12 equal amount of plutonium in them, they stopped
13 doing a large quantity of neptunium bioassay,
14 or urinalysis for the workers.

15 They went to kind of an incident
16 based monitoring system where if the plutonium
17 was high, and they were in a neptunium area,
18 then they would initiate the neptunium
19 bioassay, which is why you don't have as many
20 neptunium urinalysis results during that time
21 period.

22 This changed in 1978 when they went

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 back to building 235, more to a routine
2 neptunium-237 urinalysis program.

3 So that reason for that 50 fold
4 increase has to do with the change in
5 monitoring, or the change of the MDA method.
6 That's the sole reason for it.

7 It's not due to in vivo counting
8 calibration factors or anything like that.
9 It's us changing our coworker model from
10 relying on urine bioassay, to in vivo bioassay.

11 And then when the urine bioassay
12 kicks in again, 1980, well actually '78 time
13 period, we could use it there, but we continued
14 on with in vivo through 1989. So that's the
15 reason for that big jump that you see.

16 Even the 93.5, again, results in a
17 very claimant-favorable approach. And we knew
18 this. And that was illustrated in the
19 presentation that I gave back on February 5th
20 when showing the urine bioassay data that we
21 have.

22 And the in vivo measurements being,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 far exceeding it, but the bases are still
2 relatively low. So we didn't feel like this
3 was an unreasonably, or we had sufficient
4 accuracy with the dose, with the dose estimate.

5 So with that Matt, can you touch on
6 a little bit of the data that we used in the
7 coworker model?

8 DR. ARNO: Yes. One of the issue
9 there, is when we're doing the coworker
10 modeling, we basically have to for any given
11 time period, pick one type of data.

12 DR. LIPSZTEIN: Yes.

13 DR. ARNO: Either chest count data,
14 whole body count data, urinalysis data as the
15 case may be.

16 So in this early 1970s area where we
17 have both. Some chest count results and whole
18 body count results that Joyce was talking
19 about, we can't combine the whole body count
20 data and the chest count data for doing our
21 coworker study modeling.

22 We have to pick one or the other.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we chose to pick the whole body count data
2 due to the preponderance of that data, and the
3 fact that there is more of it to use.

4 As Tim discussed about the MDAs and
5 the change in the intake rate, through all the
6 coworker studies that we've done in all the
7 sites, there's always a strong influence,
8 there's a strong influence on the intake rate
9 based on what the MDA is for the measurements.

10 Especially when you're dealing with
11 data that has a fair amount of sensoring in it.

12 But if you change the MDA of, your
13 method in terms of determining an intake, it's
14 going to have a dramatic impact on calculating
15 our intake rate. And that's what we have in
16 this case.

17 The urinalysis method is much more
18 sensitive so when we don't have that data, we
19 have to rely on something else. The whole body
20 count in this case.

21 And as Tim said, even though it's a
22 dramatic increase in the intake rate, it still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 results in doses which are reasonable and
2 acceptable for the purposes of this project.

3 DR. LIPSZTEIN: Okay. I
4 understand that. Just some more questions.
5 So all the chest results were discarded when you
6 did the in vivo. Is there any reason why you
7 preferred the 40 cm instead of the chest?

8 And also on the next period one,
9 there was the stretcher geometry. I know, I
10 just looked at a sample of about 80 workers that
11 we took by random sample. And all of them were
12 measured using chest geometry instead of the
13 stretcher geometry.

14 So did you have much more stretcher
15 geometry than chest geometry, to just discard
16 the chest geometry data?

17 DR. ARNO: I think some of those
18 forms get a little bit confusing. Some of
19 those forms report both whole body count
20 measurements and chest count measurements on
21 the same form.

22 Like one of the forms typically has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the top half of the page is reporting whole body
2 count results, and then the bottom half of the
3 page is recording chest count results.

4 So you have to be careful in looking
5 at some of those forms. And they changed, even
6 though it's the same basic form, they changed
7 where on the page and whether or not it said
8 whole body in one place, or chest count in
9 another place.

10 But one of our basic reasons was,
11 there was more whole body count data to use than
12 chest count data. Especially looking at the
13 gamma ray energy regions of interest that we
14 were interested in.

15 DR. NETON: Matt, this is Jim
16 Neton, can I say something real quick here? I
17 think, I don't think that they routinely
18 quantified neptunium in the chest counts did
19 they?

20 DR. ARNO: No they did not --

21 DR. LIPSZTEIN: No.

22 DR. ARNO: -- routinely continue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doing a lot of --

2 DR. NETON: And that's the problem
3 Joyce, because I think the region that they used
4 for americium which would have been the closest
5 regions to the 86 keV P4 neptunium, they only
6 integrated like between 48 and 68 keV. And so
7 --

8 DR. LIPSZTEIN: No, Jim. Jim, just,
9 that's after the 80s, before the 80s they have
10 iodine-131 and chest. They have everything
11 and chest.

12 DR. ARNO: One other important
13 thing to keep in mind is that neptunium is a Type
14 M material. It clears out of the chest into the
15 whole body relatively quickly. So it's --

16 DR. LIPSZTEIN: Well, but, you
17 know, if you measure it just after the worker,
18 at least chest is a better measurement than the
19 whole body.

20 DR. ARNO: Joyce --

21 DR. LIPSZTEIN: I don't think I
22 even question that, I think it's okay. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just curious why there are so many chest counts ,
2 so many chest monitoring that were not used.
3 And they have all the regions on the chest on
4 the earlier countings from 1970 until 1980.

5 They have everything on chest also.
6 And they have some measurements that are only
7 chest.

8 DR. ARNO: Okay, chest
9 measurements are typically looking at the lower
10 energy photons, and then you're recent memo
11 that came out, I guess last week, talking about
12 this 86.5 keV photons --

13 DR. LIPSZTEIN: That's after the
14 80s. Let's talk first before the 80s, after
15 the 80s is another thing.

16 DR. ARNO: We're talking --

17 DR. LIPSZTEIN: Before the 80s
18 because it's just that I found so many chest
19 geometry countings and it's, I don't know.

20 DR. ARNO: I mean you can find over
21 a hundred, but that's still less than the
22 several thousand that we're dealing with for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whole body counts.

2 DR. LIPSZTEIN: Okay, maybe I'm
3 just curious because from the '74 to '79 all the
4 workers that I looked at, they all had chest
5 geometry, not stretcher. That's, you know,
6 maybe a coincidence but I got the 80 workers,
7 and all of them had like that. But --

8 DR. ARNO: Yes, I think --

9 DR. LIPSZTEIN: -- that was --

10 DR. ARNO: -- part of that is the
11 point I was making earlier, is that they're
12 reporting chest and whole body counts on the
13 same form. Like some of those forms will say
14 chest count on them, but you'll see that they're
15 reporting results for cesium.

16 Well they're not reporting cesium
17 in the chest. They're reporting cesium in the
18 whole body. Both types of data are on the same
19 form.

20 DR. LIPSZTEIN: I saw --

21 DR. TAULBEE: Yes, if I can follow
22 on there, Matt and Joyce. If you look at a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 photo of the stretcher geometry, it becomes
2 pretty clear.

3 We've got one that we've requested
4 from the site during our recent data captures.
5 And it shows an individual laying on the
6 stretcher geometry, with the sodium iodide
7 detectors beneath them. And then the phoswich
8 detectors are positioned over top of the
9 person's chest. So these 2 counts were done
10 simultaneously.

11 The form may say chest counting, but
12 as Matt was pointing out there, they're
13 actually a dual count. With the sodium iodide
14 being underneath with the whole body count in
15 that concave shape, as well as the phoswich
16 detectors positioned over their chest.

17 And they're all reported on the same
18 form.

19 DR. LIPSZTEIN: Okay. If you go,
20 then you, we were discussing after the 80s.
21 After the 80s, they had, I found just one case
22 because as I told you, we just looked at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sample of the workers.

2 So after the 80s, when you go to the
3 chromium, use the chromium to detect the
4 protactinium-233. They had an accident in
5 which they examined the 86.5 measurement of
6 neptunium-237.

7 So I was wondering if you, at that
8 time, when for sure the phoswich detector was
9 used in the chest. You have both results as you
10 say at that time. The whole body, the 40 cm
11 geometry and you have chest count, if you can
12 use also the 86.5 keV to calculate
13 neptunium-237?

14 DR. ARNO: If you look at the gamma
15 ray abundance data. You take the 86.5 keV
16 gamma from the neptunium, and then any gammas
17 in that same general area that would come from
18 the protactinium-233. You wind up with a
19 summed abundance that is 14 percent.

20 DR. LIPSZTEIN: Okay.

21 DR. ARNO: And that's on a chest
22 count. You have to contrast that with our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whole body counts, have a summed gamma
2 abundance of, I believe it's about 48 percent.
3 So you're looking at a --

4 DR. LIPSZTEIN: Yes.

5 DR. ARNO: -- difference in your
6 gamma abundance percentages. And then you
7 factor into that the type M material going to
8 clear to the body much quicker than what's going
9 to remain in the lungs.

10 And you wind up with a whole body
11 count that you can expect is going to be much
12 more sensitive than the lung counts.

13 DR. LIPSZTEIN: Yes, but then you
14 have the prebenoff (phonetic) if you leave them
15 that you don't have when you calculate
16 neptunium itself. And it has an almost 12 plus
17 percent.

18 DR. ARNO: Well regardless of what
19 adjustments may or may not be need to be made
20 --

21 DR. LIPSZTEIN: That's what we use
22 now, is neptunium, right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ARNO: -- factor of four, five
2 or six or more that we're going to get by
3 switching to the chest counts.

4 DR. LIPSZTEIN: Yes, but then you
5 have prebenoff (phonetic) if you leave them
6 off, so that you don't have when you're
7 measuring the neptunium. And if they were
8 measuring americium, they surely can measure
9 neptunium.

10 DR. ARNO: We'll also run into the
11 issue that the americium measurements are going
12 to be a compounding factor on the neptunium
13 measurements.

14 Whereas the iodide, the amount of
15 you know, compounding influence of iodine-131
16 and the whole body counts is expected to be much
17 less due the rarity of the workers actually
18 having significant iodine-131 intake.

19 DR. LIPSZTEIN: Yes, that's the
20 other question. Why did you use chromium-51
21 instead of iodine-131 in this?

22 DR. ARNO: When they switched, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the 80s they switched to a different reporting
2 style and they switched the energy ranges that
3 they were attributing to given radionuclides.
4 The chromium-51 labeled region of interest
5 overlapped the region of protactinium-233
6 gammas where located.

7 DR. LIPSZTEIN: Okay.

8 DR. ARNO: A change in how SRS
9 reported in their delineation of the regions of
10 interest.

11 DR. LIPSZTEIN: Okay. I still
12 think that maybe the neptunium would be better,
13 like we do now. Nowadays we use neptunium-237
14 because they don't know they can leave them off
15 neptunium and protactinium, but --

16 DR. ARNO: Ideally that would be
17 good, but it's very hard to do what we can do
18 these days, with you know, germanium detectors
19 that have very good resolution and sensitivity,
20 and apply those same techniques to historical
21 data gathered with sodium iodides that we --

22 DR. LIPSZTEIN: No, yes, but --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ARNO: -- play with.

2 DR. LIPSZTEIN: Anyway, the
3 phoswich I think is -- and going back to that
4 difference in the urine. I saw from one of your
5 slides, I was also not in the other meeting.

6 I saw in one of those slides, I'm
7 going to Finding 18 and 19 when we are comparing
8 the drop from, when it goes, you have the 50th
9 percentile intake rates for neptunium for '68
10 to '69 was 1.79 dpm per day.

11 And it increased 50 times from, in
12 1970 to 1974 it was 93.5. I agree with you
13 that's the difference between the two methods.
14 I agree with it, and I know it is because of
15 this.

16 But as the method that was used is
17 not a typical method to have the neptunium
18 activity in the body. And you have for some
19 period of time, you have many urine data after
20 '69.

21 In the 80s you have urine data.
22 Several years you have a lot of urine data. Can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you do a comparison what you would have for
2 those years, what you got with the whole body
3 counter?

4 Because we see urine, we know it's
5 neptunium. But with the whole body counter, we
6 never know if it is neptunium or another nuclide
7 and also we have the problem of equilibrium with
8 protactinium.

9 It's just three years you have a lot
10 of counts with, that you have a lot of urine
11 data. Can you compare them to know how fair we
12 are with this method, with urine data?

13 DR. ARNO: We have done that
14 comparison and that was, Tim Taulbee presented
15 a plot in the February 5th meeting showing that
16 comparison.

17 DR. LIPSZTEIN: Yes, that was only,
18 that was claimant-favorable. I want to know,
19 because I mean 50 times to be
20 claimant-favorable is for me --

21 (Simultaneous speaking.)

22 DR. LIPSZTEIN: -- is okay, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 claimant-favorable. I don't know if it is
2 scientifically correct. So I wanted to know
3 when you have the same year, if you can compare
4 those? Give SC&A the data, not just say it's
5 claimant-favorable, so that we know how we
6 stand on?

7 DR. TAULBEE: This is Tim, Joyce.
8 If I'm understanding what you're asking here,
9 is that we take the neptunium data that we have
10 and we compare that to that person's in vivo
11 data? Is that correct?

12 DR. LIPSZTEIN: Yes. It's just
13 three years that you have a lot of urine counts
14 and you have whole body counts at the same time.

15 And I saw in your presentation, you
16 probably have this data ready because it said
17 it's claimant-favorable. I want to know how
18 claimant-favorable it is?

19 What's the difference between the
20 two? So that we can see where we stand for.

21 DR. ARNO: We'd obviously have to
22 run those calculations. But in the late 60s,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 when we switched from urinalysis to whole body
2 counts, the factor of 50 jump in the calculated
3 intake rate.

4 But when we get into the 80s, and
5 when we transitioned from the 80s to the 90s,
6 the change in the intake rate is only about 10
7 percent.

8 So we're looking at a much lower you
9 know, overestimate if you will, in the 80s
10 compared to what we would -- if we had enough
11 data to do that.

12 DR. LIPSZTEIN: I understand, no.
13 That's not what I'm talking. For example, in
14 '84 you had a lot of urine samples, what I'm
15 seeing from the, from your, from the slides.
16 And in '82 also there are a lot of samples, and
17 in 1980 you also have a lot of urine samples.

18 So if you take those three years,
19 give what would be, what was the intake based
20 on those on the urine data, 1980, 1982, and
21 1984, and compare it with the intake rate that
22 you derived from in vivo.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Because then you have the same year,
2 and then you can, and you know that urine
3 samples is neptunium, and you want to compare
4 it to, with the whole body, so we can say, oh
5 it's 10 times, it's two times, it's only so we
6 know where we stand for.

7 DR. TAULBEE: This is Tim. This is
8 something we can certainly do. It's going to
9 require us to do some calculations, but we can
10 do that.

11 DR. LIPSZTEIN: Okay, great.
12 Because I don't have the data, so I can't do it.
13 I don't have the urine data.

14 DR. NETON: Hey, Tim. This is Jim.
15 Isn't there a potentially better way to do this,
16 using the plutonium to neptunium ratio that
17 you've established?

18 DR. TAULBEE: Well that is another
19 issue, Jim. But let me finish this right here
20 with Joyce, and then I'll address yours.

21 DR. NETON: Hey, Tim could you turn
22 up your phone a little? Because I'm having

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trouble hearing you.

2 DR. TAULBEE: I'm sorry. Is this
3 better?

4 DR. NETON: That's better.

5 DR. TAULBEE: Okay. I just moved
6 it closer to me, that's all.

7 If you look at that chart that I put
8 up, Joyce, from my presentation, of the
9 neptunium urine data.

10 DR. LIPSZTEIN: Yes.

11 DR. TAULBEE: There's -- it's going
12 to be this difference in comparison. It's not
13 comparing the intake. It's actually just
14 comparing the urine data.

15 What we did here, or what Matt did,
16 was he calculated based upon the in vivo
17 takes, the intakes. What the urine
18 concentration would be for a worker in those
19 time periods?

20 Those are the red dots on that
21 particular plot. The bar charts are the actual
22 urine data that we have, and the, box plots

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rather, I'm sorry.

2 And if you look at it in the time
3 periods you're talking about, 1980, 1982, and
4 1985. You'll see that the, our, with the
5 exception of 1980, always above the 75th
6 percentile of the data. The actual urine data
7 that we have.

8 Now we can compare the intakes to
9 give you the field that you're talking about.
10 This factor, I mean on this plot of the urine
11 data based upon the in vivo data, in vivo, and
12 the actual urine data that we've got samples
13 for.

14 DR. LIPSZTEIN: Yes, but then we
15 know where we stand at those times.

16 MR. BARTON: This is Bob Barton.
17 Can I ask you a clarifying question here? Do
18 we have a feel for how many of these urine
19 samples of people who were actually monitored
20 via urinalysis for neptunium, that would also
21 be included in the in vivo records that are
22 being proposed to use to reconstruct neptunium?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Because that might be a more direct
2 comparison. I think maybe what was done is we
3 looked at it by year. And grouped the samples
4 together, and put it to a distribution like is
5 normally done.

6 But you get better information if
7 you can actually look at individual workers,
8 and say well they got monitored both methods.

9 And if we were going to reconstruct
10 their doses using both methods, you know, how
11 do they stack up with one another? I don't know
12 how possible that is.

13 If we have a feel for how much
14 overlap there might be? And if that, that type
15 of comparison to me is a little more helpful
16 because you're looking at individual workers
17 who if they submitted urinalysis samples they
18 probably were exposed.

19 So let's take a look and see based
20 on their records, their in vivo records, and the
21 urinalysis through to calculate their intakes
22 both ways. How does that compare? So I guess

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'd pose the question, is that even a
2 possibility?

3 DR. TAULBEE: Yes that is a
4 possibility. The only difficulty is on the
5 current NOCTS data, we have so few claims.
6 Where if we could do this on a few number of
7 workers?

8 We could do it for everybody that we
9 have it for, that's possible. But a lot of the,
10 or some of the neptunium data that we got came
11 out of logbooks and from other sources, where
12 we don't necessarily have an in vivo count
13 associated with them.

14 Without going back to the site to
15 get more data, which is of course possible but
16 much more time consuming and a much longer time
17 period.

18 MR. BARTON: I understand, so the
19 urinalysis data covers more than just the
20 claimant population. Whereas the in vivo data
21 is strictly for the claimant population that we
22 have. I understand that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: Okay. Correct Matt,
2 correct?

3 DR. NETON: Right.

4 DR. LIPSZTEIN: I'm curious about
5 Jim's question now.

6 DR. TAULBEE: Yes, one of things
7 that I indicated during our February 5th
8 meeting, was that there's, the site was using
9 plutonium as the basis.

10 Kind of for their monitoring to
11 cause the additional neptunium monitoring
12 during this time period of 19, I think it's
13 about 1970 through 1978.

14 And this is based upon the
15 contaminant of plutonium-238 in the neptunium.
16 And so that is another method of estimating this
17 particular dose. Is to use a ratio off of that
18 methodology.

19 Chose not to use that because at the
20 time, we didn't have complete data,
21 contamination ratio. And in fact today we've
22 seen the data, we've requested the data, but we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 still don't have it in house.

2 Something else that we could
3 compare, to give you all a feel of what the, I
4 guess what the neptunium exposures would be,
5 would be to look at the plutonium and go off with
6 that ratio.

7 And the, I guess the true measure in
8 that time period, the early 1970s where we don't
9 have a lot of neptunium bioassay data. Does
10 that answer your question, Jim?

11 DR. NETON: Yes. Yes, I think it
12 does. I mean if I recall correctly, the
13 plutonium was much more predominant in the mix
14 than the neptunium, right? I mean even under
15 some very conservative circumstances.

16 That would be one way of bounding
17 these exposures using, you know, the urine data
18 developed, not relying on the in vivo count.

19 I think what we have here, is we've
20 got a couple approaches. And I don't hear
21 anyone really arguing that none of these
22 approaches are valid. I think we're kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 arguing about technical details here.

2 It almost seems to me that this
3 problem's more of Site Profile issue than an SEC
4 issue, but that's just my impression, unless
5 you appear to have another thought.

6 DR. LIPSZTEIN: Yes, I have
7 problems also with using the protactinium to
8 measure the activity of neptunium. I'm well
9 aware that it's used, but you have to have
10 neptunium in equilibrium with protactinium.

11 And we don't know about it. And I
12 don't think NIOSH comment on this was
13 appropriate because it was saying about making
14 assumption of, on the time pattern of intake.
15 About assuming a chronic intake during a period
16 of time.

17 I think this doesn't have anything
18 to do with the time of the measurement and the
19 equilibrium between neptunium to
20 protactinium-233 proportion.

21 The proportion of protactinium-233
22 to neptunium-237 is only at the time of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measurement. Is only related to the age of the
2 neptunium first, and also on how long after the
3 exposure the worker was monitored. So how much
4 has decayed inside the body also.

5 Doesn't have anything to do with
6 assumption about the intake model that was done
7 after you have the 50 percent, the 84th
8 percentile, the 95th percentile of the log
9 normal distribution of the in-vivo data of all
10 workers.

11 It has to do with what was the
12 proportion at the time of the measurements. So
13 this an uncertain effect. And also I was not
14 happy with NIOSH response that a GSD of three,
15 or over three would resolve everything.

16 No, the GSD of three or more than
17 three, has to do with the log normal
18 distribution of all the workers. Nothing to do
19 with individual measurements that is one point
20 in the log-normal distribution.

21 So one thing is the time of the
22 measurement, and the measurement you get for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one person. And the other thing is the
2 coworker distribution that has to do with the
3 log-normal distribution of all the results of
4 the workers.

5 So I think the proportion of
6 protactinium-233 to neptunium-237 is also an
7 important point to consider when you have the
8 measurements.

9 DR. ARNO: The ratio is important,
10 but it's also important to keep in mind you do
11 have to maintain a consistent set of
12 assumptions.

13 You cannot completely segregate the
14 methodology used to determine equilibrium for
15 the whole body count. And then the methodology
16 to do the intake modeling.

17 The intake modeling is based off of
18 a chronic intake which is used as a surrogate
19 for either, A, an actual chronic intake, or B,
20 a series of relatively small acute intake,
21 which is another valid and common exposure
22 scenario.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We will never know the length of
2 time between an intake or the point of
3 measurement --

4 DR. LIPSZTEIN: I'm not --

5 DR. ARNO: -- regardless of chronic
6 intake, or the age of the neptunium to which the
7 person was exposed. And even if we know the age
8 of the cans the person was working with, the
9 contamination in the lab or along the line, may
10 be from previous runs.

11 You will never know that
12 information.

13 DR. LIPSZTEIN: So that's a big
14 point, because if you never know this
15 information, it might have been monitoring
16 someone that was exposed to fresh
17 neptunium-237. And so the protactinium won't
18 reflect what was the neptunium exposure.

19 DR. ARNO: Even if you can never
20 know the precise number for a specific
21 measurement, it is possible to make some
22 reasonable assumptions about what people would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be exposed to. There was a known minimum decay
2 time between when the neptunium was purified
3 and when the work was done on it.

4 In the context of a chronic intake,
5 and knowledge about how often people were whole
6 body counted you can make reasonable
7 assumptions, especially in the context of the
8 assumption of a chronic intake.

9 You're thinking about a huge --

10 DR. LIPSZTEIN: No, no. I think
11 you are mixing one thing with the other.
12 Forget the chronic intake. So that, the intake
13 is calculated for the 50th percentile count, or
14 with monitoring results from the whole
15 population of workers in that year.

16 I'm talking about each measurement
17 that is a point in that log-normal
18 distribution. Each measurement if you have
19 one worker, he is measured. He was exposed to
20 freshly monitored neptunium. You are
21 underestimating the neptunium content in the
22 body.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So you have, it is at the time of the
2 measurement. Nothing to do with intake
3 assumptions. It's the amount of neptunium at
4 the time of the measurement that you are
5 measuring for protactinium.

6 So if you have many workers exposed
7 to freshly neptunium-237 with no protactinium
8 in it, you are underestimating the neptunium
9 quantity in the body.

10 MR. BARTON: If I could just add on
11 to what Joyce just said. This is Bob Barton.
12 I think you know, as you said Tim, you know at
13 some point you just don't have the information
14 to do it perfectly.

15 I mean we'd all like to, but I think
16 what Joyce is saying is the assumption on
17 equilibrium we're essentially, the assumptions
18 that have been laid out according to it being
19 in equilibrium at the time of the measurement.

20 Now we know that's it's probably
21 somewhere in between the freshly separated, and
22 in equilibrium between the Pa-233 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neptunium.

2 So I guess, you know, where I come
3 out on this is you sort of stated your
4 assumptions in the response. You know, you
5 said usually there was a 25 day period before
6 irradiated, you know, billets were actually
7 handled and processed.

8 And then you provided some rational
9 then, well you know, a lot of the exposure would
10 come from the, you know, contamination in the
11 plant.

12 I guess we would kind of like to see
13 that substantiated a little bit more. I mean,
14 you know, it sounds fairly reasonable. But you
15 know, you stated that it's 25 days, but then 25
16 days doesn't bring you to equilibrium.

17 So you know, I guess we'd like to see
18 that rationale flesh out a little bit more with
19 some actual sited references. And steps to
20 really build the case that, you know, since we
21 don't know, equilibrium is going to be the best
22 answer versus some other adjustment factor.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ARNO: The problem here is
2 assuming that this is a measurement made after
3 an acute intake. Our coworker intake model for
4 calculating the intake has to be consistent
5 with how we interpret the bioassay data for
6 determining the log-normal distributions.

7 And that is based off of a chronic
8 intake. And we were assuming that the bioassay
9 measurement is midway in that chronic intake.

10 DR. LIPSZTEIN: Yes, but the --

11 DR. ARNO: We need to keep status --
12 (Simultaneous speaking.)

13 DR. NETON: This is Jim --

14 DR. ARNO: -- consistent, if you
15 don't you're invalidating the way you're doing
16 the analysis.

17 DR. LIPSZTEIN: Not, I --

18 DR. MAKHIJANI: That's exactly why
19 --

20 DR. ARNO: Come on in.

21 DR. MAKHIJANI: This is Arjun.

22 Could I say something? This is Arjun.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: Yes.

2 MR. BARTON: Actually, I think Jim
3 was trying to say something first.

4 DR. NETON: Yes, let me just, I was,
5 can I suggest that, you know we have that
6 plutonium ratio data now. Could we not use
7 that to sort of validate some of, not validate
8 but evaluate the appropriateness of the whole
9 body counts?

10 DR. LIPSZTEIN: Would be great if
11 you'd do it.

12 DR. NETON: I don't know, you know,
13 because we know this is a maximum or
14 conservative ratio and then you do a, you look
15 at a whole body count and you say is that
16 consistent with what we, you know, with what
17 we're assigning. Because many of them are
18 going to be based on MDA.

19 DR. LIPSZTEIN: Yes.

20 DR. NETON: But we know, no,
21 protactinium there at all. Is that a
22 possibility Tim, or am I off base?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: No, I think it is a
2 possibility. We haven't done that yet because
3 I don't have the, all of the ratio data yet.
4 But we can certainly do that.

5 DR. NETON: I think that we need to
6 go back and we have this ratio data which can
7 do a lot for us. I think we need to, I would
8 say that NIOSH probably needs to go back and
9 look at that.

10 And it can either bolster some of
11 these issues, or supplant some of them in
12 certain situations. So I think it's not going
13 to be fruitful here to debate whether
14 protactinium is in equilibrium or not at this
15 point.

16 DR. LIPSZTEIN: Yes.

17 DR. NETON: So I think --

18 MR. FITZGERALD: Just to reaffirm
19 that, I think what you're saying is basically
20 it can be used to both, either validate the
21 current approach or supplant it, if it turns out
22 that there's some issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Certainly it offers a more data
2 based --

3 DR. NETON: Right.

4 MR. FITZGERALD: -- way of doing the
5 estimate.

6 DR. NETON: Exactly and Tim pointed
7 out correctly. We didn't have this data until
8 a while ago. And we still don't even have them
9 physically.

10 We became aware of them and I think
11 there's a lot that can be done with this to
12 address the issues that are being raised here,
13 in my opinion.

14 DR. MAKHIJANI: Could I say a
15 couple of things? This is Arjun.

16 ACTING CHAIRMAN CLAWSON: Sure
17 Arjun, go ahead.

18 DR. MAKHIJANI: Yes, just two
19 things. In regard to the equilibrium
20 question, I think in real life there's going to
21 be a distribution of, you know, protactinium in
22 relation to neptunium, from fresh to fully in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 equilibrium.

2 And we don't know that distribution
3 until you establish what kind of activity has
4 happened, which is going to be quite hard.

5 I mean actually to, in relation to
6 the time of measurement and the time of exposure
7 and so on. So that's, I think that's a very
8 important thing. So, because it makes a lot of
9 difference to what dose you come up with.

10 And regarding the point that was
11 just being made, with plutonium and neptunium
12 ratios, I think we do have to establish the dose
13 enough, plutonium contamination in all the
14 exposure situations, or essentially the major
15 exposure situations at least.

16 That we weren't dealing with near
17 pure neptunium targets as they were being
18 fabricated. And to exclude the idea that there
19 was something close to pure plutonium, that we
20 have the necessary ratios.

21 I know the difference in, there's a
22 big difference in half-life and specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 activity but still we do need to establish the
2 purity of neptunium and it was not dominant in
3 some situations.

4 DR. TAULBEE: This is Tim, and this
5 comes from that data that Joe looked at, at the
6 same time we were capturing it there in the
7 vaults.

8 Where we have virtually month by
9 month contamination, plutonium contamination
10 measurement values for both the HB line and then
11 the oxide coming out the other end, which is
12 effectively purified, cleaned up more than what
13 coming off the frames in the canyon.

14 We had both ratios of data available
15 to us and so I think that's pretty well
16 established that plutonium is a significant
17 component of this exposure throughout the
18 monitoring time period.

19 (Simultaneous speaking.)

20 DR. TAULBEE: I'm sorry, whoever
21 spoke after me.

22 (Simultaneous speaking.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes, this is Jim. I
2 mean that's why they decided to stop monitoring
3 for neptunium in the first place. Because they
4 realized that the neptunium was a better
5 indicator of intake than the, that the
6 plutonium was a better indicator of intake than
7 the neptunium.

8 I think we'll proceed. And I'd
9 like to proceed, as I suggested and that we go
10 back and take a look at that and see what the
11 path forward is for either validating,
12 verifying, or supplanting using some of this
13 plutonium data that we have.

14 It makes a lot of sense, to me I
15 mean, to me it's a good source of information
16 that we could take advantage of.

17 MR. FITZGERALD: And for reference
18 sake Arjun, this is in the, and correct me if
19 I'm wrong Jim, it's in the Works Technical
20 Reports. The monthly reports that were
21 generated up through the 80s I believe.

22 DR. TAULBEE: That's correct. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 only, I believe, we only have data in those
2 reports up through 1983 possibly 1984, but
3 that's 1984 is when they stopped manufacturing
4 the neptunium targets. So they kind of end
5 about the same time.

6 ACTING CHAIRMAN CLAWSON: So first
7 of all, Ted, I don't know whose taking minutes
8 on this because I have no access to this, but
9 it sounds like NIOSH is going to go back and
10 according to Jim, and we're going to use the
11 plutonium ratio, to be able to look at this, is
12 that correct, Jim?

13 DR. NETON: Well Brad, I think
14 we're going to look at that and see how that
15 might play out for us. I'm not saying we're
16 going to fully use plutonium ratios but we're
17 going to see what use we can make of it, under
18 what scenarios.

19 ACTING CHAIRMAN CLAWSON: And I
20 understand. But personally, thanks everybody
21 for giving me a headache right off the bat, at
22 the beginning of trying to follow this, but that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sounds good to me.

2 But I do have one question and that
3 was back on the Findings, well 1 through 9. One
4 of my questions was, and I understood in there
5 that there was an americium difference back and
6 forth.

7 But that we couldn't, I got from it,
8 that we could not tell what kind of, if it was
9 a chest count or if it was a genomic issue?
10 Because they were both reported on the same
11 form.

12 And the only way we'd be able to know
13 what type of process, what they were looking for
14 is by looking at what they were, the detector,
15 or what they were looking for? I didn't
16 understand that.

17 I thought and maybe I'm just
18 misunderstanding this. But I thought that I
19 heard NIOSH responding that the only way, they
20 were both on the same form.

21 And you'd have to look at what they
22 were, the radionuclide they were looking for to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be able to determine what, if they were doing
2 it in a genomic, well what, is that correct?

3 I was trying to, we kind of jumped
4 into 18 and 19, and I just wanted to clarify this
5 back on 1 through 9. Is this correct? That
6 when they were doing these --

7 DR. TAULBEE: I'll --

8 ACTING CHAIRMAN CLAWSON: Go
9 ahead, Tim, I'm sorry.

10 DR. TAULBEE: I'll take a stab at
11 that. From 1974 through the 1980s there was a
12 dual count that was conducted when the person
13 was laying on the stretcher.

14 There was a phoswich detector over
15 top of their chest, and then there's a series
16 of sodium iodide detectors underneath their
17 body. As they were laying on this bed, this
18 stretcher bed.

19 And the results are reported on the
20 same form, for both the phoswich, which is
21 considered a chest count. And for the whole
22 body count, which is the remainder of the body

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the sodium iodides underneath them.

2 When you look at the form, you can
3 look at the top part, and you can tell that these
4 are off of the stretcher geometry.

5 And then at the bottom you'll see
6 where it says chest count, and you'll see where
7 they're given the x-rays for plutonium, for
8 americium and enriched uranium at times.

9 Those are the chest counts coming
10 from the phoswich detectors. So when you look
11 at the form as a whole, you can see both counts
12 on them. Does that help, Brad?

13 DR. LIPSZTEIN: Yes, but
14 sometimes, it's only chest. And sometimes
15 it's only whole body.

16 DR. TAULBEE: That --

17 DR. LIPSZTEIN: Sometimes it's
18 only chest.

19 DR. TAULBEE: Is that post-1975?
20 Is that, because I'm thinking that's pre-1975?

21 DR. LIPSZTEIN: There are some
22 post-1974 too, but many are between '70 and '74,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but many after '74 until the 80s. And then on
2 the 80s then you have both on the same.

3 DR. TAULBEE: Now --

4 DR. LIPSZTEIN: After the 80s then
5 you have both, always. Before the 80s
6 sometimes you have just chest, but as I
7 understood you are not considering the ones
8 that are only chest. Right?

9 DR. TAULBEE: That is correct.
10 You know, looking at the different forms here.
11 The, let's see, that, okay a 1978 one here.
12 Okay, you know, as Matt was discussing, well now
13 even the 1978 here, there is both chest and the
14 whole body.

15 And then the 1980 form here, I'm
16 looking at an example, it's both chest and whole
17 body. They're there. They're not labeled
18 explicitly, but if you look at the channels, the
19 regions of interest, the channel count data, I
20 guess the channel numbers in a sense, you can
21 tell whether it's chest or whether it's whole
22 body.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Well we have a report or well it was
2 an internal document that was written that kind
3 of goes through each of these different forms
4 and explains the different regions of interest.
5 And how we calculate the activities.

6 I think I guess we could form, turn
7 this into a report, if this would help you all
8 understand the data that we're using here. We
9 could certainly do that.

10 MR. BARTON: This is Bob Barton,
11 you just kind of mentioned a form, I think where
12 Joyce's observations are coming from, were
13 actual, the actual claimant files. So --

14 DR. LIPSZTEIN: Yes.

15 MR. BARTON: -- I don't know. I
16 don't know if this is a possibility that maybe
17 you know, they use the same form, but they
18 didn't always fill out you know the counts in
19 each, because maybe they weren't doing both
20 style counting at the same time in every case.

21 Or you know, I mean if it's, I guess
22 I need clarification was it a standard form you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were just referring to? Or I mean are you
2 looking at actual applications of that form as
3 they appear in the claimant files?

4 DR. TAULBEE: These are the forms
5 as they appear in the claimant files. Looking
6 at post-1975, it's always an electronic
7 printout.

8 Now sometimes they've written on
9 the electronic printout, but the printout will
10 have channel data associated with it. If you
11 read the form, the printout, I'm sorry.

12 But prior to 1975 there is more hand
13 written forms and what you'll see in the NOCTS
14 files is you'll see one page, it'll say whole
15 body counted data, and the next page it might
16 say chest counted data.

17 In reality, that chest data is on
18 the back of that whole body count data form.

19 DR. LIPSZTEIN: I agree with that,
20 I saw all of this, but I also saw many reports
21 that were written chest, and some '74, '79
22 especially. There was, most of the forms I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 took the data from NOCTS, from claimant files.

2 And it was like as I said, was a
3 random number of workers that I looked at.
4 What called the attention to me, is that all of
5 the ones that I looked were written chest on
6 them.

7 So it was not theoretical, but I
8 think that we are reaching an agreement that you
9 were going to look at this data as compared to
10 the plutonium, right?

11 DR. TAULBEE: Yes. Could you send
12 me the list of those claimant files that you
13 looked at? And we can certainly take a look at
14 it and then, and instead of trying to talk in
15 the abstract here, we can actually?

16 DR. LIPSZTEIN: Okay, I'll do it.

17 DR. TAULBEE: I'll appreciate it.

18 DR. LIPSZTEIN: I'll put it on the
19 O: drive, okay?

20 DR. TAULBEE: I'm not sure I have
21 access to your O: drive.

22 DR. LIPSZTEIN: Oh, okay, I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sorry. Okay, I'll see which people of SC&A
2 that you get it.

3 MR. KATZ: Tim, you have access,
4 it's your O: drive. So you have access to
5 everything SC&A has.

6 DR. TAULBEE: Okay. Does this
7 appear under the Advisory Board, Radiation
8 Worker Health Directory?

9 MR. KATZ: Tim, that's where I
10 think she'll put it.

11 DR. LIPSZTEIN: Yes.

12 DR. TAULBEE: If you put there
13 under the Savannah River Site SEC, then I should
14 be able to find it.

15 MR. BARTON: Could I ask an
16 overarching question because I agree with
17 Joyce. I think Jim Neton has given us a really
18 promising path forward with the plutonium ratio
19 comparison.

20 And I pose this question, if you
21 had, had this data that was just recently
22 captured prior to formulating the current

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 coworker model, I mean would you have used that
2 plutonium ratio data instead?

3 I mean do you feel it's a more viable
4 and scientifically defensible way to go than
5 what was currently proposed?

6 DR. TAULBEE: Yes, I do.

7 MR. BARTON: Well then, so we
8 actually do --

9 (Simultaneous speaking.)

10 DR. NETON: That's why I'm
11 suggesting we go back and look at this and see
12 to what extent it's useful. And it may be the
13 best set of data that we could use.

14 MR. BARTON: Okay, and then so then
15 I think that's really the path forward, and but
16 I would just reiterate Arjun's caution that we
17 need to make sure that when we're looking at
18 these ratios, that these ratios do capture
19 situations where maybe the neptunium is a
20 little purer, and there might not be as much
21 plutonium there.

22 And it sounds like Tim said, that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's definitely the case. That we have that
2 data, it's solid, it's going to be
3 representative of all the situations we need to
4 cover.

5 And so I would say, you know, be
6 explicit when you guys do that analysis. But
7 listen, these really are the bounding ratios,
8 and you know, when we look at these bounding
9 ratios we look at this coworker model, you know,
10 however it pans out.

11 But I think we need to keep focused
12 on that we're not missing any situations where
13 there isn't that plutonium ratio data where
14 there could be a significant exposure to
15 neptunium.

16 So I would only caution that, but it
17 sounds like a really good plan to me.

18 DR. TAULBEE: Now let me throw out
19 a little bit of a caution on that, because some
20 of those values of the plutonium ratio are less
21 than. Where the plutonium contamination was
22 less than .05 for example.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So you know, that would be the
2 average for example and they give a minimum and
3 a maximum, and we have an estimate of the number
4 of samples.

5 So it's not that every single one
6 there is, you know, the average is showing that,
7 you know, the plutonium contamination is .1 or
8 something like that.

9 There are months, especially you
10 get into the later 70s, where that ratio does
11 begin to decrease a bit. And so it goes into
12 a non, I don't want to say non-detectable type
13 of scenario, but the values that they're
14 reporting were a less than value.

15 So it does play a little bit back
16 into you know, what Arjun was mentioning of
17 dealing with really pure neptunium if you will,
18 and that was our whole, one of our main reasons
19 for going with the whole body count initially
20 early on. Was we didn't know how that value
21 changed over time.

22 Right now I just have a general feel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of how it changed, based upon looking at the
2 reports, and the data that we captured.

3 But we don't have that data in hand
4 to actually have the analysis and to be able to
5 trend it and see what is actually happening with
6 it.

7 But I do agree this is the way I
8 would, if we had the data early on, we would have
9 gone down that path of the plutonium
10 contamination methodology. And as I clearly
11 was monitoring based upon plutonium because
12 they felt that, that was the most accurate as
13 well.

14 DR. MAKHIJANI: This is Arjun.
15 Can you hear me?

16 DR. TAULBEE: Yes.

17 DR. LIPSZTEIN: Yes.

18 DR. MAKHIJANI: One thing for this
19 less than, if you have a positive neptunium
20 result above the MDA, and less than plutonium
21 results. This is going to be a big
22 methodological problem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Of course if most of the
2 measurements are positive for both, then you
3 don't have a big problem. But if you have many
4 measurements of plutonium, less than and
5 neptunium less than.

6 Or plutonium less than and
7 neptunium positive, this of course would not
8 allow us, you know, would create difficulties.
9 I just want to put that on the record.

10 DR. TAULBEE: Yes, you're correct
11 there, Arjun. The scenario where this falls
12 apart is where you have a positive neptunium and
13 a negative plutonium. And we do recognize
14 that.

15 I have not seen that, I'm not saying
16 it doesn't exist, but that's not something that
17 I've seen yet.

18 And from the cases where I've seen
19 people having exposure, neptunium exposure
20 where they had a nasal smear that came up
21 positive and they did follow up bioassay, then
22 the cases, the case that I was looking at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yesterday for example, the plutonium was on the
2 order of like 5 dpm and the neptunium was
3 non-detected.

4 So you know, that plays into the
5 opposite role of where this plutonium
6 methodology works and is far more sensitive.

7 DR. MAKHIJANI: Right, I just want
8 to yes, I just want to raise that flag and then
9 we'll, you know, I'm not disagreeing with
10 anything that's being said in terms of going
11 ahead. And John, you can put me on mute.

12 MR. FITZGERALD: Jim, Joe. What,
13 I know this data was collected last fall. Is
14 there any sense of when it might be available?

15 DR. TAULBEE: I had conversation
16 with Savannah River yesterday about this to try
17 and get that data. Because they have not, our
18 notes from our classified vault visit, they
19 haven't even begun reviewing MD2 funding
20 issues.

21 I'm currently trying to get those
22 bumped up from a priority standpoint to where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they will release some funds to the
2 classification reviewers, so that they can
3 review them, and we can get the data, at least
4 from that standpoint.

5 I'm hoping in the next few weeks
6 that we can actually get the data in-house from
7 our notes. Which is where we extracted all
8 that data, Joe, if you recall?

9 MR. FITZGERALD: Yes.

10 DR. TAULBEE: So that's what I'm
11 hoping for right now.

12 MR. FITZGERALD: All right.
13 Thanks.

14 ACTING CHAIRMAN CLAWSON: Well, I
15 guess I'd like somebody to, Joe, possibly you
16 can help me out, let's just summarize our paths
17 forward on this so that we understand what we're
18 doing.

19 I'm, Jim, I appreciate what you've
20 put out there because I personally think that
21 will help a lot too. But we also need to get,
22 we need to get this data in hand so that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people evaluating this can be seeing the same
2 information, that all of will be seeing the same
3 stuff.

4 So what's the path forward on this,
5 Joe? And Jim, I guess, or Tim.

6 MR. FITZGERALD: Yes, I think you
7 know, Joyce and Matt and everyone else has
8 certainly identified some of the questions that
9 we're having relative to validating the in vivo
10 versus the bioassay.

11 And I think what Jim has offered is
12 a pathway which would be much more, perhaps
13 efficient, than going back and you know, doing
14 some de novo analyses between the urine and the
15 in vivo, going a little bit further into
16 individual data comparisons.

17 And in this case, as Tim noted, we
18 collected or observed a lot of very specific
19 Pu/neptunium ratio data that's in the monthly
20 technical reports.

21 So assuming that data will be
22 available I think that would be the comparison

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that would be most useful to do at this point.
2 And I think I like the perspective that once
3 that data is available it can be used to do the
4 kind of validation we're talking about, much
5 more readily and credibly.

6 And if that validation demonstrates
7 that the approach falls short, then I think
8 what Tim and Jim have said is it would offer an
9 alternative methodology that would be in fact
10 more advantageous in modeling respects than the
11 one that had been used from a couple years back.

12 So this is responsive I think. And
13 maybe Joyce can help me. I think we've kind of
14 bridged between several findings in the
15 neptunium arena, you know, 9, 10 and 11 at
16 least. Maybe even further.

17 And this would offer some of the
18 validation that we lack and that we're raising
19 questions about relative to the current
20 approach for using the in vivo, in vitro, the
21 different methodologies and how they compare.

22 Joyce, I know you covered a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ground. Is this path responsive to at least
2 the issues in 9, 10, 11? I know we kind of moved
3 forward along that line.

4 DR. LIPSZTEIN: Yes, I do. I think
5 it goes, I think all the issues that I had, it
6 covers it.

7 MR. FITZGERALD: All right. So
8 Brad, I think this would be the path forward,
9 and the action. We, SC&A as Joyce offered
10 would put the sampling that she did on the
11 individual claimant files up on the O: drive for
12 Tim and his crew.

13 And NIOSH would await receiving the
14 ratio information from Savannah River, at which
15 point they would, as I understand it, would
16 assess, you know, what would be appropriate in
17 terms of analyses. And then would go forward.

18 And I don't know Brad, whether the
19 Work Group would want some kind of indication
20 from NIOSH at that point, what that decision
21 would be?

22 In other words now that the data is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 available and they have a chance to look at it,
2 what specific they would do in terms of an
3 analysis of some sort?

4 With the Board meeting coming up,
5 that might be a useful, you know, feedback,
6 given the fact that the analysis itself might
7 take some time.

8 DR. MAKHIJANI: This is Arjun.
9 I'd just like to say one more thing. That the
10 issue that we've discussed today relate to the
11 procedure for dose reconstruction. Basically
12 using all worker data, mostly non-construction
13 worker data.

14 And but you know, we've also raised
15 a number of issues regarding whether than can
16 be used for construction workers, that are
17 quite separate than this. And still need to be
18 resolved.

19 DR. TAULBEE: And along those
20 lines, this is Tim. And along those lines on
21 this, we're you know, been using the plutonium
22 data we have a lot more plutonium data for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 construction trades workers than we have
2 neptunium data for them. So yes --

3 DR. MAKHIJANI: Maybe this will
4 address that, Tim. I just wanted to remind
5 people that, yes, both those issues do need to
6 be taken into account also before this can be
7 resolved.

8 DR. TAULBEE: And well I do have one
9 other thing I'd, just, I had two tasks listed
10 down for us. So one is to develop a model based
11 upon the plutonium contamination that Jim was
12 indicating there.

13 Do we still want to do, because the
14 other task I have is the comparison of the
15 neptunium urine bioassay for the few workers
16 that we do have that for, and their in vivo
17 counts.

18 Do we still want to do that
19 particular comparison? And illustrate, I
20 guess the order of -- or the increase of using
21 the whole body count in vivo data in our
22 protactinium equilibrium assumption.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think we still want to do that
2 case, is that correct?

3 DR. LIPSZTEIN: That would be great
4 if we can. It's just three years that you have
5 enough data, right?

6 DR. TAULBEE: Yes.

7 DR. LIPSZTEIN: That'll be great.

8 DR. TAULBEE: Okay. Those are the
9 only two tasks that I have listed here. Is that
10 everybody's understanding as well?

11 MR. BARTON: Tim, this Bob Baron.
12 When you mentioned that second task just now,
13 are you talking about comparing individual
14 workers? Is that what we're referring to?

15 DR. TAULBEE: Actually, yes. In,
16 the few individual workers we have, that was my
17 thoughts. But now that I think about it a
18 little more, of what Joyce just said.

19 I think she's wanting us to compare
20 the entire distribution as well for in vivo for
21 those years. And the combined bioassay where
22 we have a lot of data as well. So maybe this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two parts?

2 MR. BARTON: Okay, I thought that
3 the distribution clearance, and the
4 distributions had already been done. And that
5 was within the February 5th presentation.

6 DR. TAULBEE: It had not been done
7 for intakes.

8 MR. BARTON: Okay. I understand.

9 DR. TAULBEE: We did for the actual
10 urine data, but not actually documenting,
11 showing the intakes. I mean Matt's already
12 done the calculations effectively, it's just
13 we've got to pull from a different place and
14 write it into a report.

15 MR. FITZGERALD: So you're saying
16 it would be a two part to that?

17 DR. TAULBEE: There would be two
18 parts to it, but it would all be in one, I think
19 in one report.

20 MR. FITZGERALD: Right.

21 ACTING CHAIRMAN CLAWSON: Yes. I
22 guess, this is Brad. Does that sound good to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 everybody?

2 MR. FITZGERALD: Yes.

3 (Simultaneous speaking.)

4 MR. FITZGERALD: With the proviso,
5 I think it would be very helpful for the Work
6 Group to know when NIOSH actually receives the
7 data and makes some kind of, and this is what
8 Jim was saying, some kind of decision based on,
9 you know, again the data has not been available
10 yet.

11 But to review that data and decide,
12 you know, what makes sense as far as analysis.
13 Just sort of a milestone for the Work Group so
14 there's some indication of where this is going.

15 Because this would pretty much wrap
16 around most of the remaining neptunium issues.
17 Not all of them but most of them.

18 ACTING CHAIRMAN CLAWSON: Okay.
19 Well does this, I guess the Board Members, does
20 this sound okay with you? Phil?

21 MEMBER SCHOFIELD: Brad, this is
22 Phil. That sounds like a good idea.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN CLAWSON: Okay,
2 well. I guess my question is here, Ted and I
3 want to make sure this gets documented, so I
4 just --

5 MR. KATZ: Brad?

6 ACTING CHAIRMAN CLAWSON: Yes.

7 MR. KATZ: This is Ted. Yes, this
8 isn't a problem. So we can have, you know, Joe,
9 at the, once the meeting is closed you know,
10 tomorrow or the next day or whatever.

11 When you can get to it, Joe will just
12 send out a brief synopsis of the action items
13 on the table so that everybody is clear about
14 what's coming.

15 MR. FITZGERALD: Yes, I've been
16 taking notes and I will circulate that and
17 people can check and make sure I got the nuances
18 correctly.

19 ACTING CHAIRMAN CLAWSON: Okay.
20 This is what I wanted to make clear because I
21 think this is a good clear path. And I'm with
22 you, Joe. I'd like to know when NIOSH finally

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 does get this data.

2 Now SC&A also has action items for
3 Joyce to be able to give them their, this, where
4 her information comes from, the files. And so
5 I, with that I think we're pretty well done with
6 these until we get this other information back.
7 Is that correct?

8 MR. FITZGERALD: That's correct.
9 Tim could you repeat your two part, I mean I got
10 some of it, but not all of it?

11 DR. TAULBEE: After I get off of
12 mute, sure, sorry. The first part will be
13 comparing the distributions of the intake
14 values that we come up, from the in vivo data
15 for 1980, '82 and '85 I believe.

16 And we'll compare that to the intake
17 that we get from the urine bioassay
18 distributions for those years where we have
19 sufficient urine bioassay. So that's part A.

20 Part B, would be to take the few
21 workers we have in NOCTS that we know worked
22 with neptunium and had neptunium bioassay and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compare their in vivo data to their neptunium
2 urinalysis data.

3 And calculate the, I guess the
4 intake? Is that correct as to what you were
5 looking for there, Bob and Joyce?

6 MR. BARTON: Yes, this Bob. I
7 think that's pretty much what we're looking at.
8 The only thing I can add, is I don't think you
9 have to necessarily restrict it to only those
10 that have neptunium urinalysis and the whole
11 body counts.

12 Because you could still offer up
13 some weight of evidence, arguments if you just
14 have the neptunium urine bioassay. You can
15 calculate an intake based on that.

16 And then you can go and compare it
17 to what the coworker model would have assigned
18 to these neptunium workers, had they not been
19 monitored. Which is really to me kind of the
20 end game there.

21 I mean if we have a bunch of
22 neptunium workers, we know were probably the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 highest exposed to neptunium and we have some
2 records for them, we can reconstruct their
3 intakes and compare it to what that coworker
4 model says they would have gotten.

5 And that can go a long ways toward
6 putting a lot of these issues to bed.

7 DR. TAULBEE: Okay, so you want us
8 to focus on the claims where we have neptunium
9 bioassay data, and then I'd compare them to the
10 current coworker model. Correct?

11 MR. BARTON: I think that would be
12 beneficial. I don't know how cumbersome that
13 would become if you have you know, if you have
14 a very large subset that had both the urinalysis
15 and whole body counts, you know, maybe we can
16 focus on that.

17 But I mean I don't think we have to
18 necessarily be restricted by it. I think
19 simply comparing your analysis versus the whole
20 coworker model could offer up some decent
21 evidence as well.

22 DR. TAULBEE: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN CLAWSON: Okay,
2 well I guess my question is, does anybody need
3 to take a break yet? Or do we want to proceed
4 on?

5 I'm not hearing that anybody wants
6 a break. I guess we'll go on to Finding 12. Is
7 that the, or is that, that's got the neptunium
8 too, so.

9 MR. FITZGERALD: Joyce, I know you
10 kind of skipped ahead a little bit. I think
11 we're on 12, but maybe you can advise us on that?

12 DR. LIPSZTEIN: I think we covered
13 everything. Because we were discussing
14 everything at the same time. So I think we
15 covered everything on neptunium. I don't see
16 anything that we didn't. I think that we
17 covered everything.

18 MR. BARTON: Yes, this is Bob
19 again. I think really that path forward kind
20 of covered the bases on a lot of these
21 neptunium, maybe even all of these neptunium
22 findings.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: Yes.

2 MR. BARTON: Insofar as we have to
3 wait to see what that plutonium ratio analysis
4 will bring, but you know, most of these findings
5 are technical concerns that may be obviated by
6 the path forward that we've just chosen.

7 DR. LIPSZTEIN: Yes, we discussed
8 everything. Because we started discussing and
9 we went all along, all the findings, so.

10 MR. FITZGERALD: Okay, as far as
11 the other SC&A folks on the phone, before we
12 move on from neptunium to thorium, anything
13 else that needs to be said?

14 Okay, Brad, I think we can --

15 DR. MAKHIJANI: Yes. Hi, this is
16 Arjun. I think, I don't know how we kind of
17 threw thorium in there also. I thought we had
18 moving on from neptunium there are quite a lot
19 of thorium specific issues.

20 I don't know that we discussed them
21 all in preparation for this call. And I didn't
22 see anything from Joyce, going --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: No, no I thought we
2 were finished with neptunium, not thorium.

3 MR. FITZGERALD: No, we're talking
4 about --

5 DR. MAKHIJANI: -- there a lot of
6 thorium related issues that are very specific
7 to thorium. And that will not be covered by
8 this ratio approach, because --

9 DR. LIPSZTEIN: Yes.

10 DR. MAKHIJANI: -- thorium dose
11 reconstruction is proposed along completely
12 different lines. At least after 1990 or 1989.

13 MR. FITZGERALD: Arjun, we are
14 about to get into thorium.

15 DR. MAKHIJANI: Oh, we're about to,
16 sorry. I misunderstood you.

17 MR. FITZGERALD: Yes. No, I'm
18 just trying to make sure we can close out the
19 neptunium findings.

20 DR. MAKHIJANI: Oh, okay.

21 MR. FITZGERALD: But I'm hearing
22 that --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: I misunderstood.

2 MR. FITZGERALD: -- everybody
3 feels satisfied that the path forward pretty
4 much envelopes those issues and we can just move
5 on to thorium now.

6 DR. MAKHIJANI: Okay, I'm fine.
7 Yes.

8 MR. FITZGERALD: All right.

9 MEMBER LOCKEY: Hey, Ted, Ted Katz.

10 MR. KATZ: Yes.

11 MEMBER LOCKEY: This is Jim Lockey,
12 I was able to join you now.

13 MR. KATZ: Okay, thank you, Jim.
14 Thanks for signing up, signing in. And Jim,
15 for the record has no conflict, correct?

16 MEMBER LOCKEY: That's correct.

17 MR. KATZ: Okay, carrying on.
18 Yes, I guess Joe you can move into thorium
19 business now.

20 MR. FITZGERALD: Okay, yes. And
21 Tim was correct that we got as far as I believe
22 through Findings 3. I guess we didn't quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get into all of Finding 4. We did touch on
2 thoron a little bit.

3 What I'd like to do because it has
4 been several weeks just for continuity sake.
5 Bob Barton, could you, you were on the February
6 5th call as well, could you recap where SC&A
7 stands, starting with Finding 1 and 2? Just to
8 make sure that as we move forward that, that
9 doesn't get lost.

10 MR. BARTON: Sure Joe. Finding 1
11 essentially regarded the source term for
12 thorium at SRS. Originally in our review of
13 Addendum 3, we felt that maybe the focus had
14 been too narrow, perhaps only focusing the
15 coworker model to assigning doses in the 773-A
16 Building.

17 Now since then NIOSH has revised
18 OTIB-81 which is their, I guess you call it the,
19 General Coworker Document, which contains the
20 coworker models for you know, uranium and
21 plutonium, as well as the intake values for
22 thorium and neptunium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I think that's maybe where to
2 start, with Finding 1 because that's really the
3 name of the game there, as far as who are you
4 going to be assigning these coworker intakes
5 to?

6 And are you covering the correct
7 locations? And so maybe the best thing to do,
8 I've never used Live Meeting before, but let me
9 see if I can get OTIB-81 up there so that
10 everyone can see it. Let's see.

11 DR. ARNO: Which revision of
12 OTIB-81 are you trying to post?

13 MR. BARTON: This would be Revision
14 2, it was released this past December. Let me
15 see if this works.

16 Can anybody see a change there?
17 It's just at Table 5-1.

18 DR. TAULBEE: Yes, I can see it.

19 MR. BARTON: Okay, so that worked.
20 Okay, so here is essentially in my mind the real
21 road map to how these different coworker models
22 are to be applied. And that includes the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neptunium and thorium coworker models that
2 we're discussing today.

3 And what you can see here, is in this
4 left column, we have a list of facilities at the
5 SRS site. And then columns 2 through 5 we have
6 different time periods.

7 Obviously these last three refer to
8 the SEC period so they're kind of more pertinent
9 to today's discussion.

10 And then the final column you have
11 here are the radionuclides of concern.
12 Essentially the radionuclides that you're
13 going to assign to these different areas.

14 And obviously those time periods in
15 the middle of this table you see for instance,
16 if you had a dosimeter code in the 1973 to 1990
17 of 1C through 6C, then you would essentially be
18 assigned to the reactor areas.

19 And you would be assigned to warrant
20 a tritium and fission products coworker intake.

21 Now one thing I want to note, that
22 it was very difficult and almost bordering on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 impossible for SC&A to actually go in and verify
2 the accuracy of these codes as they're applied
3 simply because they're not really annotated or
4 cited.

5 So, I mean to do it, during the
6 February 5th meeting it sounded, or my
7 impression was, that this table was essentially
8 a conglomeration of different resources.

9 Be it operating procedures, other
10 types of reports, interviews perhaps and maybe
11 even just some experience working with the SRS
12 claimant files or documents.

13 So we can't really comment on
14 whether 1C, you know, does that actually refer
15 to the reactors? I'm sure it does.

16 But I mean it would be nice if NIOSH
17 could pull together sort of a reference list or
18 you know, annotate these different dosimeter
19 codes so we can kind of see how they arrived at
20 these different assignments.

21 Because like I said, this kind of is
22 the name of the game of who you're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assign these different coworker models to.

2 So I don't know if that's something
3 that can be pulled together rather quickly, but
4 it would certainly help us in reviewing this
5 table. I don't know if NIOSH really wants to
6 comment on that?

7 DR. TAULBEE: Yes, this is Tim. We
8 have from the various reports and other health
9 physicist files from the Savannah River, we
10 have compiled a history if you will, of these
11 dosimeter codes over time.

12 We have a breakdown of them from
13 1959. We have the breakdown from 1972 into
14 1973 where they list the old codes and then, the
15 new codes. And then we have additional
16 documents later in time for these codes, from
17 1977, 1984, and then 1991 time frame change as
18 well.

19 MR. BARTON: Okay. It would be
20 very helpful to us if you know, could pull
21 together I guess a list of SRDB numbers, or
22 whatever form sort of those references are in.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I assume they're annotated
2 somewhere. That would be very helpful for us
3 if you could pull that together.

4 But beyond that, a couple of
5 concerns that we noticed with this table.
6 Essentially what we, went in and we just pulled
7 you know, a handful of claimant files.

8 And said, all right, let's see what
9 these dosimeter codes actually look like in the
10 actual claimant files. How would this table
11 actually apply to the different claimants?

12 And one of the concerns we have and
13 this may be obviated once we get to look at the
14 sort of the source of all this, is you see there
15 are numerous dosimeter codes for some of these
16 areas.

17 I mean for example, let's scroll
18 down here a little bit. Central shops, I mean
19 there's a ton of different codes.

20 So that kind of gave me pause and
21 there's never really, not really a clear
22 pattern in my mind as to why all these different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 numbers and letters would refer to the same
2 location?

3 And beyond that, you'll notice that
4 as you inspect this table, and let's see if I
5 can find a good example here. All right for
6 example let's take the code 5F here.

7 Code 5F could refer to the unknown
8 facility. F-Area, A-Line, which if you look at
9 F canyon again 5F is there. The 221-F, B-Line
10 and 5F. The plutonium fuel fabrication and
11 experimental facility, and the 235 Vault.

12 So that's essentially six different
13 areas that could be assigned based on a similar
14 dosimeter code. And if you look at those six
15 different areas, they all have different sort
16 of mixes of radionuclides that you could
17 assign.

18 So I guess that's one question we
19 had, is how this table would apply in that kind
20 of situation?

21 I would assume that in that
22 situation you would essentially apply the full

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mix, essentially every radionuclide that
2 appears in those six areas, you have to assume
3 they could have been exposed to. Am I
4 interpreting that correctly?

5 DR. ARNO: Need to clarify that a
6 little bit, this table is an aide to the dose
7 reconstructor. The dose reconstructor is
8 going to have other information from the
9 claimant's DOE, and DOL files as well as the
10 record of a telephone interview.

11 So they will use data from all of
12 those sources to assign the individual to a work
13 location. And then base the intakes off that
14 work location.

15 MR. BARTON: Okay, I understand
16 that. As far as location information goes, one
17 thing that would kind of give me pause, I mean
18 you mentioned the CATI report which is a very
19 useful tool to the dose reconstructor.

20 But really when I look at this table
21 and to kind of emphasis my point, I'm going to
22 scroll down here to the very bottom where we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have the, not identifiable or unknown facility.

2 So in a situation where you really
3 can't establish where they are, you essentially
4 are assigning the entire mix of coworker
5 radionuclides.

6 So when I look at the table what I
7 really see is sort of a, I like to call it a table
8 of exclusion. Because if you can find, you
9 know, establish a worker in a specific
10 location, you know, they get whatever mix of
11 radionuclides is there. But I mean if you
12 can't, you're getting the full mix.

13 So really what we're saying is if
14 you have a dosimeter code that appears in this
15 table, you might get less of the coworker
16 intakes than if you did not have a dosimeter
17 code that was in this table, or we don't know
18 what the code meant, or there was just no, the
19 dosimeter code was blank.

20 So a lot of these concerns relate to
21 that uncertainty of how you're going to
22 actually apply this table to a claimant. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I wanted to say that's an implementation issue.

2 And what I want to get into next is
3 we did in fact observe gaps in the dosimeter
4 codes in the few claimant files we did look at.
5 This was especially true in the 1973 to 1981
6 period --

7 DR. TAULBEE: Bob, can I --

8 MR. BARTON: -- when the primary
9 source is the HPRED database.

10 DR. TAULBEE: Bob, can we --

11 MR. BARTON: -- and from what we
12 observe there just simply aren't dosimeter
13 codes included there. I mean the field is
14 there, but it's blank.

15 DR. TAULBEE: Bob.

16 MR. BARTON: Now we also observed
17 this to a -- go ahead.

18 DR. TAULBEE: Bob, this is Tim.
19 Let's first focus on the first part here because
20 there's some other things I wanted to mention
21 here about this table, to expand upon that.
22 And then we can go into the issue that you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 identified with HPRED, okay? Is that all
2 right?

3 MR. BARTON: Sure.

4 DR. TAULBEE: Okay. The use of
5 this table as Matt was pointing out, is just one
6 part of the tools for the dose reconstructor.
7 In many cases for people like working in the
8 F-area, you'll notice that plutonium is listed
9 there for, as part of the mix.

10 Well most of the people that worked
11 in that particular facility, actually had
12 plutonium bioassay as well. So we wouldn't be
13 applying the coworker model to them.

14 So one thing to keep in mind, this
15 is a case where we have a person who is
16 monitored. We have a dosimeter code so we know
17 that they worked in, in the case of 5F for
18 example, in that '73 to 1990 time period.

19 They could have worked in the F
20 Canyon, they could have worked on the FB-Line,
21 they could have worked on 235-F in the vault
22 area, or they could have worked in the 235-PuFF

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 facility.

2 If they don't have any bioassay,
3 then yes, we will apply a plutonium coworker
4 model, or uranium coworker model, a neptunium
5 coworker model, an americium, curium,
6 californium, thorium coworker model.

7 So that's the use of this particular
8 table. Is to help the dose reconstructor if
9 they have no other information about where this
10 person worked. They'll look at that dosimeter
11 code and then assign based upon their
12 particular scenario. So that's how this table
13 is being used.

14 One other thing I'd like to point
15 out is that the time periods are critical for
16 evaluating this. Because the codes were
17 reused in other time periods and it means a
18 totally different facility.

19 So you really have to look at the
20 dosimeter code at that particular time period
21 of when that measurement was, to make this
22 determination of which facility, and then which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 coworker model. Does that make sense?

2 MR. BARTON: Sure, I understand.
3 And to point out that example that I gave of one
4 dosimeter code for six different facilities,
5 that's just for a single time period.

6 I'm not trying to compare dosimeter
7 codes across these different time periods that
8 are established here.

9 But I guess the second thing is the
10 point was made that there would be other
11 location information that could be used to
12 establish where the worker was.

13 Well in my experience, the only
14 other really location information that we have
15 is based on internal dosimetry. Now there's
16 the CATI report.

17 But are we going to start using the
18 CATI report to apply to this table to sort of,
19 and again you'd be using that CATI report to
20 exclude claimants from being assigned certain
21 intakes. You see what I'm saying? I mean --

22 DR. TAULBEE: Not to exclude on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the, it's more to get a feel for where this
2 person worked and were they exposed to this
3 particular material in that time period?

4 MR. BARTON: Right, but if you
5 cannot not establish with reasonable accuracy
6 for a certain time period where that person was,
7 it essentially falls into that last bin in the
8 table where you're getting assigned
9 everything.

10 DR. TAULBEE: That's correct.

11 MR. BARTON: Right, so I mean this
12 is all about when you have gaps in those
13 dosimetry codes, you know, how do you deal with
14 that uncertainty?

15 And when you don't quite know where
16 they were. And these instances can span many
17 years, even in the SEC period where you don't
18 know where they were. They would have to fall
19 into that bin.

20 Now I mean, am I hearing that when
21 you have gaps like that, you would look at a CATI
22 report and use that information to try to place

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them into one of these categories?

2 DR. TAULBEE: Well no, not
3 necessarily. I mean CATI is just one piece.
4 We wouldn't use that exclusively. We would use
5 the whole weight of evidence effectively to
6 place where this person conducted their work.

7 So there isn't one piece that's
8 used. Unless it's the only piece that we have,
9 in which case, yes, they generally go into that
10 final category.

11 And this is where it gets into what
12 we call claimant-favorability. Of we've got
13 equal evidence of they either worked in the one
14 area versus another, we don't know. And so we
15 assign a claimant-favorable approach which
16 would be all of the coworker.

17 MR. BARTON: No, I understand and I
18 completely agree when you don't know, you have
19 to assign all of the coworker.

20 I guess my point was, based on our
21 very limited, again, you know, hand full, less
22 than 10 claimants we looked at, it looked like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to me on the full weight of evidence.

2 Now again, I don't think you can
3 look at internal dosimetry files and say, oh
4 well, we're going to use those to fill in gaps
5 for coworker intakes because this is the whole
6 point, they don't have the internal dosimetry
7 files.

8 The CATI report I don't think can be used
9 to exclude a worker, that's essentially, since,
10 if you don't know where they are, they're
11 getting the full work up.

12 If you use a CATI report to fit them
13 into one of these categories, what you're
14 essentially doing is going to be taking away an
15 intake from a certain radionuclide based on
16 what Table 5-1 prescribes. You see what I'm
17 saying there?

18 DR. TAULBEE: I think so, but let me
19 give you an example of let's say a CATI report
20 says that they were a forester. And was
21 working in the outside areas of the site.

22 We wouldn't necessarily assign the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 full coworker model to this particular person.
2 And if we don't have any monitoring data, well
3 they weren't expected to have been monitored.

4 MR. BARTON: I understand that,
5 that kind of situation. Or if the CATI report
6 said they only worked in an administrative
7 building that was across the street and they
8 never had to enter the plant. That's kind of
9 the special cases.

10 But I'm talking about when you have
11 radiological workers who were badged, but you
12 see their badging records don't contain those
13 area codes. Well now you're left with, you
14 know, you're left with putting them in the not
15 identifiable column, and giving them the full
16 work up.

17 And again, our concerns revolve
18 around really the potential for a great many
19 number of workers who need the coworker model
20 applied are going to fall into that bin.

21 DR. TAULBEE: Okay, this is a
22 perfect segue into your next question, where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you noticed that in HPRED sometimes the area is
2 not listed. One of the things in the memo that
3 was stated here, is that the primary source of
4 external dosimetry information is the HPRED
5 data base.

6 I don't consider that to be the
7 primary source of dosimetry information. That
8 was a database that's been created based upon
9 quarterly dosimetry reports and logs. And a
10 series of tapes that they've rolled in
11 together.

12 If you go and look at the quarterly
13 dosimetry reports, the area information is
14 listed there. So even though you found some of
15 these I guess, blanks from the claimant files.

16 And it's, it may not be in the
17 claimant file, but if you go to the quarterly
18 dosimetry reports, you can find which area they
19 worked in.

20 I did a case, I helped a dose
21 reconstructor review a case earlier this week
22 where that was the case. The area wasn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 listed there.

2 We went to the quarterly reports and
3 it was listed there for every single quarter
4 that they were exposed, that particular area.
5 And we were able to identify that.

6 So if you've identified these gaps,
7 let's work together on them, and I'll show you
8 where these quarterly reports are and how to use
9 them. And you can go through and you can find
10 which areas people worked in.

11 MR. BARTON: I'm completely with
12 you Tim. I understand the quarterly reports.
13 I would say that in my observation, sometimes
14 that it's the case like you just said. The
15 quarterly reports are all there. They're log
16 books with many other workers, and you could use
17 that to fill in the gaps.

18 But in some cases all you have in
19 that time period is the HPRED. And we've,
20 that's all that's there currently in the NOCTS
21 database. Now I don't know if you have those
22 additional log books somewhere else?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If they don't appear all the time,
2 in NOCTS, for your claimants, but I no, I did
3 look at the full file. I didn't just look at
4 the first readouts of dosimetry data.

5 A lot of times those files were
6 missing.

7 DR. TAULBEE: Yes, we have --

8 MR. BARTON: Again this is a very
9 limited sampling.

10 DR. TAULBEE: We do have a complete
11 set of those quarterly reports. Where, what
12 you're seeing in the files where there is
13 additional files from NOCTS are added, is where
14 we went through and did a, oh gee, what's it
15 called? Word identification, I'm missing a
16 term there.

17 Oh, OCR, Optical Character
18 Recognition, and for the people that we
19 identified who were NOCTS claimants, we pulled
20 out those pages and put them into the claimant
21 files.

22 There are some of the forms that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 didn't pick up the person's name. The letters
2 were too close together. But we do have the
3 complete set of those forms. So I can show you
4 where they're at in the SRDB, and provide you
5 that information so you can do some of this
6 additional digging if you want as well.

7 MR. BARTON: Okay, so what I'm
8 hearing is you're saying that, you know, where
9 I saw there were gaps in the actual quarterly
10 log books, that, that information is all in the
11 SRDB.

12 And so if you had to apply this
13 coworker model, you could easily go in and pull
14 those additional log books to fill in some of
15 these gaps.

16 DR. TAULBEE: That is correct.

17 MR. BARTON: Okay, well that
18 certainly alleviates a lot of the missing data,
19 I'll call it, as far as what we witnessed in the
20 claimant files.

21 One other thing, now let me ask you,
22 it seemed to me that when I was looking even at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the quarterlies, that what's reported there was
2 only if there was a positive dose reported. So
3 I assume the full data set would have those zero
4 records too?

5 DR. TAULBEE: That is correct.

6 MR. BARTON: Okay, again that's the
7 --

8 DR. TAULBEE: That is the case of
9 the claim I was working on earlier this week.
10 Is they had zero dose and so that the file
11 actually only showed the last one, that
12 illustrated they had zero dose.

13 But if you went back to each of the
14 other quarters, in the previous year and a half,
15 you could find the location for all of the zero
16 doses as well.

17 MR. BARTON: Okay, that's really,
18 really good to know that, that information is
19 out there to fill in those gaps.

20 I guess the last concern I just want
21 to point out, is what we also noticed. And this
22 wasn't necessarily a specific claimant record,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but that the dosimeter codes in Table 5-1, do
2 not appear to be complete.

3 In that there are, we identified,
4 you know, over 20 codes that just don't appear,
5 you know, in this table. And I don't know how
6 many more there are, but you know, when you look
7 at this table you see there kind of, you know,
8 sort of put in sequence.

9 For example the 7 series, I'll call
10 it. So 7A, 7B, 7C in 1973 to 1990, you know,
11 I mean if you were going to fill in sort of the
12 alphabet there, we'd certainly observe some of
13 the ones that weren't included in this table.
14 But again that was only in a limited claimant
15 sampling.

16 So one concern is that the actual
17 dosimeter codes as you can use them to apply to
18 a certain location don't appear to be complete,
19 and there may be very many, significantly more
20 dosimeter codes that would again fall into that
21 not identifiable bin.

22 DR. TAULBEE: Can you give me an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 example of some of the codes that you've
2 observed that may not fall in there?

3 MR. BARTON: Sure. Let's see
4 here. Here's the 7 examples. So all I did
5 here was just list them straight. Can
6 everybody see this? See the Excel file?

7 DR. TAULBEE: Actually I can't.

8 MR. BARTON: Okay, well I'll guess
9 I'm off of Live Meeting, but anyway for example
10 7A and 7B were associated with the Central shops
11 in Table 5-1. 7C was not observed in the table
12 at all.

13 7D and 7E weren't in the Table, but
14 we observed them in the claimant files. 7F and
15 7G, you know, it goes on like that. That sort
16 of thing.

17 So I mean it appeared to us based on
18 a very limited sampling that there may be very
19 many codes out there that haven't been
20 established or associated with a particular
21 facility.

22 I can certainly put out a list of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what we've found so far, but again that's only
2 from a handful. We really don't know
3 quantitatively how many more might be out
4 there.

5 Did I lose everybody?

6 ACTING CHAIRMAN CLAWSON: No.
7 This is Brad. I'm just trying to follow you,
8 and I understand where you're going on that,
9 Bob.

10 And I just want to put one thing out
11 to Tim on this, because, being from the Dose
12 Reconstruction Work Group, I hope that when the
13 dose reconstructor, and I understand that they
14 use several different items here, I hope that
15 he's making it known how this information would
16 come.

17 Because this would be very, very
18 hard for our side to be able to review something
19 like this because I think he could get
20 information from so many different areas.

21 DR. TAULBEE: Okay, yes. I guess

22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ARNO: Tim, this is Matt, I
2 mean, is there a way for --

3 DR. TAULBEE: Let me see if I can't
4 pull up one of these TLD badge code location
5 documents to show you what it is that I'm
6 looking at here, Bob. Maybe this will help
7 some.

8 MR. BARTON: Sure.

9 DR. TAULBEE: Bear with me here as
10 I try -- actually, Ted, or somebody can you help
11 me in using Live Meeting? How do I select me
12 as the document person?

13 MR. KATZ: Tim, you don't have to
14 select yourself. You just need to go to
15 content and share something and you'll take
16 over.

17 DR. TAULBEE: Okay.

18 DR. ARNO: This process is very
19 similar to what's done in Hanford quite
20 frequently in applying coworker intakes at that
21 facility. Hanford is very similar to Savannah
22 River in terms of the activities going on and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 things of that nature.

2 There is information in the
3 external dosimetry files that provide where a
4 worker was located. It is quite common for
5 workers to be assigned, basically, the coworker
6 intakes for all the radionuclides. Either
7 because the person -- there's good information
8 on why that person is not known, or simply as
9 an overestimate method if the claimant's, you
10 know, not of the verge of going compensable.

11 This is not that different from
12 what's done at Hanford and other sites.

13 DR. TAULBEE: Okay, I'm just going
14 to try and share my desktop here for a minute.
15 Can you all see the TLD badge coded location
16 document?

17 MR. KATZ: Yes, Tim.

18 DR. TAULBEE: There you will see --
19 and this is probably where you're seeing other
20 codes, Bob, that you know are not listed there
21 in the table. And you'll notice many of these
22 7s are from the A Area. Being there's 7-19,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 7-20, 7-22 type of scenario. I think this is
2 what you're talking about. And you'll see this
3 is the HP location from the 1977 version that
4 has this.

5 The previous one, this would be
6 1959. And off to the left is the HP Area codes
7 listed there. If I scroll down here, you'll
8 see that these are the department codes
9 associated with the April 1977 version.

10 And, you know, here, if you look at
11 an HP Area code in a claimant file and it says
12 -- oh, let me pull up F, here. If I can find
13 it, 200-F. Yes, the 200-F, the code would be
14 2F, for example. And then if they were working
15 in, say, 235 Building or PuFF, the department
16 code would be 205.

17 And so this is the 1977 version.
18 Here is the 1984 version. And you can see that
19 some of these codes, like 9F, for example,
20 aren't listed in our table, I'm sure because
21 it's a firehouse.

22 And so not all of the codes, you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct in your observation, are listed there
2 in the Table 5-1, but we do have what locations
3 these are.

4 And so this is the 1990 version of
5 the old codes and then the new codes. And you
6 can see there's many places where the dosimeter
7 badge racks were held.

8 MR. BARTON: Now, when you say
9 badge rack, I envision that's when the
10 dosimeter's dropped off. Correct?

11 DR. TAULBEE: That is correct.
12 That is where they kept their badges at night.

13 MR. BARTON: So we're going to
14 assume that that's going to be directly
15 associated with the area of work?

16 DR. TAULBEE: It is for most places
17 except for Central shops, who could have worked
18 pretty much anywhere. Which is why you'll see
19 in Table 5-1 we account for them being able to
20 tasked out of Central shops and going to the
21 canyons, or going to the reactors.

22 Which is why in Table 5-1 we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that kind of a large quantity of things there
2 for construction trades workers who were out of
3 Central shops.

4 MR. BARTON: Okay, I understand
5 that. I guess a follow-on question to what you
6 stated before, in that you kind of gave the
7 example of the firehouse. I mean, is it
8 NIOSH's position that any code that's not in
9 Table 5-1 is really just a non-radiological
10 area? And so, you know, it would be
11 inappropriate to assign a coworker intake to
12 those workers who were, you know, badged in
13 these areas that don't appear in this table?

14 DR. TAULBEE: It would be one that
15 I would go and look at more closely as to what
16 they did. In some cases, I'm recalling a case
17 from a few years ago, one person was working out
18 at the A Area. He was working out of the power
19 plant, out of the utility.

20 When we read his CATI, he talked
21 about going to the reactor areas, to the power
22 plant component of that, and he had some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 positive dose.

2 And so, as a result, we would assign
3 tritium and mixed fission products to that
4 individual. Even though he was badged out of
5 another area.

6 So this is a case where we're not
7 necessarily excluding somebody because of, you
8 know, them being in a non-radiological area
9 where they might have gotten their badge, or
10 where they kept their badge. But we look at all
11 the information in what is said.

12 MR. BARTON: Well, in that example
13 you said he was badged out of A Area. What
14 potential radionuclides of concern might have
15 been present in A Area?

16 I mean, if it's more than tritium
17 and plutonium, you're kind of in trouble there
18 because, again, in that specific case, you
19 know, you'd be assigning only those
20 radionuclides when the only basis for saying he
21 was in an A Area was he said in his CATI report
22 he went to the reactor areas.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Do you see where I'm coming from
2 there?

3 DR. TAULBEE: A little bit, but I
4 guess I'm looking at kind of the whole weight
5 of the evidence associated with this. And, you
6 know, A Area was a big area, okay?

7 You've got 773 Area, which is the
8 Technical Laboratory, and there we have, you
9 know, that's one of them listed there in Table
10 5-1.

11 But across the street from the M
12 Area, there's a whole series of facility
13 services, if you will, that have dosimetry
14 badges associated with them because they could
15 be dispatched to other areas.

16 And at that point, we begin to look
17 at other scenarios. And, you know, and looking
18 at the dose of the badge as well. We use that
19 as well. If somebody's got some positive dose
20 and they're saying they went to the reactors,
21 and they were in the reactor building.

22 MR. BARTON: Well, how do you know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they couldn't have been somewhere in the A Area
2 where they could have received that?

3 I guess what I'm saying here is when
4 you have that sort of uncertainty, you know,
5 obviously we're limited by the information of
6 how accurately we can place workers.

7 I guess I'm concerned that certain
8 information, such as the CATI report, or the DOL
9 files, which to my knowledge is largely
10 provided by the claimant as well, that in the
11 face of that uncertainty, I would think you
12 would want to sort of give them the benefit of
13 the doubt and assign, you know, as many of the
14 radionuclides that apply to that area.

15 In the case that you just gave, if
16 for instance there were areas in the A Area
17 where they assign more than you would assign in
18 the reactor areas. You know, if it were me, I
19 would think you would want to give them the
20 benefit of the doubt, that, hey, listen, our
21 evidence says he could have been somewhere in
22 A Area. His CATI says that he went to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reactor area, so maybe we don't know when, there
2 may not be any temporal specification there.

3 I guess, you know, for me, I think
4 you'd have to err on the side of the claimant
5 in the face of that kind of uncertainty and
6 inability to always pin someone down to a
7 certain location.

8 DR. TAULBEE: I think this is a case
9 of a dose reconstruction type of review. And
10 not really an SEC from this standpoint as to how
11 this goes. This is a general method.
12 There's going to be different cases for every,
13 you know, every single scenario. I can't give
14 you a blanket, yes, we'll always assign
15 plutonium here. I can't do that.

16 MR. BARTON: No, I understand you
17 can't, but I think, you know, a document like
18 this, which is essentially giving instructions
19 to the dose reconstructor, could tell the dose
20 reconstructor, you know, I mean, it wouldn't
21 have to say every single case, but, you know,
22 when there is doubt or, you know, uncertainty

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in where they were, you sort of have to err on
2 the side of, well, they could have been in one
3 of these really nastier areas. And maybe it's
4 appropriate to assign all of the radionuclides
5 to that area.

6 And, you know, I hear you that
7 that's sort of a dose reconstruction review
8 standpoint. But in my mind this is the sort of
9 thing that should be included as specific
10 instructions to the dose reconstructor and not
11 leave it sort of up in the air for an individual
12 to make that call.

13 I mean, instructions don't have to
14 be, if you see this code, then, you know, you'll
15 assign this, this, and this. It could be you
16 see this code, then apply it to multiple
17 facilities and give them the benefit of the
18 doubt. Give them whatever mix is the
19 combination of those two facilities.

20 DR. TAULBEE: I believe we do that.
21 And I don't -- when there is doubt, significant
22 doubt, and we believe this person was exposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the different materials, we do assign the
2 full complement there, if we don't know.

3 But there are scenarios where
4 somebody was a secretary, as I was saying, where
5 we would not assign the full complement even
6 though, you know, there is a dosimeter issue
7 from one of these other administrative or
8 service facility areas.

9 MR. BARTON: And I certainly
10 wouldn't want to argue that. I know there are
11 situations where you can clearly delineate who
12 was a radiological worker and where they might
13 have gone. And would have been severely
14 limited in which locations, you know, they
15 could have gone. I certainly agree with that
16 example. I'm talking more the uncertainty in
17 a radiological worker.

18 And I guess it also becomes sort of
19 a judgment call. And you say if there is
20 significant enough uncertainty then you assign
21 the full compliment.

22 Well, what is significant enough

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uncertainty? I mean, is it -- yeah, that comes
2 down to a judgment call. I mean, it seems like
3 the temporal gap issue may be rather moot. I'd
4 certainly like to look at that a little more.

5 But when you have uncertainty, say,
6 you know, with the dosimeter code, if it turns
7 out we really just don't know what that
8 dosimeter code is, you know, I think then that
9 would fall into sort of that last bin of, you
10 know, if you really can't pin them down, you
11 kind of have to assume they were in the worst
12 areas.

13 MR. KATZ: Bob, this is Ted. I
14 just think -- I mean, I think you've made your
15 point very clearly. And I think Tim has made
16 his point clearly too. And it is a dose
17 reconstruction issue. But I don't think
18 there's much to be gained by beating this thing
19 to death at this point.

20 I mean, I think, you know, if there
21 are comments about how their dose
22 reconstruction methods should be -- how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specific they should be, I think that can be
2 addressed further in writing, but, I mean, it's
3 very clear and we're not getting anywhere.

4 MR. BARTON: Okay, I guess the only
5 thing I'd add to that that could potentially be
6 a SEC issue would be the plausibility aspect of
7 it.

8 I mean, if it turns out if you did
9 sort of a study and you found, you know, all the
10 workers that require a coworker intake. Well,
11 if there's so much uncertainty where they were,
12 you have to apply, for instance, the thorium to
13 them.

14 That could potentially be a
15 plausibility issue since we know thorium
16 operation were restricted to a small
17 population. That's the only comment I would
18 make that could still sort of be in that SEC
19 arena.

20 But I agree the rest of it, as far
21 as instructions to the dose reconstructor, is
22 really I guess a Site Profile issue on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 implementation.

2 But if it turns out you'd have to
3 apply thorium to, you know, thousands of
4 workers at the site, and, you know, we know
5 that's clearly not plausible.

6 I mean, one could question that, and
7 of course it would be a judgment call for the
8 Board, but I guess, in an SEC context, that's
9 the only thing I can say about it.

10 MR. FITZGERALD: I guess,
11 listening to this, I sort of had the same sense
12 that the only way you -- this is almost an
13 empirical thing. The only way you would really
14 know whether the instructions, judgments and
15 the information that was available to the dose
16 reconstructor enabled the assignment based on
17 location would be almost a survey of what's been
18 done already.

19 I mean, there's no way of knowing if
20 in fact the information's sufficient to make
21 those assignments without just simply knowing,
22 you know, what the experience has been to date.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I mean, Tim, is there any way to know
2 that based on the dose reconstructions that
3 have been done? Whether or not, you know,
4 there's been any problems?

5 I mean, if it in fact always goes --
6 or often goes to default, which means you assign
7 everything, then that would raise some
8 questions about the plausibility along the
9 lines of what Bob said.

10 But if it turns out to be the
11 exception, then I would say it's probably not
12 an issue.

13 DR. TAULBEE: This is Tim. This is
14 going to sound really interesting --
15 interesting at least to me -- in my response
16 here. We actually have not used OTIB-81 yet.
17 And the reason is, is the SEC is open still.

18 And so the way that this is planned
19 to be applied is once the SEC is closed, and if
20 OTIB-81 is agreed to by the Work Group and the
21 Board from that standpoint, then we will
22 implement it. And we'll go back and re-look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all of the -- we'll do a large Program
2 Evaluation Report and re-look at all SRS
3 claims.

4 We've not done that yet because we
5 don't know for sure that you all are in an
6 agreement for us to even do dose reconstruction
7 effectively at Savannah River for this whole
8 time period.

9 The two radionuclides that I can see
10 us going back and making changes to a
11 significant fraction of dose reconstructions
12 are to thorium in the earlier years, and then
13 the thorium from 773-A in the '74 to '89 type
14 of time frame.

15 And then neptunium as well.
16 Neptunium is probably going to be one of the
17 coworker models we end up applying to a lot of
18 people, especially from the 200-F Area.

19 Other than that, virtually every
20 other claim that we have for radiological
21 workers, we have monitoring data for them. So
22 we have internal monitoring data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If you recall back when we did this,
2 the initial presentation on the Evaluation
3 Report, almost 80 percent of the SRS claimants
4 have some internal monitoring data as part of
5 their NOCTS file.

6 So those bioassay control
7 procedures I was showing you on February 5th
8 were implemented. So we don't need a coworker
9 model, we have their individual plutonium
10 bioassay.

11 The people in 773-A, from the
12 chemistry department, from the high-level
13 caves, we have americium, curium, californium,
14 thorium data. So we wouldn't be applying this
15 coworker model to them. We will use their
16 data.

17 MR. FITZGERALD: And Tim, that's
18 helpful because I think, Bob, just to clarify,
19 it sounds like it comes down to whether the
20 operational and the facility-specific location
21 information is sufficient for
22 thorium/neptunium. And of course I know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's a lot of what the data capture's been
2 about for the last six months.

3 But doesn't it come down to that?
4 Whether there's sufficient information to know
5 what facilities and to tie those facilities to
6 workers?

7 I think what you were coming to is,
8 on some of the construction workers where
9 perhaps there is no specific tie in, they would
10 by default get the thorium/neptunium, and if
11 that's a large population then you're raising
12 that question of plausibility.

13 But it sounds like it comes down to
14 whether the information where the operations
15 were and tying that information to the workers
16 is the bottom line.

17 MR. BARTON: Yeah, I agree with
18 that. It's an issue of how sufficient is this
19 information for tying workers into individual
20 areas. And when you go to do that, is it
21 plausible that, for example, all these workers
22 were exposed to thorium?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And it sounds like from, Tim's
2 comments, is maybe that would be difficult to
3 do since they haven't actually applied this
4 method yet.

5 I guess one way to wrap your head
6 around it would be to do sort of a pilot study,
7 a claimant sampling of more than just the
8 handful that we looked at.

9 And also I'd like to add on to that,
10 Tim's additional information on what records
11 are out there that aren't currently in a NOCTS
12 file goes a great deal to alleviating the
13 concerns we had that you would really just have
14 a lot of workers falling into that
15 you-don't-know-where-they-are bin.

16 So, I mean, perhaps it's something
17 worth looking into just so, you know, we could
18 see where these extra files are, and that, yes,
19 they are complete. And, yes, if need be we
20 could always pull those records and know where
21 the worker was. That's very important.

22 And I guess the other side of that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is, I mean, I'd personally like to take a look
2 at some of these records that show what codes
3 apply to which area. And see how some of the
4 ones we've identified that aren't in Table 5-1,
5 you know, what areas did they actually refer to?
6 And if it turns out that, yeah, they referred
7 to non-radiological areas, that's another very
8 powerful piece of evidence.

9 DR. LIPSZTEIN: May I speak? May I
10 say something? I think that also you say that
11 you have a lot of people with data on thorium,
12 americium and plutonium. It's true you have a
13 lot of data on plutonium and americium or
14 curium. But you don't have the data really on
15 thorium.

16 What you have is a method where you
17 were trying to apply the results from americium
18 and curium to thorium because you say they were
19 expected together. But you have to know to
20 whom applied those data.

21 Because there were many people that
22 were not exposed to thorium, but were exposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to americium and curium. So you have really to
2 know exactly to whom applied those data to
3 thorium.

4 DR. TAULBEE: Joyce, this is Tim.
5 That is not correct, in that the americium,
6 curium, californium, thorium bioassay, urine
7 bioassay method, is a gross alpha count. Okay?
8 That is what it is. It incorporates all four
9 of those radionuclides.

10 DR. LIPSZTEIN: I agree with you.
11 Okay.

12 DR. TAULBEE: I'm sorry?

13 DR. LIPSZTEIN: I agree with you in
14 that.

15 DR. TAULBEE: Okay, so now you've
16 got workers in 773-A in the 1972 through 1989
17 time period that were working in individual
18 labs. Some of them were working with
19 americium. Some were working with curium.
20 Some were working with thorium. Some were
21 working with all three of them. Some were
22 working with plutonium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so we're taking that gross alpha
2 count for that worker, that
3 americium-curium-californium-thorium count,
4 and we are estimating the dose based upon what
5 their cancer was, which is the most
6 claimant-favorable to them. What would result
7 in the highest dose?

8 So we're not trying to distinguish
9 whether they were an americium worker, or a
10 curium worker, or just a thorium worker, or
11 whether they worked with all of them, or
12 plutonium.

13 We are -- I shouldn't have confused
14 it there with plutonium, but of those count, or
15 of those workers, we're going to just simply
16 assign the one that results in the highest dose
17 that's the most claimant-favorable.

18 This again gets back to that
19 approach of equal evidence. If we know
20 somebody just worked with americium, then we're
21 going to assign the americium. If we know
22 somebody worked with just thorium, we're going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to assign the thorium. If we don't know, we're
2 going to assign the most claimant-favorable.

3 DR. LIPSZTEIN: I understand, but
4 the problem is that sometimes you have -- when
5 you use the coworker model and use it for
6 thorium, then you have -- even for one person,
7 if you don't know where the person works, and
8 if you make the assumption that he worked with
9 Type S thorium, for example. And instead he
10 didn't work with thorium but he worked with Type
11 M americium, you wind up calculating a dose that
12 is almost 100 times higher than what he really
13 was. It is claimant-favorable, but is it
14 scientifically okay to do this?

15 DR. TAULBEE: I think it is from the
16 claimant-favorability standpoint of equal
17 evidence and the unknown. In our federal
18 regulations, we talk about that when there is
19 a benefit of -- or when there is doubt as to what
20 the exposure was, we will give the benefit to
21 the claimant.

22 And that is the perfect example that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you just gave. Yes, somebody worked with
2 americium, we didn't know that, they could have
3 worked with thorium, they could have worked
4 with curium.

5 We went ahead and assumed, based
6 upon their cancer and which radionuclide
7 concentrates there, we assigned the highest and
8 most claimant-favorable. We gave that benefit
9 of the doubt of the dose estimate to that
10 worker.

11 If we have evidence that says they
12 didn't work with thorium, they worked with
13 americium, we'll use the americium. But that
14 changes, all things being equal, giving the
15 benefit of the doubt.

16 DR. LIPSZTEIN: So you think that
17 assigning a dose 100 times higher than he really
18 had, it's okay?

19 DR. TAULBEE: I do think it's okay,
20 if we don't know whether they worked with
21 thorium or americium. Yes. If I can't tell
22 whether they worked with one or the other, then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yes. I'm okay with assigning that higher dose.

2 DR. LIPSZTEIN: Yeah, because you
3 know that americium is tight. It's like, for
4 example, if you assign for the same urine
5 excretion, it's not only a 100 times, more than
6 a 100 times.

7 The same excretion results for
8 americium would result in a lung dose of .25
9 rem. If you assigned that to thorium Type S,
10 it's 80 rem. So that's a huge difference. I
11 don't think --

12 (Simultaneous speaking.)

13 DR. LIPSZTEIN: -- it's
14 scientifically. Yes, okay. I'm hearing.

15 DR. NETON: I can give you multiple
16 examples of where this type of issue resides in
17 our program. I mean, we, for example, if a
18 person is monitored for 20 years for plutonium
19 and never had a positive plutonium result,
20 we're going to assume that person was exposed
21 and they were excreting uranium, or plutonium,
22 equal to one half the MDA for their entire

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 career.

2 I mean, and the fact may be that the
3 person never inhaled one atom of plutonium.
4 So, you know, I understand what you're saying,
5 that these values can vary, but the fact is if
6 you don't know, you don't know.

7 I mean, you have to make some type
8 of an assumption. And when we do, we err on the
9 side of the claimant.

10 (Simultaneous speaking.)

11 DR. LIPSZTEIN: I've been working
12 with thorium for a long time and I know that if
13 a worker is exposed to a Type S thorium, it's
14 very, very, very difficult to see something in
15 urine.

16 So if you have something in urine,
17 of course with the extraction method of the
18 time, of course not with using the other methods
19 that we use today. But using gross alpha, or
20 even alpha spectrometry, you have to have a
21 very, very, very high exposure to thorium Type
22 S to see something in urine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking.)

2 DR. LIPSZTEIN: So, no, what I'm
3 saying is that if you assign those -- if you see
4 something in urine and you assign it to Type S
5 thorium exposure, it's going to be
6 unrealistically high because it's very, very,
7 very rare that someone exposed to thorium Type
8 S you would see something in urine using alpha,
9 total alpha or alpha spectrometry.

10 (Simultaneous speaking.)

11 DR. LIPSZTEIN: But I'll accept
12 what you --

13 DR. NETON: And Tim has done the
14 calculations and they're not that different
15 than what you see with other actinides for Type
16 S.

17 DR. LIPSZTEIN: Oh, yes.

18 DR. NETON: The actinides are
19 higher, for example --

20 DR. LIPSZTEIN: Like plutonium,
21 but not americium Type M. So you'll see a lot
22 more in urine. But if you are okay with this,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think it's scientifically, for me, that I work
2 with thorium for my whole life, so I think
3 through --

4 (Simultaneous speaking.)

5 DR. LIPSZTEIN: -- and it's very
6 weird to have a high dose of thorium, but
7 anyway, it's okay. I understand what you are
8 saying.

9 MR. FITZGERALD: Yes, this is Jim,
10 let me interject. I think this is one of those
11 issues that I referenced in my little note a
12 couple days ago, that we -- and I'll defer to
13 Brad and the Work Group, but perhaps we owe
14 NIOSH and the Work Group a somewhat more
15 detailed treatment of this. Just to make sure
16 that, you know, these questions are unpacked a
17 little bit more.

18 And maybe as part of that, as Bob was
19 pointing out, propose a path forward, whether
20 it be a little bit of a sampling or whatever for
21 the Work Group to consider.

22 I don't think we're going to resolve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anything today on this issue, but I think we do
2 owe that to the Work Group. Is that
3 reasonable, Brad, Ted?

4 ACTING CHAIRMAN CLAWSON: I think
5 so. I actually have a more specific -- I'd like
6 to see something in writing myself, actually,
7 so that NIOSH has something to be able to
8 respond to, which is correct, and what our exact
9 issues are.

10 We can debate this for hours if we
11 wanted, but I'd rather get on to some other
12 things. If we're not going to be -- I can see
13 both sides on this. And I think that would be
14 positive to do, Joe, thanks.

15 MR. FITZGERALD: And I think, as
16 NIOSH has pointed out, we ought to take a look
17 at the standard practice at other sites, like
18 Hanford, and compare that with the methodology
19 here.

20 Because I think, in fact, if it's
21 standard operating procedure, or standard
22 practice, then, you know, then we ought to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fully aware of that in this context. Bob, is
2 that reasonable?

3 MR. BARTON: Yeah, Joe, that sounds
4 good to me. I'd also add that, you know, coming
5 into today I had significantly more concerns.
6 Now Tim has presented some information, such as
7 the additional data sources, which will, you
8 know, greatly go to alleviate that.

9 And I'd like to work with Tim to sort
10 of see if we can fill in some of these gaps.
11 And, you know, just resolve that, yeah, we're
12 comfortable that we can place people where they
13 should be for the purposes of assigning
14 coworker.

15 And I think taking a closer look at
16 the claimants is the way to do that.

17 MR. FITZGERALD: So, Brad, I would
18 --

19 DR. MAKHIJANI: This is Arjun.
20 Could I say a couple of things? Hello, can you
21 hear me?

22 ACTING CHAIRMAN CLAWSON: Yes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Arjun. Yes, Arjun, go ahead.

2 DR. MAKHIJANI: Just a couple of
3 things. I wanted to respond to a comment that
4 Jim Neton made earlier about plutonium and
5 using MDA over two.

6 Presumably people were monitored
7 for plutonium because they were in plutonium
8 areas. And using MDA over two when you get a
9 less than detectable result seems a very
10 reasonable thing to do, because there is
11 nowhere else to place that. You could use a
12 distribution, but more or less the same thing.

13 I think this thorium, using
14 americium for thorium when you get more than two
15 orders of magnitude difference in the dose is
16 a completely different thing. And as I
17 understand the situation, the dose estimates
18 have to be scientifically reasonable.

19 And if you don't know and are simply
20 assigning thorium instead of americium and you
21 have an uncertainty of more than two orders of
22 magnitude, I think this is -- I mean, maybe this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is a question that the SEC Work Group should
2 take up, as to whether a two orders of magnitude
3 or a factor of 300 uncertainty is a reasonable
4 thing to do.

5 DR. ARNO: This two orders of
6 magnitude is very common at other sites as well.
7 Especially when you're dealing with gross alpha
8 data. And when you're dealing with any
9 nuclides that have solubility that vary from F
10 to S, two order of magnitude difference in
11 doses.

12 DR. LIPSZTEIN: This time you are
13 going to much more than two orders of magnitude,
14 it's from .25 to 80. And between Type M and
15 Type S is about 40 times thorium.

16 But if you go to americium, then
17 it's -- because it's 80, then two for Type M,
18 and then .25 for americium, the dose's
19 difference.

20 My biggest problem is that I don't
21 really believe that data above the detection
22 limit could be from thorium without people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 knowing that the worker was really heavily
2 exposed to thorium Type S.

3 But that's a problem, that's a
4 problem with thorium monitoring. It's in
5 every place, that's the same problem.

6 DR. ARNO: Yes, I mean, if you
7 compare a Type F plutonium dose to the --

8 DR. LIPSZTEIN: No, Type M and Type
9 S. No, there is no thorium.

10 DR. ARNO: But you said two orders
11 of magnitude is unusual, and I'm saying it's
12 not. When you go from plutonium Type F or M,
13 all the way up to Type Super S, you can get three
14 orders of magnitude in the difference in the
15 dose assigned.

16 Especially when you start talking
17 about the lungs or the thoracic lymph nodes.
18 I mean, the way we apply claimant-favorability
19 in this project results in many orders of
20 magnitude difference in dose depending on what
21 assumptions you make regarding the
22 radionuclide that was in the intake and what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 solubility you assign.

2 MEMBER LOCKEY: Hi, this is Jim
3 Lockey. You know, I think, as a Board Member,
4 our direction is to be claimant-favorable, and
5 the approaches that are being taken are very
6 claimant-friendly and -favorable. I don't
7 have any problems with that. We're not doing
8 a scientific study. These aren't being used to
9 design an epidemiology study, this is health
10 outcomes.

11 Our directions is to provide a
12 matrix to be claimant-friendly. And I think
13 the approach is appropriate.

14 ACTING CHAIRMAN CLAWSON: Well,
15 Joe, I'm going to -- and thanks, Dr. Lockey, for
16 your input. I think this whole thing comes
17 down to it is claimant-favorable as long as you
18 can put the information in there and the people
19 can be assigned to the right areas.

20 But there's some underlying
21 questions here, and as Joe has stated earlier,
22 I believe it would be beneficial for us to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 able to put these issues down in writing so that
2 we know exactly where we're at on it and proceed
3 on from there.

4 Is there any issue with proceeding
5 on like that? Because I don't think we're
6 going to be able to get this resolved today.

7 MEMBER LOCKEY: Yeah, I agree, we
8 should put down something in writing so we can
9 look at it again so that we know what the issues
10 are.

11 ACTING CHAIRMAN CLAWSON: Does
12 that sound all right with -- oh, I'm sorry, I
13 stepped on somebody. Go ahead.

14 MR. KATZ: Oh no. I was stepping
15 on you, Brad. I'm sorry. I was just going to
16 suggest -- that all sounds good. I was just
17 going to suggest, it's 12:30 now and maybe
18 this is a good place to take a lunch break?

19 ACTING CHAIRMAN CLAWSON: Oh, I was
20 going to stop for breakfast, but okay. Yeah,
21 if that's all right with everybody, why don't
22 we do that and we'll show back up at 1:30?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yeah, that sounds great.
2 I mean, we can do it shorter if everybody wants
3 to do it shorter, that's fine too. Whatever is
4 good for everyone else is fine with me.
5 Everybody fine with an hour break? Anybody
6 want to shorten that?

7 DR. TAULBEE: An hour sounds good
8 to me.

9 MR. KATZ: Okay. Very good, then
10 we'll reconvene at 1:30?

11 ACTING CHAIRMAN CLAWSON: Okay.
12 Ted, just a quick question. Are you in your
13 office, or would you be by your cell phone?

14 MR. KATZ: Yeah, cell phone. You
15 want to give me a ring?

16 ACTING CHAIRMAN CLAWSON: Yeah,
17 I'll call you in just a minute here, okay?

18 MR. KATZ: Okay, thanks.

19 ACTING CHAIRMAN CLAWSON: Okay,
20 we'll see everybody back at 1:30. Thank you.

21 MR. KATZ: Thanks, everybody.

22 (Whereupon, the above-entitled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 matter went off the record at 12:29 p.m. and
2 resumed at 1:33 p.m.)
3
4
5
6
7
8
9
10
11
12
13
14

15 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

16 (1:33 P.M.)

17 MR. KATZ: Okay. So we're ready
18 and we can carry on from where we left off.
19 Joe, maybe you want to lead the way?

20 MR. FITZGERALD: Yeah, let me
21 recap. I mean, I think we certainly have
22 chewed on this quite a bit. So I think it lends

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 itself to SC&A providing more specific details
2 in written form on where we're coming from on
3 some of these plausibility questions. And this
4 is, again, directed at Finding 1 on the thorium.

5 And we were asked to look at 5.1,
6 Table 5-1, in more detail on the February 5th
7 meeting. And so I think, from what we've
8 discussed, it looks like we need to do a little
9 more analysis, and I know Bob wants to look at
10 some of these quarterly reports that Tim was
11 referring to.

12 And so we need to do more on this,
13 and we'll get something back to the Work Group
14 hopefully within the next several weeks just to
15 put this in more specific form. And maybe
16 identify sort of a path forward to resolving the
17 question, at least as far as any necessary
18 review. So that's what we'd would offer on
19 this first thorium item.

20 ACTING CHAIRMAN CLAWSON: Does
21 that sound good with -- this is Brad. Does that
22 sound good with the Board Members and also with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NIOSH?

2 MEMBER LOCKEY: Jim Lockey, that
3 sounds great.

4 ACTING CHAIRMAN CLAWSON: Okay.

5 MR. FITZGERALD: I'll write this up
6 very specifically in my notes I'll be
7 circulating by tomorrow.

8 ACTING CHAIRMAN CLAWSON: Okay.
9 Well, we can proceed on to the next one. I'm
10 just trying to bring up media here so that I can
11 see what the next one is.

12 Joe, why don't we go -- you've
13 probably got the papers right in front of you.
14 Why don't you go ahead and just go on to the next
15 issue?

16 MR. FITZGERALD: Well, I think
17 Finding 2, I think NIOSH's response or concerns
18 on thorium after -- what was it, 1970? No, I'm
19 sorry, after 1990, certainly what was provided
20 was, to us, a new approach based on using --

21 MEMBER SCHOFIELD: Hi, Brad. I'm
22 up there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Hello?

2 ACTING CHAIRMAN CLAWSON: Okay.

3 Thanks Phil. Go, go ahead.

4 MR. FITZGERALD: Okay, using a
5 derived air concentration value of two times
6 ten to the minus 13. And using that as a basis
7 for looking at dose estimation after 1990.

8 And I think what was in the NIOSH
9 response, and Tim can clarify, that's going to
10 be treated perhaps in more detail in a follow
11 up review or report or OTIB or something.

12 And so what we have right now is what
13 was in the response that was given, but not much
14 more in the way of details or references and
15 what have you.

16 So I guess that sort of puts us in
17 a position of, if there is going to be a follow
18 up OTIB or report, we probably would want to
19 look at that, rather than try to do a review at
20 this point. We really don't have many of the
21 specifics or the references or anything else.

22 DR. TAULBEE: This is Tim. That's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct. I mean, our approach was to first
2 present this to the Work Group and kind of get
3 some feedback as to what questions you have so
4 that we could kind of flesh those out a little
5 more in the reports.

6 And so we kind of got a little bit
7 of feedback during that February 5th meeting,
8 so we'll draft up a report here of what our
9 approach is and we'll get that out to you all
10 so that you all can chew on it.

11 Basically it's going to take a lot
12 of this same information that I walked through,
13 kind of the weight of evidence approach that I
14 went through on February 5th, and just
15 formalize, document it, in a sense.

16 There won't be any new information
17 from that standpoint, that wasn't in the
18 presentation. But it will be more in a written
19 form, so that you can, I guess, if you have
20 questions or develop comments from that
21 standpoint. Does that sound okay to you, Joe,
22 Brad, other Members of the Group?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN CLAWSON: That's
2 fine.

3 MEMBER LOCKEY: Yeah.

4 MEMBER SCHOFIELD: That's good.

5 ACTING CHAIRMAN CLAWSON: This is
6 Brad. That sounds good. Mark, did I hear you
7 come on the phone?

8 (No response.)

9 ACTING CHAIRMAN CLAWSON: Okay.
10 Yes, that sounds good to us, Tim. And I agree
11 with what you had to say and we'll proceed on
12 from that one.

13 MR. FITZGERALD: Unless Bob has
14 something else to offer, Bob Barton, I would go
15 to Finding 3, which I think we touched on as well
16 at the --

17 MR. BARTON: I agree, Joe, I think
18 we have to wait and sort of see the full package
19 before we can comment on the new approach to the
20 post-1990.

21 MR. FITZGERALD: Yeah, we did have
22 some discussion last time, as we did with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Finding 3. Finding 3, quite frankly, and we
2 had some original issues as to whether or not
3 all the incidents had been identified, and were
4 they in fact available in databases.

5 And NIOSH has done additional
6 review and has pretty much confirmed this is
7 pretty much what is available. We have not
8 found any evidence of additional information,
9 so that's, you know -- without doing additional
10 site data capture, I guess we would ask the Work
11 Group, how do you want to proceed?

12 I mean, as far as whether all of the
13 available incidents information had been
14 identified, we don't dispute what NIOSH has
15 done. The original question was whether it was
16 complete enough or not. And that's kind of
17 where we're at, at this point. So I guess we
18 would defer that to the Work Group.

19 ACTING CHAIRMAN CLAWSON: Well,
20 Joe, help me understand this, I'm trying to
21 think back to when we got into this. It was
22 that they didn't have enough data for this? Or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just run through the issue again. I'm trying
2 to picture what we have here.

3 MR. FITZGERALD: Well, the
4 question was whether or not, you know, the full
5 body of information available for incidents, as
6 reported to Savannah River that might have
7 involved thorium, whether it was in fact
8 encompassed in the review that NIOSH had done
9 for the Site Profile, and then the SEC.

10 And in light of further data capture
11 and the discussion we had -- well, actually, the
12 NIOSH response to this particular finding, I
13 guess we've just come to the conclusion we can't
14 identify any additional sources.

15 And, you know, certainly the data
16 capture's been fairly complete on the point.
17 So what we're saying is without doing any
18 further review, we think, you know, this is it.
19 I mean, I think this is all the information we
20 have at this point.

21 ACTING CHAIRMAN CLAWSON: Okay.
22 And, Joe, part of the issue on this was getting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you guys into Savannah River and being able to
2 look at some of these documents, wasn't it?
3 This was part of the issue of the problem with
4 Savannah River Site of not being able to get
5 into the data.

6 And since that time, you have made
7 a trip down to Savannah River, and also has Tim,
8 is that correct?

9 MR. FITZGERALD: Yeah, we've been a
10 couple times and we've interviewed a number of
11 workers. And that included any instances of
12 unreported incidents. You know, we're relying
13 on the database, the so-called Special Hazards
14 Investigations Database. That's what's
15 referenced in the Addendum 3.

16 And our question was, how complete
17 is that? There was some evidence that it did
18 not in fact encompass all the kinds of events
19 at the site. It's just the major ones.

20 And what we wanted to do was
21 establish whether there were other incidents
22 that may have not got into that database that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had occurred involving thorium.

2 Now, you know, it's like proving a
3 negative. We have not found anything that
4 suggested that, to date. And we've looked at
5 least twice now onsite.

6 ACTING CHAIRMAN CLAWSON: Well,
7 you know. Go ahead, I'm sorry.

8 DR. TAULBEE: This is Tim. If I
9 could just add, this kind of goes back to that
10 discussion that I gave on February 5th of
11 there's a tiered structure to the incident
12 reporting.

13 The Special Hazards
14 Investigations, and then there was the
15 Facility-Specific Incident Reports which are
16 DPSP reports, or DPST reports. And then
17 there's the Health Physics Logbooks. And then
18 inside the Monthly Works Technical Reports are
19 incidents listed as well.

20 But the main source from the dose
21 reconstruction standpoint, for us, for
22 incidents, is in the claimant files. And we've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cross-checked to where people who were involved
2 in some of these incidents, at all three stages
3 of that, that I just listed there: Special
4 Hazards, Facility, and then the Health Physics,
5 you see that information within their records,
6 within their individual files within NOCTS.

7 And so this is -- you know, we went
8 through them, we've looked for thorium
9 incidents, and frankly we really haven't found
10 any in this time period. Mostly because, in
11 the 1972 to 1989 time period, you're dealing
12 with very small quantities of thorium, and
13 there just haven't been any known incidents to
14 this.

15 MR. FITZGERALD: Okay. And just,
16 again, we did want to talk with some of the
17 identified thorium workers, former thorium
18 workers, going back into the 70s just to more
19 or less validate that. People who actually
20 handled thorium, whether there were events that
21 may or may not have found their way into any of
22 these databases and what not. And we found no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evidence of that. So I just wanted to make sure
2 that was clear to the Work Group.

3 ACTING CHAIRMAN CLAWSON: Well,
4 you know, we can only do so much. I don't know
5 if I can act for Mark or anything else on this
6 like the Chair, but as far as I can see, if we
7 can't find any more data on this, there is
8 nothing more that we can do.

9 We've done our due diligence to
10 this. And we've uncovered every stone that we
11 can. So I'd basically say that this one would
12 be closed. Other Board Members can voice their
13 concerns.

14 MEMBER LOCKEY: Jim Lockey. I would
15 agree with you. You've done everything you can
16 do to find additional data. It's not there, so
17 there's nothing left to do. I think we can
18 close it.

19 ACTING CHAIRMAN CLAWSON: Okay.

20 MEMBER SCHOFIELD: I agree, Brad.
21 I think we should close it. I mean, they're just
22 beating a dead horse if they keep looking more.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN CLAWSON: Okay,
2 well, with that said, I'd say that this one is
3 closed. And we can continue on to the next one
4 unless anybody, NIOSH or SC&A, has any other
5 issues with that.

6 Hearing none, I'd -- did somebody go
7 ahead or was somebody trying to speak?

8 (No response.)

9 ACTING CHAIRMAN CLAWSON: Okay,
10 with that said, we'll continue on, Joe.

11 MR. FITZGERALD: I think this is
12 about where we left off on the 5th, which was
13 a discussion on thoron. And I don't -- you
14 know, we sort of segued into a couple other
15 subjects at the same time. So I'm not sure we
16 actually did close out the discussion.

17 So I want to just open it up to my
18 colleagues, particularly Bob Barton. Is there
19 anything more? I mean, you certainly have the
20 response on thoron, particularly as it relates
21 to the tank farm.

22 But is there any other questions?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think we had some clarifying questions
2 regarding whether all the sources of thoron
3 were accounted for.

4 MR. BARTON: Yeah, Joe. This is
5 Bob Barton. Essentially what we were looking
6 for with this finding was to see if NIOSH, you
7 know, what their plan was. If they intended to
8 address the issue of -- sort of the thoron
9 source term leaking from areas of the site that
10 had significant thorium storage areas.

11 And one of them that was identified
12 and NIOSH mentions is the Tank Number 15. I
13 think another one might be the Tank Number 12,
14 but, you know, I really don't think we got into
15 it much.

16 I'd kind of like to hear, because I
17 don't recall actually hearing it, if NIOSH
18 intends to -- what, if anything, they intend to
19 do about potential exposure to thoron?

20 So I guess I would turn that back to
21 NIOSH to kind of have them present their
22 position and then we can go from there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: This is Tim. Our
2 general position is that, with regard to the
3 tank farms and the venting of the thoron coming
4 out of those, Savannah River has done an
5 analysis of what those thoron concentrations
6 are.

7 So people who were working in the
8 tank farms, that would be something that we
9 would just take what those doses were that they
10 measured coming from that, and assign it.

11 For the other areas, in particular
12 773-A, there is lots of air sample data in the
13 post-1990 time period. Well, actually, even
14 all the way through there is initial count data,
15 there's 24-hour count data, six-hour count
16 data, 24-hour count data where we could go
17 through and estimate what the thoron
18 concentration is, or a component of that radon,
19 of that total radon, if you will.

20 But I guess my question to you all
21 would be for that time period where we're
22 dealing with only, you know, 100, maybe 150

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 milligrams of thorium in the building of 773-A,
2 is this something that you want us to go back
3 and look at from the Advisory Board, I guess,
4 the Work Group Members here?

5 It's something we could do. It
6 would involve capturing more data from that
7 earlier time period of '72 to '89. It's
8 certainly doable, but again we just don't think
9 the source term is really large. Certainly,
10 the source term coming out of the tanks would
11 be bounding for that area. At least in my
12 opinion.

13 But to prove that would take effort.
14 And we can do that, but it certainly would just
15 take time and effort. So I'd like to get
16 feedback from Work Group Members as to whether
17 that's something you want us to pursue.

18 MR. BARTON: This is Bob Barton.
19 Maybe I can add -- our original concern really
20 was related to those tank farms. And can I ask,
21 though, you'd mentioned sort of the survey --

22 DR. MAKHIJANI: Bob, this is Arjun.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Could I just add one more thing?

2 MR. BARTON: Please.

3 DR. MAKHIJANI: I think we had
4 mentioned the storage areas of thorium, so I
5 think Tim is right about that. And it might be
6 useful to have at least some check on whether
7 the tank farm thoron measurements are bounding
8 or not.

9 MR. BARTON: Yes, Arjun. Yeah, I
10 agree. And that's exactly where I was going
11 to. I think the intent of our original finding
12 was really related to those tanks: was it a
13 significant source term of thorium that
14 produced thoron?

15 And I guess I'd kind of ask Tim. You
16 had mentioned that, you know, you have survey
17 data. Are you referring to the reports from
18 the mid-90s? Because I do remember seeing
19 those references. They did some survey work
20 around definitely the Tank 15, and also Tank 12,
21 between 1995 and 1997, to sort of you know
22 characterize it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And actually in those reports they
2 talk about some modifications that could be
3 made and whether you should have workers in the
4 area when they are actually purging those
5 tanks. And I guess I'm asking is that the
6 resource that you're looking to use to bound the
7 thoron potential at those tanks?

8 DR. TAULBEE: That is correct, yes.

9 MR. BARTON: Okay. And I guess
10 then my only question would be, is there any
11 reason to think that that would not be
12 appropriate to use for the earlier parts of the
13 SEC?

14 Because, like I said, I think those
15 analyses were done in the mid-90s. And I don't
16 know if there were any modifications to the way
17 they would do the purgings. Or the way they
18 had, you know, the stack height or whatever it
19 is that would make the earlier period
20 different. Or if there is actual survey data
21 out by those tanks that sort of verifies the
22 larger project that was done in the mid-90s. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 guess that would be my only question.

2 DR. MAKHIJANI: If I might add
3 something to that. I know in the 80s sometime
4 maybe they changed their maintenance
5 procedures about the tanks. Maybe late 80s.
6 So they may have changed their ventilation and
7 maintenance procedures. I'm not 100 percent
8 sure about that. But I think that happened
9 there. So this point may be fairly material.
10 And it would be useful to compare this source
11 term with wherever the maximum storage of
12 thorium was, as a check.

13 DR. TAULBEE: Well, the amount of
14 thorium that's in those waste tanks far exceeds
15 any other storage area onsite.

16 And correct me if I'm wrong on that,
17 Mike or Matt, but I'm believing it's somewhere
18 around 30,000 kilograms or something like that?

19 The next closest source would be
20 the RBOF Building, which is the spent fuel, and
21 there the thorium is stored under water, and
22 it's sealed in fuel elements. So there is no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potential for exposure to thoron there.

2 And so then you're dropping from
3 30,000 to 8,000 kilograms of thorium in the
4 RBOF. And the other area would be the 773 where
5 you're all the way down to 150 kilograms.

6 So clearly the tanks would be, in
7 my thought, the largest source term of thorium
8 that would be available for an exposure. As
9 we're looking at the other --

10 (Simultaneous speaking.)

11 DR. MAKHIJANI: -- attenuated by
12 the liquid in the tanks?

13 DR. TAULBEE: Well, that's a hard
14 question to answer, but yeah --

15 DR. MAKHIJANI: That's sort of a
16 little bit what's behind my concern here, is
17 that we need a little bit of a demonstration
18 that these source terms are, you know, that the
19 right source term is being used. And that the
20 right periods are --

21 DR. TAULBEE: I mean, if we're
22 going to go through that type of effort, I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just go back to the original air samples that
2 are in the building and use that.

3 I mean, that's the actual data, and
4 so the actual exposure would be dropped
5 tremendously. So if you want us to do, you
6 know, an evaluation of it being bounding, it's
7 just as easy to go get the original data and come
8 up with another model for the buildings, which
9 would be a --

10 DR. MAKHIJANI You know, it's not
11 for us to say, but I'm just raising a point of
12 scientific correctness here.

13 ACTING CHAIRMAN CLAWSON: This is
14 Brad. One of my questions is -- this is for
15 SC&A. Have we reviewed the data and this
16 information -- I guess, Bob, this more directed
17 towards you or Arjun. Have we reviewed the
18 data on this and evaluated? Have we taken a
19 look at it for its accuracy and so forth?

20 MR. BARTON: We have not directly
21 evaluated it as far as comparing the air
22 sampling and potential thorium areas which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the tank farms.

2 That was sort of where my question
3 was directed towards NIOSH as to it feels like
4 we're kind of hanging our hat on the analysis
5 done in 1995 and again in 1997, which identified
6 that with the current configuration, they
7 didn't want workers up on the catwalks around
8 those tanks when they were being purged.

9 As much -- because of the
10 topographical data. Tank 12H was kind of
11 surrounded by a berm and a little bit lower and
12 -- so, I guess, you know, it's just sort of a
13 new issue as far as discussing it. Because we
14 really didn't get to it last meeting.

15 And it sounds like NIOSH's position
16 is to use the data from the tank farms to bound
17 thoron exposures sitewide. Am I correct in
18 that assumption?

19 DR. TAULBEE: Yes, I mean, that was
20 my approach to it. But, again, if that isn't
21 reasonable, we can always go get the data and
22 analyze it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BARTON: Okay, I mean, that's
2 maybe a question for discussion. I mean,
3 logically I would think that those tank farms,
4 as you purge them, would represent the bounding
5 source term. But that argument certainly
6 needs to be buttressed by more than my opinion.

7 And the other facet with that was,
8 you know, the reports that we're kind of
9 referring to occurred in the mid-90s. And I'm
10 not saying the source term was appreciably
11 different but perhaps the actual configuration
12 around the tanks where those catwalks were or
13 the size of the stack, might have been
14 different.

15 So I think that would be a line of
16 investigation that would be worth taking as
17 well. Just to make sure that when we're using
18 sort of, for lack of a better word, surrogate
19 data from the later years to apply to potential
20 thoron exposures in the earlier years, to
21 assure that we're not missing something that
22 was materially different in those earlier

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 years.

2 DR. TAULBEE: This is Tim. Just
3 want to follow up on that. That thorium had
4 been in the tanks since the late 1960s up
5 through about 1971 -- about 1971 was the last
6 bit that was sent to those tanks following the
7 U-233 campaigns.

8 So it's been in there the entire
9 time period of evaluation. It hasn't changed.

10 MR. BARTON: Well, sure. I said
11 that. I don't think the source term materially
12 changed. But did sort of the exposure
13 configuration? Because what we see even in the
14 1997 report when they, you know, did a fairly
15 extensive review of the potential for a thoron
16 problem, they gave recommendations on how they
17 should either change the stack height or limit
18 worker access to the catwalks around the
19 different tanks. I believe it was like 9
20 through 13.

21 And so, you know, they were looking
22 at improvements then. And do we have any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evidence? I don't know that there is any that,
2 you know, improvements were made even earlier
3 that would sort of make it difficult to use that
4 mid-1990s data as a bounding source of
5 exposure.

6 DR. TAULBEE: I don't have any
7 evidence of changes, but that doesn't mean they
8 didn't occur. If you want us to go to that type
9 of level to go back to drawings and see if there
10 was changes to the stack height or the venting
11 procedures and so forth, it's just as easy for
12 us to go get data from the site within the
13 buildings of interest that handled thorium as
14 well. Mainly 773, and just recalculate a new
15 thorium model or a new thorium dose based on
16 those.

17 MR. BARTON: Sure. I was actually
18 more referring to changes in exposure potential
19 to the actual tank farm workers who were out
20 there when purges were occurring. Not
21 necessarily whether that data would properly
22 bound exposures to people who were inside the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 plant. But actually to worker who might have
2 been up around those catwalks or, you know, in
3 and around the purge section of the site when
4 it was happening. I guess that was our --

5 (Simultaneous speaking.)

6 MR. BARTON: -- that was my point
7 anyway.

8 DR. TAULBEE: -- farms as well.

9 ACTING CHAIRMAN CLAWSON: What was
10 that, Tim? I didn't hear that.

11 DR. TAULBEE: There's air sample
12 data for the tank farms as well.

13 MR. BARTON: Okay, so that goes
14 back to the earlier 70s as well? And we can
15 look at that and use that.

16 DR. TAULBEE: We could, but we have
17 to go capture it first.

18 MR. BARTON: I see. Well, that's
19 kind of where we're at on that. I mean, we have
20 a good characterization of those tanks where
21 the majority of thorium was.

22 Again, in the mid-90s, the source

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 term probably didn't change very much, but
2 maybe the configuration changed. You know,
3 with the current information, we can't really
4 go much further than that.

5 ACTING CHAIRMAN CLAWSON: Well,
6 this is Brad. I understand where we're at on
7 this issue but part of my problem is that I'm
8 having trouble following where we're at.

9 NIOSH has put out a way that they
10 figure they'll be able to do it. And to be able
11 to justify it, we've got to be able to evaluate
12 the data.

13 But what I hear Tim saying is, is,
14 well, if we're going to do that, then we're
15 going to change the process of how we're going
16 to do it.

17 My question is, do we have a
18 established method right now that NIOSH has
19 proposed to us to be able to do? And that's
20 correct, isn't it, Tim?

21 DR. TAULBEE: Well, we're going to
22 be using the concentrations from these ventings

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as a bounding approach. And that was our
2 approach.

3 But SC&A is bring up all these other
4 issues that it seems like you want us to go and
5 try and track down. And if that's the case, I
6 mean, I feel that the ventings are by far the
7 most significant exposure to it.

8 But, I mean, that's just my
9 professional opinion. If you want me to prove
10 that, then why don't we just go and capture the
11 actual air sample data and demonstrate what the
12 actual concentration was?

13 So, yes, we would be changing our
14 approach if we have to go and try to justify our
15 current approach as bounding. I think it's
16 bounding just based upon my experience, but
17 others might disagree with that.

18 ACTING CHAIRMAN CLAWSON: Well,
19 and understand, we're -- and I guess I'm looking
20 at this from a Board Member, Tim. I'm not ever
21 questioning your professional judgment or
22 anything else like that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But to put some of the data out
2 there, I want to make sure that we have all the
3 data that we have used, and that we can actually
4 justify and prove to anybody looking in from the
5 outside of it, that this is bounding, this is
6 the best that we've got. And from SC&A's
7 standpoint, that they have justified all of the
8 information and the data, and we've run this to
9 ground. This is just part of the process that
10 we're in.

11 And I guess my conundrum here is on
12 one hand you've got something set out here for
13 us. But if we're going to make you justify this
14 information, then you're going to change it.
15 That's where I've got my issue at.

16 I guess what I would like from --
17 you're going to have to justify it no matter
18 what. We're going to have to make sure that
19 this is the proper information that we've got,
20 that we've got adequate information to be able
21 to make this kind of a judgment. So my question
22 to you is, we're going to have to do that, so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're thinking of changing the process and
2 just go pull all the sample data from the -- the
3 air sample data from the stacks? Correct?

4 DR. TAULBEE: No. Yes and no.

5 ACTING CHAIRMAN CLAWSON: Oh,
6 okay.

7 DR. TAULBEE: The final part
8 there, pulling it from the stacks, no. There
9 is air sample data not from the stacks that is
10 there in the workplace area. And they were
11 doing other sampling and other tasks.

12 When they did those other tasks,
13 for the workers standing on top of the tanks,
14 they would take an initial count for
15 radon-thoron. And they would do a measurement
16 of that. That is the data I'm talking about
17 going to get.

18 The only reason I'm suggesting to
19 do that and change our approach, which I
20 understand you're concerned with, is that
21 frankly I believe it's easier and faster to go
22 get that data than it is to try to demonstrate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that there weren't any of these other changes
2 that changed that plume, venting from the 1990s
3 measurements. I simply think it's an
4 efficiency standpoint.

5 ACTING CHAIRMAN CLAWSON: And I
6 understand that. And I guess from -- I know,
7 as a Board Member, where I'm at now, I would tell
8 you that, well, okay, then we need to be able
9 to look at this data. And we need to be able
10 make sure.

11 Because I don't want to be put up
12 in front of the people and say, well, how come,
13 how can you just take this as this. We need to
14 be able to run this in.

15 So if you're going to pull this
16 data, this is my understanding, and forgive me,
17 I didn't mean to misrepresent you there. I just
18 kept hearing the stack and the air sample data
19 and stuff like that and probably got confused.
20 Then basically this comes down to this is back
21 into NIOSH's court. And they are going to
22 proceed with a different path forward and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they'll get back with the Board on this.

2 DR. TAULBEE: Okay, I agree to
3 that. Thank you.

4 ACTING CHAIRMAN CLAWSON: Any of
5 the other Board Members feel any differently?
6 And feel that they need -- Jim?

7 MEMBER LOCKEY: Jim Lockey.
8 What's going to be the NIOSH path forward, then,
9 so I understand?

10 DR. TAULBEE: Our path forward
11 would be for us to go to the site and take a
12 representative sampling of air samples over
13 time from the tank farm area and evaluate those
14 initial counts, the six-hour counts, the
15 24-hour counts, and come up with what the thoron
16 component of the total radon would be. And
17 that would be the dose we would assign to the
18 workers in that area.

19 MEMBER LOCKEY: You're going to go
20 back to the site and actually do air sampling?

21 DR. TAULBEE: Actually get air
22 sampling data from the 1970s up through the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 1990s.

2 MEMBER LOCKEY: So then you're
3 going to retrieve that data, okay.

4 DR. TAULBEE: Yes, we'll do a
5 sampling. We won't capture it all. Because
6 it's hundreds of thousands of pages.

7 ACTING CHAIRMAN CLAWSON: Tim, is
8 this electronic or is this all paper?

9 DR. TAULBEE: It's a mix.

10 ACTING CHAIRMAN CLAWSON: It's a
11 mix.

12 DR. TAULBEE: Yeah. Well, it's
13 not electronically available from a database
14 standpoint. But some of it is available
15 PDF-wise to where Joe can look at it now and I
16 can look at it now.

17 And then others we'll actually have
18 to pull some boxes, especially back in the
19 earlier 70s through probably the early 80s.

20 ACTING CHAIRMAN CLAWSON: Okay.
21 Dr. Lockey, does that answer your question?

22 MEMBER LOCKEY: It did, thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN CLAWSON: What's
2 your feelings on it, Jim? You know --

3 MEMBER LOCKEY: What are my
4 feelings about it?

5 ACTING CHAIRMAN CLAWSON: Yeah, do
6 you agree with me that basically we've got to,
7 if this is what we're going to do, we've got to
8 review the data and go from there.

9 MEMBER LOCKEY: I think looking at
10 the data is always the best approach on
11 anything. I don't know enough about it to say
12 what the probability of what SC&A is saying in
13 regard to perhaps a exposure level that's not
14 represented by the bounding limits that NIOSH
15 is already using.

16 I don't know that probability.
17 Whether it's a ten percent probability or 50
18 percent probability. Not knowing that, I
19 always say go look at the data, and the data will
20 tell you where to go.

21 ACTING CHAIRMAN CLAWSON: Okay.
22 Phil?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SCHOFIELD: No, I agree
2 that we've got to get all of that data for them
3 to look at to verify what the model is.

4 ACTING CHAIRMAN CLAWSON: Right.
5 Well, Bob, is this going to -- you know, you're
6 going to have to review this, you or Joe, or any
7 of them. Is this a good enough path forward?
8 You think this will satisfy the issues that
9 you've raised?

10 MR. BARTON: Yeah, Brad, I think
11 that is probably the best path to go with. I
12 mean, just as a general philosophical point, I
13 think anytime you're going to use situations
14 from a later time period and apply them
15 beforehand, you kind of have to have some
16 connection to say that conditions were
17 sufficiently the same, that it's fine to go
18 ahead and use, you know, the mid-90s evaluation
19 for the earlier years.

20 And, to me, what I'm hearing, I
21 mean, I think this is probably the best way to
22 do it. I mean, as Tim said, just from an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 efficiency standpoint, I mean, you can go in and
2 look at structural drawings to see what the
3 height of the catwalks was.

4 But, I mean, even then, you know,
5 what's the connection to how that affected the
6 exposure potential? I think getting the air
7 sampling and comparing it to what NIOSH is
8 proposing for the thoron issue, is the way to
9 go.

10 And there hasn't been a formal,
11 necessarily, write-up on -- or has there? I
12 mean, maybe Tim can remind me, is there an
13 official write-up on how NIOSH currently
14 proposes using that mid-90s evaluation to bound
15 the thoron exposure?

16 DR. TAULBEE: I'm actually not
17 sure. I'd have to go back to ER Addendum 3 and
18 dig out what it was that we said about the thoron
19 there. I couldn't answer that off the top of
20 my head. Sorry.

21 ACTING CHAIRMAN CLAWSON: Okay,
22 well, then with that said, then, this one will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fall to NIOSH, and we'll proceed on. Joe?

2 MR. FITZGERALD: Yeah, I think
3 going from -- that was Finding 4. Really, the
4 vast majority of the next, I guess almost 15
5 findings, from 5 to 23, had to do with
6 OPOS-related, or NCW versus CTW comparisons.

7 Which, I think, in the last Work
8 Group meeting, I think it was everybody's
9 consensus that that would better deferred to
10 the SEC Work Group review of that report, which
11 by the way was just issued. SC&A did send that
12 out. I guess it was Friday, late last week.

13 And we did make a comment, though,
14 that quite apart from the question of applying
15 OPOS, we do have some very fundamental concerns
16 over the comparison between the NCW and the
17 construction trade worker groups in terms of
18 data accuracy standpoint.

19 Though OPOS is the methodology, but
20 I think we still have very much site-specific
21 concerns about whether that's feasible or not.
22 So I just want to throw that in.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But for this discussion, for this
2 Work Group, I would recommend we probably move
3 beyond those findings.

4 Seventeen, we did touch upon last
5 time, which was a question of whether chelation
6 samples were included. And I think there was
7 agreement. And NIOSH's response in the
8 discussion was that they would not be. Did I
9 get correct?

10 DR. ARNO: I guess just two things
11 there. Within the bioassay records it's noted
12 that DTPA had been administered. Those have
13 already been removed. But we did not go back
14 and look at the other information about who was
15 given chelation therapy to exclude records on
16 that basis yet.

17 MR. FITZGERALD: But I think in
18 principle there's agreement that they would be
19 excluded?

20 DR. TAULBEE: Yes, I believe
21 that's the case.

22 MR. FITZGERALD: Yes, I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's where we came out. But --

2 DR. NETON: Yeah, this is Jim. We
3 agree with that. Technically, it's not
4 appropriate to use chelation data in a coworker
5 model when you know it.

6 I mean, if it slips in there because
7 you don't know it, as you indicate in your
8 finding, that we be claimant-favorable. But
9 where we do know it's a chelation person, it
10 should be avoided.

11 MR. FITZGERALD: So then it just
12 becomes a question of just verifying,
13 implementation more than anything else.

14 So, Brad, I don't think we have an
15 issue on that. We seem to be in agreement on
16 Number 17.

17 ACTING CHAIRMAN CLAWSON: Okay,
18 then. With the other Board Members'
19 concurrence, then we could close that one.

20 MEMBER SCHOFIELD: I have no
21 problem closing it.

22 MEMBER LOCKEY: Jim Lockey. No

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 problem.

2 ACTING CHAIRMAN CLAWSON: Okay.

3 MR. FITZGERALD: That moves us
4 swiftly forward to Finding 18, which actually
5 Joyce has spent a considerable amount of time,
6 as you probably can see by the attached
7 spreadsheets. And I would not want to pretend
8 I could describe everything that she's done in
9 terms of her analysis. So, Joyce, are you on
10 the phone?

11 DR. LIPSZTEIN: Yes, I'm on the
12 phone.

13 MEMBER LOCKEY: On Number 18,
14 which gets to be a little complex, could you I
15 guess slowly take us through, take the Work
16 Group through what the issue is and what we
17 would think the implications are?

18 DR. LIPSZTEIN: Okay. I'm not a
19 chemist is the first thing I want to say. I'm
20 a physicist, not a chemist. But I looked at the
21 raw results, some americium, curium, which
22 might have thorium in it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I examined this raw data and the
2 raw data is, as you can see by the table that
3 we put on the spreadsheet, it comes like several
4 discs.

5 There were ten disc results. You
6 can see dpm for 1.5 liters and down. They are
7 all different disc results for the same sample.
8 And then you have the report value. Okay?

9 So I've noticed that in several of
10 the discs the results were very different, one
11 from the other. And I was asking how reliable
12 are those results if they are so different one
13 from the other?

14 So we got an answer that when you
15 have results that are near the detection limit,
16 you would find a lot of variability. And I
17 understand that. But then I decided to divide
18 the results in parts.

19 So first I made a table with all
20 results that were greater than three dpm per 1.5
21 liters. Why greater than three dpm per 1.5
22 liters? Because the MDA of the method is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reported as .3 dpm per 1.5 liters.

2 On that range, from greater than 10
3 MDAs, about 15 percent of the results are the
4 green ones. They are results which I think
5 have a great variability.

6 One example for that, if you take
7 the third green results, one disc is 53, the
8 other one is 23. And you get an average of 38.
9 Then you have the 4th disc results. You have
10 8.64. Then you have 6.79. Then you have 2.72.
11 Then you have 15.3.

12 So you have a big variability
13 between 2.72, which is almost three, to 15. It's
14 five times. So even in that range of results
15 greater than 3 dpm per 1.5 liters, you have 15
16 percent of the results that has this kind of
17 uncertainty.

18 Then I went to look at the results
19 that were between one dpm and three dpm per 1.5
20 liters. So between 3.3 times the minimum
21 detection activity and 10 times the detection
22 activity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, of course, as we expected, as
2 you go down you have more variability. So we
3 came up with 26 percent of the samples had what
4 I call very high variability.

5 Then I have the results that were
6 another table with results from .32 dpm per 1.5
7 liters to .99 dpm divided by 1.5 liters per day.
8 1.5 liters is the urine excretion in a day.

9 So these are results that are --
10 when the final result is above the detection
11 limits, and between the detection limit and
12 three times the detection limit. And I have 43
13 percent of the results that have a lot of
14 variability.

15 So I don't know if we should trust
16 results that have a large variability of
17 results. And what to do with those samples?

18 Then, as I looked at the table, and
19 you can see, most of the results that are above
20 3 dpm won't be used anyway, because 90 percent
21 of those results were from DTPA, when the DTPA
22 was given.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't know about, you know -- some
2 of the results I don't have information, if DTPA
3 was used or not. But 90 percent of the results
4 we know that DTPA was used.

5 Then you go to the other range, from
6 1 dpm to 3 dpm, then you have that 75 percent
7 of those results were from the usage of DTPA.
8 So most of the results were from results that
9 were below .99 dpm per 1.5 liters.

10 That's where most of the results
11 are going to come to do the coworker model. And
12 they have a lot of uncertainty. So I don't know
13 what is acceptable, what is not acceptable.

14 But if -- I don't know. I think
15 that if I were in my lab, I would like to know
16 what was happening that you have such high
17 uncertainty on results from the same sample.

18 And then I went -- I know that it
19 was told here on this conference call that the
20 OTIB-81 was not discussed yet, but I'm looking
21 at results that you used for the urinary
22 excretion, the 50 percentile and 85th

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percentile of the urinary excretion rate of
2 americium, which will give us the data for
3 thorium.

4 And from '72 on, that's the time
5 period that we are looking at, they are all
6 below the detection limits. So they are
7 results with a high range of uncertainty. So
8 I don't know if they can really be used like
9 that, using the number itself that you got.

10 So I doubt very much those results
11 that are well below the detection limit when you
12 have such an uncertainty on the results. Can
13 you get what I'm talking about?

14 DR. TAULBEE: This is Tim. I
15 understand what it is you're talking about but
16 I disagree with some of your conclusions.

17 DR. LIPSZTEIN: Okay.

18 DR. TAULBEE: Of the --

19 DR. LIPSZTEIN: So let me hear.

20 DR. TAULBEE: -- excessive
21 uncertainty. A large number of this
22 uncertainty can be explained by simple counting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 statistics. Not all of it. I agree there are
2 some other process things that were going on.

3 But I, in our response we're trying
4 to point out that one of the major advantages
5 of doing multiple counts on the same sample is
6 you're getting more accurate answer. You get
7 more towards what the true meaning is. And
8 that was the goal here that Savannah River was
9 doing.

10 DR. LIPSZTEIN: Yes. But would
11 you trust the results like, you are well above
12 the detection limits first. Not the bottom of
13 detection limit. You have 63 and 23, then you
14 have another one that has 8.6, 6.8 --

15 DR. TAULBEE: But that --

16 DR. LIPSZTEIN: -- 2.7 and 15.3.
17 You have even some results that they sum a
18 positive result with a negative results to give
19 an average result. That's very strange.

20 DR. NETON: Tim, this is Jim.
21 I've got a question, or two questions actually.
22 Am I correct in understanding that they would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 take a sample and split it and do four separate
2 discs on one sample?

3 Or are, you know, multiple discs?
4 Kind of unusual.

5 DR. TAULBEE: I don't know that for
6 sure --

7 DR. NETON: Are these individually
8 separate counts on the same disc? I don't
9 quite follow what they were doing here.

10 DR. TAULBEE: My belief is they
11 were counting the same disc multiple times.
12 But Matt can you shed some light on that?

13 DR. ARNO: No, I can't. It's hard
14 to tell from available records whether they
15 were counting the same thing multiple times.
16 Or whether they actually had separate aliquots
17 from one sample.

18 DR. NETON: It would be really good
19 to understand that. I think Joyce raises an
20 issue. And I think it deserves us to follow up
21 a little bit further on, I think. The ranges
22 are pretty large.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The other thing that concerns me is
2 the DTPA usage. These were Type M actinides,
3 right? So these were being measured for
4 americium, curium, and thorium came through as
5 well, right?

6 And Californium. My understanding
7 of exposures, at a facility like Savannah River
8 was, you wouldn't have had a huge number of
9 people chelated for those nuclides. It was a
10 by and large chelation for plutonium. I'm a
11 little --

12 DR. ARNO: A lot of times that's
13 right. People were chelated to plutonium and
14 americium contamination in the plutonium came
15 out at the same time.

16 DR. NETON: Yes, that's true.
17 Yes, because I was going to say if it's a
18 chelation for plutonium, it would have been
19 taken out as part of the other procedure.

20 But it is true that the americium
21 would come through, but it certainly would be
22 a trace amount compared to the plutonium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think there's two issues here and
2 I think we need to maybe follow up a little more.
3 I'm not sure what we can do.

4 But I tend to agree that the
5 variability seems, well I don't understand
6 what's driving the variability, whether it's
7 time and statistics or the chemical procedure
8 itself.

9 Because if it's multiple aliquots
10 it could be a chemical recovery issue. And
11 that's being reflected in the samples. So I
12 think we need to understand better what's
13 driving that variability before I could even
14 comment. And my --

15 DR. ARNO: We also need to put this
16 in a little bit of perspective. A lot of these
17 samples that Joyce identified, were for people
18 that either had DTPA, which means they'll be
19 excluded when we revise this data.

20 Or it was for a person that had some
21 intake, even though they didn't receive DTPA,
22 they were sampled extensively every single day

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for a couple weeks. And that data all becomes
2 one result when OPOS evaluation is done.

3 And even if we go away from the OPOS
4 type process, that would still be a person that
5 would be subject to some sort of exclusion or
6 some sort of averaging of their results.

7 So even if there is a large
8 variation from disc to disc, we're talking
9 about averaging of that. And then multiple
10 samples and averaging of that.

11 And when you get into looking at the
12 statistics of, you know, a large number of
13 samples, a large number of counts, the
14 individual variability becomes much less
15 important because you are looking at the
16 average quantity.

17 DR. NETON: Yes, I realize. I
18 agree with that. I think we do need to dig into
19 this a little more. I was wondering if those
20 individual discs weren't actual individual
21 urine samples? I don't know. Do we know that,
22 Matt?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ARNO: It's very clear that the
2 discs were all from the same urine samples.
3 Just not clear if the same disc was counted
4 multiple times, or if it's multiple discs.

5 DR. NETON: Well, I think, I think
6 I agree that we need to follow up on this. A
7 little more detail to shed a little more light
8 on it that we can. So I think we'll, our action
9 item there is to dig into this a little more.

10 I do agree when you go from
11 something like 15 to 50 that does give me a
12 little concern. And I'm not saying it's not
13 appropriate, I just need to understand a little
14 better what's driving that.

15 MR. BARTON: Yes, Jim, this is Bob
16 Barton. I'm in complete agreement there.
17 This is really one of those things where when
18 we started looking at the data, you just kind
19 of scratch your head and say, well how were you
20 arriving at these seemingly radically
21 different results?

22 When there may be a very good reason

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for that. And you know, it's fine to use these
2 data as is. You know, I agree, I think we need
3 to understand why there's that variation.

4 DR. NETON: Yes, a lot of the lower
5 ones could be definitely accounted for by
6 TIB-6. But if you have a detection limit of .3
7 and you're up around 15 dpm, that result should
8 not be quite as variable as is being reported,
9 so. We'll go look at it and get back to the
10 Working Group.

11 ACTING CHAIRMAN CLAWSON: Okay,
12 that being said. Is, not seeing anymore, that
13 action item falls on NIOSH and we'll look
14 forward to seeing what they have to come back
15 with. Joe.

16 MR. FITZGERALD: Yes, the
17 remaining set of very similar issues on
18 solubility, 24, I put them together -- and I'm
19 getting a lot of background noise. I don't
20 know if somebody has their conference line
21 open?

22 Oh, that's much better thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Bob, I'm just trying to characterize our
2 discussions on 24 through 26. I don't think we
3 had any disagreements on how the solubilities
4 were addressed technically.

5 But some question about what if
6 some validation could be done on the coworker
7 model? I guess my question is has that been
8 subsumed by the earlier agreement to do, for Tim
9 to do such an analysis? I thought the analyses
10 that was pertinent to the analysis that
11 offered.

12 MR. BARTON: Yes, we actually did
13 discuss that on the 5th with regards to the
14 thorium issue. And then earlier today with
15 regard to neptunium.

16 And I think it's the same line of
17 discussion, that you have known thorium
18 workers, you really want to go ahead and look
19 and see, you know, A, are they included in your
20 coworker model? At least to some part, some
21 extent.

22 And these workers if, you know, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have a list of, you know, 20 or so workers I
2 think was said at the February 5th meeting.

3 Go ahead and look at their in vivo
4 results if they are in the coworker model. And
5 how do they sort of stack up against the rest
6 of the population?

7 I mean one result of that might be
8 see, well, hey, I mean they're right in the
9 middle, you know. These thorium workers that
10 we know were thorium workers are sort of
11 subsumed into this larger coworker model.

12 Alternatively, you could see that
13 they're much lower, or alternatively you could
14 see, wow, their results are way at the top end
15 of the tail. And then maybe we have more of an
16 issue.

17 So I think and again I believe NIOSH
18 agreed to this at the next meeting, that you
19 know, that if they had a list of however many
20 known thorium workers, they could take those
21 names and look into this database and see where
22 their results stack up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAULBEE: This is Tim. That's
2 correct. I had that as an action item from the
3 last meeting, and we have begun to schedule that
4 particular work to be done.

5 MR. FITZGERALD: To sum up on that
6 one, I thought that, you know, we had looked at
7 the solubility and discussed that. And I
8 thought we didn't really have any differences
9 on the actual technical approach.

10 But we had that larger question
11 which as Tim just noted, we thought that would
12 be the more fundamental answer to that. And so
13 Bob, I guess is there anything else on that
14 particular set of issues?

15 MR. BARTON: No, that's really all
16 I had on that. I mean we kind of discussed the
17 use of different solubility types and how
18 that'll affect the calculated doses before in
19 this discussion.

20 And that was sort of an add-on to
21 the discussion last week of, you know, it would
22 just really be a good idea and a good weight of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evidence argument to say, hey, look, you know,
2 we know there are some thorium workers.

3 These are the ones that are in our
4 distribution, and these are what the results
5 look like. And in so far as you can tie those
6 results to thorium activities, you know, that
7 might get a little murky.

8 And there might be some caveats to
9 that, but I think it's an effort that's worth
10 doing that would not necessarily be all that
11 cumbersome.

12 It would be something, you know, if
13 you only have a handful of workers, you know,
14 it wouldn't be too hard to look up those names
15 in your electronic database and take a look at
16 what their records look like in comparison to
17 the coworker model as a whole. That's really
18 all I had.

19 MR. FITZGERALD: Yes, I think
20 we're okay on the solubility questions on 23
21 through, I'm sorry, 24 through 26. And really
22 the commitment that Tim was referring to. With

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the bigger issue we thought was the more
2 important pathway to resolution.

3 So I would leave it to the Work
4 Group on those 3 findings, but we feel
5 comfortable on the solubility issues.

6 ACTING CHAIRMAN CLAWSON: Joe,
7 this is Brad. So you want to close the
8 solubility of these issues, but these other,
9 they still lap back to what Bob was saying about
10 checking out, you know, checking the data out
11 basically?

12 MR. FITZGERALD: There is some
13 overarching questions that get to validating
14 the data. And I think what NIOSH has offered
15 as far as neptunium as well as thorium, would
16 be in that direction.

17 So we would say that would be the
18 pathway to go, and the specific questions we had
19 on solubility, I think were answered on
20 February 5th.

21 ACTING CHAIRMAN CLAWSON: Okay, so
22 with these, what is it, 23, 24?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: 24, 25 and 26.

2 ACTING CHAIRMAN CLAWSON: Okay, on
3 these the solubility question of it is closed
4 though. It got an overarching issue, but the
5 solubility issue has been closed and you're
6 satisfied with it, right?

7 MR. FITZGERALD: As far as I'm
8 concerned and I think Bob has confirmed that.
9 So I think we're okay on the solubility issues.
10 Joyce did you have anything?

11 DR. LIPSZTEIN: No.

12 MR. FITZGERALD: Hello, Joyce.

13 DR. LIPSZTEIN: Yes, but I think we
14 discussed this already. I'm not completely
15 satisfied but I'll accept it.

16 MR. FITZGERALD: Okay. So I think
17 --

18 DR. LIPSZTEIN: Because I think
19 that really when you have insoluble thorium, we
20 won't see anything in the urine. Unless you
21 had a big accident, and you would know that.
22 But it's okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN CLAWSON: Okay.
2 Any of the Board Members have any objection to
3 closing the solubility issue of this portion of
4 this?

5 MEMBER LOCKEY: This is Jim
6 Lockey, I'm fine to close this.

7 MEMBER SCHOFIELD: This is Phil,
8 I'm fine with it.

9 ACTING CHAIRMAN CLAWSON: Okay
10 with that we'll proceed on. Joe. And
11 somebody thank you for forwarding the
12 information, the Live Meeting. It was kind of
13 back there a few.

14 MR. FITZGERALD: Well I think
15 we're done because really the balance of what's
16 left, 27 through 32 refer back to the 1990 to
17 2007 period, which is the approach based on the
18 DAC, you know, the air concentration
19 measurement.

20 This is the report that Tim briefed
21 out on February 5th, which the Work Group asked
22 NIOSH to go ahead and you know, draft it up for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 review. And so these findings relate back to
2 the, you know, those activity levels.

3 So I would say 27 through 32 refer
4 back to Finding Number 2, which is the
5 application of the DAC hours to 1990 and beyond.

6 ACTING CHAIRMAN CLAWSON: Okay.

7 MR. FITZGERALD: So we'll await
8 for that report, that draft report and SC&A
9 would commit to reviewing that and providing
10 any findings and issues back to the Work Group
11 and NIOSH.

12 DR. MAKHIJANI: This is Arjun.
13 Joe, I didn't quite understand that. This is
14 Arjun. I, these particular findings relate
15 to, you know, the compilation of the data, which
16 hasn't been done.

17 And we weren't actually able to
18 figure out whether, you know, what the merit of
19 this approach was and the coworker model and how
20 it was going to be assigned.

21 And that was part of it, the
22 FASTSCAN data and whether they could actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 catch any thorium. And so we're sort of beyond
2 the DAC hour question, I think.

3 Maybe Joyce can correct me if I'm
4 wrong.

5 DR. LIPSZTEIN: I think because of
6 DAC, I wasn't on the February 5th Work Group
7 meeting, but I think that because you can't see
8 with the FASTSCAN, that's why there was this new
9 DAC method for assigning thorium dose instead
10 of the whole body counter dose.

11 DR. MAKHIJANI: Okay, so --

12 MR. BARTON: Yes, Arjun. This is
13 Bob Barton we're essentially at a spot where we
14 have a new paradigm shift. There's a whole new
15 model on the table that NIOSH is proposing to
16 reconstruct thorium doses in the 1990 and on
17 period.

18 So we're kind of waiting --

19 DR. MAKHIJANI: Have we seen the
20 compilation of that data? Not yet I guess?

21 MR. BARTON: Of the air sampling
22 and such, no. We're sort of waiting on NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to put that package together.

2 DR. MAKHIJANI: Okay, sorry. So
3 excuse me about that. Yes, sorry about that.

4 MR. FITZGERALD: Yes, again I
5 think it was decided it would be better to see
6 those details and to I guess defer any kind of
7 judgment or analysis until we have full --

8 DR. MAKHIJANI: No, no I stand
9 corrected, Joe.

10 MR. FITZGERALD: Yes.

11 DR. MAKHIJANI: John, put me on
12 mute.

13 MR. FITZGERALD: So Brad, I think
14 that's just about it. And I'll do the best I
15 can to put these notes together as well as the
16 actions. And I'll lean very heavily on my
17 colleagues to help me on some of this.

18 But make sure it's as detailed as
19 possible and get it back to the Work Group
20 hopefully by COB tomorrow.

21 ACTING CHAIRMAN CLAWSON: Okay,
22 and Tim you'll do the same with your action

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 items?

2 DR. TAULBEE: That's correct,
3 although I'm not sure I'll have it done by
4 tomorrow. But certainly early next week.

5 DR. NETON: I think, I thought Joe
6 was going to collect them all and then we were
7 going to --

8 MR. FITZGERALD: I'll volunteer to
9 collect them all and I'll lean on Tim to edit
10 at will. Some of these have nuances. Like I
11 say, I was scribbling as fast as I could but if
12 I missed anything, please feel free to edit
13 this.

14 It will go back and forth until
15 everybody's satisfied.

16 DR. NETON: Yes, I think that makes
17 the most sense. We'll be happy to do that.

18 ACTING CHAIRMAN CLAWSON: Okay,
19 thanks. Is there anything else needs to be
20 brought before the Work Group at this time?

21 Not hearing any, Ted, I move that
22 we can adjourn.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Yes, thank you, Brad for
2 stepping in for Mark for one. And thanks
3 everyone else. I think was incredibly
4 productive and well done. So thanks for
5 everyone's efforts going into this and during
6 the meeting.

7 ACTING CHAIRMAN CLAWSON: Okay.
8 Everybody have a wonderful day and until we hear
9 or see you next time, bye.

10 (Whereupon, the meeting in the
11 above-entitled matter was concluded at 2:38
12 p.m.)

13

14

15

16

17

18

19

20

21

22

1

2

3

4

5

6

7