

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

SUBCOMMITTEE ON PROCEDURES REVIEW

+ + + + +

WEDNESDAY  
FEBRUARY 18, 2015

+ + + + +

The Subcommittee convened via teleconference at 11:00 a.m., Eastern Standard Time, Wanda I. Munn, Chair, presiding.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## PRESENT:

WANDA I. MUNN, Chair  
JOSIE BEACH, Member  
PAUL L. ZIEMER, Member

## ALSO PRESENT:

TED KATZ, Designated Federal Official  
NANCY ADAMS, NIOSH Contractor  
HANS BEHLING, SC&A  
KATHY BEHLING, SC&A  
RON BUCHANAN, SC&A  
BOB BURNS, ORAU Team  
ROSE GOGLIOTTI, SC&A  
STU HINNEFELD, DCAS  
JENNY LIN, HHS  
LORI MARION-MOSS, DCAS  
STEPHEN MARSCHKE, SC&A  
JOHN MAURO, SC&A  
JIM NETON, DCAS  
STEVE OSTROW, SC&A  
MUTTY SHARFI, ORAU Team  
SCOTT SIEBERT, ORAU Team  
MATTHEW SMITH, ORAU Team  
JOHN STIVER, SC&A  
ELYSE THOMAS, ORAU Team

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## T-A-B-L-E O-F C-O-N-T-E-N-T-S

Welcome and Roll Call .....	4
Review BRS status .....	5
White Paper paragraph clarifying wording for Overarching Issue 9, Concern 1 NIOSH.....	6
OTIB-54 - Findings .....	22
OTIB-82 Review .....	54
PER-42 - Response .....	74
Lunch .....	98
PER-31 - Report Review - Carryover .....	99
PER-45 - Response (8 added findings) .....	99
PER-43 - Case Reviews .....	117
PER-18 - Review .....	141
PER-11 - Findings 3, 5 .....	158
PER-14 - Worker list comparison with PER-11 .....	158
PER-52 - Review .....	169
PER-9 - Case Audits .....	187
PER-47 - Review .....	192
Administrative Detail	
PER status - upcoming, BRS entry.....	231
Abeyance items ready for closing.....	233
Next meeting.....	233
Adjournment .....	236

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (11:00 a.m.)

3 MR. KATZ: Let's get started with roll  
4 call. So let me start with a few things, as much  
5 material as can be posted had been posted for this  
6 meeting.

7 For people who are listening in and want  
8 to see the documents that we're talking about, so  
9 you'll find them on the NIOSH website under the  
10 Board Section, Scheduled Meeting, with today's  
11 date and you can go on there and click on a file  
12 and follow along.

13 There's a Subcommittee meeting with  
14 respect to conflict of interest. We don't have any  
15 agenda items that are apparently related to any  
16 conflicts we have with Board Members.

17 Josie and Wanda are conflicted, of  
18 course, for Hanford matters, but I don't think we  
19 have any Hanford matters on our plate, and Paul has  
20 conflicts for X-10 and LANL after 2000, and I don't  
21 think any of those are on our plate either today,  
22 so we should be clear there.

23 Let us do, so you don't have to speak

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to conflict as we do roll call, but let's get  
2 started. We already, I don't need to do roll call  
3 for the Board Members because they are, the three  
4 are already there, Wanda, the Chair, and Paul and  
5 Josie.

6 Do we have any other Board Members that  
7 are on the line? Okay, I didn't expect so.

8 (Roll call)

9 CHAIR MUNN: Good morning, everybody.  
10 Thanks for your efforts this morning. We have a  
11 significant amount of material to go through today  
12 and I trust that we're all ready for that.

13 The first thing we have on our agenda  
14 item is to review the BRS status. I think Lori has  
15 indicated to all of us that all of the responses  
16 that NIOSH had to post are up and I think you have  
17 indication of what those are.

18 We've had a comment from Steve about the  
19 difficulty in trying to get some of the attachments  
20 filed in and that is under advisement and I would  
21 not expect any resolution to that as of yet. If  
22 there is, Lori or Steve, correct me.

23 Otherwise, anyone who has any question

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or any issue with respect of where we are with the  
2 BRS please let me know.

3 So I believe we have -- I'm taking that  
4 silence to mean that we are all happy with where  
5 the system is with a minor difficulty that we've  
6 already established of impacting what we're trying  
7 to do and that that's under control and going to  
8 be taken care of.

9 Unless we have any other concerns with  
10 respect to the BRS we're going to go right on  
11 through to the White Paper regarding Overarching  
12 Issue 9.

13 Jim, are you going to speak to that?  
14 Who?

15 DR. NETON: Yes, Wanda, I'm going to  
16 try to summarize what we've done here.

17 CHAIR MUNN: Good, thank you.

18 DR. NETON: Okay, everybody hear me  
19 okay? Is my volume alright on the phone?

20 CHAIR MUNN: Sounds great here.

21 DR. MAURO: Sounds good.

22 DR. NETON: Good. So this an issue  
23 that SC&A commented, it's been out there for quite

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some time and it's related to skin contamination,  
2 a skin contamination review they did a while back.

3 And eventually we agreed on all the  
4 issues except for one and that is NIOSH's position  
5 that we believe that uranium was not difficult to  
6 remove from the skin and clothing by washing.

7 It was a direct experience of at least  
8 one NIOSH staff member who worked at a uranium  
9 facility that this was indeed the case. SC&A  
10 didn't necessarily disagree with us but they  
11 thought it would be prudent if we went and provided  
12 some additional documentation of this experience.

13 So there's a White Paper out there, I  
14 don't know is it being shown on the BRS? Yes, it  
15 is, okay. So we went out and reviewed the  
16 literature to the extent we could to see if there's  
17 any qualitative and/or quantitative information  
18 regarding this.

19 And, actually, to my surprise it wasn't  
20 abundant. Oftentimes it almost seemed like it was  
21 taken for granted that this was the case.

22 There is all kinds of evidence of  
23 people, you know, recommending to take showers when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they're contaminated and that sort of thing, but  
2 very little in the way of at least a quantitative  
3 evaluation.

4 We did manage to find a couple articles  
5 though. Back in the late 1950s there was one  
6 article published in the American Industrial  
7 Hygiene Association Journal by Blackwell in '59  
8 where they were actually reviewing methods of  
9 surface contamination control at a uranium rolling  
10 operation.

11 I don't believe this was a massive  
12 industrial operation like you might have had at  
13 Bethlehem Steel, but, nonetheless, it was a full  
14 rolling operation with salt baths and all that sort  
15 of equipment.

16 And a part of the procedure actually  
17 talked about personnel contamination and there was  
18 a quotation in there that I included in the response  
19 paper about personnel when they left the immediate  
20 area they were surveyed and if they were  
21 contaminated a washroom was provided for those who  
22 may have received contamination of the skin and a  
23 washing with soap and detergent usually removed any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 contamination, so that's the first thing we found  
2 in the literature.

3 A second article appeared in the, most  
4 people know the existence of HASL-58, it's a very  
5 nice continuum of proceedings put on by HASL in 1958  
6 about uranium contamination control measures.

7 And one of those papers talked about a  
8 case study at the Hanford site where there was a  
9 worker who had visible amounts of UO3 powder around  
10 his nose, mouth, and chin.

11 A portable survey meter found that to  
12 be around 10,000 dpm per seven square inches and  
13 the report stated that a shower removed the  
14 detectable surface contamination. So at least  
15 there's those two late 1950s articles.

16 And this is, by the way, for intact  
17 skin. There is no, neither of these involve any  
18 sort of, you know, breakage of the skin where, you  
19 know, we would agree that there might be some issues  
20 there.

21 And then there was a quantitative  
22 evaluation done by Friedman in '58, and this is  
23 also, I forget exactly where this was published,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it might have been the AIHA Journal as well. I'll  
2 go back and look here. Friedman?

3 Yes, it was the American Industrial  
4 Hygiene Association Journal as well, where he  
5 looked at the, actually labeled soil with  
6 lanthanum-140 and applied it to the skin of the  
7 forearms of I think about 40 workers, well 45  
8 volunteers, and actually looked at the cumulative  
9 removal efficiency of various washing techniques.

10 In other words he did one washing, two  
11 washing, three washing, and I included an  
12 adaptation table of that article that was actually  
13 reported in a SENES DTRA report that demonstrated  
14 that the soil, the radiolabeled soil was taken off  
15 very quantitatively with the first washing to the  
16 extent that just soap and water, scrub and flush  
17 removed 95.8 percent, on average I think that is,  
18 of the 45, the forearms of the 45 people that were  
19 in the study.

20 We would certainly agree that this is  
21 not exactly analogous to uranium contamination.  
22 But I think I made this point earlier, the uranium,  
23 at least to our experience does behave more like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a dirt/soil contamination to some extent because  
2 it's a very low specific activity material,  
3 radioactive material and we calculate that  
4 something like 5,000 dpm on a small surface area  
5 of skin would be around three milligrams of  
6 contamination and, indeed, you saw the, when I  
7 talked about the Hanford case study, a 10,000 dpm  
8 measured on the chin and around the mouth of the  
9 person was considered to be visible contamination,  
10 that would have been somewhere in the vicinity of  
11 six milligrams.

12 And, finally, a note is that we looked  
13 at the personal decontamination guidance in the DOE  
14 manual Good Practices that is out there, and it  
15 recommends gently scrubbing the skin with soap and  
16 water to remove surface contamination.

17 There are other methods mentioned but  
18 only after the initial attempt which a general  
19 washing has proven to be ineffective. And, you  
20 know, as we mentioned at the beginning of this  
21 discussion it is NIOSH's experience that general  
22 washing is usually most often effective to remove  
23 contamination and abrasive decontamination

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 methods are not typically required at least in our  
2 experience.

3 So, in conclusion, there's a couple  
4 qualitative studies out there that talk about  
5 removal with soap and water and at least one  
6 quantitative study using soil on the forearms that  
7 demonstrates radioactive soil can be removed  
8 fairly effectively.

9 And, finally, the DOE Good Practices  
10 manual that I just talked about recommends washing  
11 with soap and water as their initial attempt. And  
12 that's it.

13 CHAIR MUNN: Thank you, Jim. SC&A, do  
14 you find this White Paper to be adequate for the  
15 concerns that you had expressed? We have  
16 discussed this several times and in our  
17 conversations this was, I think, just a wrap up on  
18 Jim's part.

19 We had asked NIOSH to give us a piece  
20 of paper that had the words on it and he's done so.  
21 Can we now move on from this concern? Does it meet  
22 your requirements? It's --

23 (Simultaneous speaking)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: With the silence I was  
2 going to jump in, this is John Mauro.

3 CHAIR MUNN: Oh, okay.

4 DR. MAURO: I know that Hans and I were,  
5 I guess we were the ones that were primarily  
6 concerned and all I can say is that what was just  
7 explained to me was a very nice job and I think you  
8 did the best with what was out there and, you know,  
9 I'm satisfied.

10 I don't know if, Hans, you feel the same  
11 way?

12 CHAIR MUNN: Hans?

13 DR. H. BEHLING: Yes, with regard to  
14 skin contamination I think the evidence is there  
15 to suggest that a simple washing is probably  
16 adequate.

17 But I think, if I recall, we did have  
18 a second issue that involved the clothing  
19 contamination and the potential contribution to  
20 skin dose from persistent clothing contamination.

21 And I think we talked about in days  
22 past, the time era, people may have worn their  
23 clothing a little longer than they do today and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 often times work clothing was perhaps washed once  
2 a week at most maybe and that clothing  
3 contamination could contribute significant skin  
4 exposure, but I'm not sure if that was resolved  
5 independently of this White Paper.

6 DR. NETON: This is Jim. We did have  
7 that discussion and my recollection was that we  
8 pointed to the fact that we do have a method for  
9 addressing clothing contamination that's in the  
10 Bethlehem Steel Site Profile and that we are going  
11 to consider using that type of approach at  
12 facilities where this would be the case.

13 I think we used clothing contamination  
14 that was actually measured prior to laundering, I  
15 think it was at the Mallinckrodt facility. I  
16 haven't looked at that in a while, but that was,  
17 we acknowledged that that still could be an issue.  
18 We are going to address that in that way.

19 CHAIR MUNN: Yes, I had thought we had  
20 laid that to rest. Although, if it's still  
21 outstanding I suppose we need to get some words  
22 injected into the BRS to identify that.

23 DR. NETON: I thought it might be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           there, but maybe I'm mistaken.

2                         MR. MARSCHKE:   This is Steve Marschke.  
3           I remember that we did talk about that.   I am  
4           looking for it here in the BRS to see if there's  
5           anything on it and I can't see anything.

6                         I'll have to go back and look at the  
7           minutes of meetings and the transcript there and  
8           see whether or not we talked about this and what  
9           we actually said about clothing contamination.

10                        DR. H. BEHLING:   Thank you, yes.

11                        DR. NETON:    Yes, I guess I'd like to at  
12           least get some agreement on this washing issue,  
13           though.   It sounds like we do agree that that one  
14           is okay.

15                        CHAIR MUNN:    I think I heard those  
16           words, so with respect to the concern on skin I  
17           think we need to indicate that that concern has been  
18           met, that SC&A agrees that the concern has been  
19           adequately met and we can close that portion of it.

20                        DR. NETON:    I do agree as well that we  
21           don't to lose that other issue though and I'd have  
22           to go back and review the transcripts if it's not  
23           in the BRS somewhere as to what we said on that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but I distinctly remember talking about the  
2 approach that's used in the Bethlehem Steel Site  
3 Profile.

4 MR. MARSCHKE: The only thing I can  
5 see, Jim, is in the same concern, Concern 1 here  
6 is that when John Mauro, the entry for John Mauro  
7 on January 7, 2014, we are talking about skin and  
8 clothing, and so I would go back and start looking,  
9 and there is a -- but that's --

10 CHAIR MUNN: There's a memo attached.

11 MR. MARSCHKE: Memo attached, but  
12 that's our memo so that wouldn't resolve the issue.

13 CHAIR MUNN: No.

14 MR. MARSCHKE: But there might have  
15 been a Procedures meeting sometime around that time  
16 period when the clothing was discussed and --

17 DR. NETON: You know, and, Steve, I  
18 think I remember, that issue may be resolved in that  
19 memo now that I'm thinking about it.

20 MR. MARSCHKE: Oh, you think so? Well  
21 let's open it up and take a look.

22 DR. NETON: Yes, take a look because I,  
23 you know, I hate to, you know, delay things, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I --

2 CHAIR MUNN: No, it's not a delay  
3 actually. This is a --

4 DR. NETON: I think there was some  
5 mention of it made in there.

6 CHAIR MUNN: Yes, keep going down.

7 MR. MARSCHKE: I'm going to look for  
8 clothing.

9 DR. NETON: Yes, just do a search for  
10 clothing.

11 MEMBER BEACH: Well the title  
12 certainly suggests clothing is in this memo.

13 DR. NETON: Yes. I think somewhere in  
14 there -- Is it the one where it basically said that  
15 we resolved most of the issues?

16 All right, here it is. "During this  
17 discussion NIOSH pointed out that NIOSH accounted  
18 for skin and clothing, such as contaminated  
19 clothing, according to the methods used to  
20 reconstruct doses at Bethlehem Steel."

21 CHAIR MUNN: Yes.

22 DR. NETON: "Based on this discussion  
23 it appears NIOSH is prepared to take this exposure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 scenario into consideration on a case-by-case  
2 basis."

3 I'm not sure what that means. This is  
4 talking specifically about clothing not washing.

5 CHAIR MUNN: Looks like we may have to  
6 go back to the July 2014 transcript.

7 (Simultaneous speaking)

8 DR. NETON: I think this does cover  
9 both. It talks about --

10 (Off the record comments)

11 MR. MARSCHKE: "We recommend this  
12 issue be held in abeyance."

13 (Off the record comments)

14 DR. NETON: Okay, all right. Yes, I  
15 kind of consider that a separate issue than the  
16 washing issue.

17 CHAIR MUNN: Yes, it seems to be, and  
18 I think, I do think that we resolved the clothing  
19 in our discussions.

20 MR. MARSCHKE: I think it's right there  
21 in that first paragraph. Wanda, it says  
22 basically, "We now understand that this is in fact  
23 the approach that NIOSH plans to use, including

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 doses beneath clothing."

2 "Given this understanding our concerns  
3 with respect to this matter is resolved."

4 CHAIR MUNN: Yes.

5 MR. MARSCHKE: So that's, I think  
6 they're talking, and in here they talk about how  
7 they're going to use IREP to skin --

8 CHAIR MUNN: To identify skin dose,  
9 yes, underneath clothing. Yes, and we know that  
10 it, from previous discussions we know that clothing  
11 is taken into consideration in that process.

12 I would interpret that sentence to mean  
13 that the clothing issue has been resolved. Do you,  
14 Hans?

15 DR. H. BEHLING: Yes, Wanda.

16 CHAIR MUNN: Okay, good.

17 DR. H. BEHLING: Sorry.

18 CHAIR MUNN: So with that it appears to  
19 me that we can now close the issue with respect to  
20 skin dose as it applies here. Let's --

21 DR. MAURO: Before we move on, this is  
22 John. Jim, those references you cited sound like  
23 really good references to have access to. Are they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on the archives so that in the future we could all  
2 take advantage of it?

3 DR. NETON: You know, John, they are  
4 not, but I could easily, I'll make sure that they  
5 get put there. I think there's a section on the  
6 Site Research Database that allows for generic  
7 items to appear.

8 DR. MAURO: That would be great, thanks  
9 a lot.

10 DR. NETON: Yes, I'll take and -- I  
11 didn't get, you know, I didn't have time to put  
12 those out there, but I'll make sure they get there.

13 They're actually fairly readily  
14 retrievable if you have a library that's got the  
15 AIHA stuff going way back. We happen to have one  
16 in NIOSH, it's kind of nice.

17 CHAIR MUNN: Okay. I think we can  
18 close this specific concern, Concern 1, and let's  
19 move on then to OTIB-54.

20 We have quite a number of documents and  
21 issues to address here, first one being Items 1  
22 through 4. I believe Steve Ostrow is going to  
23 present those.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Wanda, this is Jim.

2 CHAIR MUNN: Yes?

3 DR. NETON: Before we get started could  
4 we confirm that Bob Burns is on the telephone? I  
5 expected him, he didn't chime in when we initially  
6 signed in.

7 MR. BURNS: Yes, I'm here.

8 CHAIR MUNN: Oh, good.

9 DR. NETON: Okay, great. Thanks.  
10 Okay.

11 CHAIR MUNN: Terrific. Yes, good  
12 paper, Bob. All right, Steve Ostrow?

13 DR. OSTROW: Good morning, this is  
14 Steve. We had several findings on OTIB-54 and  
15 we've been resolving them every time we have a  
16 meeting.

17 Findings 1 through 4 all deal with  
18 reactor modeling, how ORAU did it and used ORIGEN  
19 to model reactors, how they selected the reactors,  
20 how they reduced the number of isotopes they were  
21 considering, and so forth and so on.

22 ORAU responded with the RPRT-67, which  
23 addresses these four findings that we have, 1

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 through 4. We took a look at the ORAU report and  
2 SC&A issued its own report on February 6th, which  
3 everyone should have, where we took a look at the  
4 these four findings.

5 I just wanted to say a little bit of a  
6 compliment here. ORAU did a bang up job on this  
7 report, on their report, very comprehensive, very  
8 interesting, very good, especially to nuclear  
9 engineering people.

10 CHAIR MUNN: Yes, indeed.

11 DR. OSTROW: Yes. I enjoyed it. So  
12 anyway the, so to summarize what our findings were  
13 of the review, originally Findings 1 through 4 were  
14 all listed as "in progress," they're waiting to  
15 look at the, and the action on ORAU's part was to  
16 issue this report, which they did.

17 So as a result of our review we  
18 recommend that three of the findings be closed and  
19 one of the findings remain in progress and I'll go  
20 through them one at a time.

21 Steve Marschke, did you put in the BRS  
22 the four recommendations that we had on this?

23 MR. MARSCHKE: Yes, I did, but I can't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 get to the, I'm trying to change the one from the  
2 last, the overarching one there and I'm trying to  
3 close that and it's --

4 DR. OSTROW: So I suggest we just hang  
5 on for just a minute until Steve gets there, it'll  
6 make things easier.

7 CHAIR MUNN: That's reasonable.  
8 Let's do it while it's hot.

9 DR. OSTROW: Well until he gets there  
10 I can read what our Finding Number 1 was, which is  
11 a short one. "SC&A is not able to evaluate the  
12 appropriateness of the input parameters used for  
13 the ORIGEN-2 run," didn't say not specified or  
14 references cited in the OTIB, so that was our  
15 finding.

16 And as soon as Steve gets to it you can  
17 see our conclusion. Okay, there we go. It's like  
18 in the middle of the screen right now, and, okay,  
19 I'll read in case everybody is not on the video  
20 conference, okay.

21 "After review of the data sources and  
22 input parameters presented in the ORAU reactor  
23 modeling report as well as an examination of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 literature, SC&A is satisfied that the report  
2 adequately specifies and references the pertinent  
3 input parameters and assumptions associated with  
4 the ORIGEN-2 runs and finds them appropriate."  
5 SC&A therefore recommends that this finding be  
6 closed.

7 So we went a little bit further than  
8 just looking at the ORAU report. We looked at the  
9 actual source literature itself for the different,  
10 for ORIGEN and the different reactor types and  
11 satisfied ourselves that not only did ORAU address  
12 our concern, but they also did it correctly.

13 Their inputs were from the right  
14 sources and everything, so we recommend that this  
15 finding be closed.

16 CHAIR MUNN: Steve Marschke, can you  
17 please do that for us, indicate that SC&A and NIOSH  
18 agrees with NIOSH's approach and the Subcommittee  
19 as of this date closes this item.

20 MR. MARSCHKE: Will do.

21 CHAIR MUNN: Thank you.

22 MR. KATZ: This is Ted. I don't know  
23 if any others hear it, but there's some background

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 noise coming through from someone's phone.

2 CHAIR MUNN: Yes, someone has traffic  
3 going by. Very good.

4 DR. OSTROW: Okay, Steve, when you're  
5 --

6 CHAIR MUNN: Without any comment let's  
7 move on to Finding 2.

8 DR. OSTROW: Okay. I'll read the  
9 finding, it's also short. "The OTIB does not  
10 provide sufficient information to allow evaluation  
11 of its downselect from the initial seven to the  
12 final four representative reactors chosen."

13 What this means is that ORAU started out  
14 with a list of several reactors, ran ORIGEN and  
15 reduced the number of reactors considered to just  
16 four, the Advanced Test Reactor, Fast Flux Test  
17 Facility, the N Reactor, and a TRIGA reactor with  
18 stainless steel cladding.

19 And we had, I mean we didn't have any  
20 technical reasons to doubt what NIOSH did, what  
21 ORAU did, but as you can see in our finding what  
22 they put in their report is almost identical to what  
23 was originally in the OTIB, so they didn't really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 answer the question.

2 What we would really have liked to have  
3 seen was some data from tables or whatever  
4 comparing the results from the seven different  
5 reactors and showing that the four representative  
6 ones that they selected actually encompasses the,  
7 I guess the parameter space of the original seven  
8 reactors, that these really are representative.

9 We needed some more explanation of this  
10 and as I said since the ORAU report just says the  
11 same thing as the OTIB did, that we don't think it  
12 was adequately addressed and we recommend then that  
13 this stay, you know, as an open item in progress.

14 CHAIR MUNN: NIOSH, any comments?

15 MR. BURNS: This is Bob. Looking at  
16 SC&A's report, as I understood it, the suggestion  
17 was to add some tables to your RPRT-67 or elsewhere  
18 that would further allow them to make those  
19 evaluations?

20 DR. OSTROW: Yes. Yes, that's  
21 basically it because I mean you say that the four  
22 reactors you selected are representative, but you  
23 don't say, and you said in your report the factors

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that you considered, but you don't show us any data  
2 so we could actually judge for ourselves that these  
3 are representative.

4 MR. BURNS: Okay. I mean that data  
5 certainly exists. It would be a bit voluminous,  
6 but it could certainly be added if that's what NIOSH  
7 asks us to do.

8 DR. OSTROW: Okay. I mean don't  
9 totally bomb us with data, but, you know, some, you  
10 know, important tables, whatever, not, you know,  
11 hundreds of pages of data.

12 I assume you have all the data because  
13 you made all the runs.

14 MR. BURNS: Right.

15 MEMBER ZIEMER: This is Ziemer. Can I  
16 just comment that, would it be possible if you were  
17 just to have two or three summary tables that  
18 illustrate the parameters that were used to show  
19 that it's equivalent?

20 MR. BURNS: The answer is yes, again,  
21 if that's what we're asked to do by NIOSH.

22 DR. OSTROW: That would be fine because  
23 we don't really want to wade through huge amounts

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of data. Paul's suggestion is very good, it can  
2 all be, you know, summarized in a couple of tables.

3 CHAIR MUNN: Yes, and SC&A is it your  
4 request that this be added to RPRT-67 or is that  
5 it simply be provided to us here?

6 DR. OSTROW: We don't care, whichever  
7 is more convenient. If it's something short maybe  
8 just, you know, a technical memo.

9 CHAIR MUNN: It would be more expedient  
10 to do it as a White Paper or technical memo here.

11 DR. OSTROW: In short, yes.

12 CHAIR MUNN: Yes, if that's okay.  
13 Bob, is --

14 MR. KATZ: Oh, I think we need to hear  
15 from NIOSH if that's okay for ORAU to provide that.

16 CHAIR MUNN: Yes.

17 MR. HINNEFELD: This is Stu. I think  
18 a way to proceed here is for us to get with ORAU  
19 and decide a good way to provide the information  
20 that will allow this, the logic of the selection  
21 to be more obvious, and then we'll inform the  
22 Subcommittee and SC&A when a product's available  
23 and where it can be viewed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR MUNN:     Good.     That's very  
2 helpful. Thank you, Stu. We'll take that under  
3 consideration and make a note that Finding 2 will  
4 be addressed by NIOSH next time.

5                   DR. OSTROW:    Okay. So if you want to  
6 we can move on to Finding 3. Finding 3, I'm not  
7 going to read the whole finding because it's a  
8 little bit long, but it deals with the information  
9 about the ORIGEN-S run, before we were talking  
10 ORIGEN-2, now we're talking about ORIGEN-S and we  
11 wanted NIOSH to provide an explanation of the  
12 ORIGEN-S runs that they did so we could see if it  
13 was appropriate.

14                   And Steve is getting the finding here.  
15 Okay. And we think that what NIOSH provided is  
16 adequate, that we believe that the reactor modeling  
17 report contains sufficient information on the  
18 parameters selected for the ORIGEN-S run for each  
19 of the representative reactors to inform an  
20 assessment if the values chosen are appropriate.

21                   SC&A therefore recommends that this  
22 finding be closed. And as on the first finding we  
23 went beyond just looking at the ORAU report, we went

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 back to the original source documents about the  
2 different types of reactors and we looked also at  
3 the, you know, the manuals for ORIGEN-S, which is  
4 part of a larger scale system that Oak Ridge  
5 maintains for reactor analysis.

6 So we recommend that this finding be  
7 closed, we're satisfied.

8 CHAIR MUNN: Any comment from Board  
9 Members or others?

10 MEMBER ZIEMER: Yes, I agree it should  
11 be closed.

12 CHAIR MUNN: Josie?

13 MEMBER BEACH: Yes, I'm in agreement  
14 with that also.

15 CHAIR MUNN: Very good. Steve, will  
16 you please make note on Finding 3 that the  
17 Subcommittee agrees this finding is now closed.

18 Thank you, Steve. Now let's move on to  
19 Finding 4. Steve Ostrow?

20 DR. OSTROW: Okay. Finding 4 deals  
21 specifically with the TRIGA reactor and we had also  
22 some questions about which TRIGA reactor was chosen  
23 in the aluminum or stainless steel cladding one and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we had some question about what fuel enrichment was  
2 chosen and a few other things.

3 The ORAU reactor modeling report  
4 provides all the information that we needed. It  
5 contains the information on TRIGA reactor cases  
6 that were lacking in the OTIB and it's consistent  
7 with literature.

8 We looked at the literature also on  
9 that, so we recommend that this finding be closed.

10 CHAIR MUNN: Any comment from anyone  
11 with respect to that recommendation? Agreed,  
12 Paul?

13 MEMBER ZIEMER: Yes, I agree with that.

14 CHAIR MUNN: Agreed, Josie?

15 MEMBER BEACH: Yes.

16 CHAIR MUNN: Steve will you please make  
17 the appropriate statement on the findings and close  
18 Finding 4? Thank you Steve Ostrow. Any other  
19 comments with respect to that portion of OTIB-54?

20 All right, thank you folks. That  
21 finding is now closed. That brings us to Finding  
22 5, the White Paper, that Bob Burns provided for us.  
23 Bob?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BURNS: This is Bob. No, I did not  
2 provide that White Paper.

3 CHAIR MUNN: Oh, you didn't.

4 MEMBER BEACH: Are you talking about  
5 the December 19th one?

6 CHAIR MUNN: I must be looking at the  
7 wrong thing then. All right, then who's going to  
8 do Finding 5 at NIOSH?

9 DR. NETON: This is Jim, I'm a little  
10 confused. Is this the White Paper that dealt with  
11 the usage of the different release fractions in the  
12 emergency --

13 CHAIR MUNN: I thought it was release  
14 fractions.

15 (Simultaneous speaking)

16 DR. NETON: Yes, Bob, you did write  
17 that White Paper.

18 MR. BURNS: Oh, okay. I didn't  
19 provide it and I wasn't prepared to discuss this.

20 DR. NETON: Well your name is on it.

21 (Simultaneous speaking)

22 MR. BURNS: Oh, understood. I --

23 CHAIR MUNN: Okay. Then --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BURNS: I'm at a bit of loss here.

2 CHAIR MUNN: Well let's read the  
3 finding.

4 DR. MAURO: Can I help out? This is  
5 John Mauro. I read through the paper. It was  
6 clear that an enormous amount of work went into it  
7 and I understood it and in effect and in brief I'm  
8 hoping that maybe we can go through this now and  
9 at least give you our perspective on it and how you  
10 want to deal with that.

11 You could decide, but in reviewing it  
12 it's clear that the issue had to deal with the --  
13 you will visualize workers handling a spent fuel  
14 and you wanted to estimate what might become  
15 airborne and become an inhalation concern.

16 And when you do that you have to assign  
17 that all of the, you know, the long list, the  
18 radionuclides to the fuel, and you have to  
19 determine the release fraction, what fraction of  
20 the activities in which of these isotopes become  
21 airborne.

22 And some of the radionuclides are a lot  
23 more volatile, like the iodines are probably the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 most volatile, cesium and ruthenium are a less  
2 volatile, and then just about everything else,  
3 argon, the lower volatility, and those release  
4 fractions will establish the mix.

5 If you recall everything is based on the  
6 mix, so you have an airborne mix now and the  
7 question that we raised is that a set of release  
8 fractions were selected in OTIB-54 to come up with  
9 that, that mix for release fractions, and they used  
10 a fairly simple approach.

11 I think they just had two categories.  
12 The iodines, which had a release fraction of  
13 something like 0.5, correct me if I'm wrong, I'm  
14 just doing this from memory now.

15 And everything else was given I believe  
16 it was 0.01, and that would be the high end of the,  
17 in fact that would be appropriate for  
18 semi-volatiles like cesium and ruthenium, but they  
19 used that for everything.

20 And the question I raise is since this  
21 is a relative number in the mix is it possible that  
22 by assigning everything else a 0.01 is that in fact  
23 claimant-favorable because in theory an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 alternative approach would've been to assign  
2 everything else, except for the cesium or  
3 ruthenium, something lower like 0.001?

4 In fact that's referred to as the DOE  
5 mix in the literature, but NIOSH elected to go with  
6 what they call the alternative mix, and the  
7 question was since everything is relative, you  
8 know, on its face value you would say well, we gave  
9 everything 0.01 which is more conservative, but the  
10 reality is since everything is, in terms of  
11 relative to each other, it's not apparent that in  
12 fact that's a claimant-favorable assumption. So  
13 that was the issue.

14 What NIOSH and ORAU did was do an  
15 immense amount of work in terms of well, let's see  
16 what happens if we were to use what we would call  
17 the DOE mix for a whole, I believe it was said of  
18 100 cases, and what would happen if we use the  
19 alternative mix, the alternative mix being the mix  
20 that OTIB-54 elected to use.

21 And they present an incredible series  
22 of tables, someone did an awful lot of work, to show  
23 the outcome in terms of under what circumstances

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is the DOE mix more claimant- favorable and under  
2 what circumstances the alternative mix, the one in  
3 OTIB-54, are more claimant-favorable, and the  
4 outcome was that it depends.

5 MR. BURNS: Right. That's exactly  
6 what I was going to say, the answer depends.

7 DR. MAURO: It depends. Now the only  
8 -- now of course we did not check any numbers. All  
9 we did was look at the presentation and I have to  
10 say, you know, we take those results on face value.

11 My only question is that there really  
12 is nothing in the report shows what you plan to do.  
13 What I mean by that is when you're dealing with a  
14 case and we know that depending on which release  
15 fractions you use could affect the outcome.

16 Is it your plan to continue to use the  
17 alternative mix, and that becomes your standard  
18 mix, or is it now your plan to use both and see which  
19 one is limiting?

20 Because the report itself is silent on  
21 that and we're wondering, you know, what your  
22 strategy is going to be.

23 MR. BURNS: Okay. And I can't speak to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that. I mean the purpose of that report was to  
2 provide that information to NIOSH and I guess by  
3 extension back to the Board and then just put it  
4 out there for discussion of, in response to your  
5 question, what are we going to do next, do we stick  
6 with the status quo or make some adjustment?

7 I don't think it was our intention to  
8 pick one or the other, rather just to present the  
9 information.

10 DR. MAURO: Yes. I could help out a  
11 little bit. In discussing it amongst ourselves we  
12 recognized that the DOE mix release fractions  
13 really were intended for use for accident  
14 conditions.

15 MR. BURNS: Right.

16 DR. MAURO: And if a case could be made  
17 that under non-accident conditions your  
18 alternative approach would be more appropriate,  
19 but I don't think it's self-evident that that's the  
20 case. In other words, I mean --

21 DR. NETON: John? Dr. Mauro?

22 DR. MAURO: Yes?

23 DR. NETON: I thought that when that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 case was made in the paper, and it was our intent  
2 to stick with our mix versus, you know, for exactly  
3 that reason that, you know, for the situations that  
4 we're modeling here the alternative mix, as you're  
5 calling it, is more realistic.

6 DR. MAURO: Okay. I have to say that  
7 in thinking back to the Paper when I read it last  
8 week I didn't recall seeing that statement made,  
9 that conclusion.

10 I know you mentioned that the DOE mix  
11 was intended to use for accidents and I understood  
12 that.

13 DR. NETON: Right.

14 DR. MAURO: But it wasn't apparent that  
15 you decided to stay with the alternative mix as  
16 being the approach and now the only concern I have  
17 is, now this is just what I would call a  
18 self-evident, you know, whether you're under  
19 accident conditions or not clearly there are  
20 substantial differences in the volatility of  
21 iodine versus ruthenium and cesium versus just  
22 about everything else whether you're under  
23 accident conditions or not.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So I guess I would say that I understand  
2           that the DOE mix was for accidents but it's not  
3           apparent to me why it would not also apply to when  
4           you're just handling the material.

5           DR. H. BEHLING: This is Hans Behling.  
6           Can I just give a quick update as to which the  
7           differences -- under what conditions would these  
8           radionuclides be released and exposed to  
9           individuals, are we talking about spent fuel in a  
10          hot cell?

11          DR. MAURO: It is -- the whole OTIB --  
12          Well I'll tell you my perspective. The OTIB is  
13          intended to be used to reconstruct doses to workers  
14          who are exposed, who are associated with basically  
15          reactors and spent fuel and it covers a broad range  
16          of circumstances where people might be exposed to  
17          airborne fission products that are associated with  
18          spent fuel and the only information you have for  
19          that worker is a gross beta gamma analysis of urine.

20          So I guess to answer your question is  
21          the OTIB, in my understanding, has broad  
22          applications. There could be many circumstances  
23          under which it could be used, everything ranging

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from a person working at a reactor to perhaps a  
2 person working in a glove box with fuel.

3 That's my understanding and so it's  
4 within that context that I raise the question  
5 regarding the release fractions.

6 DR. H. BEHLING: Well wouldn't the  
7 issue here really be one, when you talk about an  
8 accident you're talking about an operating power  
9 plant or a reactor that has a fresh inventory of  
10 most of the short-lived radioiodines that wouldn't  
11 exist in spent fuel.

12 MR. BURNS: All right. Well there's  
13 guidance in the OTIB for --

14 DR. MAURO: Age.

15 MR. BURNS: -- age and also for whether  
16 or not iodine should or should not be included in  
17 a particular case.

18 DR. MAURO: Yes. Yes, so we don't have  
19 an issue with that. You do address that issue.  
20 It's more along, it's just looking for a rationale  
21 that fundamentally there are these differences in  
22 the volatility, potential volatility for these I  
23 would say three classes of radionuclides.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We'll say the volatiles being the  
2           iodines and, of course, the mix that's in the fuel  
3           itself takes into consideration, you know, what  
4           type of fuel you're working with, what age, or burn  
5           up, I mean all of that's all built into the first  
6           half of our conversation. We're really now where  
7           we talked about the fuel itself.

8           Now on the back end we'll say okay,  
9           somehow a person is going to be exposed to airborne,  
10          or radioactivity associated, somehow related to  
11          what's in that fuel, and the release fraction, of  
12          course, has an effect as you clearly pointed out  
13          and I don't remember the conditions under which the  
14          DOE mix as opposed to the alternative mix are  
15          limiting, and there were some big differences.

16                           (Simultaneous speaking)

17           MR. BURNS: Well really it depends on  
18           what you're gamma counting or beta counting because  
19           the choice of the release fraction has such a big  
20           effect, order of magnitude effect on the  
21           cesium-strontium ratio for uranium fuel.

22           DR. MAURO: Yes.

23           DR. H. BEHLING: But isn't one of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 major factors that would differ from an accident  
2 scenario of DOE model the barriers we're talking  
3 about?

4 When you have a reactor that is  
5 operational and then you have an accident you have  
6 moveable barriers that may not exist, including,  
7 obviously, the water, the reactor vessel, the  
8 various filtration system that would remove some  
9 of the stuff before it would in essence expose  
10 individuals in an operating reactor that has  
11 undergone a transient or an accident.

12 These barriers wouldn't exist so it  
13 would clearly change the release fractions, the  
14 absence of water, many of these materials are  
15 soluble in water, obviously there are barriers  
16 involving the reactor vessel, the containment  
17 vessel where people general speaking are not there  
18 during a reactor operation.

19 All those things will impact what the  
20 release fractions are that people are exposed to.

21 MR. BURNS: Right. Yes, I don't think  
22 the DOE standard necessarily covered or was limited  
23 to reactor accidents. As I recall it's the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DOE-1027 standard that we utilized and that  
2 pertains to, I don't have it in front of me, but  
3 I think it pertains to Category II nuclear  
4 facilities, so not necessarily reactors.

5           Regardless, I don't think it takes  
6 credit for water and filters and such.

7           CHAIR MUNN: Does that help, Hans?

8           DR. H. BEHLING: Yes. You know, I'm  
9 used to dealing with these reactor issues coming  
10 from the nuclear power area and our concern was  
11 always what are the release fractions in the  
12 conditions such as the Three Mile Island accident,  
13 and they're quite different from what I believe you  
14 may be looking at here in this White Paper.

15           MR. BURNS: Yes, I think that's  
16 accurate. As I'm sure you know there's a whole  
17 series of NUREG reports that address release  
18 fractions for reactor accidents that don't  
19 necessarily look the same as the DOE-1027 standard.

20           But our rationale for setting the  
21 semi-volatile and particulate release fractions  
22 the same was basically we wanted to account for  
23 accumulation of routine contamination in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 workplace and I just didn't want a situation where  
2 there was an order of magnitude difference between  
3 the cesium and strontium on the source terms,  
4 because that's just not indicative of what you see  
5 in irradiated fuel.

6 DR. H. BEHLING: Yes, I agree with what  
7 you just said.

8 CHAIR MUNN: So you're okay with what  
9 we have here, Hans?

10 DR. H. BEHLING: Yes, I am.

11 DR. MAURO: Yes, Hans raised a broader  
12 question. Mine was really a narrow one namely just  
13 on when you do, and it sounds like after you've  
14 performed your analysis, which you couldn't have  
15 done more, you have shown that it depends which one  
16 is limiting and I guess when I read it I don't recall  
17 that you did come to a conclusion, but if you have  
18 that is to stay with the alternative release  
19 fractions.

20 You know, I guess it's not apparent to  
21 me, you know, why that in fact will be always  
22 claimant-favorable or appropriately -- well it's  
23 reasonably claimant-favorable for most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           circumstances that you may want to account for. I  
2           mean that's where it left me.

3                       I only bring this up because I read it  
4           and these were my impressions and I thought I'd just  
5           pass them on to see if perhaps we could even close  
6           this based on the discussions we're having right  
7           now.

8                       CHAIR MUNN: That was going to be my  
9           suggestion.

10                      DR. MAURO: But I have to say I haven't  
11           yet heard a good rationale for why staying with the  
12           alternative, you know, is in fact the appropriate  
13           approach, you know.

14                      Not that there has to be great insight  
15           into it except a very simple assumption that yes  
16           there really are at least three different  
17           categories of volatility as opposed to just two,  
18           and notwithstanding whether you're talking the  
19           normal handling of this material or accidents.

20                      And so it's not apparent to me that,  
21           that resorting just to the alternative approach,  
22           the OTIB-54 approach, is in fact the appropriate  
23           way to go.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: NIOSH, any thoughts?

2 DR. NETON: Yes. I think this is a  
3 case where we're probably never going to be 100  
4 percent certain that it's always going to be  
5 bounding but at some point you have to make a  
6 decision.

7 I have to take a look into running this  
8 both ways and it just --

9 DR. MAURO: It's too big, yeah.

10 Now, I have to say, I understand the  
11 dilemma and I understand that there are times when  
12 reasonable compromise has to be done just for the  
13 sake of expediency and not to find yourself in a  
14 situation where it's just impossible to run all  
15 these alternative cases.

16 And a little bit more of why -- and in  
17 fact, in looking over your tables, I think that  
18 maybe the answer does lie somewhere embedded in  
19 those series of tables from the 100 cases that were  
20 reviewed. And I didn't spend a lot of time sort  
21 of dissecting it, but I think embedded in those  
22 tables might be a rationale why the weight of  
23 evidence is such that staying with the alternative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 approach is appropriate.

2 But I didn't go that far and maybe, you  
3 know, just looking at those, because I think you  
4 did all the homework and now it's just a matter of  
5 sort of teasing out the data to say to yourself,  
6 well, listen, we really can't, from a practical  
7 perspective, you know, run these variety of cases,  
8 it would be just overwhelming.

9 And if it could be shown that the  
10 current release fractions seem to be reasonable,  
11 applicable, across the board in its current form,  
12 I don't know, that would be helpful.

13 MR. MARSCHKE: John, this is Steve  
14 Marschke. Can I make a suggestion?

15 DR. MAURO: Sure.

16 MR. MARSCHKE: We know Jim Neton, or  
17 Jim has pointed out what he plans to do with it,  
18 and basically he planned on using what he had been  
19 using. And I guess, based upon that knowledge, I  
20 mean, can SC&A go back and look at those tables and  
21 see whether or not those tables support, you know,  
22 the continued use of the current approach, I guess  
23 it is?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: I'm almost embarrassed to  
2 say this, but I was overwhelmed by the amount of  
3 analysis that was done there. The sophistication  
4 of the analysis --

5 CHAIR MUNN: That was pretty  
6 spectacular.

7 DR. MAURO: It was truly spectacular.  
8 And I almost feel inadequate to be the one to go  
9 in there and try to do that. I think the people  
10 who authored that, who have great insight obviously  
11 into this analysis and the different 100 cases they  
12 looked at, and I guess, you know, it would be, I  
13 would think, a lot easier for them to sort of sniff  
14 it out.

15 I know that I have to say I don't feel  
16 as if I have the qualifications to make those  
17 judgments.

18 DR. NETON: John, this is Jim. I tend  
19 to agree with you. I think that maybe we didn't  
20 quite go far enough here and it really is -- the  
21 tables, the document does show that it depends, and  
22 I think more than usual, it seems like it's in our  
23 favor.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: I agree with that. That  
2 what it -- it sort of read that way to me, too, but  
3 I was afraid to say it.

4 DR. NETON: I think it may end up  
5 becoming sort of a small weight-of-the-evidence  
6 type argument, as you suggested, where we talk  
7 about the more reasonableness of the source term  
8 release fractions that we've used and go through  
9 the examples.

10 And I would agree, the paper itself  
11 doesn't come to a point of conclusion, at least,  
12 you know, a summary that says here's what we're  
13 doing and why. So I think maybe we'll take it upon  
14 ourselves and go back and maybe fine-tune that  
15 argument a little bit.

16 CHAIR MUNN: If it's possible to  
17 provide a couple of paragraphs summarizing the  
18 rationale, it would probably be --

19 DR. NETON: Wanda, that sounds -- we'll  
20 take a shot at it.

21 CHAIR MUNN: Okay.

22 MEMBER ZIEMER: Well, let me make an  
23 additional comment, Wanda, if I may. This is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       Ziemer again. It seems to me that you could always  
2       find a case where this wouldn't be bounding, but  
3       in the predominance of cases you're going to be  
4       fine.

5                   I think that's what's going to be -- and  
6       in fact you said something to that effect, someone,  
7       I think, I was trying to locate it here, but I didn't  
8       find it. But there may be some case where this  
9       wasn't bounding, but it would be a rare case, the  
10      way you've approached this, I would think.

11                  CHAIR MUNN: Thank you, Paul. I will  
12      carry Finding 5 with a notation that we expect a  
13      summary of the rationale to be forthcoming from  
14      NIOSH and we'll have that on our agenda next time.

15                  Any other comment with respect to this  
16      White Paper and our discussion? I guess not.  
17      Thank you, Bob, for an illuminating document.  
18      We'll move on to OTIB-82.

19                  DR. OSTROW: Excuse me, Wanda, it's  
20      Steve Ostrow.

21                  CHAIR MUNN: Yes, Steve?

22                  DR. OSTROW: Before we get off of  
23      OTIB-54, I see yesterday NIOSH posted on the BRS

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something about Finding Number 9 that we had, which  
2 is in abeyance. This is the one that has to do with  
3 the actual tool that NIOSH/ORAU uses to implement  
4 the OTIB-54 procedure.

5 CHAIR MUNN: Yeah, the workbook.

6 DR. OSTROW: Yeah, the workbook. And  
7 we had comments in the past that their workbook  
8 didn't match the version of the OTIB, or there was  
9 a mismatch. From what I read in the posting  
10 yesterday, it says, "a new tool, Version 1.5.10,  
11 has been published."

12 We've been checking their workbooks in  
13 the past. Ron Buchanan has been doing that for us.  
14 We recommend that the Procedures Group, you know,  
15 direct SC&A to go take a look at the new version  
16 of the workbook and see if it matches the OTIB.

17 MR. SIEBERT: This is Scott Siebert.  
18 Steve, I'm glad you mentioned that because I was  
19 just about to jump in and point out the exact same  
20 thing before we moved on.

21 Yes, this new tool now implements the  
22 air monitor and workplace monitoring portion of the  
23 OTIB that previously had not been in the tool

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 because we just did not have call for it.

2 DR. OSTROW: Right.

3 MR. SIEBERT: Right. And now what  
4 you'll be able to do is you'll use this to validate  
5 the Example 3 numbers that do have that workplace  
6 monitoring information in it.

7 So, yeah, it's ready for you to do so,  
8 if the Subcommittee asks you to do.

9 DR. OSTROW: Okay, thanks, Scott.

10 CHAIR MUNN: Seems to be a rational  
11 follow-up, from my perspective. Paul?

12 MEMBER ZIEMER: Right. I think we  
13 just note that this says that the new tool has been  
14 published so it makes sense to have them take a look  
15 at that.

16 CHAIR MUNN: Josie?

17 MEMBER BEACH: Yeah, I agree with that  
18 also.

19 CHAIR MUNN: Yeah. Thank you, Scott.  
20 Yeah, this Subcommittee requests SC&A, directs  
21 them, to take a look at that workbook and see that  
22 those tools meet the concerns that they expressed  
23 in Finding 9.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. OSTROW: Okay, thank you, Wanda.

2 CHAIR MUNN: You bet. Thank you. Any  
3 other comments about OTIB-54? And thank you both  
4 for pointing that out.

5 If not, we'll move on to OTIB-82 review.  
6 SC&A?

7 DR. H. BEHLING: Okay, that's mine.  
8 This is Hans Behling. OTIB-82 is the guidance  
9 document for the dose reconstruction method for  
10 chronic lymphocytic leukemia. And that document  
11 was issued on December 20<sup>th</sup>, 2012. And just a quick  
12 overview as to what this really entails.

13 Regarding chronic lymphocytic  
14 leukemia, it's important to understand that it's  
15 the most frequent form of leukemia in the Western  
16 countries, and accounts for, approximately, based  
17 on recent NCI data, about 30 percent of all  
18 leukemias are CLL leukemias. And for the most  
19 current year that I've looked at, 2014, the  
20 American Cancer Society estimates about almost  
21 16,000 new cases of CLL among the 52,380 cases of  
22 all leukemias.

23 As a result, NIOSH has estimated that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on the basis of the current claims that we have been  
2 receiving -- and where CLL has been diagnosed that  
3 were part of the claim filed, but in the past were  
4 rejected because of the fact that CLL was  
5 previously not considered radiogenic cancer -- we  
6 do expect to get 363 CLL cases that will now be  
7 available for a dose reconstruction.

8 The Department of Health and Human  
9 Services, back in March of 2011, made the decision  
10 to consider the CLL cancer as a radiogenic cancer.  
11 And the final rule came out in 2012 that now regards  
12 CLL as a potentially radiation-induced cancer.

13 So, having said all of that, it was  
14 obviously the intent of NIOSH to provide a model  
15 which would allow us to assess what are the  
16 radiation doses associated with CLL.

17 And as just an overview statement, it  
18 is probably one of the most complex dose  
19 reconstructions among all the cancers that we have  
20 had to deal with in the past.

21 In response to this issue for devising  
22 a model, the people at SENES were asked to construct  
23 this model. And their model was defined in a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 report entitled, "Review, Synthesis, and  
2 Application of Information on the Human  
3 Lymphocytic System to Radiation Dosimetry for  
4 Chronic Lymphocytic Leukemia." And that particular  
5 64-page document was issued back in March 2012.

6 And it is on that basis of that  
7 technical document that SENES wrote that NIOSH  
8 developed ORAUT-OTIB-82, which is defined in terms  
9 of dose reconstruction model for CLL dose  
10 reconstruction. And, as I've said, this was  
11 issued in December of 2012.

12 Just as an overview, when SC&A reviews  
13 an OTIB document such as this, we usually have a  
14 protocol that we had established back in 2009. And  
15 in that protocol for our review of OTIBs, we have  
16 a total of seven defined objectives that I won't  
17 go through, but each of these objectives looks at  
18 the various components of our review system.

19 However, for reviewing ORAUT-OTIB-82,  
20 we were not asked to do a standard review or audit  
21 process, and this was due to the fact that it was  
22 considered that this particular CLL model, the  
23 technical basis for this model as was developed by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1        SENES, had been adequately peer reviewed and  
2        therefore the core of this model was something that  
3        we did not address in our review.

4                In essence, then, we were asked to  
5        really review the application of OTIB-82 and the  
6        methodology used to reconstruct doses based on this  
7        very complex CLL model.

8                Just as an overview -- I assume everyone  
9        has read both the OTIB-82 as far as the SENES  
10       report. And if you have, it's clear that this is  
11       a very, very complex model to work with. And the  
12       fact that the precursor cell, as we know it in terms  
13       of our current status of knowledge regarding CLL,  
14       it's likely to be a B lymphocyte -- the "B" stands  
15       for bone marrow-derived lymphocyte.

16               And these cells, once they leave the  
17       bone marrow, become very extensively disseminated  
18       in various tissues of the body, not the least of  
19       which is the lymphatic system which represents well  
20       over several hundreds of lymph nodes in the body.  
21       But in addition to that, there is numerous other  
22       tissues where these precursor cells to chronic  
23       lymphocytic leukemia are resident.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           And so these locations, not only are  
2 they very diffuse and throughout the body, but they  
3 tend to change with time and over a period of life  
4 span and under conditions of health, so it's quite  
5 a difficult model to assess.

6           What I was hoping to do, with regard to  
7 just quickly brief the people for looking at this  
8 issue here, Steve, if you can go to Page 10 of my  
9 report, you will see Exhibit 1. And here you have  
10 basically the crux of this whole dose  
11 reconstruction model.

12           Obviously, we need to assess exposure  
13 doses from principally three sources, that is  
14 occupation; medical exposures, such as from  
15 X-rays, and that is in column number two, and there  
16 you see all of the different tissues that have to  
17 be looked at for the reconstruction dose, and many  
18 of these tissues will obviously be affected by how  
19 close are they to the primary field of an X-ray,  
20 et cetera, and so forth.

21           And so you can go down the list here and  
22 see all of the tissues that have to be looked at  
23 with regard to reconstructing, even with the simple

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 annual PA X-ray. Generally speaking, one would  
2 assume that the dose contribution from  
3 occupational medical exposures is relatively  
4 minimal. The most important one is the second one;  
5 that is internal dose organ. And, again, here you  
6 have a total of 28 different tissues that have to  
7 be looked at with regard to assessing a dose to each  
8 and every one of them.

9 And one of the things that we will  
10 probably not point out today, but when we actually  
11 go through some of the audits of CLL cancers that  
12 we've been asked to do under the 21st set that we  
13 are in the process of completing, we will talk about  
14 how significant the contribution of internal  
15 doses, based on this table here that we're looking  
16 at. And it's not an equal distribution by any  
17 means, and it's the most important one.

18 Among the most important issues here  
19 that we talk at some later point in time is the  
20 contribution of internal exposures involved in  
21 alpha-emitting radionuclides that have very, very  
22 low solubility that are deposited in the lung and  
23 then removed from the lung by various means,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 phagocytic cells that contribute mostly to dose  
2 exposures to lymph nodes, regional lymph nodes.

3 And so one of the hallmarks for this  
4 dose reconstruction, when you look at the  
5 distribution of doses for all these tissues, is  
6 that it is going to vary significantly,  
7 specifically for the internal exposure.

8 For X-ray doses and external dose from  
9 other sources, the distribution of radiation dose  
10 to the different organs that harbor the lymphocyte  
11 will be more or less constant. The only  
12 differences being based on the tissue depths and  
13 the attenuation that the doses will vary among the  
14 various organs, as you see in the third part there,  
15 there's external dose.

16 So one of the things we won't talk about  
17 today are the differences in terms of the  
18 importance with their contributions for the three  
19 sets.

20 Again, so let me go now to the next area  
21 where we are at least going to discuss the  
22 methodology that NIOSH developed in assessing the  
23 various exposures from internal, occupational

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 medical, and external.

2 If you go to Page 11, Steve, you will  
3 see an overview of what we had to do in terms of  
4 complying with the request to assess OTIB-82. As  
5 I had mentioned, the complexity of deriving  
6 weighted organ tissues was developed in the CLL  
7 Simulator Tool that NIOSH specifically developed  
8 in response to this particular SENES-derived CLL  
9 model.

10 And in the second paragraph, you can  
11 just read along with me, "The complexity of the  
12 Simulator Tool which allows the Integrated Modules  
13 for Bioassay Analysis, and the Chronic Annual Dose,  
14 that is the CAD Workbook files, to be imported for  
15 calculating internal dose to all CLL organs  
16 simultaneously."

17 What this really does, in essence, it  
18 doesn't force the dose reconstructor to do 28  
19 different tissues independently. And so a workbook  
20 has been developed that does this whole process  
21 automatically without the need for this excessive  
22 work effort that would normally have to be done by  
23 the dose reconstructor.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The other tools that were modified on  
2           behalf of the CLL tool were issues such as, or  
3           documents such as OTIB-18, the Internal Dose  
4           Overestimates for Facilities with Air Sampling  
5           Programs; ORAUT-OTIB-54, the Fission and  
6           Activation product Assignment for Internal  
7           Dose-Related Gross Beta and Gross Gamma Analysis.  
8           Thirdly, ORAUT-OTIB-49. And, again, I'll just  
9           quickly go over the OTIB-11.

10           In the process of reviewing this, SC&A  
11           was provided some training in running the CLL  
12           Simulator Tool. And in response to that training,  
13           we generated both IMBA and CAD files for all the  
14           CLL claim-imported files in the CLL Simulator Tool  
15           and evaluated the internal doses generated by the  
16           tool.

17           We were able to do all those things,  
18           and, as you see at the bottom, SC&A's evaluation  
19           of internal dose tools and technical guidance, we  
20           found no findings, but identified one minor  
21           observation. And that observation is at the  
22           bottom of the page there and it's just strictly an  
23           issue here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The OTIB-82 states that although the  
2           CLL tool for CLL internal dose is mentioned,  
3           details of its use are not included here. These  
4           are addressed in a tool user's guide. When we  
5           tried to find that tool user's guide we were not  
6           able to, and we still assume that up to this point  
7           in time it does not exist. And I think what we were  
8           told, in direct dialogue with NIOSH, it is really  
9           in essence not a user's guide but a training program  
10          that has been established for all dose  
11          reconstructors who are being asked to do dose  
12          reconstruction. So that's the only criticism we  
13          have, this one observation.

14                 In review of the external dose -- that's  
15          on the next page, Steve, on Page 12 -- we once again  
16          looked at what had been done to expedite this whole  
17          process. And one of the issues that you have to  
18          do in assessing external exposure is to develop a  
19          blended DCF.

20                 In other words, this blended DCF is  
21          really a combination of all the different organs  
22          that will be affected from external exposures.  
23          And they have to be, obviously, weighted by virtue

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the number of B lymphocytes that are expected  
2 to be there as a fraction of the total.

3 And in the process, as you see in that  
4 first equation, we developed a CLL DCF that's a  
5 blended value. And not to go into it in detail,  
6 but that was expressly detailed in the DCAS-RPT-004  
7 document. And just for the convenience of the  
8 reader, we have those numbers in Table 1, which is  
9 on Page 13.

10 And as you can see, this is a very  
11 complex table. It identifies the DCFs for a host  
12 of different photon energies, as you see up top  
13 here: 20 keV, greater than 30 keV, less than 30 keV,  
14 and so forth and so forth.

15 You have different exposure  
16 geometries, such as AP. As you go down on this,  
17 you have ISO, you have glove box configurations,  
18 and you also have, on the next page, on Page 14,  
19 the dose conversion factors for neutrons, standard  
20 neutrons, glove box neutrons, and electrons.

21 And, again, I won't go through details.  
22 We looked at all of those things and came to the  
23 conclusion that we agreed with everything that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 done, including the various types of  
2 distributions, the physical distributions, that  
3 are defined in each of those, whether it's Weibull  
4 3 or log-normal 2, or normal distribution.

5 So, again, when we reviewed all these  
6 documents, we had no findings that we could point  
7 to. And that takes us to the third area, and that  
8 is the medical X-ray dose to compartments comprised  
9 in CLL models.

10 And, again, not to elaborate too much,  
11 but we realized again here, when you have a given  
12 X-ray, whether it's a conventional chest X-ray or  
13 otherwise, the exposures to these different organs  
14 were varied based on body locations and how close  
15 they are to the actual primary field for that X-ray.

16 And those numbers and exposures for  
17 those organs were calculated in a document that was  
18 defined in ORAUT-RPRT-64, and we looked at those  
19 again and we have no findings with regard to the  
20 CLL risk model that defines doses for occupational  
21 medical X-ray.

22 So there is no need to elaborate since  
23 there are really no findings other than the single

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 observation. SC&A's assessment of ORAUT-OTIB-82  
2 was limited based on a limited scope because of the  
3 fact that we were asked not to look at the actual  
4 technical background information document that  
5 defines the model itself as produced by SENES.

6 And we are going to be talking more  
7 about this CLL model, to some extent, within the  
8 scope of the audit of dose reconstruction program  
9 when we actually present the four CLL cases that  
10 to-date we have looked at in behalf of the 21st set  
11 of audits, dose reconstruction audits. And we  
12 will have a few comments at that time, hopefully,  
13 that we can at least make oblique reference to some  
14 of the issues that we were not able to discuss here.

15 CHAIR MUNN: Thank you, Hans, for your,  
16 as usual, detailed and thorough report. Much  
17 appreciated.

18 DR. H. BEHLING: Thank you.

19 CHAIR MUNN: Comments? Hearing no  
20 comments, and there being no findings --

21 MEMBER ZIEMER: Wanda, just a question  
22 on that user's guide. Maybe NIOSH can comment, is  
23 there a plan to actually have such a thing, or is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       it already taken care of in the existing  
2       methodology?

3                   MR. HINNEFELD:   I think we may need to  
4       defer to ORAU on that and their view of the utility  
5       of procuring a user's guide or where we stand, you  
6       know, compared to where we stand today.

7                   MR.    SIEBERT:       This    is    Scott.  
8       Basically, and I'm glad that SC&A pointed that out,  
9       because I did not recall that being in OTIB-82 when  
10      we wrote that portion, because we were writing the  
11      OTIB at the same time we were developing the tool.

12                   And they are correct, at this moment  
13      there is not a user's guide.  However, we've done  
14      training with all of the dose reconstructors as in  
15      how to apply and use that tool.  So I'd have to go  
16      do some more discussion, but I'm not aware of the  
17      plan to create an additional guidance document for  
18      that at this time.

19                   MEMBER    ZIEMER:       So   it   would   be  
20      appropriate just to modify the wording so that it's  
21      not misleading.  In other words, you are training  
22      the folks on how to do it but they don't have a  
23      specific user guide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. SIEBERT: Correct, at this point.  
2                   Now, if NIOSH decides to tell us to do it one way  
3                   or the other that's what we want to do, but that's  
4                   where we are right now.

5                   CHAIR MUNN: It seems to me that we need  
6                   to carry this just one more time to give NIOSH and  
7                   ORAU an opportunity to discuss which is going to  
8                   happen, whether the document is going to just be  
9                   changed to remove that reference, or assure us that  
10                  there is not a real need for the guide. Any other  
11                  considerations?

12                  MR. KATZ: This is Ted. Just clarity  
13                  from the Subcommittee. I mean, so, SC&A has  
14                  recommended no findings for these matters. So the  
15                  Subcommittee just needs to speak to that, whether  
16                  those are closed or not.

17                  CHAIR MUNN: Correct. They are  
18                  indeed, they should be closed on the BRS.

19                  MR. KATZ: Okay.

20                  MR. MARSCHKE: So, right now, the BRS  
21                  -- this is Steve Marschke. I'm sorry, Wanda.  
22                  Sorry to interrupt.

23                  CHAIR MUNN: Mm-hmm.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. MARSCHKE:     Right now the BRS  
2     doesn't have any entries for the approval for  
3     OTIB-82.  We could add a finding of no findings if  
4     we want to have this minor observation just kind  
5     of taken care of on the sideline, or we can add the  
6     minor observation as a finding.

7                   MR. KATZ:     So, Steve, I mean, we do, and  
8     we've done it elsewhere, we do have a finding of  
9     no finding.  I mean, that is a finding.

10                  CHAIR MUNN:    Correct.

11                  MR. MARSCHKE:  Yeah, I know --

12                  MR. KATZ:     Ironic as that sounds, but  
13     --

14                  MR. MARSCHKE:  We can add that as a  
15     finding of no finding, if that's what the  
16     Subcommittee directs me to.

17                  CHAIR MUNN:    That's appropriate and  
18     that would be my direction.  Paul, Josie, any  
19     negative reaction to that?

20                  MEMBER BEACH:  None here, Wanda.

21                  MEMBER ZIEMER:  Yeah, that's fine with  
22     me.  I don't know if the Subcommittee actually has  
23     to do any follow-up on it, unless you just want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be sure that there's some proper wording there.

2 CHAIR MUNN: It seems appropriate for  
3 us to assure that the minor concern that exists was  
4 put to bed one way or another. So I would prefer  
5 to carry it just to make sure that it has been looked  
6 at and we --

7 MEMBER ZIEMER: Based on how NIOSH and  
8 ORAU want to handle it, right?

9 CHAIR MUNN: Yeah, right, exactly.  
10 Just a question of what we're going to do and having  
11 a notation in our record that that's what's going  
12 to happen. We'll carry that very small item for  
13 our next time and we'll do a finding of no findings.

14 And we will, while Steve's doing that,  
15 we will move on to PER-42. We have --

16 MR. KATZ: I'm sorry, Wanda, to  
17 interrupt again, but just so that the record's  
18 right for Steve. So there are really three  
19 potential findings and they are all findings of no  
20 findings, not just one.

21 MR. MARSCHKE: So you want me to enter  
22 three findings of no findings?

23 MR. KATZ: For the X-rays, internal,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and external, right, those are the three areas that  
2 Hans covered where there were potential findings.

3 MR. HINNEFELD: Ted, this is Stu, just  
4 because he broke the report into three areas and  
5 discussed each area separately, I mean, and there  
6 are no findings in the entire report, I don't see  
7 any particular reason to list those three areas.

8 You know, typically when there's a  
9 review with no findings, the BRS carries a single  
10 entry, a single no findings entry, regardless of  
11 how many sections of the report there were.

12 DR. H. BEHLING: Yeah, I agree with  
13 you. In fact, in my observation, I said a way to  
14 correct it is simply delete that statement from the  
15 OTIB. It's a simple fix, as far as I'm concerned.

16 CHAIR MUNN: Right.

17 DR. H. BEHLING: The dose  
18 reconstructors are getting training and that is in  
19 lieu of this particular CLL tool user guide. So  
20 they've gotten their training, and as far as I'm  
21 concerned, the only thing that needs to be done is  
22 delete it from the text itself, that statement.

23 CHAIR MUNN: Okay. Do we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 agreement from NIOSH that that will occur? I was  
2 postponing that decision for them.

3 MR. HINNEFELD: I would suspect that  
4 would be our response, yeah. It just occurs to me  
5 that if SC&A is going to be reviewing the CLL dose  
6 reconstructions, does it fall upon us then to  
7 provide the training to them, the SC&A person that  
8 are going to review those DRs, so they will  
9 understand the use of the tool?

10 CHAIR MUNN: Right. So my only  
11 question here, Stu, is are we deciding now that the  
12 wording will be changed in the OTIB?

13 MR. HINNEFELD: Yes, sure, I'll decide  
14 that now.

15 CHAIR MUNN: Okay, very good.

16 MR. HINNEFELD: Yeah, let's have ORAU  
17 remove that phrase or that sentence from the OTIB.

18 CHAIR MUNN: Very good. Then what we  
19 are going to say here is that we have a finding of  
20 no findings and that the one concern with regard  
21 to wording will be changed to remove the reference  
22 to a guide.

23 MR. HINNEFELD: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Excuse me, this is  
2 Kathy Behling. In reviewing some of the CLL cases  
3 that we've had so far, we've also taken notice that  
4 there is a guide that has been put into at least  
5 some of, at least I think most of these CLL claims  
6 that we've looked at. I think Liz Brackett has put  
7 together some guidance or instructions that we have  
8 been seeing in the claim files, so I think you can  
9 feel comfortable in taking that wording out of the  
10 OTIB.

11 CHAIR MUNN: Good.

12 DR. H. BEHLING: And I do want to say  
13 something in anticipation of future reviews of our  
14 CLL dose reconstructions. There was a document  
15 that Liz Brackett put out, which is a very, very  
16 informative one, and also gives me reasons to  
17 question whether or not there should be additional  
18 ones.

19 I think the CLL dose reconstruction  
20 process is a very, very tedious, very complex, and  
21 a very time-consuming dose reconstruction  
22 protocol. And I think it lends itself very, very  
23 well to certain changes that could potentially give

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 a heads up and says if you have certain things that  
2 you are looking at on behalf of a claimant, you can  
3 almost exclude the chance of doing anything other  
4 than a very, very abbreviated dose reconstruction,  
5 because you know up-front there is not a chance that  
6 this person will ever receive a PoC that approaches  
7 50 percent.

8 And I say that perhaps prematurely  
9 here, but in having reviewed this, we do know, and  
10 I alluded to this briefly, first of all, CLL as a  
11 cancer has been historically regarded as a  
12 non-radiogenic cancer, meaning that many of the  
13 epidemiologic studies that have been done to-date,  
14 including the prominent ones, such as the A-Bomb  
15 survivor studies where the principle exposure was  
16 external and reached doses that were  
17 approximately, you know, lethal doses, or  
18 approaching lethal doses, and still there was  
19 little evidence that CLL was a potential radiogenic  
20 cancer.

21 And that's understandable when you  
22 realize that perhaps the major contributor is  
23 really from internal exposures, and not only from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 internal exposures but internal exposures  
2 involving an alpha-emitting radionuclide that is  
3 very, very insoluble.

4 And in our dose reconstruction audits,  
5 we will actually demonstrate what are the critical  
6 tissues that will give rise to a sufficiently high  
7 dose that will then promote a PoC value that  
8 approaches 50 percent. And there's plenty of  
9 opportunity to do both a minimal or a maximized.  
10 Minimized meaning the focus is strictly on the  
11 internal exposures if the exposure involves  
12 exposure to uranium, thorium, or plutonium as an  
13 insoluble alpha-emitting radionuclides.

14 And so there's plenty of options, I  
15 think, to develop a very, very quick and dirty  
16 method by which these CLL cases can be screened  
17 without going through a lot of work.

18 What Liz Brackett did and showed, and  
19 I often questioned, because the wording was there  
20 to do, whether it's plutonium, uranium, either as  
21 M or S or Super S for plutonium, when you know very  
22 well it's going to be Super S if it's plutonium,  
23 that'll give you the highest CLL dose.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           There's no sense doing S or M because  
2           you know up-front it's not going to give you the  
3           higher value. So those are the kind of things that  
4           I think can be done to expedite the dose  
5           reconstruction of CLL cases, both minimized and  
6           maximized potentials.

7           CHAIR MUNN: Thank you, Hans. Okay.  
8           Steve, are we okay? I was looking away from the  
9           screen and I didn't see what you wrote.

10          MR. MARSCHKE: I just -- well, we're  
11          okay now. I just screwed it up a little bit.

12          CHAIR MUNN: Okay.

13          MR. MARSCHKE: But it's in there and  
14          it's closed, and you can see what the thing is and  
15          it's closed.

16          CHAIR MUNN: Very good, all right.  
17          Thank you very much.

18          We'll move on to PER-42, Finding 1,  
19          record closure. NIOSH, who's going to --

20          DR. NETON: Excuse me, I was on mute.  
21          This is Jim. I think I'll handle this one since  
22          I wrote the response.

23          CHAIR MUNN: All right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: If you recall -- could you  
2 scroll up a little bit, Steve, so we can see a little  
3 more of the response?

4 MR. MARSCHKE: Which, what is --

5 DR. NETON: I actually --

6 MR. MARSCHKE: Okay, yeah.

7 CHAIR MUNN: To PER-42, Finding 1.

8 DR. NETON: There should be a response  
9 in there somewhere.

10 MR. MARSCHKE: There is.

11 DR. NETON: Yeah, there it is. This  
12 came about at the last Subcommittee meeting when  
13 SC&A questioned the logic behind not, essentially,  
14 reconstructing doses for non-presumptive cancers,  
15 particularly in the residual contamination period,  
16 what appear to be the residual contamination period  
17 at Linde.

18 There was an operational period, then  
19 the residual contamination period extended, but  
20 part of that residual contamination period was  
21 added to the SEC, not because it was in a residual  
22 period but because there was additional work going  
23 on during that time period that kicked up a lot of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dust, so to speak, and limited our ability to do  
2 -- prohibited our ability to do dose  
3 reconstructions in that time period. And SC&A's  
4 finding was that, well, you got a residual model  
5 and it spanned that area so the exposure is at least  
6 X, some small fraction of that.

7 And I think we discussed it and we all  
8 agreed that that wouldn't be appropriate and NIOSH  
9 was tasked with just essentially writing up what  
10 we discussed. And that's what is represented  
11 here.

12 I don't know if I have to read the whole  
13 thing, but it talks about exactly that, that this  
14 was an infeasibility during the sort of beginning  
15 of the residual contamination period, it was added,  
16 and in accordance with the SEC regulation, NIOSH  
17 cannot -- we determined that we can't estimate the  
18 maximum radiation dose for every type of cancer  
19 with radiation doses are reconstructed. It  
20 could've been incurred in plausible circumstances  
21 by any member of class. This has been interpreted,  
22 looking at the regulation and the guideline, that  
23 that also means that you can't reconstruct any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 doses for the non-presumptive cancer. Essentially  
2 that's what this says here.

3 Almost every, now, designation though  
4 that's added says we will, however, use any  
5 internal monitoring data that may become available  
6 to reconstruct doses for the people who aren't  
7 eligible for the SEC.

8 That is, you know, if there were  
9 bioassay data that was valid, or external  
10 monitoring data, we would certainly use that to  
11 reconstruct that dose. But any of the models,  
12 coworker models or otherwise, could be used to  
13 reconstruct doses in that period for the nuclide,  
14 for the reason the Class was added.

15 I kind of bungled that a little bit but  
16 I think you can get the idea of what I'm trying to  
17 say.

18 CHAIR MUNN: Yeah, thank you, Jim.  
19 That's only been posted for a little while, I think.  
20 Has SC&A had an opportunity to take a look at that  
21 and do we find that sufficient?

22 DR. H. BEHLING: Well, again, I was the  
23 author of that finding, and I understand why

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       there's a technical issue in excluding that time  
2       period that's covered by the SEC.

3               On the other hand, there is, I guess,  
4       that secondary problem where your 1954 data point  
5       is transported beyond the SEC period and used as  
6       a starting point from 1970. And that kind of just  
7       sort of prompted me to raise that question.

8               The fact that a 1954 data point simply  
9       jumped ahead by a total of 16 years and then this  
10       was used as a starting point for assessing the  
11       potential exposure post-1970. That kind of gave  
12       me reasons to question that whole process.

13              DR. NETON: Right. We talked about  
14       that at the last Subcommittee meeting. You can't  
15       say that it's at least X, some small fraction of  
16       the dose, because doses can't be reconstructed with  
17       sufficient accuracy during that time period.

18              I mean, it's a logical conclusion of the  
19       way the Act and the regulations are written.

20              DR. H. BEHLING: I would've, Jim, said,  
21       okay, we'll do it that way, but then at least apply  
22       the 0.067 depletion rate as defined in OTIB-70, and  
23       then start out in 1970 with that much reduced. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 realize it's claimant-favorable and we always tend  
2 to say, well, it doesn't make sense, but if it's  
3 claimant-favorable, accept it.

4 DR. NETON: Well, we talked about this,  
5 Hans. I mean, you could also say, even during an  
6 SEC period where it doesn't bracket a residual  
7 period, the dose is at least equal to some general  
8 area air sample background.

9 I mean, that's not the way it's done,  
10 you can't make up a small dose and say it's at least  
11 that, because, you know, it ends up begging to  
12 question, well, could it be a little higher than  
13 that, or X plus some percentage?

14 So we can't put a plausible upper bound  
15 on the person's dose in this period, period, is what  
16 is said. I mean, we talked about, I thought we  
17 agreed that, you know, in principle at the last  
18 meeting, and this is my attempt at a summary of what  
19 we discussed.

20 DR. H. BEHLING: No, I agree. If you  
21 have to comply with certain rules and regulations  
22 that say you can't use this because it was an SEC  
23 period, even though it might have been something

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 used under different circumstances, then we'll  
2 have to simply go with that.

3 DR. NETON: Yeah.

4 CHAIR MUNN: So do we find this  
5 acceptable?

6 DR. H. BEHLING: Yes.

7 CHAIR MUNN: All right. Then, Steve,  
8 would you please make the entry that the  
9 explanation has been accepted and this item is now  
10 closed.

11 MEMBER ZIEMER: And could I make one  
12 minor editorial change?

13 CHAIR MUNN: Yes.

14 MEMBER ZIEMER: Yeah, in the seventh  
15 line, the word "it" suddenly has a capital I on it  
16 and it should be lowercase. "After review of  
17 available information, it could not be  
18 demonstrated."

19 CHAIR MUNN: Okay.

20 MEMBER ZIEMER: At least Jim's, the  
21 document that NIOSH distributed. And I'm looking  
22 to see if that showed up in the entry on the BRS  
23 System. I think it did.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: I can make -- am I on mute?

2 CHAIR MUNN: No.

3 (Simultaneous speaking.)

4 DR. NETON: That's a simple change.

5 MEMBER ZIEMER: But I agree with the  
6 closure. I just wanted to make that minor edit.

7 MR. MARSCHKE: I can't get to that.  
8 I'll have to get back to that, that's a separate  
9 edit. I'll have to go back to that.

10 CHAIR MUNN: Yeah, we'll --

11 (Simultaneous speaking.)

12 MR. KATZ: Wanda, can I recommend that  
13 maybe we take a comfort break at least?

14 CHAIR MUNN: Oh, all right. We are  
15 very close to breaking for lunch, but, yeah, we can  
16 take five minutes while Steve --

17 MR. KATZ: Well, if it's five minutes  
18 and then you're going to break for lunch that's  
19 fine. I just know we've been going for a while.

20 CHAIR MUNN: Yeah, we have been going  
21 for a while, and we're almost to where we need to  
22 be for lunch, I think.

23 MR. KATZ: Okay. No, we don't have to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 break and then re-meet in five minutes just to break  
2 again.

3 CHAIR MUNN: There are times when it's  
4 necessary, but we'll wait for just a moment, if we  
5 can.

6 MR. KATZ: All right.

7 CHAIR MUNN: As soon as we finish up  
8 with PER-42. And I think the other two findings  
9 may go well. We'll see. Okay, great. Very good.  
10 Thank you, Steve.

11 Now if we can take a look at the NIOSH  
12 response to see if we can take care of that clerical  
13 nit. Do we have an "it" that is capitalized and  
14 shouldn't be?

15 MR. MARSCHKE: I think it's in the line  
16 "it could not be demonstrated?"

17 MEMBER ZIEMER: That's correct. It's  
18 in the middle of the sentence.

19 MR. MARSCHKE: Yes, that's fine.

20 CHAIR MUNN: No, it's fine. It's  
21 lowercase here.

22 MEMBER ZIEMER: It's good now.

23 CHAIR MUNN: So we're good. Yeah,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thank you. We'll move on to Finding 2. SC&A?

2 DR. H. BEHLING: Again, that's mine,  
3 and I thought that was resolved because --

4 DR. NETON: Yeah, this is Jim. Let me  
5 explain a little bit here. We agreed with that  
6 finding, and it was really just a typographical --  
7 it's a cut and paste error when we revised the Site  
8 Profile to talk about, I think it was the occupancy  
9 factor or something like that.

10 DR. H. BEHLING: Yeah.

11 DR. NETON: And we actually did indeed  
12 make that fix, but in going through the internal  
13 review process we do with every revision to a Site  
14 Profile, a slight administrative glitch occurred  
15 and somebody made some comments, so we have to go  
16 back and fix some administrative detail in the Site  
17 Profile.

18 So we can't issue it at this time. The  
19 fix has been made. I probably could've cut and  
20 pasted the fix but I figured it would be better to  
21 wait until we just re-issue it completely. And the  
22 things that we're addressing had nothing to do with  
23 the technical content necessarily, it's more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 administrative detail.

2 MR. MARSCHKE: The finding is  
3 currently in abeyance, so I guess, you know, we kind  
4 of agreed with -- that, to me, indicates that we  
5 agree with what Jim is saying.

6 CHAIR MUNN: Yeah, it sounds as though  
7 --

8 DR. NETON: Yeah, I was just getting  
9 ready -- I was going to say, I could've provided  
10 you a copy of it this meeting, because we did fix  
11 it, but like I say, the final version hasn't been  
12 signed off yet.

13 So I'll have to wait until the next  
14 Subcommittee to do that.

15 CHAIR MUNN: Okay. Okay, that's fine.  
16 We'll just take that off of our follow list. We  
17 have taken care of what we can take care of and we'll  
18 go on to Subtask 4. Is Ron going to do this?

19 DR. BUCHANAN: Yes.

20 CHAIR MUNN: Oh, good. Okay.

21 DR. BUCHANAN: Yes, this is Ron  
22 Buchanan with SC&A. Subtask 4 for OTIB-42  
23 consisted of reviewing two cases.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Now, just a little background, PER-42  
2                   was issued for Linde and it required that all the  
3                   cases be reworked that were less than 50 percent  
4                   and had non-SEC-covered cancer. And this was 71  
5                   cases.

6                   And so this consisted of complete dose  
7                   reconstructions for all these cases, and so we  
8                   selected two cases to determine if the rework was  
9                   done properly. And so that's what we'll briefly  
10                  discuss today.

11                  And so Case Number 1 on Page 4 of our  
12                  report was an inspector that worked there at the  
13                  plant, had prostate cancer, worked in, you know,  
14                  '52 through '84. And there is no DR.

15                  It was in '05 and so it needed reworked  
16                  and so they did a rework in August of 2012. And  
17                  we then looked at the dose assignments, like we do  
18                  on a regular audit of a DR report, and we found that  
19                  -- I'll just briefly go through what they did --  
20                  they assigned the plant dose.

21                  There's two doses assigned here, a  
22                  plant dose, and then if you were a utility worker  
23                  you also got some tunnels that ran under the plant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 So, utility workers, you more than likely would  
2 have spent some time in there so they assign a dose  
3 for that.

4 So we have the plant dose, there was no  
5 monitoring, so this was an assigned dose from Table  
6 424, Page 65 of the TBD-25. There's two periods,  
7 '52 to '53 and '54 to '84, as Hans had referred to  
8 earlier. And we see that they assign the dose  
9 correctly from the tables on Page 65 and Page 70  
10 for the latter period, and we had no findings there.  
11 This was for the plant area.

12 And then for the tunnel area they  
13 assigned the tunnel ambient external dose, and this  
14 was from Table 613 on Page 76, for the two time  
15 periods, and we see that they assigned dose  
16 correctly and that we had no findings.

17 The neutron and medical dose were no  
18 findings on that. And then we had internal dose.  
19 This was taken from Table 68 of Page 73. And take  
20 the projected intakes, put that in the Chronic  
21 Annual Dose Workbook, the CADW, determine the  
22 correct dose, and we had no findings in that area.

23 So, in a review of this first case, they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 had a total of 7.898 rem assigned, PoC of 25.35  
2 percent, and we had no findings. And we were able  
3 to duplicate the dose in the PoC and agreed with  
4 that.

5 Case Number 2 of our report is on Page  
6 11, and we see that this was a similar worker, a  
7 similar time period. This was a maintenance  
8 person, pipefitter foreman, had skin cancer, and  
9 his first DR was in 2006 and it was reworked in  
10 August of 2012 using the new TBD.

11 And, again, we went through and  
12 evaluated the two periods for the plant exposure  
13 using the Tables 424 and Section 6.2. And we agree  
14 except for one finding, and it appears doing the  
15 calculations that we arrived at 4.434 rem compared  
16 to NIOSH's assigned 3.955 rem.

17 And we find that it appears that NIOSH  
18 used, for the skin, they used a dose conversion  
19 factor for ambient for skin, and we find that  
20 although the TBD says for the tunnel you use ambient  
21 dose conversion factor, for the plant it doesn't  
22 say that.

23 So for the plant it should've been the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 normal one, according to OTIB-17, Page 6, instead  
2 of the 0.677 for ambient dose conversion factor  
3 from the IG-001.

4 And so this would create a slight  
5 decrease in dose as NIOSH assigned it compared to  
6 the way we calculated it. Now, we did check back  
7 to the previous case I just described, in Case A,  
8 and they did use the correct dose conversion factor  
9 there, 1.244 for the prostate. And so that did not  
10 appear in the previous dose reconstruction.

11 So we agree with this except for that  
12 dose conversion factor used for the plant exposure,  
13 and it would not significantly impact total dose  
14 for the upcoming PoC in this case. But it was what  
15 appeared to be an error.

16 Now, since it was skin, we assigned  
17 electron dose using the recommended in Table 424,  
18 Page 65 of the TBD, for the two periods. And the  
19 penetrating dose also. And we agree with those  
20 doses assigned.

21 Also, the tunnel dose, that was  
22 assigned correctly using the values of 613, and so  
23 we had no issues in that. We had no issues with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the neutron or the medical dose.

2 And so we registered the internal dose  
3 and we see that they correctly used the projected  
4 intakes, and we put those in the CADW, and the dose  
5 is correctly assigned for internal intake.

6 So, in summary, for the Case B, we  
7 arrived at a slightly higher dose, 23.839 rem, as  
8 compared to 23.360 rem. We got a PoC of 11.56  
9 percent; they derived a PoC of 10.73 percent  
10 because of the slightly dose on this dose  
11 conversion factor for plant exposure.

12 And so we agree except for the dose  
13 conversion factor for the plant external exposure.  
14 And so, in summary, for these two cases, we agreed  
15 that they were assigned except for that one  
16 exception and the outcome would not be impacted in  
17 this case.

18 So that's where we are at on those two  
19 case audits.

20 CHAIR MUNN: Fine. Any comments? I  
21 propose that we close this item with the comment  
22 that the reviewer noted an incorrect dose  
23 conversion factor used which did not affect the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 outcome. And other than that, there is a finding  
2 of no findings. Does anyone have a problem with  
3 that?

4 MEMBER ZIEMER: That's fine with me.

5 MEMBER BEACH: That works for me also,  
6 Wanda.

7 CHAIR MUNN: All right, fine. Steve,  
8 can you do that, indicate --

9 MR. MARSCHKE: Yeah, I think so.

10 CHAIR MUNN: Okay. And as Steve is  
11 starting to do that, he and I will stay on the line  
12 here for a little bit and make sure that that that  
13 finding of no findings essentially gets listed, and  
14 we will mark this item, Subtask 4, as closed. And  
15 I believe that cleans us up with respect to PER-42.  
16 We'll take another look at the BRS just to make  
17 sure.

18 It's time for us to break for lunch.  
19 Let's take an hour. Be back here at five minutes  
20 to the hour. And, well, I'll see you, then unless  
21 anyone has some concerns one way or another. If  
22 not, I'll see you in an hour.

23 MR. KATZ: Thanks, Wanda, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 everyone.

2 MEMBER ZIEMER: Okay.

3 (Whereupon, the above-entitled matter  
4 went off the record at 12:54 p.m. and resumed at  
5 2:00 p.m.)

6 MR. KATZ: This is the Subcommittee on  
7 Procedures Review. And we can get started again.

8 CHAIR MUNN: And we'll start with our  
9 first item being PER-31, report review that's a  
10 carryover from previous agendas. NIOSH?

11 MR. HINNEFELD: Yeah, this is Stu. I  
12 think we're going to need to continue to carry that.  
13 We are in the process, we in ORAU are in the process  
14 of trying to get information from Y-12 that may  
15 provide a way to interpret that thorium to thorium  
16 in vivo data, which is kind of the primary --

17 CHAIR MUNN: All right.

18 MR. HINNEFELD: So we're just going to  
19 have to carry it forward again. We're not ready  
20 to -- we don't have anything ready yet.

21 CHAIR MUNN: Then we need to go on to  
22 PER-45, responses from NIOSH for eight findings  
23 that were listed recently, I believe.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. HINNEFELD: Jim, did we enter those  
2                   in BRS this week or --

3                   DR. NETON: They should be in there,  
4                   Stu. Is Mutty Sharfi on the line?

5                   MR. SHARFI: I am.

6                   DR. NETON: Yeah, we reviewed them and  
7                   discussed them. But I think Mutty's going to carry  
8                   the water on the responses here, with input from  
9                   me as necessary.

10                  MEMBER ZIEMER: Is this Aliquippa  
11                  Forge?

12                  DR. NETON: Yeah, correct. Do we just  
13                  want to go through these one by one, then?

14                  CHAIR MUNN: Let's start with Number 1,  
15                  yeah, failure to account for remedial activities  
16                  in deriving estimate of residual exposure.

17                  DR. NETON: This was a finding that  
18                  basically stated that we used an inappropriate date  
19                  going back in time. We started, I forget the year.

20                  MR. SHARFI: '92.

21                  DR. NETON: '92. And there had been  
22                  remedial activities that took place prior to that.  
23                  So, Mutty, could you maybe just fill folks in on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       what our thinking is on that?

2                   MR. SHARFI:  Sure.  This is where we,  
3       like I said, we took a 1992 survey and we  
4       back-extrapolated using a source term depletion  
5       correction factor back all the way to the start of  
6       the residual period.

7                   In '88 there was kind of an interim  
8       remediation action that they did where they did  
9       some minor cleanup.  So the comment was that there  
10      could be an underestimate since we're using  
11      post-remediation surveys and that, I guess, the  
12      external exposure rate could have been higher if  
13      the remediation actions wouldn't have occurred in  
14      '92.

15                  Basically, I did find a 1978  
16      pre-remediation survey for when FUSRAP did their  
17      survey for the remediation activities.  And when  
18      you did a back-projection of what we proposed, or  
19      we have in the TBD, versus the surveys that were  
20      actually done in '78, we're still bounding of those  
21      surveys.

22                  So, our argument is that the  
23      back-extrapolation still doesn't result in an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       underestimate of the external dose based on the '78  
2       surveys.

3                   CHAIR MUNN:    These are fairly recent  
4       postings.  Has SC&A had an opportunity to look at  
5       them?  Is there any comment?  Do we need to give  
6       you time?

7                   DR. H. BEHLING:  Well, this is Hans.  I  
8       have not really looked at it.  I'm not sure when  
9       the responses were posted.  But, in preparation  
10      for this meeting, I was kind of busy in the last  
11      couple of days here, among other things.  So I  
12      haven't really taken a look.

13                   But if I recall, that 1988 partial  
14      remediation effort may not have involved  
15      decontamination of surfaces but the removal of  
16      contaminated objects from one of the buildings, 30,  
17      I believe.  And so it may not come into play  
18      depending on what NIOSH did in terms of assessing  
19      it in 1977 under FUSRAP.

20                   Whether or not that calculation takes  
21      into consideration the removal of source terms that  
22      would have resulted in external radiation  
23      exposure, that had very little to do with surface

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 decontamination.

2           And that's as much as I -- as I said,  
3 I have not reviewed NIOSH's responses, but I'm just  
4 talking off the top of my head. So I can't really  
5 respond in a definitive way.

6           But if I recall, much of the remediation  
7 in 1988 was actually the removal of source terms,  
8 objects, rather than cleaning up the walls, the  
9 floors or other material that remained.

10           CHAIR MUNN: Okay.

11           DR. NETON: Yeah. I think that  
12 supports our case, then. I think maybe, Hans, you  
13 have to take a look at it. And Mutty wrote in here  
14 that the '78 survey, I think, had all values less  
15 than the detection limits of the portable survey  
16 instruments.

17           And if you take the value that we used  
18 from 1992 and back-extrapolate, it overarches the  
19 -- you know, it provides, even if you assume that  
20 it went over the detection limit, it provides doses  
21 higher than what they measured in 1978. So we  
22 believe it's a fairly good bounding number.

23           But take a look at it. There's a White

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Paper that was provided, I think, on this to SC&A  
2 at the same time it was posted on the BRS. I think  
3 that was around February -- it was posted on the  
4 BRS on February 10th, I think.

5 MEMBER BEACH: I think that paper was  
6 January 23rd, wasn't it, Jim?

7 DR. NETON: That was the date on the  
8 paper. But I don't think it was distributed until  
9 --

10 MEMBER BEACH: It wasn't posted, oh,  
11 okay.

12 DR. NETON: -- sometime later. But,  
13 yeah, that's the date on the paper.

14 MEMBER ZIEMER: Yes. I got my copy on  
15 the 10th. I mean, it was emailed on the 10th, even  
16 though it was probably under internal review before  
17 that. So we didn't see it until the 10th.

18 DR. NETON: There's no difference  
19 between the paper and what's in the BRS. I cut and  
20 pasted the responses, so they're identical.

21 MEMBER ZIEMER: Right.

22 CHAIR MUNN: All right. We'll carry  
23 that for response from SC&A next time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: Wanda, let me just say  
2 this. I'm not going to state that Jim is  
3 incorrect, except that when we have what we look  
4 at as our only source of information, it would  
5 appear that if you do have some remediation effort,  
6 and it's not quantifiable to the point where you  
7 can say this reduced anything by a certain amount,  
8 other than the fact that a remediation effort took  
9 place, first principles would suggest that that  
10 obviously reduced something that affects dose.

11 And on that basis, my finding was based  
12 on strictly the fact that you had a 1992 attempt  
13 to extrapolate backward in time that ignores a  
14 partial remediation effort in 1988, which you can  
15 reasonably assume would affect the dose strength.  
16 And that's really the sole basis on which that  
17 finding rests.

18 DR. NETON: Yeah. Take a look on it,  
19 Hans, and see. Like I said, we believe that it  
20 provides an overarching bounding approach based on  
21 the 1978 FUSRAP survey data. They actually use  
22 more sensitive survey meters. I think they used  
23 pressurized ion chambers in '92 and were reporting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something like 0.015 mR, 15 micro-R per hour, which  
2 includes a natural background component of  
3 probably around ten in that part of the country.

4 So, yeah, take a look at it we'll talk  
5 more about it maybe in the next --

6 DR. H. BEHLING: Yeah. And as I said,  
7 I'm willing to accept that. But I just want to make  
8 sure Ted and other people, the Board, understands  
9 why I made it a finding. If it turns out that the  
10 calculation dose in 1992 extrapolated backward is  
11 still a bounding value, the only thing I'm asking  
12 you to do is to understand why I made it a finding.

13 DR. NETON: Oh, I completely  
14 understand why you made it a finding. We're just  
15 trying to respond to it.

16 CHAIR MUNN: Okay. That's fine. I  
17 will expect a response from SC&A to this NIOSH  
18 response to the finding next time.

19 And we'll go to Finding 2.

20 DR. NETON: Okay, I think Finding 2 is  
21 very similar to Finding 1, and the backwards  
22 extrapolation issue is brought into play again.

23 MR. SHARFI: This one's a little

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 opposite. This one brings up the point that the  
2 main source of external dose during the residual  
3 period would have been associated really with more  
4 of a fixed contamination, and that realistically  
5 you should have had a constant external dose over  
6 time. And therefore the back extrapolation, and  
7 that's overestimating external dose.

8 And so, you know, numerically there's  
9 not a lot of -- there's limited data in the sense  
10 of locking in that. All the exposures associated  
11 with fixed contamination. You know, we still  
12 believe that the more bounding and  
13 claimant-favorable approach is going ahead and  
14 back-extrapolating the source term depletion on  
15 the external as well as the internal. Having  
16 external consistent with the internal is a more  
17 claimant-favorable approach and more bounding.

18 DR. NETON: Anyway, it does rely on a  
19 buy-in of the back extrapolation from 1992. So I  
20 think they are somewhat linked. So, SC&A can take  
21 a look at that and we'll discuss it further probably  
22 in the next go-around.

23 DR. H. BEHLING: If I recall now,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 again, when I look at contamination, traditionally  
2 speaking, especially as a function of time, what  
3 you see is depletion that most quickly involve, or  
4 most readily involve the removable. Because any  
5 kind of physical activity, resuspension,  
6 evacuation by air flow out of the building, that's  
7 what's going to remove more quickly than fixed  
8 contamination, which, in some instances, is very  
9 stubborn. And the absence of scattering really  
10 won't remove anything. And yet it is the principle  
11 cause of external radiation, whatever is fixed.

12 In general terms, it's usually the  
13 limiting factor for cleanup activity, the fixed  
14 contamination, especially if you deal with  
15 concrete flooring or any other porous substances  
16 or surfaces that may be holding onto that  
17 contamination. And it's not readily removable.

18 DR. NETON: Yeah, we agree, Hans. But  
19 I think Mutty was saying our starting point, if we  
20 include some sort of removable contamination, will  
21 provide a higher initial starting point for the  
22 exposure during the residual period and ramp down  
23 what we used at the end, which was more than likely

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a fixed contamination point.

2 The only other alternative would be to  
3 reduce the starting point to relate to the fixed  
4 contamination at the beginning, but we didn't do  
5 that.

6 DR. H. BEHLING: Okay. But as I said,  
7 what we're dealing here with is starting in the back  
8 end of this whole procedure, mainly in 1992, and  
9 seeing what is still removable and working  
10 backwards, when, in fact, you know, that is a  
11 limiting issue here, that there may not be much left  
12 to remove in 1992. And working backwards would  
13 potentially not be claimant-favorable.

14 DR. NETON: Well, no. But the  
15 airborne contamination -- we'll talk about this  
16 later -- started with the air sampling data at the  
17 end of operations before any -- well, before any  
18 real cleanup was made. There were some efforts to  
19 clean up things grossly, but there was more than  
20 likely still removable contamination. We'll get  
21 into that as we get into these findings. That's  
22 all.

23 At any rate, I still think this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hinging upon SC&A's acceptance of the backwards  
2 approach from Finding 1. So we probably can't  
3 decide anything here until Finding 1 is resolved.

4 CHAIR MUNN: So the two of them are too  
5 closely linked --

6 DR. NETON: Well, that's the starting  
7 point, right?

8 DR. H. BEHLING: You know, now that I  
9 think about it, I hadn't really looked at that  
10 particular document for quite some time. But I  
11 thought that, really, the number one problem in  
12 that whole document was the failure to acknowledge  
13 an air concentration that was somewhere -- God, I  
14 don't remember the exact numbers.

15 But what happened to that initial air  
16 concentration that started at the beginning of the  
17 residual period was totally ignored and then was  
18 converted into, if I recall, an airborne activity  
19 that was settled for a whole year, and then we would  
20 have a resuspension.

21 We used, obviously, the standard  
22 deposition velocity and then the resuspension of  
23 E minus 6. And we calculated an airborne

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concentration that was 42 times lower than the  
2 actual empirical air concentration that was  
3 measured. That was really the central problem  
4 that I had with that whole document.

5 DR. NETON: Yeah, we actually agree  
6 with you on that finding.

7 DR. H. BEHLING: Yeah. And that, I  
8 think, changes the whole document. Because if we  
9 start with a different value, I think that almost  
10 -- that's probably -- that's 90 percent of the  
11 problems I saw.

12 DR. NETON: Yeah. What happened was  
13 we misinterpreted this -- there was an eight dpm  
14 air sample that was taken at the end of operations.  
15 And you had to read it closely, but it almost  
16 implied that it was an operational sample, meaning  
17 there was other activities going on to generate  
18 airborne. In fact, there weren't.

19 So that eight dpm air sample in fact  
20 should have been used as a starting point for the  
21 air concentration during the residual period. And  
22 we're going to do that. So we 100 percent agree  
23 with you on that finding. It was just a misread

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the air data itself.

2 DR. H. BEHLING: Yeah. You know,  
3 they're also linked together in this whole package.  
4 And I think if we correct that particular aspect,  
5 then I think most of the issues go away almost.

6 DR. NETON: Okay. Well, that's good.  
7 We agree with that. There was also a calculational  
8 error made that you identified.

9 DR. H. BEHLING: Yeah. But I think  
10 that is the number one error. If we eliminate  
11 that, I think we can probably clear the slate pretty  
12 much for the others.

13 DR. NETON: Okay. So maybe we won't go  
14 through the other ones. You take a look at it and  
15 see what you think of the other ones in light of  
16 us changing that. I think it was 0.2 dpm that we  
17 calculated.

18 And, really, in light of what that  
19 sample was, it made no sense to do what we did.  
20 Like I say, we misinterpreted what that air sample  
21 was. It went from 0.2 to 8 as a starting point for  
22 the residual contamination period. And that's  
23 written up in one of our responses.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: So, for our purposes here  
2 on the Board, I think I'm hearing that NIOSH has  
3 an action with respect to the originating  
4 documents. And we're going to hold for it. NIOSH  
5 has an action now? Do you want me to --

6 DR. NETON: No. I think SC&A's --  
7 (Simultaneous speaking)

8 CHAIR MUNN: SC&A's going to do  
9 something.

10 DR. NETON: Yeah, they're going review  
11 our comment, our responses to their findings.

12 CHAIR MUNN: Right. And then next  
13 time we're going to hear from them as to what  
14 actions they -- both NIOSH and SC&A have agreed on  
15 the basic issue. And SC&A is going to review the  
16 responses here and suggest how to incorporate that  
17 issue. Is that correct?

18 DR. H. BEHLING: Yes.

19 DR. NETON: I think so. We've agreed  
20 -- our response is we agreed, I think, with two out  
21 of the eight findings or whatever they mainly were.  
22 The rest of them may -- well, SC&A needs to look  
23 at the rest of them in light of our agreement with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 those two findings.

2 CHAIR MUNN: Okay.

3 DR. H. BEHLING: And they're somewhat  
4 interrelated. All the findings are interrelated.  
5 They deal with the elimination of the 1988  
6 remediation which, if what I gather from Jim's  
7 comments, may not have had an impact.

8 And several of those findings relate to  
9 that particular issue. But the most important  
10 issue was the acceptance of an air sample that was  
11 42 times higher than the calculated air sample as  
12 a starting point.

13 And I think NIOSH just told me that, by  
14 and large, they agree that this was an error on  
15 their part. And if that's corrected, probably  
16 just about everything else falls by the wayside.

17 CHAIR MUNN: Yes. I think I have that.  
18 But what I don't have is, the next action is whose?

19 DR. H. BEHLING: Well, I will review  
20 their responses. And then I think we can, I don't  
21 know, formally or in the presence of the  
22 Subcommittee, discuss our feelings, how to resolve  
23 it best. But I think we are close to coming to an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 understanding in terms of how to make the necessary  
2 corrections.

3 CHAIR MUNN: Okay. That's what I  
4 wanted to hear. Next time, I expect a review from  
5 SC&A of these NIOSH responses and a suggestion for  
6 resolution. Okay?

7 DR. H. BEHLING: Yes.

8 CHAIR MUNN: Very good. Then is this  
9 applicable to all eight of the findings for PER-45?  
10 My screen has gone blank and so I'm not looking at  
11 the BRS. I'll get back to it.

12 So is this applicable to all of the  
13 others there? It looks, just ruffling through  
14 these, it looks as though they all, in some way,  
15 relate to that, to the post-dating issue.

16 DR. NETON: Well, yeah. Well, we  
17 responded to all eight findings. And SC&A needs  
18 to provide their opinion on the adequacy of our  
19 response.

20 CHAIR MUNN: Right. That's what we'll  
21 expect next time. Thank you. Unless there is  
22 other comments to be made with respect to PER-45,  
23 we'll go on to PER-43 and the four case reviews that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Hans has done, I believe.

2 DR. H. BEHLING: Yes, that's mine, too.  
3 For those who are not necessarily familiar with,  
4 again, DCAS-PER-0043 was obviously the result of  
5 changes to OTIB-5 which changed internal and  
6 external target organs.

7 And part of our review under Subtask 4  
8 was to review four cases. And NIOSH identified the  
9 list of claims that were subject to this change  
10 under PER-43. And, Steve, if you can identify Page  
11 8 on my report.

12 MR. MARSCHKE: I've got to find it.

13 DR. H. BEHLING: Anyway, we had asked  
14 for four particular cases. And Exhibit 1 that I  
15 included in my write-up identifies all of the  
16 claims to-date that had been impacted by PER-43.  
17 And we chose four of them.

18 And I can identify which ones they are,  
19 although it's really not that important. But let  
20 me just state, for the sake -- and I will ask the  
21 Chair or the other Members for permission to do the  
22 following. There were four cases, two of which had  
23 no finding. And I'm not sure if it's worth our time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to go through those or just strictly focus on the  
2 two claims that did have findings.

3 Is there any problem with me skipping  
4 over the two claims that I reviewed for which there  
5 were no findings?

6 CHAIR MUNN: That's certainly fine  
7 with me. I see no point in spending time with  
8 material that has been reviewed and approved. I'd  
9 like to hear from the other Board Members in that  
10 regard.

11 MEMBER ZIEMER: Well, that's fine with  
12 me as well. We have the report. So we have that  
13 information. We don't need to rehash it, I don't  
14 think.

15 CHAIR MUNN: Right.

16 DR. H. BEHLING: Okay.

17 CHAIR MUNN: Josie?

18 MEMBER BEACH: No, I agree with that  
19 also. I agree.

20 CHAIR MUNN: Very good. Then we can  
21 focus on these two that have findings on them.

22 DR. H. BEHLING: Okay. The first  
23 case, and I guess I would ask Steve to go to Page

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 9 of the report. Okay. And you were identifying  
2 a number of things that I want to point out.

3 The first case is an energy employee who  
4 worked at the Paducah Gaseous Diffusion Plant.  
5 And this individual was diagnosed with  
6 [identifying information redacted] carcinoma,  
7 which is sometimes referred to as [identifying  
8 information redacted] cancer, of the [identifying  
9 information redacted], in 2010. And that has an  
10 ICD-9 code of [identifying information redacted].

11 And that initial dose reconstruction  
12 was conducted on March 22<sup>nd</sup>, 2011. No, that was the  
13 time of the second cancer. But, anyway, the first  
14 cancer of the [identifying information redacted],  
15 the [identifying information redacted] carcinoma  
16 of the [identifying information redacted], you  
17 will see as the current DR in the PER dose in Table  
18 2-1. And you realize that, after the initial  
19 assessment of the total dose to that cancer, 4.951  
20 rem was reduced to 1.801 rem.

21 Then, as a result of the second cancer,  
22 as I said, the second cancer was identified as  
23 [identifying information redacted] carcinoma, or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 metastatic to the [identifying information  
2 redacted]. And that has an ICD-9 code of  
3 [identifying information redacted].

4 Now, that, as a result of the changes  
5 that were introduced in OTIB-5, was also subject  
6 to a revision. So this dose reconstruction was  
7 subject to a couple of revisions. First, there was  
8 the additional, the second cancer, and then a  
9 revision as a result of changes to the OTIB-5. And  
10 in Table 1 you will see those changes.

11 In the second cancer, metastatic cancer  
12 to the [identifying information redacted], the  
13 change from PER-43 resulted in a dose that changed  
14 from 3.379 rem to 19.917 rem. That was a  
15 significant change.

16 And as a result of that change, the PoC  
17 went from 8.18 to 35.23 percent. And when I looked  
18 at that, and the first thing that struck me was very  
19 odd, is the fact that this cancer was identified  
20 as a metastatic cancer to the [identifying  
21 information redacted] and that it had a different  
22 ICD-9 code from the primary cancer.

23 And so the question that came to mind

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is, how was it that this cancer was even treated  
2 as a primary cancer where dose reconstruction was  
3 conducted and a revised PoC?

4 And if you go to the next page, Page 10,  
5 you will see on the top of the page -- let me see,  
6 okay, right there on top -- my feeling was, right  
7 away, that this second cancer should have never  
8 been assigned an ICD-9 code [identifying  
9 information redacted].

10 And as I explain below, where I quote  
11 the fact that a metastatic cancer is, by and large,  
12 the same as a primary cancer by definition. And  
13 for that, you have to understand the following.

14 When you have a primary cancer, and,  
15 let's say, it's a tissue that involves the lung,  
16 if that cell in the lung undergoes a  
17 transformation, becomes a cancer, at a certain time  
18 during the clonal expansion of that primary cancer,  
19 depending on how aggressive the cancer might be,  
20 a cell or two breaks loose, hitches a ride in the  
21 lymphatic cell in the bloodstream and sets up a  
22 secondary or metastatic cancer somewhere else. It  
23 is still a primary cancer that was identified at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the point where the cancer was formed, namely in  
2 the lung.

3 So, in essence, what this particular  
4 case involved was a cancer that was identified as  
5 a metastatic cancer but should have never been  
6 changed to a [identifying information redacted]  
7 ICD-9 code, by definition.

8 And when I went back into the records  
9 to see how did this happen, you will see the  
10 following. At some point -- and this is, now, if  
11 you scroll up a bit, Steve? No, I'm still on Page  
12 10.

13 MR. MARSCHKE: Want to go to 11?

14 DR. H. BEHLING: Go back to Page 10. I  
15 traced the timeline, where I started out by saying,  
16 in order to assess the circumstance on which this  
17 error occurred, SC&A reviewed records for these in  
18 order to construct the following timeline.

19 And under Number 1, sometime prior to  
20 April 4<sup>th</sup>, 2011, the particular case had been  
21 returned to DOL due to an additional cancer with  
22 ICD-9 code [identifying information redacted],  
23 which requires a medical review.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           On April 4<sup>th</sup>, 2011, an email was  
2 submitted by DOL that acknowledged the need for a  
3 medical review regarding the EE's additional  
4 cancer. Distribution included Dr. Ronald E.  
5 Goans, who is obviously the medical expert who  
6 voiced his opinion.

7           And on April 6<sup>th</sup>, 2011, Dr. Goans  
8 forwarded his medical review. And this is included  
9 in Exhibit 3 that follows in a couple of pages  
10 thereafter. And I'll point to that later on.

11           But he states, and I've quoted from his  
12 document, "In my professional opinion, the  
13 [identifying information redacted] tumor  
14 metastatic to the [identifying information  
15 redacted] is a secondary metastatic tumor  
16 undifferentiated from the primary [identifying  
17 information redacted] tumor of the [identifying  
18 information redacted]."

19           And he says, "I think the ICD-9 code of  
20 the primary appears to be correct, and I have not  
21 tried to change the ICD-9 code for the metastatic."  
22 The meaning of which, he wanted to say it should have  
23 been the same as the primary cancer, which obviously

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 had an ICD-9 code of [identifying information  
2 redacted].

3 On April 7<sup>th</sup>, 2011, a note to review was  
4 released. And that is in Exhibit 4, which we'll  
5 come to in a second here, which, by and large, says  
6 that the internal organ applied to the [identifying  
7 information redacted] cancer was the same as they  
8 applied to the [identifying information redacted]  
9 cancer.

10 And in spite of this medical view, and  
11 in spite of that notification, that ICD-9 code of  
12 [identifying information redacted] remained. And  
13 so my first finding is that this ICD-9 code  
14 [identifying information redacted] change should  
15 have never been.

16 As by definition, when you have a  
17 metastatic cancer, it's the same tissue as the  
18 primary cancer. And there's no need to do a dose  
19 reconstruction on the cancer because, before that  
20 cancer was relocated to a secondary site, it  
21 received its transformation and dose as part of the  
22 primary cancer.

23 And the same thing, basically, Finding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 1 and 2 are linked to each other. There's no need  
2 -- first, Finding 1 says we failed to not keep the  
3 same ICD-9 code for the metastatic [identifying  
4 information redacted] cancers as with the primary  
5 cancer. And, second, there was no need under those  
6 conditions, because it was even labeled as a  
7 metastatic cancer. There was no need to do a dose  
8 reconstruction.

9 And when you go now to Page 12, I  
10 included a definition from the National Cancer  
11 Institute. And you can just read the comments that  
12 pretty much define my concern here.

13 In the middle of the page -- scroll up  
14 just a bit here, and I've already mentioned this --  
15 the metastatic cancer has the same name and the same  
16 type of cancer cells as the original or primary  
17 cancer. For example, breast cancer that spreads to  
18 the lung and forms a metastatic tumor is a  
19 metastatic breast cancer, not lung cancer.

20 And so this may be an issue that needs  
21 to be told to all of the dose reconstructors so that  
22 when you have a metastatic cancer based on a medical  
23 review, there's really no need to do a dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reconstruction. And there's no need to change the  
2 ICD-9 code. Because a cancer that comes from one  
3 source to another is still the primary cancer,  
4 regardless of where it relocates.

5 And the next page, on Page 13, is Exhibit  
6 3. This is the original memo from Dr. Ronald Goans  
7 that talks about the thing that I just quoted. And  
8 Exhibit 4 is strictly the note to reviewer that  
9 apparently was not acknowledged by NIOSH.

10 So are there any questions with regard  
11 to the first case?

12 MR. HINNEFELD: This is Stu. I don't  
13 really have any questions, but I think we'll need  
14 to spend some time. I don't know if we've really  
15 pulled these up and really analyzed these and are  
16 prepared. Jim, we haven't entered responses on  
17 these, have we?

18 DR. NETON: Well, Stu, I think the  
19 situation here is that metastatic [identifying  
20 information redacted] cancer is covered under this  
21 program. I've been looking online and I can't find  
22 a list of covered cancers. I mean, under the SEC  
23 -- when they added cancers, oh, wait a minute.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Metastatic [identifying information redacted]  
2 cancer is an SEC cancer.

3 MR. KATZ: That's correct. It is an  
4 SEC cancer.

5 (Simultaneous speaking.)

6 DR. NETON: But I'm not sure that was  
7 relevant to --

8 MR. KATZ: No, it's not. I don't think  
9 it is.

10 CHAIR MUNN: No, this is not  
11 [identifying information redacted].

12 DR. NETON: It's not [identifying  
13 information redacted] cancer, but it was a -- trying  
14 to think. Yeah, I'd have to look at it. Stu's  
15 right. I need to look at it.

16 But it just crossed my mind, there are  
17 some quirks in this program, especially in the SEC,  
18 that metastatic [identifying information redacted]  
19 cancer and another one -- I think it might be kidney  
20 -- is covered, because they didn't specify, you  
21 know, they doctored the RECA list, which was primary  
22 cancers, then they added a couple more cancers, one  
23 of which was [identifying information redacted].

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And they said [identifying information redacted]  
2 cancer, not specifying whether it was primary or  
3 metastatic.

4 MR. SIEBERT: Jim, this is Scott  
5 Siebert. I can tell you, this claim actually was  
6 accepted under the SEC a couple weeks later, because  
7 of the metastatic [identifying information  
8 redacted] cancer.

9 DR. NETON: Okay.

10 MR. KATZ: But that shouldn't have a  
11 bearing on Hans' question, right?

12 MR. HINNEFELD: No, I don't that  
13 affects Hans' question. I think that we need to  
14 chase it down a little bit. And I'm not really  
15 prepared to speak a lot about this case.

16 But, you know, the determination of the  
17 primary cancers on a claim is DOL's responsibility.  
18 And if DOL said there were two primary cancers,  
19 theoretically what would happen, if we got a medical  
20 review from our consultant that said, hey, I think  
21 one of these is secondary and there's only one  
22 primary, we would have provided that information to  
23 Labor to see if they wanted to change the cancer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 diagnoses for the claim.

2 But cancer diagnoses for the claim  
3 always come from Labor. And if they told us that  
4 there were two primaries, even when we pointed out  
5 to them that, hey, are you sure, then we would do  
6 a dose reconstruction for two primaries.

7 But we just need to look at -- I'm not  
8 familiar with the facts of the case. We just need  
9 to look at the history of the case. And I apologize  
10 for not being ready today. But we're not ready  
11 today to go into that.

12 CHAIR MUNN: All right. So we need to  
13 have Finding 1 and Finding 2 reviewed by NIOSH for  
14 next time.

15 DR. H. BEHLING: This is Hans. I'm  
16 just going to ask just a question that may or may  
17 not be essential to the issue here. But whenever  
18 you have a metastatic cancer, regardless of what DOL  
19 does with it, whether it includes it in the SEC,  
20 there should be no need to ever do a separate dose  
21 reconstruction for that secondary cancer,  
22 metastatic cancer.

23 Because, by definition, it was a cancer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 while it was still part of a primary lesion. It  
2 only somehow or other detached itself from the  
3 primary lesion and then relocated, in this case, to  
4 the [identifying information redacted].

5 But the dose that was responsible for  
6 that transition of a normal cell to a cancer cell  
7 occurred at the primary lesion. So there's no need  
8 to do a second dose reconstruction.

9 MR. KATZ: Hans, I think that's  
10 understood. I think what Stu was saying was that  
11 if DOL says treat it as a primary cancer, they  
12 dictate that determination. And we could  
13 obviously give them information back, but at the end  
14 of the day, they decide what's to be treated as a  
15 primary cancer.

16 DR. H. BEHLING: Are we through with  
17 that discussion?

18 CHAIR MUNN: I believe we are. I  
19 believe we are. Yeah, as far as the Subcommittee  
20 is concerned, NIOSH needs to review both Findings  
21 1 and 2 and review the case to establish what  
22 actually needs to go forward. And we'll hear from  
23 them next time. So we can go on with the other two,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 three cases.

2 DR. H. BEHLING: No, there's only one  
3 more.

4 CHAIR MUNN: Oh, just one more case.

5 (Simultaneous speaking.)

6 DR. H. BEHLING: But we have two cases  
7 that have no finding. So I'm on Page 17. And this  
8 involves Case Number 3. And this particular case  
9 involves an EE who worked at the Feed Materials  
10 Production Center. And he was identified or  
11 diagnosed with [identifying information redacted]  
12 that had an ICD-9 code [identifying information  
13 redacted] in 1999.

14 Well, that particular ICD-9 code was  
15 affected by changes in OTIB-5. And it was  
16 subjected to review. Now, one of the things that  
17 I looked at very carefully was that, in the second  
18 paragraph, I have, NIOSH completed DR for this case  
19 in 2006. It was based on the assumption that the  
20 external dose to the [identifying information  
21 redacted] was best determined by using the dose  
22 calculated for the [identifying information  
23 redacted]. And the internal dose to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 [identifying information redacted] was best  
2 determined by using dose calculated by the  
3 [identifying information redacted], as specified  
4 under OTIB-5.

5 With the revision of that particular  
6 OTIB-5, there were changes to Code [identifying  
7 information redacted] in which the external organ  
8 was changed from the [identifying information  
9 redacted]. And the internal organ was changed from  
10 the [identifying information redacted], along with  
11 the following [identifying information redacted].

12 And this is the key here, the  
13 [identifying information redacted], which I quote  
14 below here. [Identifying information redacted].

15 So, in this case, I believe a medical  
16 review should have been conducted. And let me see  
17 here, what needs to be told here. I think that  
18 explanation will become obvious when I point to  
19 Exhibit 6, which identifies that the internal organ  
20 was a result of the [identifying information  
21 redacted] as the internal organ of interest instead  
22 of the [identifying information redacted].

23 There was a change in -- obviously based

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on the [identifying information redacted], and for  
2 that, I think, it's best to simply go to Page 19  
3 where I talk about what should have been done in  
4 response to [identifying information redacted].

5 When you have no definitive  
6 understanding of where that cancer took place, the  
7 American Cancer Society tells you that there are  
8 three potential options. [Identifying information  
9 redacted].

10 And if I could ask -- well, I will look  
11 at Exhibit B on Page 20, and that continues to 21.  
12 But I would like to turn to Exhibit 7 on Page 22 where  
13 you will see where the [identifying information  
14 redacted] locations are.

15 Okay. Here you have, on the far  
16 right-hand side, [identifying information  
17 redacted].

18 Finding Number 3, by and large, then  
19 says, "In the absence of a medical review that would  
20 specify the [identifying information redacted],  
21 NIOSH's selection of the [identifying information  
22 redacted] as appropriate internal organ is  
23 inappropriate and would obviate the need for Case

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Number 3 to be reevaluated."

2 And I believe that we need to have a  
3 medical review, because it will determine which of  
4 the [identifying information redacted] locations  
5 would have been selected had a medical review been  
6 done.

7 CHAIR MUNN: Any comment or question?

8 MR. HINNEFELD: This is Stu. I think,  
9 just like the previous finding, we'll need to go  
10 see, you know, if we can come up with a response or  
11 a reaction to the finding.

12 DR. H. BEHLING: At least as far as I can  
13 see, I usually try to look to see if there was a  
14 medical review done. In this case, I could not find  
15 any evidence of a medical review.

16 And so I can easily understand how the  
17 [identifying information redacted] may have been  
18 ignored that says, [identifying information  
19 redacted]. And that would change, obviously, the  
20 approach to doing a dose reconstruction for that  
21 cancer.

22 MR. HINNEFELD: Right. We'll have to  
23 go back and see what happened there --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. SIEBERT:  Stu, this is Scott.  One  
2                   thing I can say on this, that this could have fallen  
3                   under the fact of you can do an overestimate and if  
4                   it's  --  because  we  used  the  [identifying  
5                   information redacted] the first time we did it.

6                   And if we assessed it using the  
7                   [identifying information redacted] knowing that  
8                   was going to be more claimant-favorable, and it  
9                   still was less than 50 percent, there would be no  
10                  reason to slow down the process and get a medical  
11                  review.  Because either way that we assessed it, it  
12                  was going to be less than 50 percent.

13                  I mean, I can't tell you specifically  
14                  that's what happened in this case, but that  
15                  logically makes sense to me while doing PERs.

16                  CHAIR MUNN:  Yeah.  It sounds as though  
17                  it's a high possibility.

18                  MR. HINNEFELD:  I thought of that.  I  
19                  thought that, Scott.  And I think that we need to  
20                  go back and come up with a reasoned response.  And,  
21                  I mean, there should be wording to that effect, I  
22                  would think.

23                  CHAIR  MUNN:        Yeah.        We'll  carry

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Finding 3 as a review due from NIOSH. And do we have  
2 anything more with PER-43?

3 DR. H. BEHLING: Before we go on, can I  
4 ask a question here? Would the dose reconstructor  
5 have stated that he did both in order to determine  
6 which is the more limiting or which gives you the  
7 higher dose and therefore higher PoC? I would have  
8 known that he tried both. I mean, I didn't see that  
9 in the dose reconstruction.

10 MR. SIEBERT: Well, I can tell you, if  
11 we did it with the [identifying information  
12 redacted] the first time, and the [identifying  
13 information redacted] is the only other option, if  
14 they did it with the [identifying information  
15 redacted] and it's a larger PoC, then obviously  
16 we're doing it both ways.

17 Because nothing else changed. The only  
18 change to this case was the organ of interest. So  
19 they didn't necessarily have to say they looked at  
20 it both ways, in my mind. Because if it was done  
21 with one in the original, and it was done under the  
22 PER with one that was larger, either one gave you  
23 a PoC that was less than 50 percent.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So it probably could have been more  
2 clearly stated if that was the case. However, I can  
3 see the thought process involved.

4           CHAIR MUNN: Yeah. We all will see  
5 what the upshot is after you've taken a look at it  
6 specifically for next time. Thank you, Scott.

7           DR. NETON: This is Jim. I just have a  
8 little comment to add on that first case that Hans  
9 discussed.

10          CHAIR MUNN: Mm-hmm.

11          DR. NETON: I'll just kind of add  
12 quickly, and we're not going to answer completely  
13 now, but it does appear, it says -- I looked at the  
14 NIOSH report summary document, the most recent one,  
15 and Labor reported that, I'm quoting, "An  
16 additional metastatic cancer has been reported.  
17 This new cancer will be accepted as the SEC  
18 specified cancer."

19                 So that non-metastatic cancer was the  
20 one that got the person into the SEC. And then it  
21 says, "Please continue with dose reconstruction for  
22 possible non-SEC cancer medical benefits in regards  
23 to the other two primary cancers."

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, we'll explain it, but it looks to me  
2           like the metastatic cancer got him into the SEC.  
3           And for non-SEC cancers, if you want to get medical  
4           benefits for those, Labor asks us to reconstruct  
5           those doses to see if the PoC goes over 50 percent  
6           in toto. So, you know, I think there's a rationale  
7           behind that one.

8           CHAIR MUNN:    Yeah, for the Gaseous  
9           Diffusion Plant case, right?

10          DR. NETON:    Well, no. For any SEC  
11          site. But anyway --

12          CHAIR MUNN:    Well, yeah. But  
13          specifically for Findings 1 and 2, yeah.

14          DR. H. BEHLING: But, okay, in this case  
15          he was covered under the SEC but --

16          DR. NETON:    The primary cancer, the  
17          cancer that got him into the SEC, had to be  
18          considered along with the other non-SEC cancers to  
19          see if he qualified for medical benefits for those  
20          non-SEC cancers.

21          DR. H. BEHLING: Based on what I saw,  
22          obviously the medical reviewer heeded to the need  
23          to revise the ICD-9 codes from [identifying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information redacted] to [identifying information  
2 redacted]. And that wasn't obviously done.

3 And I guess the second issue is would  
4 there even be a need to do a dose reconstruction for  
5 a metastatic cancer that is identical to the primary  
6 cancer?

7 DR. NETON: Well, we'll have to  
8 research that a little further. But I can kind of  
9 see the logic behind what happened here.

10 DR. H. BEHLING: Well, I can see the  
11 issue that he might have been compensated under this  
12 special situation. But the fact is, when you have  
13 a metastatic cancer, the dose of the metastatic  
14 cancer is the same as the primary cancer, no matter  
15 what the issues are. There's no need to revise the  
16 dose reconstruction.

17 CHAIR MUNN: All right. We'll look  
18 forward to some additional thoughts on that after  
19 the review has taken place. And thank you, Hans.  
20 Anything else so say about PER-43 until we move on?

21 (No response.)

22 CHAIR MUNN: We'll look at that next  
23 time. And now we'll go to PER-18 review.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. K. BEHLING: Okay. This is Kathy  
2 Behling. And PER-18 was the LANL site. And under  
3 Subtask 4, I had presented our findings, I think,  
4 last time. And we had looked at three cases. And  
5 there were, I think I had four or so -- no, five  
6 findings that we entered into the BRS. And NIOSH  
7 has responded to those findings. So I can quickly  
8 go through them, if you'd like.

9 The first one was PER-18 in Finding 06.  
10 Because there were five findings from the review of  
11 the PER-18. And on this finding, I was questioning  
12 -- I saw in the records there was a neutron dose of  
13 80 millirem that didn't appear to have been  
14 accounted for in the dose reconstruction.

15 And based on Scott Siebert's review, he  
16 said that that was correct. And they did go in and  
17 add that dose to the official file now. So, based  
18 on that response, I assume that we can close this  
19 finding.

20 CHAIR MUNN: That's good. Can you make  
21 that notation, Steve?

22 MR. MARSCHKE: Yes.

23 CHAIR MUNN: How does that catch us up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with PER-18? Do we have anything outstanding?  
2 What's outstanding? We're done with that one.

3 MS. K. BEHLING: Okay. Yeah, there are  
4 a few other findings here. But I think that we can  
5 resolve all of these. But I'll just give you a  
6 brief explanation. Do you want me to wait?

7 CHAIR MUNN: Yeah, let's wait. And  
8 let's see if we can close Finding 6 in real-time  
9 here.

10 (Pause.)

11 MR. KATZ: While we're doing this, can  
12 I ask either Kathy or NIOSH, does this PER relate  
13 to the period post-2000 for LANL or does it -- I  
14 don't know.

15 MS. K. BEHLING: I'm not sure.

16 MR. KATZ: Maybe, NIOSH, can you answer  
17 that question? Or is it more generic than that?

18 MR. SIEBERT: Give me a minute. I'm  
19 doing a little bit of digging. But I don't think  
20 it's post-2000. But let me check.

21 MR. KATZ: Okay, thank you, Scott.

22 (Pause.)

23 MR. MARSCHKE: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Okay, thank you.

2 MS. K. BEHLING: And would you like me  
3 to go on, or do you want to wait for Scott?

4 MR. KATZ: Oh, no. You can go ahead.

5 CHAIR MUNN: I think you can go on.  
6 PER-18, we're on Finding 7.

7 MS. K. BEHLING: Yes. I'm going to  
8 address, if I can, Finding 7 and Finding 8 together,  
9 because they're very similar.

10 In Finding 7, this had to do with, when  
11 I went through the records, I did not see where, for  
12 film badge records, that an uncertainty was applied  
13 as recommended in the Technical Basis Document.  
14 That's Finding 7.

15 In Finding 8, there's also supposed to  
16 be a Model 7776 dosimeter uncertainty factor for  
17 neutrons that I didn't think was applied.

18 And here, I believe, Scott also  
19 responded to this. And he indicated that NIOSH  
20 does not agree with this and that if you go into the  
21 various tools that are in this file, that you will  
22 see where these uncertainties are applied.

23 And he points specifically to a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 simulation setup tab in the Voss MC simulation tools  
2 and told me where to look for this uncertainty  
3 factor, and also points out some information from  
4 the LANL calculation error workbook, and how they  
5 coordinate and how these uncertainty factors get  
6 applied.

7 And I did go into those workbooks. I do  
8 now see where these uncertainty factors are. And  
9 I agree that they were applied appropriately. So  
10 I do agree with Scott's comments and his response  
11 to both Findings 7 and 8.

12 But if I can just take a minute, Wanda,  
13 just so that I can elaborate on this finding a little  
14 bit.

15 CHAIR MUNN: Yeah, please do, Kathy.

16 MS. K. BEHLING: Okay. You know,  
17 obviously, you know, as we can see just based on the  
18 response, the dose reconstruction process is very  
19 complex. And it continues to evolve.

20 And typically, in the old days, we used  
21 to be able to go into just an external calculation  
22 workbook, which is complex in itself. There's  
23 usually at least thirteen or more tabs that have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 lots of information and calculations. If we would  
2 go into a scoreboard tab and marry that sometimes  
3 with a Monte Carlo tab, we can pretty much determine  
4 how NIOSH is calculating their doses.

5 I will say, and I know this is not new  
6 to NIOSH or to ORAU, but we're seeing now additional  
7 workbooks, such as these error calculation  
8 workbooks, these Voss simulation tools. It used to  
9 be they would run the Monte Carlo risk analysis  
10 using Crystal Ball. Now they use Voss.

11 The first time, as we were talking  
12 earlier today with the CLL cases, the first time we  
13 saw a Weibull dose distribution was in reviewing a  
14 case. We didn't know when that was being used, why  
15 it was used.

16 And so all I'm trying to suggest here is  
17 that, from a reviewer's point of view, and it just  
18 seems that it would make for a more efficient  
19 process if sometimes SC&A was made aware of these  
20 changes, perhaps, and kept sort of in the loop.

21 Now, in one particular case, you know,  
22 once we're aware of a new tool, we generally train  
23 ourselves. Now, I know we talked earlier about the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       CLL simulator.     The only reason we ended up  
2       requesting training on that particular tool was  
3       because there was no workbook.    I mean, there was  
4       no guidance document.

5                     And so I guess, from my perspective, it  
6       just seems that if we could be made aware of the new  
7       tools as they come out, if there's some training  
8       that we could get, or if even there's -- and Scott  
9       does allude to some instructional, these Voss  
10      simulator instructions, which I'm not even quite  
11      sure where that document exists.

12                    But it would certainly make, you know,  
13      the process more efficient.    And I think it would  
14      help to eliminate findings like this.   And so we're  
15      sensitive to that today.

16                    MR. KATZ:    I think that's a great  
17      suggestion, Kathy.    And I wondered if, Stu, you  
18      don't need to answer now, but if Stu and Scott, if  
19      you could just figure out whether there's a way to  
20      bring them in.    Because there must be sort of a  
21      notification that goes out internally when you guys  
22      have new tools and new methods.    And maybe if SC&A  
23      can be brought into the loop there, that would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 helpful.

2 MR. SIEBERT: Well, this is Scott. One  
3 thing I'll point out that's difficult is the fact  
4 that they're looking backwards in time. These  
5 tools -- and we'll actually deal with this in one  
6 of the later findings on this one right here -- the  
7 tools that we refer to that are so complex, with the  
8 different ones we have to deal with, we no longer  
9 use those. We simplified them by putting them all  
10 into a single tool.

11 So, even if we had given you an update  
12 on when the tool had changed, that would have been  
13 back in, I guess, 2010, and you're reviewing it now  
14 --

15 MS. K. BEHLING: Correct.

16 MR. SIEBERT: -- which the newer tool  
17 actually is there. And this old tool is out of date  
18 for what we do these days. I'm not sure how helpful  
19 that would be. But I guess you know what I'm trying  
20 to say.

21 MS. K. BEHLING: Yeah. I guess what  
22 we're trying to avoid is, like I said, even with the  
23 Weibull distribution, the first time we saw it in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a dose reconstruction report we felt like we had to  
2 make it a finding, because we just didn't know where  
3 it had come from.

4 And if we were perhaps kept abreast of  
5 some of these changes, or these additions of new  
6 workbooks and new methodologies, like I said, the  
7 Voss versus the Monte Carlo and that type of thing,  
8 we would at least be aware of some of these things.  
9 And we could avoid, like I said, some of these  
10 findings. And if we need training, we would ask for  
11 it. If we can train ourselves, we try to do that.  
12 Just a thought.

13 MR. HINNEFELD: This is Stu. We'll  
14 work with ORAU and see what we can do about  
15 notifications. But like Scott said, you know, the  
16 chances are, you know, if we notify about any new  
17 tools, it'll be some time before SC&A would see that  
18 tool and complete a review. But we'll see what we  
19 can do.

20 MS. K. BEHLING: Yeah. But, like I  
21 said, even if it's going to be a few years down that  
22 we might encounter that in a dose reconstruction,  
23 if we're at least aware of it and it doesn't surprise

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 us when we see certain things.

2 So I'm not, you know, trying to justify  
3 my finding here and why I was wrong. But, you know,  
4 things are very, very complex and, you know,  
5 especially with these best estimates.

6 So I guess in resolving, or in reviewing  
7 the response for 18-07 and 18-08, Findings 7 and 8,  
8 I do agree, now that I'm aware of where to look for  
9 these uncertainty factors, I was able to track them  
10 down. I was able to see that they were applied  
11 correctly.

12 And so, again, I'll have to concede  
13 these two findings. And I think we can close them.  
14 Do you agree, Wanda?

15 CHAIR MUNN: I certainly do. If there  
16 are any comments from other Board Members?

17 MEMBER ZIEMER: I agree on these as  
18 well.

19 MEMBER BEACH: I do too, Wanda. This  
20 is Josie.

21 CHAIR MUNN: That's fine. Steve, will  
22 you please make the proper notation for both  
23 Findings 7 and 8 on PER-18?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. MARSCHKE: Will do.

2 CHAIR MUNN: Thank you. I think Steve  
3 has almost a template that can go right in there  
4 right now. And, Kathy?

5 MS. K. BEHLING: Would you like me to  
6 continue?

7 CHAIR MUNN: Please continue.

8 MS. K. BEHLING: Okay. Finding 9, in  
9 this finding I was questioning why the dose  
10 reconstructor used a median value rather than a 95th  
11 percentile value for the neutron-to-photon ratio.  
12 I felt that that was an underestimation when you  
13 actually looked at his records. It would have --  
14 his measured doses would have given him a higher  
15 dose than the 95th percentile dose.

16 And in the response, I think, Scott, you  
17 know, you can jump in if I'm not saying this  
18 correctly, but I think NIOSH's response is fairly  
19 lengthy. And they do agree that, based on the  
20 records for the years 1951 and 1953, it was best to  
21 use either the 95th percentile value or actually the  
22 measured dose.

23 And they have gone in, from what I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 understand, and made changes to the dose  
2 reconstruction guidelines and also, I believe, they  
3 made changes to the workbook. Yes. And again.  
4 Scott, correct me if I'm wrong, but they went in and  
5 they --

6 (Telephonic interference.)

7 CHAIR MUNN: Kathy, you're fading out  
8 badly. You're breaking up on my phone. I don't  
9 know whether it's just my phone.

10 MR. KATZ: It's not just you. It's  
11 everyone. It's all very garbled.

12 CHAIR MUNN: Oh, okay. You're  
13 breaking up badly, Kathy.

14 (Telephonic interference.)

15 MR. KATZ: Yeah, Kathy. I think  
16 there's something wrong with your line. I mean, I  
17 could make out what you were saying, but it's very  
18 difficult.

19 MS. K. BEHLING: Okay. Let me grab  
20 another phone. I'll put you mute for just one  
21 second.

22 MR. KATZ: Yes.

23 DR. H. BEHLING: Kathy, try the other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 phone next to you.

2 MR. KATZ: Yes, Hans is very clear.

3 DR. H. BEHLING: Yeah. She sat at a  
4 different location to keep us separate from  
5 fighting.

6 (Laughter.)

7 CHAIR MUNN: Yeah, that's probably why.

8 DR. H. BEHLING: No, she has another  
9 phone.

10 MS. K. BEHLING: Okay. Is that better?

11 MR. KATZ: That's perfect.

12 CHAIR MUNN: Much, much better.

13 MS. K. BEHLING: Okay, I'm sorry.  
14 Okay, let me repeat myself, then. Did you want me  
15 to go through this Finding 9 again?

16 CHAIR MUNN: Not all the way. Just  
17 back up a couple of sentences.

18 MS. K. BEHLING: Okay. What I was  
19 saying is that NIOSH did agree with the finding. It  
20 appears that they are making changes. They made a  
21 change to this dose reconstruction report.

22 And they're also making changes to  
23 guidance and to the workbook associated with, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 guess, LANL. Or is it all the workbooks, Scott? I  
2 think you were telling --

3 MR. SIEBERT: It's LANL, because it's  
4 this specific issue with the neutrons at LANL.

5 MS. K. BEHLING: Okay. So, based on  
6 their response and everything that they have done,  
7 like I said, they did agree with the finding. And  
8 they have made the appropriate changes.

9 CHAIR MUNN: The changes have been  
10 made? Or are they going to be made?

11 MS. K. BEHLING: Scott?

12 MR. SIEBERT: We have put the new  
13 information into the DR guidance document. And we  
14 have already updated the tool. So we have taken  
15 care of it already.

16 CHAIR MUNN: All right. So that leads  
17 me to believe that we can close this item. Is that  
18 correct?

19 MS. K. BEHLING: Yes.

20 CHAIR MUNN: Very good. Paul?

21 MR. KATZ: Wanda, why don't you have  
22 Paul, unless I get clarification from Scott, why  
23 don't we just have Paul recused from this?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Okay.

2 MEMBER ZIEMER: Works for me on this  
3 one.

4 MR. KATZ: That's why, we don't know.

5 MEMBER ZIEMER: Yeah, okay.

6 CHAIR MUNN: Josie?

7 MEMBER BEACH: Yes, I agree with that  
8 also, closing.

9 CHAIR MUNN: If you would make the  
10 appropriate notation, Steve. All right. That's  
11 very good.

12 MS. K. BEHLING: And would you like me  
13 to go on, Wanda?

14 CHAIR MUNN: Please do.

15 MS. K. BEHLING: Okay. And this is the  
16 last finding. It's Finding 10. And in this case,  
17 I could not manually calculate the neutron dose and  
18 get close to matching the NIOSH numbers. My  
19 numbers came in lower than the numbers that were  
20 actually generated for the dose reconstruction.

21 And I believe that Lori responded to  
22 this finding. And she indicated that there was an  
23 error that, I guess, the neutron error calculation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was transcribed incorrectly into the simulation  
2 setup tab in the Voss simulation tool.

3 And apparently that -- and there's a  
4 very long explanation here -- but that is the reason  
5 their doses were excessively high and I couldn't  
6 match their numbers.

7 And they said that this potential  
8 situation has been resolved through the updated  
9 workbook, which contains all the necessary error  
10 calculations.

11 So, it sounds to me that, again, they've  
12 made their changes, and they agreed with the  
13 finding. And so, again, I would suggest that we  
14 could close this, unless Lori would like to add  
15 anything to her response.

16 CHAIR MUNN: Lori?

17 MS. MARION-MOSS: This is Lori.  
18 Actually, I uploaded that to Scott.

19 CHAIR MUNN: Scott?

20 MR. SIEBERT: This is Scott. Yeah,  
21 that is the case. It was a case of the dose  
22 reconstructor just making the error when they  
23 transferred some numbers over and putting the wrong

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ones in, which wildly overestimated the neutron  
2 dose.

3 And, yeah, we've entirely rolled that  
4 into a single tool where it's carried through  
5 without the dose reconstructor having to recreate  
6 those numbers between multiple tools. And that  
7 will avoid that same issue.

8 MS. K. BEHLING: Okay, very good.

9 CHAIR MUNN: Excellent.

10 MS. K. BEHLING: Again, I would suggest  
11 that we could close that.

12 CHAIR MUNN: That sounds wonderful to  
13 me. That's a salubrious outcome. Anyone with  
14 further comments regarding Finding 10 of PER-18?

15 (No audible response)

16 CHAIR MUNN: If not, then we can close  
17 that item, Steve. And thank you very much, all  
18 concerned. It's nice to be able to take PER-18 off  
19 of our list.

20 Now, we'll go on to PER-11, Findings 3  
21 and 5, NIOSH.

22 MR. HINNEFELD: This is Stu. I'll  
23 start out with a little something here. As I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 understand things, PER-11 and PER-14 are sort of  
2 intertwined here, because PER-14, as I understand  
3 it, is a construction trade workers PER.

4 And PER-11 is a K-25 TBD and TIB  
5 revisions to PER. And the findings, I believe both  
6 Findings 3 and 5 related to, were we correctly  
7 choosing to apply the construction trade worker  
8 adjustment to certain cases in that PER-11.

9 And it has to do with a specific word  
10 search for job type I think was used in PER-14 in  
11 construction trade worker ones, in fact, that same  
12 approach wasn't necessarily used in 11. Now, does  
13 that kind of summarize the situation, where we're  
14 at on these?

15 MS. GOGLIOTTI: Yes.

16 MR. HINNEFELD: Okay. And as I recall,  
17 at the last meeting we kind of agreed that, gee, we  
18 probably want to make, we want to make sure we're  
19 making a comprehensive application, you know, in  
20 every case we should.

21 And now I'm kind of getting a little  
22 foggy on where the discussion went. It may have to  
23 do with in what order these PERs were worked. For

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 instance, if we did PER-11 first, it's a lower  
2 number. That doesn't necessarily mean it was done  
3 first.

4 And we missed some construction workers  
5 there. Some of them we reworked were not -- we  
6 didn't apply the construction trade worker  
7 adjustment when perhaps we should have.

8 But then later on, we did PER-14, where  
9 we looked for all construction workers. And we did  
10 the comprehensive search for construction workers,  
11 you know, the word search. Logic dictates that we  
12 would have found those K-25 cases from PER-11 that  
13 we didn't treat as construction trade workers when  
14 we did PER-11.

15 MS. GOGLIOTTI: I believe PER-14 --

16 MR. HINNEFELD: Was there anybody else?

17 MS. GOGLIOTTI: -- actually was done  
18 first.

19 MR. HINNEFELD: Fourteen was done  
20 first?

21 MS. GOGLIOTTI: I believe so.

22 MR. HINNEFELD: Okay. Well, that's  
23 certainly could happen. Okay. Well, I think we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 kind of agreed that we should make sure we make, we  
2 don't want to miss anybody in PER-11.

3 But I don't know that we've proceeded  
4 any farther than that agreement yet. Jim or Lori,  
5 have you got other stuff to add here, or am I losing  
6 track of the thread here?

7 MS. MARION-MOSS: This is Lori. I  
8 believe that the issue here, and correct me if I'm  
9 wrong, Rose, but the issue here is that SC&A wants  
10 or would prefer that we include the list of titles  
11 in PER-11, similar to what we've done in PER-14. Am  
12 I correct?

13 MS. GOGLIOTTI: That's part of the  
14 issue. Well, previously we determined that  
15 OTIB-52 was being incorrectly interpreted to  
16 exclude construction trade workers that worked for  
17 the prime contractor. So those were being excluded  
18 already, incorrectly. So that's one aspect of the  
19 problem.

20 And the second is, because construction  
21 trade worker means different things to different  
22 people, we're concerned that different dose  
23 reconstructors would do the same case differently.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MARION-MOSS: But you go on to  
2 discussing your responses. And I can't remember  
3 which one, but you specifically say that we need to  
4 establish criteria for what a construction trade  
5 worker is.

6 MS. GOGLIOTTI: Yes. That would  
7 resolve a large portion of the problem.

8 MS. MARION-MOSS: And what we are  
9 assessing is that that is what we did in Revision  
10 2 of OTIB-52. We've established what that criteria  
11 was.

12 And I used your example that you used in  
13 the case that you referenced in your response. And  
14 if you take a look at that particular case, which  
15 is the K-25 case, yes, we deemed this individual,  
16 or this EE, as a non-CTW. But if you look at -- and  
17 the reason we did so was because of the criteria that  
18 was in OTIB-52.

19 If you go back and look at our revision,  
20 this particular individual, regardless of what his  
21 title may have been, would have been assessed  
22 against the new criteria, the clarification that  
23 was made. And this individual would have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       assessed to determine whether or not he would have  
2       been a CTW. And he would have been.

3                       So basically, what I'm saying is that I  
4       believe we have addressed the criteria that you  
5       specified we needed to do in OTIB-52.

6                       MR. HINNEFELD: And that, Lori, you're  
7       saying in the most recent revision of OTIB-52, and  
8       the PER-14 was in a previous one. But do we have  
9       a PER underway or that we have completed that was  
10      based on the qualifying language that we added to  
11      the construction trade worker TIB, OTIB-52?

12                      MS. MARION-MOSS: No. That PER is  
13      being developed.

14                      MR. HINNEFELD: Okay. So what we're  
15      saying then is that that PER where we address where,  
16      you know, since we clarified OTIB-52 to make it  
17      clearer that employees of the prime should also be  
18      construction trade workers if they had the right  
19      trade, we made that adjustment to OTIB-52.

20                      We are getting prepared to do a PER based  
21      on that adjustment. And that PER, were it not to  
22      do, should rectify any situation like they observed  
23      in PER-11, or for that matter any other site where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we may have, you know, not applied construction  
2 trade worker adjustments to people who said they  
3 were employees at the plant. So that's essentially  
4 where we at on this, right?

5 MS. MARION-MOSS: Right.

6 MS. GOGLIOTTI: So the plan is, if I'm  
7 understanding this correctly, that a new PER will  
8 be issued to address the revision of OTIB-52 and  
9 that will encompass --

10 MS. MARION-MOSS: Correct.

11 MS. GOGLIOTTI: -- all these cases that  
12 were missed by PER-11 and PER-15 as a result of  
13 misinterpretation of 52?

14 MS. MARION-MOSS: Correct.

15 MR. HINNEFELD: Yeah.

16 MS. MARION-MOSS: PER-14.

17 MS. GOGLIOTTI: So would it be  
18 reasonable to move these into abeyance until that  
19 PER is issued?

20 DR. NETON: Well, I don't know if it  
21 makes any difference. This is Jim. But PER-11 was  
22 done before PER-14.

23 MS. GOGLIOTTI: It was.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: PER-11 was done on  
2 9/26/2007 or issued. And PER-14 was 11/28/2007.  
3 I don't know if that makes any difference.

4 MR. HINNEFELD: Yeah. But  
5 nonetheless, the ultimate fix is the upcoming PER  
6 from the clarification of OTIB-52.

7 DR. NETON: Well, I agree.

8 MR. HINNEFELD: Yeah. I think, you  
9 know, abeyance could be a status or, I don't know,  
10 you know. In progress could still be the status,  
11 and it wouldn't be closed until, somehow we'd want  
12 to link them to the new PER, to that upcoming PER  
13 which I don't think is numbered yet.

14 MR. MARSCHKE: Do we want to, this is  
15 Steve, do we want add a comment to this basically  
16 discussion under PER-11, what is it, 11-3 or  
17 whatever it is?

18 CHAIR MUNN: Yeah, Findings 3 and 5.

19 MR. MARSCHKE: Finding 3, basically  
20 saying to summarize this discussion saying that,  
21 you know, NIOSH is in the process of issuing a PER  
22 for revision, or OTIB-52, Revision 2, which will  
23 basically envelope all these --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Yeah, will resolve this  
2 finding.

3 MR. MARSCHKE: Will resolve this  
4 finding.

5 CHAIR MUNN: That will make it simple  
6 enough.

7 MR. KATZ: Right. And I think it is  
8 abeyance then, because there's agreement there was  
9 an issue. And that will be resolving it. And  
10 there's agreement on basically how to resolve it.  
11 And we just need to see it.

12 MR. MARSCHKE: Okay. Then we'll do a  
13 change as opposed to the --

14 CHAIR MUNN: That statement is  
15 appropriate and abeyance should be, which will  
16 resolve this issue.

17 Okay, let's go back up to the very first  
18 line. And let's eliminate the words has explained  
19 that they are. Just take out that phrase and just  
20 say is. And the process of the PER associated with  
21 OTIB-52, Revision 2. That's fine for me. Anyone  
22 have any problem with that?

23 MEMBER ZIEMER: That looks good.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: Very good. Let's move on.

2 MR. MARSCHKE: Want to do the same for  
3 the other one?

4 CHAIR MUNN: Finding 5. It appears to  
5 me that a similar statement needs to be made and in  
6 abeyance. Is that the feeling of the others on the  
7 Board? Paul?

8 MEMBER ZIEMER: Yes.

9 CHAIR MUNN: And Josie?

10 MEMBER BEACH: Yes. Same here.

11 CHAIR MUNN: Steve, if you will just  
12 repeat that comment under Finding 5?

13 MR. MARSCHKE: Will do.

14 CHAIR MUNN: We'll move on to PER-14.  
15 We'll take a look to see what the -- and NIOSH, I  
16 believe I interpreted what you said to encompass  
17 this PER as well. Is that correct?

18 MR. MARSCHKE: We're on 14 now?

19 CHAIR MUNN: Yeah, on PER-14. Stu,  
20 Jim, it's my understanding.

21 MR. HINNEFELD: Well, yeah. I didn't  
22 think there was a, I don't know if there was a  
23 particular finding on 14 that we were addressing,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but it would be, I mean, that would actually give  
2 a comparison, the workers' comparison that was used  
3 in PER-14 that was not used in PER-11. So is there  
4 an active finding that's relevant to the discussion  
5 we just had on 14?

6 MR. MARSCHKE: The BRS shows all the  
7 findings on 14 closed.

8 MR. HINNEFELD: Yeah. So I didn't  
9 think there were any, I didn't think there was  
10 anything open on 14, to be honest.

11 CHAIR MUNN: All right. Then we can  
12 just take that off our list entirely.

13 MS. GOGLIOTTI: I believe that that was  
14 on the list initially because NIOSH was going to  
15 compare the claims from PER-11 and see what job  
16 titles in PER-11 came up versus what was used in  
17 PER-14.

18 MR. HINNEFELD: For me it was, it's  
19 there for sort of comparison purposes for the PER-11  
20 findings. Because there wasn't anything really to  
21 discuss on 14.

22 CHAIR MUNN: Right. All right. Then  
23 we'll remove that from our agenda. And we will go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on to PER-52. SC&A?

2 DR. H. BEHLING: That's me again. But,  
3 Wanda, can I just take one second to go back to the  
4 previous case number where we had a [identifying  
5 information redacted] cancer?

6 And I'm still confused. Because I  
7 don't want to make this mistake again where, I  
8 guess, NIOSH responded that the dose reconstructor  
9 may have then reviewed the internal dose, based on  
10 the decision to either assign it to [identifying  
11 information redacted] or [identifying information  
12 redacted] and use the [identifying information  
13 redacted] because it's higher.

14 And I always realize that I'm going to  
15 be questioned in terms of my failure to understand  
16 that. And I'm going back to the [identifying  
17 information redacted] which really states that, for  
18 those cancers that are described as [identifying  
19 information redacted], select the [identifying  
20 information redacted] as the internal organ.

21 But in the case where [identifying  
22 information redacted], a medical review should be  
23 conducted to determine the appropriate internal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 organ of interest, appropriate organ of interest.

2 That does not mean select the higher  
3 one. There are certain instances, I understand,  
4 when you, for instance, determine whether or not the  
5 action for Type M or S is potentially an option you  
6 should consider, you select the higher one. But  
7 that is the decision of the dose reconstructor.

8 In this case, the way I interpret  
9 [identifying information redacted], it says that,  
10 and I quote again, [identifying information  
11 redacted], not the higher one, which would be  
12 optional for the dose reconstructor.

13 The way I interpret that [identifying  
14 information redacted] to say is, you will rely on  
15 a medical review to determine which is the  
16 appropriate, not necessarily the higher one.

17 And I just want to make that issue,  
18 because I always feel that we're going to get,  
19 perhaps, held accountable for introducing a finding  
20 that should not be a finding. And the way I see  
21 this, I will stand by my position that this is a  
22 finding until a medical review was conducted that  
23 says one way or the other.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Okay. So then what  
2 you're saying is that the footnote does not provide  
3 the leeway for an --

4 DR. H. BEHLING: Dose reconstructor.

5 MR. HINNEFELD: -- expedient, you know,  
6 expedient approach.

7 DR. H. BEHLING: Yes.

8 MR. HINNEFELD: For instance, if you do  
9 the highest approach, and it's not going to be  
10 compensable or above 45 percent, then that  
11 typically is, you know, something that we've done  
12 for expedience.

13 But this [identifying information  
14 redacted] doesn't allow that flexibility. So an  
15 option would be to revise that [identifying  
16 information redacted] to allow for the flexibility  
17 of using the higher outcome as an expedient measure.

18 DR. H. BEHLING: That would be my  
19 recommendation. And I think that would solve the  
20 issue. Had that been reconstructed or considered,  
21 that would not be my finding.

22 MR. HINNEFELD: Right.

23 MR. SIEBERT: This is Scott. Once

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       again, I can understand how that could solve the  
2       problem.  However, we don't necessarily have in  
3       every procedure to state that you can use efficiency  
4       methods if they're deemed appropriate.

5               In this case, you know, the medical  
6       review is going to select either [identifying  
7       information redacted].  And I agree, if you follow  
8       the letter of the procedure, and it says that we need  
9       to do that in a best estimate case, I would agree  
10      wholeheartedly that medical review would need to be  
11      done.

12             However, if you have two options and  
13      both of them are non-compensable, efficiency  
14      methods have been used in the past and continue to  
15      be used.  So I understand where you're coming from.  
16      But I'm just pointing out that we don't necessarily  
17      proceduralize all efficiency methods.

18             DR. H. BEHLING:  To me, I always feel  
19      that guidance should be as definitive as possible  
20      so that the option for deciding one way or the other  
21      should not be that of a dose reconstructor, at least  
22      in certain cases where you realize it could make the  
23      difference     between     compensation     and     not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 compensation unless you segregate that case.

2 But in this case, that [identifying  
3 information redacted] should say use either one  
4 depending on which one's higher provided he's not  
5 compensable.

6 MR. SIEBERT: Well, that's my point.  
7 That, in our normal practice of efficiency methods,  
8 if one was compensable and the other one was not,  
9 the dose reconstructor would get the medical  
10 review. Because in a --

11 (Simultaneous speaking)

12 MR. SIEBERT: -- you can't --

13 MR. HINNEFELD: I'd like to intervene  
14 here. If I could intervene, Ted, you and I know why  
15 discussion's going on. And perhaps we can sort out  
16 a way outside the meeting --

17 MR. KATZ: This is actually, I mean, I  
18 don't want to get into it here, but this is actually  
19 a non-issue. So there's no reason to persist here.

20 MR. HINNEFELD: Yeah, that's what I'm  
21 thinking. We can sort out a way that this is scored  
22 appropriately for --

23 MR. KATZ: And this thing would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 scored appropriately. This is just, like I said,  
2 a non-issue.

3 MR. HINNEFELD: Yeah. That's what I'm  
4 saying.

5 CHAIR MUNN: So how are we --

6 MR. KATZ: So, Wanda, you can just  
7 proceed from here. We really don't need to persist  
8 on this discussion at all.

9 CHAIR MUNN: Okay.

10 DR. H. BEHLING: Okay. I will take  
11 PER-52. This is the review of the Westinghouse  
12 template. And that came about as a result of  
13 additional air sample data.

14 In the original template for the  
15 Westinghouse facility, there were only 3,093 air  
16 samples available to determine what potential  
17 intakes might have been on the part of workers and  
18 both from inhalation and ingestion.

19 And then subsequently, a substantial  
20 number of air samples were discovered that raised  
21 the total to 12,694 air samples. And in the process  
22 of analyzing that data, it became very clear that  
23 the potential inhalation and ingestion doses would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 go up, hence the issue of DCAS PER-52 that would then  
2 assess the potential impact on those cases that had  
3 been previously completed under the old template.

4 And I'll make it quick here, if Steve  
5 could go to Page 10 of that report. Okay. These  
6 three tables, 2A, 2B and 2C, are the things that give  
7 me a little bit of a problem here.

8 These three sets of intakes were defined  
9 for three groups of workers. The one up top, 2A,  
10 intakes for unmonitored operators and general  
11 laborers. And the key here that I want to point out  
12 is unmonitored operators. Those are the highest,  
13 those are the 95th percentile values of the more  
14 than 12,000 air samples that were taken. And you  
15 have both intakes: inhalation and ingestion.

16 The second one is unmonitored  
17 supervisors. And again, the word unmonitored  
18 sticks out here. And the third is for unmonitored  
19 all other workers.

20 And we see that they are obviously the  
21 unmonitored supervisors are 50 percent of the  
22 operators and the other workers are ten percent of  
23 the unmonitored supervisors.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And the thing that I had some concerns  
2                   were the issues that are described on the next page  
3                   as to how they might apply, on Page 11.

4                   You have, and I'll read for you at the  
5                   top of the page, during operational periods '71,  
6                   '72, partially monitored workers, those who have  
7                   bioassays for uranium and plutonium, should be  
8                   assigned unmonitored exposures at the 95th  
9                   percentile.

10                  Now, here's the question. When I see  
11                  the word unmonitored, does that mean completely  
12                  unmonitored, partially unmonitored? And what does  
13                  that really mean?

14                  And I wasn't really sure whether we're  
15                  talking about a categorization of people who follow  
16                  in one of three classifications as operators and  
17                  laborers, supervisors and all others. Or are those  
18                  divisions subject to a secondary assessment based  
19                  on whether or not they are potential bioassay data.

20                  And my question is what happens if you  
21                  have an operator who you know is defined as an  
22                  operator or a laborer but, for some reason or  
23                  another, he was never monitored?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   What do you do? Do you assign him the  
2                   95th percentile value as it occurs in Table 2A? Or  
3                   is he automatically, by virtue of not having any  
4                   bioassay data, he is defaulted to Table 2B which is  
5                   for unmonitored supervisors?

6                   And so you have this conflict. Do you  
7                   have an operator without bioassay who then gets the  
8                   mean value? Or do you assess him with Table 2A?

9                   And the second question is for the value  
10                  of the supervisors, I'm not sure whether the 50  
11                  percent value is a median value or is this just an  
12                  arbitrary decision to say it's 50 percent of the  
13                  operator value.

14                  Because in previous, or the old  
15                  templates, there was a geometric mean as well as a  
16                  95th percentile. But I suspect that the Table 2B  
17                  is not a median value or a geometric mean value. Is  
18                  that correct?

19                  CHAIR MUNN: Can someone --

20                  DR. H. BEHLING: Could someone answer  
21                  that?

22                  CHAIR MUNN: -- answer the question?

23                  (Simultaneous speaking)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MARION-MOSS: Mutty, would you have  
2 an answer?

3 DR. H. BEHLING: Can I interrupt?  
4 Because on Page 11, the second one, for completely  
5 unmonitored workers, unmonitored exposure should  
6 be based on the geometric mean intake. So I take  
7 it, it is a geometric mean intake. But it doesn't  
8 appear to be that. It's a 50 percent value.

9 MR. SHARFI: This is for which?

10 CHAIR MUNN: It's a review of PER-52.

11 MR. SHARFI: I would have to pull  
12 Westinghouse. Let me look it up a little bit and  
13 see if I can get back with you.

14 CHAIR MUNN: Okay.

15 DR. H. BEHLING: I mean, when you look  
16 at, for instance, the old templates, and you look  
17 at the value of the geometric mean which, during the  
18 operational period this, in fact, Steve, go to Page  
19 9, please.

20 If you look at Table 1, if you scroll up  
21 a bit, you can see, look at the geometric mean for  
22 1971 through '73. And we have a geometric mean of  
23 9.122.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And you also have a GSD which is also  
2           missing in that, if it turns out to be a geometric  
3           mean. And then you look at the 95th percentile  
4           which is approximately more than fourfold higher,  
5           not 50 percent, which gives me reasons to question  
6           what is Table 2B.

7           It doesn't appear to be a geometric mean  
8           value as referred to, I guess, indirectly on Page  
9           11 where it says for completely unmonitored  
10          workers, unmonitored exposure should be based on  
11          the geometric mean intake and assigned either da,  
12          da, da, da, da.

13          You don't have that value, according to  
14          my assessment of what these numbers represent, nor  
15          do you have their geometric standard deviation  
16          which is usually incorporated when a geometric mean  
17          is used as an intake for a lower-exposed worker. So  
18          I guess I'm having problems with those three tables.

19                 MR. SHARFI: Okay. Can you move back  
20                 up to the first table? I don't have the document  
21                 in front of me, so I was trying to pull the numbers  
22                 9.2, 9.1.

23                 DR. H. BEHLING: Yeah, the first one is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 9.122. And then it has the geometric standard  
2 deviation of 4.638. And then the 95th percentile  
3 is approximately fourfold higher, slightly more  
4 than fourfold higher than the geometric mean.

5 But that was the old template. I'm just  
6 using that as a reference for assessing the merit  
7 of the data that are presented in Table 2A, 2B, 2C,  
8 which are in the revised templates.

9 MR. SHARFI: I will bet you, without  
10 going into raw data, that the 95th percentile is not  
11 the calculated 95th percentile, but the actual,  
12 based off the data which is probably what you're  
13 seeing is, as you get to the higher levels of it,  
14 you're going to get tailoff.

15 And so the theoretical 95th, which would  
16 be about 115 if you calculate it based off the GSD  
17 versus the measured 95th percentile, the actual  
18 data set, that is probably the actual 95th  
19 percentile. The data set was used for that 95th  
20 percentile.

21 DR. H. BEHLING: So is Table 2B a  
22 geometric mean?

23 MR. SIEBERT: Can I interrupt? This is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Scott. We haven't, as far as I know, we have not  
2 created formal responses to this. So I'm not sure  
3 if we should really just be winging it. And I think  
4 we should probably develop formal responses for  
5 these, get them into the application and then move  
6 forward at that point.

7 DR. H. BEHLING: Okay.

8 MR. SIEBERT: I mean, Stu, feel free to  
9 tell me I'm wrong and move on and continue what we're  
10 doing. But that just seems wiser to me.

11 MR. HINNEFELD: Well, I think you're  
12 right, Scott. I think if we haven't entered  
13 responses, then we should take the opportunity to  
14 put some reviewed responses together and get into  
15 the BRS.

16 DR. H. BEHLING: Yes. As I said, it  
17 confused me to a large degree. Because in the  
18 event, as I've just mentioned earlier, if you did  
19 have someone who, on the basis of records, was  
20 clearly identified as a Westinghouse worker who was  
21 an operator or a laborer but who had no bioassay  
22 data, which category of data, Table 2A or 2B, would  
23 apply? And according to --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. SHARFI: Well, they're the operator  
2 then. They would go to 2A.

3                   DR. H. BEHLING: Well, but because, on  
4 Page 11, it says for completely unmonitored  
5 workers. It doesn't say laborer or operators or  
6 supervisors. Unmonitored exposure should be based  
7 on a geometric mean intake. And this is what really  
8 confuses me. Which table do you apply when you have  
9 a certain condition where you don't have any  
10 bioassay data but the guy is clearly identified as  
11 an operator or laborer?

12                  MR. SHARFI: Well, then they go to the  
13 operator table. I mean, that's what those  
14 unmonitored intakes are for, is for unmonitored  
15 laborers and operators.

16                  DR. H. BEHLING: Well, but read the  
17 third paragraph on that Page 11. It says for  
18 completely unmonitored workers, exposure should be  
19 based on the geometric mean intake rate. So as far  
20 as I'm concerned, that option is yours, as a dose  
21 reconstructor, to decide whether you go to Table 2A  
22 or 2B?

23                  CHAIR MUNN: So do we have a finding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that would encompass that question?

2 DR. H. BEHLING: Yeah. I include that  
3 finding on Page 11, at the very bottom of Page 11.

4 CHAIR MUNN: Very good. Then we will  
5 anticipate that NIOSH will take the finding into  
6 consideration and provide us with some response.

7 DR. H. BEHLING: It may just be, Wanda,  
8 in the form of some clarification, how to use those  
9 three tables: 2A, 2B, 2C.

10 CHAIR MUNN: Right.

11 MR. SHARFI: Okay.

12 CHAIR MUNN: Very good, Finding 1 will  
13 be due for a response.

14 DR. H. BEHLING: Yeah, the Finding  
15 Number 2, just a brief thing. I think we may have  
16 even discussed this earlier. But, Steve, if you  
17 can go to Page 12, again, we have the issue of a 12  
18 percent 10-year-old fuel-grade plutonium ratios.

19 And you realize that, obviously, all of  
20 the air sampling that was done with Westinghouse was  
21 gross alpha counts, which means that you have the  
22 option of defining whether it's for plutonium,  
23 thorium or uranium as the option allows you to.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But if it turns out to be ten-year-old  
2 fuel-grade plutonium, then the mixture has to be  
3 defined in terms of plutonium-238 alpha, 239-alpha  
4 and americium-241 alpha. Because the three of them  
5 combined obviously make a total two unity. And so  
6 you have to break down the fraction of each of those  
7 alpha contributors to the air sampling data that was  
8 collected.

9           On the other hand, plutonium-241 is not  
10 an alpha emitter. And it needs to be looked at,  
11 obviously, because it will contribute to the dose,  
12 but it is not an alpha emitter.

13           And so I just think that that should be  
14 at least identified. Eliminate the word  
15 plutonium-241 alpha and then put a footnote. It  
16 must be incorporated into the dose assessment. I  
17 think we've discussed that finding before somewhere  
18 else, if I recall.

19           CHAIR MUNN: Possibly. But we will  
20 continue to carry it as a NIOSH response due.

21           DR. H. BEHLING: Okay. That's pretty  
22 much it for PER-52.

23           CHAIR MUNN: Alright. Any comments or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 questions? What I have now is that we have two  
2 outstanding findings. And NIOSH will review the  
3 review and respond to the two findings. Any other  
4 action, any other concern?

5 (No audible response)

6 CHAIR MUNN: If not, let's go on to  
7 PER-9, case audits.

8 MR. MARSCHKE: Wait a minute. This is  
9 Steve. I'm looking at the BRS.

10 CHAIR MUNN: Yes.

11 MR. MARSCHKE: Kathy, did you enter the  
12 second finding? I mean, I see the first finding  
13 that Hans was discussing on the partially monitored  
14 and completely unmonitored question. And then we  
15 get into Sub-task 4 findings. And I don't see the  
16 plutonium-241 question in here.

17 CHAIR MUNN: Yeah. It looks like we  
18 need an addition of plutonium --

19 MS. K. BEHLING: You're right, Steve.  
20 And I apologize. I've been having some problems  
21 entering some of this data into the BRS. And I have  
22 a lot of findings to enter, and I must have gotten  
23 confused here. But I can make a correction to that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 --

2 MR. MARSCHKE: Okay.

3 MS. K. BEHLING: -- offline, if you  
4 prefer.

5 CHAIR MUNN: That's good. That's all  
6 we need is just to add that second finding in, Kathy.

7 MS. K. BEHLING: I will do that.

8 CHAIR MUNN: And that will be good.

9 (Telephonic interference)

10 MS. K. BEHLING: So I will make those  
11 changes.

12 CHAIR MUNN: That's true. Okay.  
13 That's good. Thank you much, appreciate that.  
14 And thank you, Steve. Now, NIOSH, PER-9, case  
15 audits.

16 MS. MARION-MOSS: Before we move on,  
17 Wanda, Steve, can I ask you a question about that,  
18 the BRS?

19 MR. MARSCHKE: Sure.

20 MS. MARION-MOSS: So wouldn't that be  
21 the finding? The only thing she would need to  
22 remove is the wording Sub-task 4?

23 CHAIR MUNN: No. We haven't gotten

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 into Sub-task 4 yet. Well, hmm.

2 MS. MARION-MOSS: The finding is there,  
3 isn't it?

4 MR. MARSCHKE: As you read it, that is  
5 the Number 2 finding.

6 CHAIR MUNN: That's the Number 2?

7 MS. MARION-MOSS: That's the Number 2  
8 finding. She just added Sub-task 4. And it's --

9 MR. MARSCHKE: Oh, okay.

10 MS. MARION-MOSS: -- in there. So  
11 that's all you would need to correct, Kathy.

12 CHAIR MUNN: Right.

13 MS. K. BEHLING: Okay. Yes, you're  
14 correct. I see it now. I wasn't visualizing all  
15 of the finding wording. So, yeah. I just  
16 inadvertently put in Sub-task 4.

17 MR. MARSCHKE: Okay. That sounds  
18 good. Can we do that? Maybe we can edit that.

19 CHAIR MUNN: If we can edit it, just  
20 take it out now, that would be helpful. Super,  
21 done. That's great.

22 MR. MARSCHKE: Okay.

23 CHAIR MUNN: Alright, very good.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Thank you.

2 MR. MARSCHKE: Thank you, Lori.

3 MS. MARION-MOSS: Yes.

4 CHAIR MUNN: Now, the third time,  
5 hopefully is the charm. PER-9, case audits.

6 MR. HINNEFELD: Lori, remind me.  
7 Who's speaking about this one?

8 MS. MARION-MOSS: I believe we only  
9 have one open finding which is Finding Number 6.

10 MR. SMITH: Sure. This is Matt Smith  
11 with the ORAU team. And on this issue, I think it's  
12 come up in the past as well, maybe during the TBD  
13 review.

14 On this particular one, the concern was  
15 that the older tool was not performing in the same  
16 manner with respect to applying correction factors  
17 and uncertainty as the current tool.

18 And as you can see from the response  
19 here, basically, we took a look at it. Excuse me.  
20 And the older tool was performing correctly in that  
21 it was not applying any kind of energy correction  
22 factor or a factor of 1.3 to account for dosimeter  
23 errors.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   When it comes to missed dose, we have an  
2                   LOD value that typically encompasses whatever the  
3                   response factors would be for the variety of  
4                   energies that that dosimeter has to deal with.

5                   And in addition, when it comes to  
6                   uncertainty, we take the tack of always applying it  
7                   as a log-normal distribution with that familiar GS,  
8                   you know, 1.52.

9                   So bottom line is the factors that would  
10                  go against this measured dose don't go against the  
11                  missed dose. This particular claim was missed dose  
12                  only. So those factors would not be expected to be  
13                  in there.

14                  So the bottom line is we took a look at  
15                  the current tool, the 1.5 version, took a look at  
16                  the older tool, and found it was performing in the  
17                  same manner. So we didn't find an error in the  
18                  workbook process here.

19                  MS. K. BEHLING: And this is Kathy. I  
20                  did look briefly at this response earlier today or  
21                  yesterday. And I do agree with everything that  
22                  Matt is saying.

23                  And you're correct, we had questioned

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       whether this missed dose should also have a  
2       correction factor applied. And we have concluded  
3       that that's not the case. I guess I just have to  
4       remind myself that these were all truly missed  
5       doses. But with that being said, he is correct.  
6       And I think that we can accept this response.

7                   CHAIR MUNN: Thank you, Kathy. Any  
8       comment otherwise?

9                   MEMBER ZIEMER: Sounds like we can  
10      close that.

11                  CHAIR MUNN: It sounds as though we can.  
12      Josie?

13                  MEMBER BEACH: Yes, I agree with that  
14      also.

15                  CHAIR MUNN: Steve, would you please  
16      make the appropriate notation that SC&A agrees with  
17      the NIOSH response? And the Subcommittee has  
18      closed this finding. That will take us to PER-47.  
19      We have -- Hans, is that you again?

20                  DR. H. BEHLING: Yes, unfortunately it  
21      is. And I'm going to frustrate everybody in trying  
22      to go through this one.

23                  One of the things I want to point out is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that normally, whenever I identify an issue, I  
2 usually have the data right there in the body of the  
3 text. But in this case, I've had to introduce all  
4 of the exhibits, and there's plenty of them, at the  
5 tail end in various attachments because of the large  
6 number of pages that they represent.

7 So, Steve Marschke, please be kind to  
8 me. Don't get upset when I say turn to page  
9 such-and-such. Because we're going to be doing  
10 this in order to understand the issues that  
11 represent the four findings.

12 MR. MARSCHKE: Okay. Give me a chance  
13 to get to the document, to find it. The first --

14 DR. H. BEHLING: Yeah. And, Steve, I  
15 will identify each of the exhibits by page number  
16 so that you will have a better grasp in turning to  
17 the page that identifies each of the findings that  
18 I need to reference.

19 MR. MARSCHKE: Doesn't seem to be here.

20 MS. K. BEHLING: Excuse me, Steve.  
21 This is actually listed under the meeting minutes  
22 or the agenda for today's meeting. It was one of  
23 the documents, I believe, that should be --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MUNN: I believe we all have it.  
2 We should have it.

3 MEMBER ZIEMER: It isn't the newest  
4 version, is it, Matt?

5 DR. H. BEHLING: Yes.

6 CHAIR MUNN: Yeah. All you have to do  
7 is give us the page number, and we can go there.

8 DR. H. BEHLING: Okay. Are we still  
9 waiting for Steve, or should I go ahead?

10 CHAIR MUNN: No, no. You can go ahead.

11 DR. H. BEHLING: Okay. Just as a  
12 quickie, the Grand Junction had a template earlier  
13 that was based on a limited amount of data. And it  
14 was during a NIOSH evaluation of the Grand Junction  
15 SEC petition that they discovered a substantial  
16 body of new data and available new data that allowed  
17 certain changes to be made in a revised template.

18 Among the changes were external  
19 dosimeter data for the years between 1982 and 1999  
20 containing 15,000 records which contained data  
21 involving gamma and beta exposures and a limited  
22 number of neutron exposures. There were also data  
23 available for moderate neutron exposures which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we'll also discuss as one of the complexities.

2 And lastly, there was not actually, not  
3 lastly, second to lastly, surrogate exposure data  
4 for assigning annual gamma and beta doses to  
5 unmonitored workers were derived. And lastly,  
6 there was air sampling data that included radon  
7 measurements that we will also discuss.

8 Before we talk about any findings, if I  
9 can ask you to turn to Page 9, I did make one  
10 particular observation. And that is this is  
11 generic, this is not unique here.

12 But whenever we have a template that is  
13 made in lieu of a Technical Basis Document for that  
14 particular site, we usually have to go out and  
15 identify a claim that is affected by that template  
16 and then extract the template from a claim.

17 And I think there's just an issue here  
18 that perhaps we can simplify this and not have to  
19 rely on taking a claim that has the template as part  
20 of its document that explains what was done in  
21 behalf of that particular claim using the template  
22 as a reference document, because the claim does  
23 contain Privacy Act issues. And it would be very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 useful if these templates were available on the Web  
2 that did not have any affiliation with a particular  
3 dose reconstruction, if that's possible.

4 I'm just throwing this out. This is not  
5 the first time I've sort of thought about making  
6 that an observation. And if that can be done, it  
7 would be perhaps useful for SC&A to have that  
8 template in the absence of any particular claim  
9 associated with that template.

10 Are there any comments on that issue  
11 about making a template available that is not  
12 attached to a claim? Stu?

13 MR. HINNEFELD: I understand the point.  
14 And we'll have to see what the impact, what we can  
15 do.

16 DR. H. BEHLING: Okay. Let me try to  
17 get as quickly through this in order to expedite  
18 things. One of the things I did do again here,  
19 because of the need to reference the revised  
20 template, is Attachment A where I used excerpts from  
21 the revised template that are part of my discussion  
22 and part of the findings.

23 So on Page 24, you will see Attachment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 A, and you will see various pages that are extracted  
2 from the template that we will be talking about.  
3 Among them is Table 1, which is defined on Page 25  
4 as well as 26.

5 And those are the unmonitored gamma  
6 doses that can be assigned to either operator,  
7 laborer, supervisor or administrative personnel.  
8 You see that on Page 25 and 26.

9 On Page 27, you have Table 2 which are  
10 neutron doses that were established. And those are  
11 neutron doses that are specifically aimed at  
12 assignments for geologists and all other people.

13 And then on Table 3, which is on Page 30  
14 of Attachment A, you have relative activity and  
15 total activity fractions or entailments. And that  
16 will come up as one of the findings, and also the  
17 Table 4, which is on Page 32, which is inhalation,  
18 ingestion rate intakes for the various four  
19 categories of workers which will also come into play  
20 when I talk about one of the findings.

21 So that's Attachment A. After that,  
22 you have Attachments B and C. And I will call out  
23 the page number as we get to them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           When SC&A looked at Table 1 of  
2 Attachment A, which I just mentioned were on Page  
3 25 and 26, the unmonitored gamma doses that are  
4 defined in Table 1 of the revised template, I had  
5 a problem in trying to identify how these numbers  
6 came to be.

7           And one of the obligations we have under  
8 the contract in reviewing these things is to first  
9 duplicate those numbers and then say I know how  
10 NIOSH came to that number and then, secondly,  
11 determine whether or not we agree with that  
12 methodology.

13           And I realize that I wasn't able to do  
14 that because of the fact that the attempt to  
15 duplicate that number was very difficult for me with  
16 the available information that we had available.

17           And one of the pieces of information was  
18 a document that I can identify here as Exhibit B1  
19 on Page 35. And that particular document, if you  
20 go to Page 35, as Exhibit B1, identifies various  
21 numbers.

22           And just for the sake of focusing on a  
23 specific number as, whenever we do an attempt to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reproduce the numbers, we always look at one  
2 particular date that can be randomly chosen or  
3 whatever.

4 In this case, I chose 1985. And if you  
5 look at, on Page 35, under the year 1985, you see  
6 photon exposures that represent 118 people,  
7 individuals who were at the Grand Junction  
8 facility. And you see, obviously, other data  
9 including the average dose to those people, the  
10 maximum dose.

11 In this case, it had one individual who  
12 was, for that year, identified with an exposure of  
13 8500 millirem. And then you have also the  
14 geometric mean, the standard geometric standard  
15 deviation and the 95th percentile value.

16 The 95th percentile value here is 132.18  
17 millirems. However, important to understand is  
18 that it does not include any missed doses, as we're  
19 talking a few minutes ago, missed dose that could  
20 be added to that based on the information and  
21 guidance provided.

22 These people were monitored on a  
23 quarterly basis. And the assumption was that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       whatever dose they received in one year was received  
2       in a single monitoring period, meaning that the  
3       other three monitoring periods are subject to  
4       missed dose.

5                   In the case of 1985, the LOD value for  
6       people who were monitored would have been 20  
7       millirems. So half of that times three is 30, as  
8       the footnote suggests. So that in truth, according  
9       to Exhibit B1, the dose for the 95th percentile  
10      value would have been 132.18 plus 30 or 163  
11      millirem.

12                   When you compare that to Table 1 in  
13      Attachment A, which I'll go back to now, making  
14      sure, okay, Page 25. And you realize that the dose  
15      for the -- where am I, 1985, okay -- for 1985 which  
16      is actually on Page 26, it's a continuation of Table  
17      1, you see for, in 1985 the operator/laborer dose  
18      is, in fact, defined by 0.90 rem or 90 millirem which  
19      represents really 60 millirem of actual dose plus  
20      30 of missed dose which is considerably less than  
21      the 162 millirem that I would have identified based  
22      on Exhibit 1.

23                   And when I first had Exhibit 1

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 available, I wasn't even sure where that came from.  
2 And I called NIOSH to get additional information on  
3 this.

4 And I have to backtrack a little bit.  
5 This particular review is a Revision 1 review. And  
6 all of the Committee may have received the original  
7 draft Rev 0.

8 And I had identified that as a  
9 conditional finding, because I didn't really have  
10 a way of verifying how that number came to be, except  
11 that Exhibit B1 was, in fact, something that I knew  
12 had to have been something that NIOSH produced,  
13 except I couldn't place it.

14 Only afterwards did I come to the  
15 realization that that particular Exhibit 1 actually  
16 came from the SEC Petition Evaluation Report. So  
17 it was actually something that now has a genesis or  
18 a pedigree in terms of the NIOSH record.

19 So anyway, so that in essence became an  
20 issue. And as a result of the conditional response  
21 or finding that I listed in the original draft  
22 report, we were asked to confer with NIOSH to  
23 understand how it was that they came to the value

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that they had listed in Table 1 of Attachment A which  
2 says 90 millirem is the 95th percentile that  
3 includes the 30 millirem of missed dose for people  
4 who were monitored a total of four times a year.

5 As a result, we asked NIOSH where did  
6 these numbers come from. And they in turn told us  
7 to go to a spreadsheet. And in that spreadsheet,  
8 they provided us with all the data for all the years.

9 And in turn, that spreadsheet became,  
10 obviously, Exhibit 2. Exhibit 2, B2, is on Page 36.  
11 And Exhibit 2 truly identifies all of the recorded  
12 values that represent B1. On Exhibit 2, on Page 36  
13 and continues on Page 37, and 38 and 39, it  
14 identifies, if you look at the far left hand side,  
15 it identifies the 118 personnel who were monitored  
16 in 1985.

17 It gives you all of the doses. And I  
18 will also tell you up front, the doses that are  
19 measured here are not real doses. They are doses  
20 that were defined in the DOE reports as the highest  
21 category. We talk about that a little bit later.

22 But right now, except for the fact that  
23 the data in Exhibit B2 on Page 36, 37, 38 and 39

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 represented data that I had initially identified as  
2 Exhibit B1, and all of a sudden realized where those  
3 came from, and they represent 118 people who were  
4 monitored.

5 And when you look at, on Page 39, the  
6 geometric dose, the average dose and the 95th  
7 percentile value, they match exactly what I had  
8 expected to see based on the original Exhibit B1.

9 Now, they represent 118 people who had  
10 a positive dose assigned to them in 1985. And, as  
11 I said, this is the discrepancy that I was not able  
12 to identify early on.

13 So, let me see where are we here in terms  
14 of Finding Number 1. Finding Number 1 on Page 12,  
15 no, Page 13 of the document, it said these data that  
16 I extracted up front from the SEC petition for the  
17 Grand Junction people, and it is contained in the  
18 SEC Evaluation Report, do not match the revised  
19 template data of Table 1 defined in Attachment 1A.

20 So we have 162 for that year in 1985, 162  
21 millirem versus the 90 millirem that are listed in  
22 the revised template in Table 1. So that is Finding  
23 Number 1.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And on Page 13, my Finding Number 1  
2                   states as follows. Dose estimates defined in Table  
3                   1 of the revised Grand Junction template are not  
4                   only inconsistent with data cited in Petition  
5                   Evaluation Report for SEC 00175, but are  
6                   inappropriately derived.

7                   And the reason I say inappropriately  
8                   derived is that, when I looked at the actual means  
9                   by which dose numbers were derived, you have to go  
10                  to Exhibit 3, B3, which starts on Page 40.

11                  Now, Exhibit B3 is the same data as you  
12                  saw in Exhibit B. But instead of 118 people, it has  
13                  528 individuals who were monitored.

14                  Now, if you turn the Page from 40 to Page  
15                  43, you will see a yellow line that separates the  
16                  people who were monitored in 1985 between those who  
17                  had positive measurements that stopped at the point  
18                  of 118 and then continue on with all people who never  
19                  had a single positive measurement in 1985.

20                  And all of their exposures, if you look  
21                  down the total column on the far right hand side,  
22                  they are 40, 40, 40 millirem down the line between  
23                  119 and 528.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And what it means is that NIOSH, in order  
2                   to derive the 95th percentile value for operators  
3                   and laborers, they used the entire complement of 528  
4                   individuals of which 410 people had no exposure at  
5                   all, meaning that it's hard for me to assess why you  
6                   would assume that the majority of people, 410 out  
7                   of 520 people in that pool of monitored workers,  
8                   were people with no single measurable exposures  
9                   throughout that year.

10                   And I also feel that, in fact, that in  
11                   the SEC Evaluation Report, NIOSH had, I found five  
12                   doses that were consistent with the higher value of  
13                   162 for 1985 was unmeasured.

14                   So Finding Number 1 is, by and large, my  
15                   assessment of the way in which NIOSH reconstructed  
16                   which I was able to duplicate, but I disagree with.  
17                   I can figure out how they arrived with Table 1 in  
18                   Attachment A and where the 1985 data for the most  
19                   exposed individuals, the 95th percentile says he  
20                   was exposed to 90 millirem.

21                   But it is obviously a value that I  
22                   consider is not appropriate when you realize that  
23                   that includes 410 people out of 528 people who had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 no exposures or no measurable exposures and was  
2 strictly based on 40 millirem of missed dose. So  
3 that's my first finding.

4 MEMBER ZIEMER: A quick question, Hans.  
5 Could you clarify, the ones that show 50, and there  
6 was a large number of them that show 50, is that  
7 minimal dose?

8 DR. H. BEHLING: No. In fact, I'm  
9 going to ask you to turn to, and I will go to, let's  
10 see here, 61 and 62, Page 61 and 62. This is how  
11 they measured.

12 As I had started out to say, none of  
13 these doses are real doses. They are, in fact,  
14 people who were classified -- and I will go back to,  
15 actually it's Page 62. Because that's the  
16 appropriate value. Or you can go to either one.

17 But you can see up until, in Exhibit C-2,  
18 the classification by DOE was based on no measurable  
19 doses which, if you had anything less than -- let's  
20 see, the categorization of people who were  
21 monitored, either in the earliest days between '65  
22 and '73, were between zero and one rem. There was  
23 no subdivision.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           That didn't come until 1974 when there  
2           was segregation between less measurable and  
3           measurable meaning somewhere above zero. And so  
4           this is how these doses were calculated.

5           If you go back to the Exhibits B2 and B3,  
6           you will see that they all have the same number.  
7           And it's strictly based on the fact that they happen  
8           to fall within the category of exposure which, in  
9           the early days, was based in increments of one rem.

10           If you go to Exhibit C2, no C1, on Page  
11           61, you will see that the subsequent timeframe that  
12           starts in 1986, you will see people who were  
13           monitored were categorized by less than measurable,  
14           measurable defined by less than ten, ten to 25, no,  
15           100 to 250 millirem and so on.

16           So these numbers that you see in those  
17           tables are, in essence, just categories in which a  
18           person fell which really brings you to a serious  
19           problem. Had 1985 been incorporated to an earlier  
20           timeframe, the actual default value would have been  
21           that one guy that I pointed out who in 1985 had 8500  
22           millirem assumption and that would have been really  
23           an outlier to speak of.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So anyway, Finding Number 1 is really a  
2 question of, what do you use in terms of the DOE  
3 data. Do you use all personnel who were  
4 essentially monitored?

5           In the case of 1986, we had 528 people  
6 monitored. But of these 528 people, 410 had no  
7 exposure, and only 118 had measurable exposure.  
8 And those were probably, obviously, not necessarily  
9 real numbers either. But they fell into categories  
10 that are classified in B1 and B2.

11           Anyway, when I think of a 95th  
12 percentile value of maximally exposed, I think it  
13 would be wrong to weight them down with people who  
14 are the majority of monitored people with no  
15 exposure at all in 1985.

16           And this is my feeling, that I agree with  
17 what was done in the SEC Petition Evaluation Report  
18 that says you should stick with only those people  
19 when you're talking about assessing the 95th  
20 percentile people with measured dose.

21           Can I ask people to comment on this or,  
22 Stu or Jim?

23           MR. HINNEFELD: Well, I think that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there's a lot to digest here. And I think we'd be  
2 better suited to take the findings and work up some  
3 sort of review or response of them --

4 (Telephonic interference)

5 MR. SHARFI: Stu or Jim, I would add  
6 that there's currently ER data that's coming out for  
7 the post-'75 period that will, the new ER will cover  
8 all this stuff. Probably I can answer some of this  
9 stuff, if you want me to. This is Mutty Sharfi,  
10 sorry.

11 DR. NETON: I think we just got this a  
12 few days ago, not too long ago. I think I agree with  
13 Stu, we might want to, you know, go back and think  
14 about it a little bit and field a more formal  
15 exercise.

16 CHAIR MUNN: That seems appropriate.  
17 There's a lot of information and a lot of data.  
18 Yeah, I think we'll record Finding 1 as awaiting  
19 NIOSH response. And we'll go on.

20 MR. KATZ: Wanda, I'm just wondering if  
21 NIOSH hasn't really had time to digest this, whether  
22 it makes sense to really have Hans labor through the  
23 explanations and then they'll be two months stale

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 when Stu's folks are ready to actually respond.

2 DR. H. BEHLING: Do you see any point?

3 I have similar issues with neutron doses. NIOSH,  
4 as I mentioned earlier, has identified additional  
5 neutron data. And in the case of the neutron data,  
6 they established a 95th percentile value on neutron  
7 doses that the vast majority of them were below LOD  
8 values.

9 MR. KATZ: I understand. I'm just  
10 raising the question without getting into more  
11 findings about whether it makes sense to really be  
12 reviewing these, have Hans reviewing these before  
13 NIOSH is ready to engage and respond to them.

14 CHAIR MUNN: Well, I think, speaking  
15 personally for myself, as a member of the Board, I  
16 would prefer to see us delineate the findings. And  
17 we haven't --

18 MR. KATZ: Okay, that's fine. I was  
19 only raising it just speaking as, and we'll have to  
20 reiterate it all the next time we meet. But that's  
21 fine.

22 CHAIR MUNN: Well, no. I don't think  
23 we would. Because the findings then are stated and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the response from NIOSH will be, they'll have  
2 adequate time to look at them and formulate  
3 responses to them.

4 MR. KATZ: Okay.

5 CHAIR MUNN: Perhaps I'm missing the  
6 point? But I --

7 MR. KATZ: But, anyway, it doesn't  
8 matter. My point was just that Hans makes a very  
9 thorough and clear explanation. And then you wait  
10 two months, and everybody's forgotten about Hans'  
11 clear explanation at the point that NIOSH responds.  
12 So that's my point. But that's fine, if you want  
13 to hear it and have him go through these, that's  
14 fine. It's your prerogative.

15 CHAIR MUNN: Well, I'd like to get the  
16 findings on the Board. And I'd like to have us hear  
17 what Hans has to say for those specific findings,  
18 for this one. So if you'll continue, Hans?

19 DR. H. BEHLING: Yeah. Again, I'd  
20 mention I'll try to cut it briefly here and not  
21 belabor too much. But in the case of the neutron  
22 doses, the SEC Evaluation Report also has data that  
23 I looked at. And that's defined in Exhibit B5 on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Page 56, if you would turn to that value. That's  
2 in that paper, and I'll explain the values I  
3 identified there.

4 CHAIR MUNN: Okay, very good. We've  
5 got it.

6 DR. H. BEHLING: Okay. On that page,  
7 if you look at the year 1986 which I selected as a  
8 reference value for it, then identifying the  
9 individual numbers here, you will find that there  
10 were a total of six individuals monitored, that the  
11 average neutron dose was 94.97, the maximum 85.  
12 Geometric mean value there is 79, and so forth and  
13 so forth. And the 95th percentile value was --

14 (Telephonic interference)

15 Now, when we talked to NIOSH and asked  
16 how did these numbers come to be as they appear here  
17 in the SEC Evaluation Report, they also gave us a  
18 spreadsheet. And they identified the values that  
19 are showing on Page 57, the next page.

20 And when you look at the data there, on  
21 that Exhibit B6, you will see on the top of this,  
22 you will see 1986. And those are the six dosimeter  
23 readings that you see in the previous Exhibit B5.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And when you look at those particular  
2 values that are defined in Exhibit B5, you will be  
3 able to match, based on those dosimeter readings,  
4 the high, the low. You will see 94.17, oh, that's  
5 not the one, because that's the average, but the  
6 highest dose. If you go back to --

7                   MS. GOGLIOTTI: Hans? You're a little  
8 difficult to hear. Can you speak louder please?

9                   DR. H. BEHLING: Can everybody hear me?

10                  CHAIR MUNN: That's better.

11                  DR. H. BEHLING: Okay. If you go back  
12 to Exhibit B5, I just wanted to show that there is  
13 a relationship between Exhibit B5 and B6. If you  
14 go to B5 and you look at 1986, you will see that the  
15 maximum individual neutron exposure was 181.

16                  Now, if you turn the page and go to  
17 Exhibit B6, you will see that the 181 is the second  
18 entry in 1986. And when you assess these  
19 particular six values, you will end up, again, with  
20 the average, and the 95th percentile, the geometric  
21 mean and so forth. They are all identified in B5.

22                  So I know where these numbers came from.  
23 But now, when I look at Exhibit B6 on Page 57, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       you realize -- and it continues on to 58 -- you  
2       realize that these numbers contain a substantial  
3       number of values that are below LOD.

4                In other words, if you look at the values  
5       there, halfway down the page on Page 57, you will  
6       see doses of 6836 millirems. And so they're well  
7       below the 40 millirems that are considered LOD.

8                And I, again, question would you want to  
9       necessarily incorporate dose values that then will  
10      establish the values that we saw in the attachment,  
11      Table 2, Attachment A.

12               MR. KATZ: I think your phone is  
13      suffering from what Kathy's phone was suffering  
14      from earlier, I think. It's getting worse and  
15      worse to listen to.

16               CHAIR MUNN: Yeah. So the bottom line  
17      here, I think, is, if I'm hearing you correctly,  
18      Hans, the bottom line is essentially the same type  
19      of concern that you had with the previous data. And  
20      so Finding 2 would therefore -- what page is Finding  
21      2 on?

22                       (No audible response)

23               CHAIR MUNN: Have we lost you, Hans?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: I'm switching phones,  
2 because the reason I'm getting, I'm on a cordless  
3 phone, and my batteries are dying out.

4 MR. KATZ: That's much better, Hans.  
5 Thanks.

6 CHAIR MUNN: Yes.

7 DR. H. BEHLING: Number 2 is on Page 15.

8 CHAIR MUNN: Okay.

9 DR. H. BEHLING: And I will read it,  
10 switching here, SC&A recommends the exclusion of  
11 neutron dosimeter values below LOD value of 40  
12 millirems for devising a geometric mean and a 95th  
13 percentile neutron dose for unmonitored workers.

14 And as I said, when you look at the  
15 values there, Exhibit B6 shows that a total of 40  
16 dosimeters registered doses below 40 millirem and  
17 15 of those doses registered doses below LOD over  
18 two.

19 And so I came to the conclusion that  
20 maybe these values should not be used and excluded.  
21 Because one of the things that I did was to calculate  
22 what the new doses would be if we would exclude  
23 anything below LOD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And that is in Exhibit, let's see, where  
2                   are these here? That's in Exhibit B7 on Page 9.  
3                   This is where I recalculated neutron doses  
4                   excluding all values that are below LOD.

5                   And when we do that, you end up with a  
6                   geometric mean of 67.8 millirems and a 95th  
7                   percentile of 168 millirems, which are considerably  
8                   higher than the values that were derived using the  
9                   entire set of dosimeters that include the many  
10                  dosimeter readings that were below LOD.

11                  CHAIR MUNN: Okay, good. And then my  
12                  memory was you had one more finding, correct?

13                  DR. H. BEHLING: No, there is a couple  
14                  more. On Page 15, there was just an observation  
15                  that I introduced that was initially a finding that  
16                  we were asked not to consider a finding, because it  
17                  requires nothing more than a change in wording.

18                  So on Page 15 of the report, I have  
19                  Observation 2, current guidance for the assignment  
20                  of medical X-rays is ambiguous and requires  
21                  clarification. Because the way it's stated in the  
22                  middle of the page that it goes as follows. A  
23                  pre-employment      annual      and      post-employment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 anterior-posterior chest and AP pelvis X-ray should  
2 be assumed for each year. Of course, that can't be  
3 true. And, of course, it's obviously an issue that  
4 just needs to be reworded properly.

5 You don't have a pre-employment and  
6 terminal employment for each year that you might  
7 have been there. So obviously, it's just a  
8 question of rewording that.

9 CHAIR MUNN: Yeah, okay. And then  
10 Finding 3 then.

11 DR. H. BEHLING: Finding 3 --

12 CHAIR MUNN: That's on Page 17, I think.

13 DR. H. BEHLING: That's based on a  
14 reference to, there were three pieces of  
15 information that could have been used for air  
16 monitoring data. One, Page 16 on the bottom, you  
17 will see there was a 0.046 milligram per cubic meter  
18 of uranium is one of the means by which you can  
19 establish a dose.

20 And another one was maximally to be used  
21 on medical worker exposures, maximum of exposures,  
22 and they were, I find in 69 air sample measurements  
23 that were apparently uncovered as part of the SEC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 evaluation.

2 And there's no reference to those. And  
3 the statement that says you may use these two to  
4 reconstruct doses, except that there's no way I can  
5 actually look at these data and see what they  
6 reference and what they ultimately entail.

7 So my Finding Number 3 is NIOSH provides  
8 neither the raw data nor a document, of course, for  
9 the 516 air sample measurements associated with the  
10 DNC work for the years '89 through 2006. And again,  
11 if they can identify a document where I could look  
12 at those, then that would be helpful.

13 CHAIR MUNN: All right. Maybe we can  
14 find that next time. And then Finding 4, the  
15 activity fractions on Page 18, I guess.

16 DR. H. BEHLING: That's relatively  
17 easy. Again, in the process of --

18 (Telephonic interference)

19 MR. KATZ: Sorry, could you repeat.  
20 There was some paper shuffling. And we couldn't  
21 hear you, or I couldn't at least.

22 DR. H. BEHLING: Are we on Finding 4?

23 CHAIR MUNN: Yes, we are.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. H. BEHLING: Okay. This is the  
2 decontamination decommissioning. And there's a  
3 table, Table 4, in Attachment A that identifies what  
4 those numbers should be.

5 And again, Table 4 is on Page 32 of the  
6 report that has these values. And in trying to  
7 match dose, I was able to match dose. And I was able  
8 to match the total uranium inhaled. And you see  
9 that on Page 18.

10 I looked at the approach that was taken.  
11 And I was able to match the 7.54 E minus 3  
12 microcuries per year. So that was okay.

13 But then when I looked at the total  
14 thorium-230 and radium-226 inhaled, I end up with  
15 a value of 3.74 E minus three microcuries per year  
16 which is approximately less or about half of the  
17 value that is cited in Table 4 of Attachment A.

18 And when I looked closer, I realized  
19 that the difference between my calculation and  
20 their calculation is that NIOSH failed to employ the  
21 activity fractions that are identified in Table 3,  
22 Attachment A, which would have reduced the 7.54 E  
23 minus three to 3.74 E minus three.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So that's just an error that I believe  
2           can be corrected with just a simple acceptance of  
3           the activity fractions that are provided in Table  
4           3 which were not included in that calculation.

5           CHAIR MUNN:   Okay, that's good.  I'll  
6           ask NIOSH to take a look at that and have some  
7           responses for us next time.  And does anyone have  
8           anything additional before we move on?

9           DR. H. BEHLING:  Well, this is pretty  
10          much it.  So these are the four findings that I  
11          identified with regard to the revised template.

12          CHAIR MUNN:  Good.  Thank you, Hans.  
13          Much appreciated.  We were scheduled for a break.  
14          But we have very little left on our plate.  Shall  
15          we take a five minute break or can we plow on?

16          MEMBER ZIEMER:  Well, I'd like to go on.

17          CHAIR MUNN:  All right.

18          MEMBER BEACH:  I'm ready to go on.

19          CHAIR MUNN:  Okay, let's move on, then.  
20          Let's go on to take a look at a PER status.  The  
21          Board Members should have a copy of the status  
22          report that Kathy sent us earlier.  And she'll be  
23          able to tell us, get some feeling of where we are,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           what we've done, what we haven't done. I believe,  
2           SC&A, you want to tell us, Kathy? You want to --

3                       MS. K. BEHLING: Yes.

4                       CHAIR MUNN: -- give us it quickly.

5                       MS. K. BEHLING: Okay, yes, I will. I  
6           just had provided a memo back in December. And what  
7           I had promised to do during the last meeting was to  
8           go into the BRS system and look at the PERs and see  
9           if we had already completed either a PER review or  
10          a PER Sub-task 4, the case reviews.

11                      And they had not been recorded in the BRS  
12          system as a finding of no findings. And I did that.  
13          And that's what this table that Steve is showing  
14          represents.

15                      I found that we had, I think, there was  
16          actually only five cases where I actually  
17          introduced a finding of no finding. And so,  
18          hopefully, that's all updated in the PER or in the  
19          BRS.

20                      The only other thing that I expanded  
21          just a little bit, because I wanted to just give you  
22          a full understanding of everything that was in the  
23          BRS, and when I did that, I did identify that there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were a few cases where we either were not assigned  
2 a PER, and perhaps there was a good reason for that,  
3 or we were not assigned the Sub-task 4 version of  
4 that PER.

5 And so the first Sub-task 4 review that  
6 we haven't been assigned yet is PER-8, and that was  
7 our review of the IREP lung cancer model. And I  
8 know that during that review we had some findings  
9 that were, I guess, going to be discussed by some  
10 scientific committee or whatever.

11 And we realized that it was going to be  
12 probably beyond the scope of what we were doing  
13 here. And so perhaps that's why we didn't do the  
14 Sub-task 4. But that still remains open. And  
15 these are just things you may want to consider for  
16 next time around. But that's number one.

17 We never did a PER review of the Rocky  
18 Flats plant dose reconstruction mods which was  
19 PER-21. And again, maybe Ron Buchanan can help me  
20 here, if he's still on the phone, as to why might  
21 not have done that. I don't know if we're waiting  
22 on something or not. Ron, are you on the phone?

23 DR. BUCHANAN: Yes, I'm on. No, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 don't know why we had not done that. I don't know  
2 of the reason. There might be one, but I'm not  
3 aware of anything that's going on that postponed  
4 that.

5 MS. K. BEHLING: Okay. And again, I'm  
6 not expecting the Subcommittee to make any  
7 decisions today but just to point these out. And  
8 I guess I did skip one here.

9 PER-11, for some reason in my mind I  
10 thought we had done a Sub-task 4 review on this.  
11 It's the K-25 TBD and OTIB revisions. But based on  
12 my review, it doesn't look like we did any case  
13 reviews on the K-25 TBD. And that's it for that  
14 particular memo.

15 MR. KATZ: So, Kathy?

16 MS. K. BEHLING: Yes.

17 MR. KATZ: Could I ask you, this is Ted,  
18 can I ask you to, before the next meeting, you, Ron,  
19 whoever, for these particular PERs, can you look at  
20 whether you have already recommendations for a  
21 number of cases and nature of cases?

22 MS. K. BEHLING: Yes, certainly.

23 MR. KATZ: And send that up. Actually,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I mean you can do that much before the next meeting.  
2 And then when you look through the records, if it  
3 looks like these are just ones where we dropped it  
4 and we should have assigned cases, then we can go  
5 ahead and, you know, identify those cases, make  
6 those case assignments even without waiting for the  
7 next meeting.

8 MS. K. BEHLING: Okay, yes, of course.

9 MR. KATZ: Okay with Wanda and the  
10 Subcommittee, then that would be efficient, I  
11 think.

12 CHAIR MUNN: I think that's  
13 appropriate, yeah. Paul, Josie, does that meet  
14 your requirements to pursue this?

15 MEMBER BEACH: Yes, absolutely.

16 MEMBER ZIEMER: Of course.

17 CHAIR MUNN: All right. Thank you,  
18 yeah.

19 MS. K. BEHLING: And, Wanda, I don't  
20 know if you want me to go on. I'll, again, be brief.  
21 The other thing, the other memo that I did submit  
22 shortly after our previous meeting was on December  
23 10th. And what that was was a list of the new PERs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that have been issued. And there have been three.

2 I briefly discussed them during the last  
3 meeting, but it was recommended that we put together  
4 a little bit more formal information on that which  
5 I did in that memo.

6 And the first one was PER-55 which is the  
7 TBD-6000 revision. And I know we've looked at this  
8 a lot. But I think there is enough information here  
9 that I do think that we may want to look at this  
10 particular PER.

11 And so I did, excuse me just one second,  
12 I cannot believe this. My phone is -- I have my  
13 other phone. I'm going to pick up another phone.  
14 Just one second, I'm sorry. Okay. Can you hear  
15 me?

16 MR. KATZ: Yeah, you're clear.

17 MS. K. BEHLING: Okay, I'm sorry. So  
18 what I am recommending here for this PER-55 which  
19 is, you know, there have been so many changes to  
20 TBD-6000, and there are really quite a few cases  
21 that are impacted by this, potentially, initially  
22 108. And I think there 30 cases that were actually  
23 reevaluated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But this is one that, because of the  
2 various changes, that we may want to look at and that  
3 you may want to task us to look at.

4           Now, the other two, and I was sort of  
5 laughing to myself, but we used PER-56 which is the  
6 BWXT Virginia and the PER-58 which is Dow Chemical.  
7 Dow Chemical, the 58, is actually impacted by  
8 changes to OTIB-70 and to this particular TBD-6000.

9           And so provided you decide to task us  
10 with reviewing TBD-6000, then I would not recommend  
11 us reviewing PER-58 because, as long as we, since  
12 we've already looked at OTIB-70 extensively and we  
13 have looked at TBD-6000, and both this Virginia and  
14 this Dow Chemical, it's very clear as to what cases  
15 they needed to select. I mean, so there is not a  
16 whole lot of reason I don't see in necessarily  
17 reviewing those two PERs.

18           But I did recommend reviewing PER-55.  
19 So I don't know if you're in a position at this point  
20 to task us with any of those or not or make a  
21 decision.

22           CHAIR MUNN: I think we probably can  
23 take a look at those at the same time that we look

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at the others and try to do all of our PER decisions  
2 in one lump.

3 MS. K. BEHLING: Very good.

4 CHAIR MUNN: Probably the wisest thing  
5 to do.

6 MS. K. BEHLING: Okay.

7 CHAIR MUNN: And we'll have an  
8 opportunity to look at it offline. Thank you,  
9 Kathy.

10 MS. K. BEHLING: Thank you.

11 CHAIR MUNN: Very helpful. The next  
12 item that we have on our administrative detail was  
13 abeyance items that were ready for closing. We  
14 were going to go through that. But I don't know how  
15 extensive those are.

16 If there's any real meat there, Lori, we  
17 will have considerably more time, I think, on our  
18 agenda next time than we have this one. But you  
19 might let us know what the status is.

20 MS. MARION-MOSS: Well, actually here,  
21 Wanda, Procedures 90 and 92 which I provided the  
22 Committee --

23 CHAIR MUNN: Uh-huh.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MARION-MOSS: -- are the documents  
2 that NIOSH is submitting to the Committee in hopes  
3 of resolving the outstanding findings that are  
4 currently in the BRS.

5 So I've provided the revisions to both  
6 of those documents for SC&A and the committee to  
7 look at. And we could possibly carry it over for  
8 next meeting, but it would give Members an  
9 opportunity to review those, and look at the  
10 findings and address them next time.

11 CHAIR MUNN: I suspect that's wise.  
12 Both of these procedures are administrative PROCs  
13 and not technical in nature. I think it would be  
14 wise for us to carry those next time unless there's  
15 some pressing need for us to address them today.

16 Does anyone have any strong feelings  
17 with respect to taking a look at PROCs 90 and 92  
18 today, or can we postpone those until next time?

19 MEMBER BEACH: To be honest, Wanda,  
20 this is Josie, I had put those aside, because they  
21 weren't on the agenda --

22 CHAIR MUNN: Yeah.

23 MEMBER BEACH: -- with everything we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 had to review for this meeting.

2 CHAIR MUNN: This is true. Well, I've  
3 taken a look at --

4 MEMBER BEACH: My vote is for them to  
5 carry over.

6 CHAIR MUNN: As I said, they're  
7 administrative and not technical at all. So we  
8 can, I think, postpone those until next time. Does  
9 anyone have any other concerns with respect to the  
10 abeyance items that we are hoping to look at more  
11 frequently now?

12 (No audible response)

13 CHAIR MUNN: If not, then let's take a  
14 look at when we can do our next meeting and see what  
15 times are logical for all involved. We'll be here  
16 in Richland next month for our Board meeting.

17 Josie and I are looking forward to  
18 having you folks. April, do we need 60 days out?  
19 Is that appropriate? Or do we need 90 days?

20 MS. MARION-MOSS: I think that's up to  
21 NIOSH. They have most of the action items --

22 CHAIR MUNN: Yeah, I think so.

23 MS. MARION-MOSS: -- at this point.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: Well, let's also ask SC&A  
2                   though what they foresee delivering in the interim.  
3                   Because that would also govern this. But, yeah, 60  
4                   seems like a minimum. But SC&A, do you, is there  
5                   a lot of payload coming?

6                   MS. K. BEHLING: This is Kathy Behling.  
7                   I think, I don't see a whole lot of additional  
8                   document reviews coming to the Subcommittee now.

9                   MR. KATZ: Okay. Thanks.

10                  MR. MARSCHKE: Yeah. This is Steve.  
11                  I don't see any procedures. I don't know that  
12                  there's any actual procedures in the pipeline at  
13                  this point. John Stiver, are you around, are you  
14                  still on?

15                  MS. K. BEHLING: I don't believe, this  
16                  is Kathy, I don't believe that John is still with  
17                  us.

18                  MR. MARSCHKE: Oh, okay.

19                  MS. K. BEHLING: But between you and I,  
20                  Steve, I think we know what PERs or what additional  
21                  procedures there are, you know, on the back burner.  
22                  And I don't think there's any PERs either, just what  
23                  I had introduced today.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. MARSCHKE: Okay.

2 CHAIR MUNN: All right. So is it  
3 reasonable for us to be looking at something just  
4 past tax time, like perhaps Tuesday, April 21st?

5 MR. KATZ: The 21st and the 22nd are  
6 out. In fact, that week is real, well, 21st, 22nd,  
7 20th are all out.

8 CHAIR MUNN: Okay.

9 MR. KATZ: Unavailable. But, yeah.

10 CHAIR MUNN: The following week or the  
11 preceding week?

12 MR. KATZ: Wide open.

13 MEMBER BEACH: How about the 28th?

14 CHAIR MUNN: Fine with me. Does anyone  
15 have objection to Tuesday --

16 MR. KATZ: The 28th.

17 CHAIR MUNN: -- April 28th?

18 (No audible response)

19 CHAIR MUNN: If not, then we'll  
20 establish that as the date for our meeting. And we  
21 will initiate an agenda for it when we are a little  
22 further along. Is there anything else for the good  
23 of the order?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: Just thank you, everybody,  
2 for all this good work.

3                   CHAIR MUNN: I appreciate all the hard  
4 work that went into this, a lot of heavy  
5 documentation and a lot of heavy-duty thinking. So  
6 thank you very much, all concerned.

7                   Have a wonderful rest of the day and a  
8 beautiful weekend, regardless of whether you're  
9 covered with snow or not. And we'll speak with you  
10 very shortly. We'll have an agenda in your hands  
11 at least three weeks in advance of our next meeting.  
12 Thank you all.

13                   (Whereupon, the above-entitled matter  
14 went off the record at 4:45 p.m.)

15

16

17