

This transcript of the Advisory Board on Radiation and Worker Health, Uranium Refining Atomic Weapons Employers (URAWE) Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the URAWE Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change

1

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

URANIUM REFINING ATOMIC WEAPONS EMPLOYERS  
(AWEs) WORK GROUP

+ + + + +

THURSDAY  
JANUARY 22, 2015

+ + + + +

The Work Group convened via teleconference at 12:00 p.m. Eastern Standard Time, Henry Anderson, Chairman, presiding.

PRESENT:

HENRY ANDERSON, Chairman  
DAVID KOTELCHUCK, Member

This transcript of the Advisory Board on Radiation and Worker Health, Uranium Refining Atomic Weapons Employers (URAWE) Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the URAWE Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change

2

ALSO PRESENT:

TED KATZ, Designated Federal Official  
DAVE ALLEN, DCAS  
JENNY LIN, HHS  
JOHN MAURO, SC&A  
JIM NETON, DCAS  
JOHN STIVER, SC&A  
BILL THURBER, SC&A

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Uranium Refining Atomic Weapons Employers (URAWE) Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the URAWE Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change

3

T A B L E O F C O N T E N T S

	Page
Welcome and Introductions	4
Discussion	5
1     Overview of SC&A Site Profile Review of DuPont Deepwater Works	5
2     Resolution of findings 2.4-7	25
3     Actions/path forward	65
Adjourn	66

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 P-R-O-C-E-E-D-I-N-G-S

2 12:01 p.m.

3 1. WELCOME AND INTRODUCTIONS

4 MR. KATZ: This is the Advisory  
5 Board on Radiation and Worker Health, the  
6 Uranium Refining AWES Work Group, formerly TBD-  
7 6001. And we're talking today about DuPont  
8 Deepwater Works Site Profile Review.

9 The materials for this meeting are  
10 posted on the NIOSH website under the Board  
11 section, under Meetings, today's date. You  
12 click on today's date and you should be able to  
13 find the materials that we're discussing to  
14 follow along.

15 Since we're speaking about a site,  
16 when we do roll call, please speak to conflict  
17 of interest. And I know already we'll be  
18 lacking one of our three Board Members for this  
19 Work Group. But let's get started, beginning  
20 with the Chair.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 (Roll call.)

2 Andy, it's your agenda.

3 2. DISCUSSION

4 CHAIRMAN ANDERSON: Okay. It's been  
5 awhile since we got together. This was a  
6 little bit delayed moving forward. But I think  
7 what we'd like to do today is close out various  
8 issues. We did have a productive initial  
9 discussion and went through the various  
10 findings and had some comments. And NIOSH was  
11 going to get back and then SC&A was going to  
12 look at those comments. Hopefully, today we  
13 can resolve them.

14 Could we start with just a quick  
15 overview from SC&A on the review and findings  
16 of the site quickly?

17 MR. THURBER: Yeah, I can do it.

18 CHAIRMAN ANDERSON: We have then  
19 Findings 2 and 4 to 7 to resolve.

20 MR. THURBER: Right. I can do that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                 Regarding Finding 2, it appeared to us in  
2                 looking at the calculations that NIOSH had used  
3                 two different assumptions in converting  
4                 workdays to calendar days, one for inhalation  
5                 and one for ingestion. And we thought that  
6                 those should be the same. Not a big deal, but  
7                 just a matter of tidying up something there.

8                         A second thing we commented on was  
9                 the fact that the method used to calculate  
10                 doses in the DuPont document was quite  
11                 different than the way the doses were  
12                 calculated in TBD-6000. And we felt that a  
13                 discussion of that was appropriate to explain  
14                 why the approach was taken. And it results in  
15                 substantially lower doses than if you'd used  
16                 the procedures, the generic procedures, in TBD-  
17                 6000.

18                         A third point that we raised  
19                 questions about is, in attempting to describe  
20                 the variation of beta dose with distance, NIOSH

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           took some published data from NRC and fit a  
2           curve to that data so that they could have a  
3           numerical relationship to use in the modeling.  
4           And what they assumed was that the geometric  
5           mean was a millirem per year -- I'm sorry, a  
6           millirem per hour at a distance of 100  
7           centimeters from the source, and a geometric  
8           standard deviation of five.

9           There's an additional constraint on  
10          that number because the graph that they  
11          presented showed that the curve from the NRC  
12          data and the curve that they developed based on  
13          this log-normal distribution, and the  
14          assumptions I just mentioned, crossed at a  
15          particular point. We tried all kinds of  
16          different ways to try and reconcile this  
17          mathematical curve with the curve to fit the  
18          measured data. And we just couldn't do it and  
19          we ask that NIOSH provide us some insight into  
20          just how they had developed that curve.

1                   There is one more point which I'd  
2 like to mention which I think should be  
3 covered, and it's new. But in the table for  
4 the residual period, NIOSH presents exposure  
5 data for both inhalation and ingestion  
6 exposures. And the procedure they used for the  
7 ingestion exposure results in a value that's  
8 about 100 times higher than the inhalation  
9 exposure. And that's quite different than what  
10 we've come to expect all along.

11                  That is to say, the inhalation  
12 exposure is generally perceived to be higher  
13 than the ingestion exposure. And we think that  
14 that should be explained a little more clearly  
15 so that everyone understands that. That kind  
16 of summarizes it, Henry.

17                  CHAIRMAN ANDERSON: How about the  
18 other findings?

19                  MR. THURBER: I think, with regard  
20 to the other findings, as we'd indicated in the

1 White Paper that we'd provided, that those were  
2 pretty much resolved based on the changes that  
3 were made in Revision 1 to the DuPont TBD.

11 CHAIRMAN ANDERSON: Any questions  
12 that anybody has? David?

13 MEMBER KOTELCHUCK: I'm a little bit  
14 --

15 CHAIRMAN ANDERSON: You're kind of a  
16 little --

17 MEMBER KOTELCHUCK: Yeah, I'm a  
18 little at loose ends trying to follow as we go  
19 through. Now, I did not get an opportunity to  
20 read this -- a long time ago -- but not to

1 review it recently. I was having trouble on  
2 our machine.

3 Let's stick to one finding at a  
4 time. I mean, you talked about ingestion and  
5 inhalation. I see the data that you present  
6 here on Finding 2. And you said that it is  
7 unusual that ingestion is so much larger than  
8 inhalation.

9 Are you going to suggest why? Or  
10 have you and maybe I didn't follow?

11 MR. THURBER: With regard to Finding  
12 2, I think that the focus in discussing that  
13 should simply be on whether the conversion of  
14 workdays to calendar days was done consistently  
15 for inhalation and ingestion.

16 MEMBER KOTELCHUCK: Okay.

17 MR. THURBER: That should be the  
18 focus of our discussion on Finding 2. This  
19 other point about the ingestion being much  
20 smaller than the --

1 MEMBER KOTELCHUCK: Much larger.

2 MR. THURBER: I'm sorry, much larger  
3 than the inhalation. That can come with regard  
4 to a subsequent finding.

5 MEMBER KOTELCHUCK: Okay.

6 MR. THURBER: But for this first one  
7 I think we should confine it to discussion  
8 strictly to whether this conversion from  
9 workdays to calendar days, which is always a  
10 nuisance, I might add.

11 MEMBER KOTELCHUCK: Right.

12 MR. THURBER: And consistently  
13 causes confusion. But whether it was  
14 implemented consistently in the data that was  
15 presented.

16 MEMBER KOTELCHUCK: Right. And you  
17 are saying which is the preferred one, the  
18 correct one, is per calendar days?

19 MR. THURBER: Right. Well, we  
20 believe that the data -- since this work was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   done in the period prior to -- since the work  
2                   at DuPont Deepwater, the operational part, was  
3                   done prior to 1950, typically, at least in TBD-  
4                   6000, the assumption is that in that period  
5                   there were 48-hour work weeks. And therefore  
6                   we think that the conversion should be based on  
7                   300 workdays per 365 workdays.

8                   MEMBER KOTELCHUCK: Okay.

9                   MR. THURBER: I'm sorry. Three  
10                  hundred workdays per 365 calendar days.

11                  MEMBER KOTELCHUCK: Yes.

12                  MR. THURBER: And that factor should  
13                  be applied both to inhalation and to ingestion.  
14                  It looked to us, as we tried to reconstruct the  
15                  numbers, that that assumption was made of 300  
16                  workdays per 365 calendar days for the  
17                  inhalation data and not for the ingestion data.  
18                  That was our take on it.

19                  MEMBER KOTELCHUCK: All right.  
20                  Thank you.

1 CHAIRMAN ANDERSON: Did NIOSH have  
2 comments on this?

3 DR. NETON: Yeah, this is Jim. I  
4 think I can get it started on this one. The  
5 way it was calculated for the inhalation intake  
6 was just to strictly use 2,400 hours of  
7 inhalation per year. That's why you get that  
8 number, right, and you verified that that's how  
9 that came about.

10 It didn't go through an immediate  
11 step of calendar days. If you just take 2,400  
12 hours per year times that rate, you'll get the  
13 number that's in the TIB.

14 As far as the injection goes, we  
15 assume the 250 workdays to do that calculation,  
16 which is consistent with what we've done all  
17 along for these injection intakes. That's been  
18 pretty standard operating procedure. Even  
19 though the workdays were slightly longer in the  
20 earlier periods, we've always used the 250 days

1 to do the calculations.

2 MR. THURBER: What you're saying,  
3 Jim -- this is Bill Thurber -- is that  
4 typically for ingestion you have not used the  
5 assumptions that are in TBD-6000.

6 DR. NETON: No, I think we have.  
7 There are longer workdays.

8 MR. THURBER: But you just said you  
9 used 250 workdays for ingestion.

10 DR. NETON: Yeah, there were 250  
11 longer workdays per day, giving you those 2,400  
12 hours.

13 MR. THURBER: Right, but 250  
14 workdays is based on a 40-hour work week.

15 DR. NETON: Yeah, well --

16 MR. THURBER: And not a 48-hour work  
17 week.

18 DR. NETON: Yeah, that's correct.  
19 But we assume only five days per week exposure,  
20 not six days per week.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MR. ALLEN: We're not assuming eight  
2 hour workdays in the early years.

3                   DR. MAURO: This is John. Just a  
4 quick comment. Notwithstanding that fact that  
5 the two numbers come from, let's say, different  
6 venues, it seems that they should be the same  
7 though. In other words, if you're going to use  
8 a certain number of work hours per calendar  
9 year.

CHAIRMAN ANDERSON:

10 Especially if the ingestion is by hour.

11                   DR. MAURO: Yes, they should be the  
12 same.

13                   MR. ALLEN: This is Dave Allen.  
14 They're not different. The misunderstanding  
15 here is the assumption that it's an eight-hour  
16 workday. The length of the workday does not  
17 enter into the airborne calculation. You can  
18 have whatever workday you want, multiply it by  
19 a different number of hours per workday and get  
20 whatever number you want. The number we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   using is 2,400 hours per year.

2                   The assumption we've  
3                   always used is that is 250 9.6-hour days. If  
4                   you want to say it in workdays, then we're  
5                   using 250 days in the airborne calculation and  
6                   in the ingestion calculation. There's no  
7                   inconsistency here. The problem is workdays do  
8                   not enter into the airborne calculation.

9                   DR. MAURO: I have to say I'm a bit  
10                  confused because, in the end, the way I look at  
11                  it is pretty straightforward. A person is at  
12                  some place inhaling radioactivity for a certain  
13                  number of hours per year. And the number of  
14                  hours per year he's ingesting should be the  
15                  same thing. Am I hearing that they are? Or  
16                  are they not?

17                  MR. ALLEN: They are.

18                  DR. MAURO: They are actually the  
19                  same. Bill, I guess does that seem to make  
20                  sense?

1                   MR. THURBER: No. I must say I  
2 remain confused.

3                   DR. NETON: You've got to go back  
4 and look at TIB-9. TIB-9 produces a value.  
5 That 0.2 multiplier produces a value that comes  
6 out in ingestion intake per day.

7                   MR. THURBER: Right.

8                   DR. NETON: Okay. The air  
9 concentration, the inhalation value, doesn't  
10 need to go through that intermediate step  
11 because you know it's 2,400 hours times the air  
12 concentration and the breathing rate gives you  
13 intake without going through that -- you have  
14 to go through that intermediate step for --

15                  MR. THURBER: Where does 2,400 hours  
16 come from?

17                  MR. ALLEN: It comes from Battelle-  
18 TIB-5000 where they analyzed work hours per  
19 year for various eras. They decided it was  
20 higher in the early years.

1                           MR. THURBER: No, no. I understand  
2 that. In the later years, the assumption is a  
3 40-hour work week. So you have five days, 50  
4 weeks. That's 250 workdays per 365 calendar  
5 days. That's for times after 1956 or whatever  
6 the cutoff is.

7                           DR. NETON: Right.

8                           MR. THURBER: For the early days,  
9 the assumption was a 48-hour work week, which  
10 is, what, 3,000 hours a year?

11                          DR. NETON: Twenty-four hundred.

12                          MR. THURBER: Twenty-four hundred,  
13 I'm sorry. And for the intermediate period it  
14 was for 2,200.

15                          DR. NETON: Yes.

16                          MR. THURBER: Is that all  
17 consistent?

18                          DR. NETON: Right. But what we're  
19 saying is it does not equate to six eight-hour  
20 workdays. It equates to five 9.6-hour workdays

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   is the way we've done it.

2                   DR. MAURO: I think I got it. What  
3                   you're saying is --

4                   MEMBER KOTELCHUCK: I see.

5                   DR. MAURO: -- in an interesting way  
6                   the ingestion is based on a per workday. It  
7                   doesn't say how long that workday is. It just  
8                   says, listen, this is the intake -- in other  
9                   words, you simply take the airborne  
10                  concentration and times by 0.2 and you get the  
11                  intake --

12                  DR. NETON: Per day.

13                  DR. MAURO: -- per day. And  
14                  inherent in that relationship, it's silent and  
15                  irrelevant how many hours there are in that  
16                  day. And as a result, you end up with this  
17                  unintended consequence which appears that there  
18                  is an inconsistency, but not really.

19                  DR. NETON: Not really because the  
20                  2,400 hours could be 250 9.6-hour workdays.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

2 MEMBER KOTELCHUCK: Right. The 9.6  
3 is what equalizes the two regimens of  
4 calculation.

5 DR. MAURO: I think it's as simple  
6 as you're working with a workday and you're not  
7 defining -- when you're doing the OTIB-9, 0.2,  
8 you're just talking about a workday and you're  
9 really not talking -- and that's very general.  
10 It's almost like where how many angels can  
11 stand on the head of a pin. You're simply  
12 saying "look, as a rule of thumb, 0.2 times the  
13 concentration gives you the ingestion per day."

We didn't go any further than that  
to say, "well, is that a long workday" or "is  
that a short day?" So it almost bypasses the  
issue of how many hours per day, which is  
important when you're doing inhalation under  
TBD-6000. Do I have that right?

DR. NETON: That's basically what it

1                   comes down to which is the artifact of using --

2                   DR. MAURO: It's an artifact.

3                   DR. NETON: -- when you have to do  
4                   per calendar day.

5                   MR. THURBER: Let me understand  
6                   this. If you have an airborne concentration  
7                   and you multiply it by 0.2, you get so many dpm  
8                   per day for ingestion, right?

9                   DR. NETON: Correct.

10                  MR. THURBER: And what kind of a day  
11                  is that? That's a workday.

12                  DR. NETON: Yes.

13                  MR. THURBER: It's a workday, right?

14                  So one needs to take that number and  
15                  somehow adjust it to the number of calendar  
16                  days, right?

17                  DR. NETON: Correct.

18                  MR. THURBER: Okay. So help me  
19                  continue with the example, then. In the  
20                  document, in TKBS-0006, the air concentration

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   at the 95<sup>th</sup> percentile was quoted to be 3,198  
2 dpm per cubic meter, and that is the 95<sup>th</sup>  
3 percentile of the assumed distribution based on  
4 the geometric mean of the available data and  
5 the GSD for that data.

6                   DR. NETON: Correct.

7                   MR. THURBER: So if you take that  
8 3,198 dpm per cubic meter and multiply it by  
9 0.2, you get 640 dpm per day. And you just  
10 said that's so many dpm per workday. Okay.  
11 Now, how do you adjust that number to convert  
12 it to calendar days?

13                  DR. NETON: It's 250 calendar  
14 workdays in a year.

15                  MR. THURBER: So there are 250  
16 calendar workdays in every year.

17                  DR. NETON: Correct.

18                  MR. THURBER: And it's just that the  
19 number of hours per workday varies.

20                  DR. NETON: Right.

1 MR. THURBER: Okay.

2 DR. MAURO: And it's silent with  
3 regard -- right.

4 DR. NETON: This is the way we  
5 typically -- this is the way we've done this  
6 for --

7 MR. THURBER: Yeah, yeah. Okay.  
8 I'm good with that.

9 DR. MAURO: Yeah. What this is is  
10 an artifact of the fact that we've come to this  
11 calculation from two different directions. In  
12 one case, the number of hours per workday is  
13 explicitly addressed in TBD-6000. When the  
14 number of hours per workday is not explicitly  
15 addressed and it's almost like it's irrelevant.

16 We all agree that the 0.2 works as a  
17 reasonable approach for intake per day. But we  
18 really never talk about how long the day is.

19 DR. NETON: Yeah, it kind of gets  
20 lost in the wash.

1 DR. MAURO: It gets lost in the  
2 wash.

3 DR. NETON: And you take the 95<sup>th</sup>  
4 percentile to begin with.

5 DR. MAURO: And do you know what?

6 DR. NETON: Two hours plus or minus  
7 is not --

8 DR. MAURO: And you know what? I  
9 agree completely. It's just a matter that it  
10 leaves us in this place where someone looking  
11 at it says, "What?" But when you hear it this  
12 way, you can say let's just leave this one  
13 alone. We're at a level of precision that is  
14 good enough.

15 MR. THURBER: That wasn't the point  
16 though, John.

17 DR. MAURO: Okay.

18 MR. THURBER: The point was, and we  
19 made that point in our discussion, that the  
20 difference was not a big deal. The only

1 question that I raised was, was the calculation  
2 done consistently for inhalation and ingestion?  
3 It wasn't that it was a big deal in terms of  
4 whether one number is going to be significantly  
5 different than another.

6 DR. MAURO: Bill, I agree with  
7 completely. A third party looking at this is  
8 going to react the same way. They're going to  
9 say, "What's going on? This doesn't seem to  
10 make sense."

11 But the way you explained it, it's  
12 understandable that this could be one of the  
13 unintended consequences of coming at the  
14 problem from two different directions, a  
15 difference that makes no difference in reality.  
16 But it can cause these kinds of confusion.

17 CHAIRMAN ANDERSON: At least I think  
18 I understand it now.

19 MEMBER KOTELCHUCK: Yes.

20 CHAIRMAN ANDERSON: So to close this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           one out, do we think the Site Profile should  
2           have a little explanation somewhere at this?  
3           Or is this just because we've delved so deeply  
4           into it and we're just vetting it to say now it  
5           makes sense? The tables and the estimates,  
6           even though it looks like ingestion is out of  
7           proportion, it's right. Is that just something  
8           --

9                            MEMBER KOTELCHUCK: Yeah.

10                  CHAIRMAN ANDERSON: That's my  
11                  question. And I would suspect this is probably  
12                  present in a number of other Site Profiles and  
13                  we just haven't picked up on it before. I  
14                  mean, do we need a statement or a brief mention  
15                  in there about this or not? That's kind of my  
16                  question.

17                  DR. MAURO: I guess, speaking from  
18                  SC&A's perspective, if I may, to me, it's not  
19                  essential. However, speaking from putting  
20                  Jim's hat on, I would say it wouldn't be a bad

1 idea just so that other people who are not -- I  
2 don't know if there are too many other people  
3 who are going to look at it like this. But  
4 having a footnote explaining that this is --  
5 I'm not sure.

6 MEMBER KOTELCHUCK: I'll tell you,  
7 if you'd like -- I mean, I believe this was  
8 referred to you by the Dose Reconstruction  
9 Subcommittee, wasn't it?

10 MR. KATZ: No, they're two  
11 independent efforts, Dave.

12 MEMBER KOTELCHUCK: Okay. Well,  
13 then I think it should be somewhere in the  
14 record, and it really doesn't matter where as  
15 long as someone looking into it in the future  
16 could find it. And wherever you say it should  
17 be. But I do think there should be some record  
18 of this discussion.

19 MR. KATZ: There's the transcript  
20 and --

1 MEMBER KOTELCHUCK: That's true.

2 MR. KATZ: And there's the finding  
3 resolutions. And given that this is sort of a  
4 minute technical matter that is not of interest  
5 to the public, I think, I think it's probably  
6 adequate that it has to be captured in the --

7 MEMBER KOTELCHUCK: In the  
8 transcript.

9 MR. KATZ: In the issue matrix.  
10 Yeah, the transcript, but also the issue  
11 matrix.

12 (Simultaneous speaking.)

13 MEMBER KOTELCHUCK: Okay. That's  
14 fine. That's good enough.

15 CHAIRMAN ANDERSON: -- between the  
16 two is whether we keep it in abeyance or close  
17 it out. If now we've got it covered, and I  
18 would say we probably do with the transcripts  
19 and then the matrix, then we could say we've  
20 now closed this one out.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MEMBER KOTELCHUCK: I think we can  
2 say that. I agree with you.

3 MR. KATZ: Right. And it's actually  
4 not -- I mean, there's nothing to fix. So it's  
5 not an abeyance.

6 MEMBER KOTELCHUCK: Right. It was  
7 correct from the beginning and now we  
8 understand that they are not inconsistent.

9 MR. KATZ: Right.

10 CHAIRMAN ANDERSON: If we were to  
11 say there needs to be some text change in the  
12 Site Profile then we would want to know that it  
13 actually occurred. And that's why I'm saying  
14 it might -- in any case, never mind. I would  
15 just say I think we can close this one.

16 MEMBER KOTELCHUCK: Yes.

17 DR. NETON: This is Jim. I would  
18 say that this is going to fall under the  
19 category of maybe the next time we revise the  
20 TIB for some other reason that it would be

1 prudent to maybe put that in there. But we  
2 wouldn't go and issue an entire new review.

3 MEMBER KOTELCHUCK: No, no. I  
4 agree.

5 DR. MAURO: Absolutely.

6 MEMBER KOTELCHUCK: All right.

7 CHAIRMAN ANDERSON: Okay. Moving  
8 right along, Number 4. So that one we have  
9 closed. Now, do we need a vote?

10 MR. KATZ: You just did. You just  
11 spoke.

12 CHAIRMAN ANDERSON: Yeah, both of us  
13 said yes.

14 MEMBER KOTELCHUCK: Right. You  
15 asked the two of us.

16 CHAIRMAN ANDERSON: Okay.

17 DR. NETON: I think Number 4 is  
18 going to fall right in line with our previous  
19 discussion because for external we've never  
20 really worked out of workdays. We typically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 just multiplied it times the number of hours  
2 worked in a year. I mean, there's no reason to  
3 go back per calendar day. If you've got an mR  
4 per hour reading and you know they've worked  
5 2400 hours, that's what you assign.

6 MEMBER KOTELCHUCK: Right.

7 DR. NETON: There's really no value  
8 in converting it to dose per calendar day and  
9 then multiplying it.

10 MEMBER KOTELCHUCK: Right. Which is  
11 to say that this too is resolved.

12 DR. NETON: I think so.

13 MEMBER KOTELCHUCK: Yeah, the  
14 calculation is --

15 MR. THURBER: There was no open  
16 issue with regard to that.

17 DR. NETON: Four was okay? I'm  
18 sorry.

19 MR. THURBER: Four was okay, yeah.

20 CHAIRMAN ANDERSON: Okay, so four is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   || closed.

2 DR. NETON: I'm sorry. I was  
3 looking at four and I saw those 300 days again  
4 and it came out with the right answer.

8 DR. NETON: Exactly. I got you.  
9 Sorry.

10 CHAIRMAN ANDERSON: Okay. Finding  
11 5.

12                  Four is closed.

13 DR. NETON: Five is probably going  
14 to require a little more discussion.

15 MR. THURBER: Right. And the point  
16 that we made is that -- the fundamental point  
17 was that the numbers that were used in the Site  
18 Profile for DuPont were quite a bit lower than  
19 if you'd taken the numbers from TBD-6000.

20 DR. NETON: Right. And that's, I

1 think, the second issue under 5. The first  
2 issue though is how did we really get to where  
3 we were with the beta exposures using that  
4 graph.

5 MR. THURBER: Oh, the figure. Yeah,  
6 if you want to cover that here, fine. Yes.

7 DR. NETON: Is that a different  
8 finding?

9 MR. THURBER: Well, it was -- No,  
10 no. It's that finding. Yes, it is.

11 DR. NETON: Well, anyway, let me  
12 start there because I think that's harder issue  
13 to explain.

14 MR. THURBER: Okay.

15 DR. NETON: If you recall, in our  
16 last meeting we agreed that the uncertainty on  
17 the dose was really related to our uncertainty  
18 of the person's position in relation to the  
19 source term. Right? So, I mean, the GSD of  
20 five that we assigned for the external dose

1           really had more to do with we weren't sure  
2           where the person was in relationship to the  
3           drum or the ingot or whatever they're working  
4           with.

5                 And we agreed that that was fixed.  
6                 We were assigning a certain dose at one meter  
7                 for external, with GSD of five, and I think  
8                 there's no problem there.

9                 When you start calculating beta  
10                doses, though, which we never discussed during  
11                that meeting, it's a little trickier. But what  
12                we've done is -- and Dave can correct me if I'm  
13                wrong here -- first, we had to extrapolate to  
14                figure out what the dose rate from the beta  
15                exposure would be at one meter, because NRC  
16                graph that was in Figure 2 stopped, I think, at  
17                30 centimeters. That extrapolation yielded a  
18                result of, I think it was one millirad per hour  
19                at one meter. So that's our starting point for  
20                skin dose, for non-penetrating dose.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   But then we had to figure out what  
2 would be the dose for the similar that we did  
3 for the photons for a person who was positioned  
4 closer to the source. Using that GSD of five  
5 calculation, it can be calculated that the  
6 person would spend 17 percent of their time at  
7 one foot or closer to the material.

8                   So we assigned a one-foot dose using  
9 that GSD of five. We calculated the one foot  
10 dose, and I forgot what that came out to be.

11                  DR. MAURO: One hundred and fifty?

12                  DR. NETON: No, 2 mR per hour at one  
13 foot. But that means the person was at one  
14 foot or closer. Then we said, well, we will  
15 assume they were at one foot 50 percent of the  
16 time and 50 percent of that time they were  
17 touching the material itself. So we assigned  
18 the contact dose rate that was in a previous  
19 table -- I forgot what table that was -- for 50  
20 percent of the 17 percent of the time, and 50

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 percent of the 17 percent of the remaining time  
2 was at one foot. That's what we've done and  
3 that's consistent with the way we handled the  
4 external exposure.

5 DR. MAURO: Okay. Let me see if  
6 I've got that.

7 DR. NETON: I know I've probably  
8 confused everybody.

9 DR. MAURO: I'm trying. I'm working  
10 hard. So you've got this one meter photon dose  
11 of one mR per hour.

12 DR. NETON: Right.

13 DR. MAURO: Then you say what?

14 DR. NETON: Well, the photon dose  
15 wasn't one mR per hour.

16 DR. MAURO: What?

17 DR. NETON: The beta dose was one mR  
18 per hour.

19 DR. MAURO: Okay. But somehow I  
20 remember the last time we spoke about, and bear

1                   with me. We tried to revisit all this, quite  
2                   frankly, this morning. And I remember that  
3                   this was a way. Assigning a distribution, like  
4                   as you opened up, was really a way to deal with  
5                   distance, not -- with how long was he at some  
6                   distance, as opposed to saying he's all the  
7                   time at this particular distance where we know  
8                   exactly what the -- in that case, the photon  
9                   doses -- at that location.

10                  So you assigned an uncertainty  
11                  distribution on the dose rate at that distance.  
12                  But really you were doing that to accommodate  
13                  the fact that the uncertainty doesn't lie in  
14                  the dose rate, it lies in how much time you're  
15                  spending at a given distance. Am I making this  
16                  more confusing?

17                  DR. NETON: No. That's exactly  
18                  right.

19                  DR. MAURO: Okay. Now, now you know  
20                  that, but that was all photon, right?

1 DR. NETON: Right. It was a photon  
2 dose with a GSD of five on it.

3 DR. MAURO: Right. So now you've  
4 got a nice distribution for photon. Okay. So  
5 that, in effect, tells me how much time you're  
6 spending at different distances. Once you do  
7 that, embedded in that is, what you really are  
8 saying the time you're spending at different  
9 distances.

10 So now you have time spent at  
11 difference distances because of that initial  
12 assumption with regard to photons. Now you're  
13 going to translate that to how much time --  
14 he's going to spending the same amount of time  
15 when you're dealing with the beta dose. Is  
16 that what you're doing? Now you're going to  
17 the beta dose and doing the same thing. You  
18 get the time from that and you know what the  
19 exposure rate is at each one of those  
20 distances. And thereby you get your

1 distribution for the beta dose. I'm trying to  
2 conceptually understand it.

3 DR. NETON: And remember, John, what  
4 we said was, with that GSD of five, that it  
5 would imply that the person was within one foot  
6 17 percent of the time.

7 DR. MAURO: Right. There you go.  
8 That's what I'm getting at. So that really  
9 gives you the time the person is at different  
10 distances. Does it have any effect then on the  
11 fact that we're dealing with beta or gamma? Of  
12 course, the field itself, as a function of  
13 distance for beta and gamma, changes  
14 dramatically differently as you move away. But  
15 you're not talking about that.

16 DR. NETON: Right.

17 DR. MAURO: You're talking about the  
18 time that they're at a given distance. And I'm  
19 starting to get there.

20 DR. NETON: Yes. So we're saying,

1 if the person has a GSD of five on their dose  
2 and they're within one foot or closer 17  
3 percent of the time, that's what we're saying.  
4 And then we took the dose rate for the beta and  
5 the gamma at one foot and assumed that that  
6 person was there at one foot half the time and  
7 contact dose half the time.

8 DR. MAURO: And the contact dose was  
9 on the order of what? One hundred and fifty mR  
10 per hour?

11 DR. NETON: Actually we used, which  
12 I think is probably a bit of an over estimate,  
13 but we used the table for bare uranium metal.  
14 Table 5, I think.

15 MR. THURBER: Yeah, that was the 233  
16 millirem per hour.

17 DR. MAURO: Oh, metal.

18 DR. NETON: Remember this facility  
19 did a lot of some different forms of uranium,  
20 processed a lot of different forms of uranium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MAURO: Okay. So you went with  
2 the upper end one.

3 DR. NETON: They did eventually make  
4 some metal, but not like -- that's why this is  
5 not really applicable, TBD-6000, to this site.

6 DR. MAURO: Yeah.

7 DR. NETON: That's another issue.  
8 But it's probably an overestimate to assume  
9 that they were always in contact with a metal  
10 slab.

11 DR. MAURO: Gotcha. Because you're  
12 dealing with U3O8. You're not dealing with  
13 pure metal.

14 DR. NETON: Yeah, and it's not a  
15 huge difference. I mean, UO<sub>2</sub> is 207 versus 233  
16 for a metal slab.

17 DR. MAURO: Yeah, that's a small  
18 difference.

19 DR. NETON: So you're not talking  
20 major differences.

1 DR. MAURO: Yeah.

2 DR. NETON: And since we didn't know  
3 what chemical form they were necessarily  
4 working with all the time. And it's even a  
5 little more complicated than that, because if  
6 you think about the stuff that's going to be in  
7 the drum, all those betas are going to be  
8 attenuated on the outside going out but not  
9 only from the surface. So we believe that this  
10 is a pretty conservative estimate.

11 That gets me into why we didn't use  
12 the TBD-6000 numbers, because TBD-6000 was  
13 people working with metals 100 percent of the  
14 time.

15 DR. MAURO: And they're naked.  
16 They're not inside the barrel.

17 DR. NETON: They're bare metals.  
18 Whenever they worked with them they were always  
19 working with bare metal, and usually doing  
20 mechanical stuff up close and personal as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   opposed to a drumming operation, you know, that  
2                   sort of thing. This is the reason we didn't  
3                   end up using the TBD-6000 numbers. I'm not  
4                   sure if that all helps, but that's the thought  
5                   process behind this.

We had, as I mentioned, problems in  
trying to reconstruct the red curve in Figure  
2, because we took the information that you  
provided, namely that the geometric mean of the  
beta distribution was a millirem per hour and  
that was the dose at a meter, and the GSD at  
five. And we also noticed that the two curves,  
the curve for the measured data and the curve  
for the calculated -- or the intersection for  
the calculated curve and the measured curve,  
coincided at a point. I think it was 10

1                   centimeters or 15 centimeters. I forget which.  
2                   And with that constraint, we just couldn't  
3                   recreate that red curve.

4                   DR. NETON: Yeah, I think Dave might  
5                   be able to shed a little light on that.

6                   MR. ALLEN: Yeah, just backing up a  
7                   little ways to the last meeting, the agreement  
8                   or the thought process that was agreed to in  
9                   the last meeting was essentially centered on  
10                  gamma dose. It was Findings 4 and 5. So it  
11                  was really intended to apply to both gamma and  
12                  beta.

13                  And that agreement was that we would  
14                  call the one meter dose rate the geometric mean  
15                  of the distribution with a GSD of five as the  
16                  sole number for this dose rate. And the GSD of  
17                  five would be associated with the distance,  
18                  much like John Mauro said earlier today  
19                  already.

20                  That same process worked well for

1                   beta dose for the skin. So we took this NRC  
2                   graph here and extrapolated it back to one  
3                   meter to get that one meter beta dose rate, and  
4                   then simply 2,400 hours times that dose rate  
5                   with a GSD of five, just like we did for the  
6                   gamma dose rate.

7                   The problem is that agreement had no  
8                   means of determining an extremity dose.  
9                   There's no way to really do that without the  
10                  stuff that we did that we talked about a minute  
11                  ago. But that actually comes after this graph.

12                  This graph was simply a mechanism to  
13                  say that the curve from the NRC is not a log-  
14                  normal curve. We fit that curve actually using  
15                  an exponential type of function to get the one  
16                  meter dose rate. But with the agreement we  
17                  had, it had to fit in with IREP. It had to fit  
18                  into a log-normal.

19                  So we used that one meter dose rate  
20                  and the GSD of five, which was already agreed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to. And this Figure 2, it's essentially to see  
2 how that behaves with this graph.

3                   And you can see it's not a log-  
4 normal. It doesn't fit it perfectly, but it's  
5 not terrible. It's overestimating in some  
6 areas; underestimating in other areas. It  
7 crosses a couple of different times. So it's  
8 not a terrible fit even for those close-in  
9 regions of that graph. That's all the intent  
10 of that was for.

11                  DR. NETON: That curve was not used  
12 for anything other than to demonstrate that the  
13 GSD of five was a reasonable approximation.

14                  CHAIRMAN ANDERSON: It's a  
15 validation.

16                  DR. NETON: Sort of, yeah. We never  
17 used that curve for anything other than to say  
18 a GSD of five is not fictional or arbitrary.  
19 It does have some basis in reality.

20                  DR. MAURO: I think I get it, and I

1 understand following your logic sequence.

2 MR. ALLEN: I thought it would be  
3 clearer with that in and demonstrate that. It  
4 probably would have, in hindsight, been better  
5 if I hadn't put the figure in there.

6 DR. MAURO: Bill, are you okay with  
7 this?

8 MR. THURBER: Yeah, I am fine with  
9 that explanation.

10 DR. MAURO: Yeah, I am also. I  
11 could see you could tie yourself in a knot with  
12 something like this. But I understand.

13 DR. NETON: We talked about this a  
14 lot. Some of these last, not last, but the  
15 finishing details really can tie you up in a  
16 ball.

17 DR. MAURO: Yeah, I know.

18 DR. NETON: I think we've gotten the  
19 doses bounded here pretty well.

20 DR. MAURO: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. NETON: Especially since, again,  
2 this is not a pure uranium slab.

3 DR. MAURO: Right.

4 DR. NETON: It's a drum.

5 DR. MAURO: You're starting off high  
6 right off the bat.

7 MEMBER KOTELCHUCK: All right.

8 DR. NETON: I think that covers it.  
9 I don't think there's anything else.

10 I mean, I guess the Work Group needs  
11 to decide what we want to do here.

12 MEMBER KOTELCHUCK: Henry.

13 CHAIRMAN ANDERSON: Yeah, I don't  
14 quite know. I mean, I think that that's a  
15 pretty good explanation.

16 MEMBER KOTELCHUCK: Seems good to me  
17 for 4 and 5, right?

18 CHAIRMAN ANDERSON: Yeah. I don't  
19 know -- I don't have anything other than that  
20 to recommend. So I think it's --

1 MEMBER KOTELCHUCK: I'm comfortable  
2 and I would say let's resolve both of those.

3 CHAIRMAN ANDERSON: Is SC&A okay  
4 with that?

5 MR. THURBER: I'm okay with that,  
6 yeah.

7 DR. MAURO: And it's the same  
8 situation as the last one.

9 CHAIRMAN ANDERSON: Yeah, it's  
10 technical -- it was worth discussing. I mean,  
11 I know more about it than I did before.

12 DR. MAURO: Yeah.

13 CHAIRMAN ANDERSON: And that's very  
14 helpful.

15 DR. MAURO: And the degree to which  
16 you think any more explanation is needed at  
17 some time when maybe you might edit it; it's  
18 another one of those circumstances. But as far  
19 as we're concerned, I think the record that  
20 we're creating right now, and the matrix that's

1 being created right now, does in fact get on  
2 the record why we feel everything is okay.

3 CHAIRMAN ANDERSON: Yeah.

4 DR. MAURO: To the degree to which  
5 you want to say something eventually, certainly  
6 that's your call.

7 MEMBER KOTELCHUCK: Exactly. But we  
8 have the document. So are we up to -- Henry,  
9 Andy, are we up to 7?

10 CHAIRMAN ANDERSON: I think five and  
11 six go together.

12 MEMBER KOTELCHUCK: Yes, they do.  
13 It's the same.

14 CHAIRMAN ANDERSON: Ted, just before  
15 I forget it, on the last two, is this something  
16 that we should just -- we can send the  
17 transcript to the Dose Reconstruction group.  
18 Is this something that --

19 MR. KATZ: Yeah, we can do that. I  
20 think, because the transcript may not be ready

1 for the next Dose Reconstruction Subcommittee  
2 meeting, what would be helpful, and we need to  
3 have it anyway, since I think it's easier for,  
4 Jim, for you or Dave to do this, if you would  
5 just update the matrix with a brief of this  
6 explanation for how this is closed out. That  
7 would be very helpful.

8 DR. NETON: The question is, is  
9 there a matrix? I don't remember.

10 MR. KATZ: Or just then as memo in  
11 response to the last SC&A document.

12 DR. NETON: Okay.

13 MR. KATZ: And then I can send those  
14 on to the Dose Reconstruction Subcommittee so  
15 they can see what happened with respect to  
16 DuPont. And then John, at the next Dose  
17 Reconstruction Subcommittee meeting, can  
18 explain how these were closed out, or Dave.  
19 And that will allow the Dose Reconstruction  
20 Subcommittee to complete its consideration of

1                   those cases.

2                   DR. NETON: My end goal here is to  
3                   get this in the BRS. They're piling up  
4                   rapidly. I mean, this is my third Work Group  
5                   meeting in less than a week. But I think,  
6                   ideally, we'd like to get this --

7                   MR. KATZ: Yeah, absolutely. But I  
8                   just think, as an interim measure, if we have a  
9                   brief memo, for the record or whatever, that  
10                  would do fine. Like SC&A's memos work, yours  
11                  would too, Jim, just explaining how we closed  
12                  the various findings that we will have closed  
13                  today.

14                  DR. NETON: Okay.

15                  MR. KATZ: That's what I'll share  
16                  with the Dose Reconstruction Subcommittee,  
17                  along with the SC&A report.

18                  DR. NETON: Good enough.

19                  MEMBER KOTELCHUCK: Good.

20                  MR. THURBER: This is Bill again. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 realize it wasn't essentially on the agenda,  
2 but do you all want to discuss the question of  
3 the big difference between the inhalation dose  
4 in the residual period and the ingestion dose  
5 in the residual period?

6 DR. NETON: It's a good point, Bill.  
7 I just forgot about that one.

8 MR. THURBER: And it's new. It has  
9 not been on the table. It's just, as I was  
10 going back through this today, actually, I  
11 said, gee, how you got the numbers is very  
12 clear. There's no question.

13 The way you got the number for the  
14 ingestion dose was different from the airborne  
15 concentration times 0.2, the methodology we  
16 discussed earlier. And clearly that results in  
17 a much higher number. Now, that's claimant-  
18 favorable and all those good things. But it's  
19 certainly a different approach.

20 And it's not part of our matrix, if

1 you will. And if you all aren't prepared to  
2 discuss it, that's understandable, too, because  
3 it's --

4 CHAIRMAN ANDERSON: Or which  
5 committee does it fit with? You could say it's  
6 kind of generic. I mean, the issue really is  
7 the 0.2 kind of, or different from -- I mean,  
8 times 0.2 is pretty easy to understand. And  
9 this is now a little different.

10 DR. NETON: Dave could say a few  
11 words, I think. But the difference of not  
12 using 0.2 here is the fact that we would prefer  
13 to use measured surface concentrations as  
14 opposed to inferring a concentration using air  
15 sampling.

16 CHAIRMAN ANDERSON: That's right.  
17 You're doing a measurement.

18 DR. MAURO: Jim, this is John. When  
19 Bill and I were discussing this this morning,  
20 we were saying, well, the 0.2 multiplied by the

1 air concentration to get intake per day, of  
2 course, we've covered that very thoroughly.

3 And my recollection is that all I  
4 have to do with an operational period, where  
5 you're grinding uranium, you're doing whatever  
6 you're doing, you know what your airborne dust  
7 loading is, you know that the stuff is  
8 accumulating on the ground and you've got  
9 activity airborne settling on food. So it's an  
10 operation.

11 And after a lot of struggle, of  
12 course, as you recall, the 0.2 came out. All  
13 right. We're okay with the 0.2. But now  
14 you're applying it to -- here's where -- stay  
15 with me -- we say, well, now we're in this  
16 realm of the residual period where you're  
17 really not producing anything. Nothing is  
18 falling out of the sky because of your  
19 production.

20 But what you really do have is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           you've got a resuspension and redeposition  
2           activity going on, which, of course, could have  
3           associated with it some ingestion. But the  
4           mechanism, to a certain degree, is different.  
5           In one case, you've got the airborne activity  
6           because you're grinding away. And the other  
7           one you've got the airborne activity mainly  
8           because it's being resuspended.

9                         And I guess, in our mind, is, okay,  
10                  well, is there any reason why the 0.2 shouldn't  
11                  work during the residual period? We recognize  
12                  that the airborne activity is the declining as  
13                  a function of time, or not; it depends on what  
14                  you want to assume.

15                         But the 0.2 factor seems to be  
16                  applicable there just like -- or is it? And  
17                  Bill and I were talking about this. And then  
18                  you go to the 5512 approach, which is a whole  
19                  different strategy. And then you go to that  
20                  because now you're working in the residual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 period.

2                   And if in fact you do do that, you  
3 come up with intakes that are substantially  
4 different than the 0.2 approach. And we were  
5 left with, what I would call, incongruity that  
6 would be nice to resolve, if for no other  
7 reason than to get the record straight on this.

8                   MR. ALLEN: Yeah, John, this is Dave  
9 Allen. I think the same thing you just said,  
10 just in other words: at TIB-9, when we came up  
11 with it, and what you all looked over, I think,  
12 in the Procedures Work Group for quite a while,  
13 was based on the airborne settling out. The  
14 operations with some radioactive material being  
15 the primary source of the airborne, it's  
16 settling out causing some contamination, that  
17 contamination being ingested.

18                   But I think it was actually DuPont;  
19 I think it was this TBD where they pointed out  
20 that it falls apart when you get into the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 residual period.

2 DR. MAURO: Yeah.

3 MR. ALLEN: The whole methodology.

4 So essentially we could not use --

5 DR. MAURO: I hate to do this to  
6 myself, but as we thought about it, what is it  
7 about the residual period? I forget because  
8 there's so much history here; why would it  
9 break down during the residual period? You  
10 still have airborne activity, but it's being --  
11 I think it has something to do --

12 MR. ALLEN: I can answer that one  
13 easy, John.

14 DR. MAURO: Good, please.

15 MR. ALLEN: During the operational  
16 period you have some operation that's creating  
17 the airborne. That airborne is creating  
18 surface contamination, and that surface  
19 contamination is being ingested. TIB-9 just  
20 takes the whole process in one factor.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MAURO: One big load, right.

2 MR. ALLEN: And equating that  
3 airborne to surface to ingestion.

4 DR. MAURO: Yes.

5 MR. ALLEN: However, when you get  
6 into the residual period, you no longer have  
7 this other source of airborne and it's purely  
8 resuspension of the --

9 DR. MAURO: Ah, you don't have both.  
10 Because you do have resuspension and generated  
11 during operation.

12 MR. ALLEN: You do, but the  
13 resuspension is always going to be a small  
14 piece of it.

15 DR. MAURO: Right, right.

16 CHAIRMAN ANDERSON: And it's related  
17 to what's embedded in the --

18 DR. MAURO: Right.

19 MR. ALLEN: So the way to look at it  
20 is that the ingestion is truly related to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 surface contamination. In TIB-9, we related  
2 that to the airborne which caused that surface  
3 contamination.

4 DR. MAURO: Yeah.

5 MR. ALLEN: That airborne is gone in  
6 the residual period.

7 MR. THURBER: Wouldn't you then  
8 think that the residual period number would be  
9 lower? And it's much, much higher.

10 MR. ALLEN: No, actually you would  
11 think -- the common sense would be that, if the  
12 ingestion is caused by contamination, the day  
13 you stop operations, the contamination doesn't  
14 change unless, of course, there's a cleanup of  
15 some kind.

16 DR. MAURO: Yeah, but the air goes  
17 away. Yeah, I think I've got it.

18 MR. ALLEN: The air goes away, but  
19 the ingestion rate should --

20 DR. MAURO: So the 0.2 can't work

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 because the air just went away.

2 MR. ALLEN: Right.

3 DR. MAURO: Okay. Good. By the  
4 way. we did get your ingestion rate number.  
5 You know, your outcome of your approach, and it  
6 came in on the order of some fraction of a  
7 milligram per day, something like 20 or 30 or  
8 40 micrograms per day.

9 So the strange thing about it was  
10 the actual number, in my world of understanding  
11 ingestion, seemed to be in the right place.

12 MR. ALLEN: Yeah, and what did we  
13 get? Like I said, since TIB-9 kind falls apart  
14 for the residual period, and we actually had  
15 contamination measurements as a starting point,  
16 it really couldn't be used and reverted back to  
17 what Jim used from the NUREG, I believe.

18 DR. MAURO: Nine, yeah.

19 MR. ALLEN: Yeah.

20 DR. MAURO: The 009.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. NETON: And that 0.2 value using  
2 this 1.1 times E to the minus 4 meter squared  
3 per hour ingestion value. And it was  
4 consistent with the TIB.

5 MR. ALLEN: Well, during operation.

6 DR. NETON: TIB-9 during operation.

7 DR. MAURO: During operation.

8 DR. NETON: I think that 1.1 times E  
9 to the minus 4 is a pretty good number.

10 MR. THURBER: But, still, is it  
11 reasonable to assume, during the residual  
12 period, that the ingestion is 100 times more  
13 than the inhalation?

14 MR. ALLEN: I don't know if that  
15 factor is good and reasonable. I think there  
16 is --

17 MR. THURBER: That factor comes  
18 directly from the table, Table 10.

19 (Simultaneous speaking.)

20 MR. ALLEN: I think the 500 is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 pretty much a fixed number.

2 MR. THURBER: That factor of 100 is  
3 comparing the two numbers in Table 10.

4 DR. NETON: You have a point there,  
5 and, like you say, it's certainly claimant-  
6 favorable. But it might merit some scrutiny.

7 MR. ALLEN: It's something that we  
8 really don't have any common sense numbers to.  
9 Like I said, the common sense approach was the  
10 ingestion rate won't change when operations  
11 stop. But the airborne essentially goes away.

12 DR. MAURO: Yeah.

13 DR. NETON: In which case, you have  
14 the same ingestion and zero airborne, which is  
15 more than a factor of 100.

16 DR. MAURO: Yeah. I've got to say,  
17 intuitively -- I do a lot of this -- the story  
18 that emerges, I hear what you're saying and it  
19 sort of rings true. But it is, again, one of  
20 those elusive things that unless you were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 following it, the history of how this unfolded,  
2 and you find yourself at the end, all of a  
3 sudden ingestion is the dominant one and not  
4 inhalation. And it does sort of stop you in  
5 your tracks.

6 MR. ALLEN: It does, and it stopped  
7 me in my tracks, too. And my final conclusion,  
8 in my own little mind, was that whoever sorted  
9 out what ingestion or inhalation rates would be  
10 in a shutdown facility. I don't think there's  
11 any common sense experience with these kind of  
12 numbers.

13 DR. MAURO: Yeah. Although your  
14 numbers, you're in the range of an ingestion  
15 number when you come out at the end. We took  
16 your activity and turned it into a mass, in  
17 terms of what are you talking about, milligrams  
18 per day from material, from a surface, that I  
19 think has been -- Bill, am I correct? This has  
20 been cleaned up.

1 MR. THURBER: Yes.

2 DR. MAURO: So what you're really  
3 saying is we've got a site, no more operations  
4 going on. The potential resuspension is  
5 extremely small, 10 to the minus 6. And that's  
6 a good number because the surface itself was  
7 cleaned up. So we're not talking about readily  
8 removable stuff.

9 All I can say is that,  
10 notwithstanding everything we're talking about,  
11 that end number which -- what was it again,  
12 Bill? We did it by hand on the phone. Twenty  
13 milligrams? I mean micrograms.

14 MR. THURBER: I think it was.

15 DR. MAURO: I think it was 20  
16 micrograms per day, or something like that.  
17 And I play in the world of inadvertent  
18 ingestion a lot. It's coming in in the right  
19 place. I don't know. I guess, Bill, I'm sort  
20 of okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MR. THURBER: I have no judgment. I  
2 just point it out as something that seemed  
3 anomalous.

4                   CHAIRMAN ANDERSON: It kind of  
5 depends on what's the route for the ingestion,  
6 if it's people touching the surface and then  
7 getting it on their hands versus the settling  
8 out on -- so does the residual need to get  
9 suspended in order to either be inhaled or  
10 ingested? And if not --

11                  MR. ALLEN: Well, I think that's it.  
12 It has to be resuspended to be inhaled. But it  
13 doesn't necessarily have to be ingested.

14                  CHAIRMAN ANDERSON: Right.

15                  DR. NETON: I think part of this is  
16 we took -- you know, many samples were much  
17 less than 500 dpm per 100 square centimeter.  
18 We took that to be the gospel.

19                  CHAIRMAN ANDERSON: Yeah. That's  
20 the issue.

1 DR. MAURO: Yeah. The high end  
2 number. Use the upper end number.

3 DR. NETON: But certainly, if you  
4 assume that it was that contamination  
5 throughout the entire plant, you end up with a  
6 much higher ingestion rate. Because I strongly  
7 suspect that the deposition model that we used  
8 didn't come up with these levels of  
9 contamination on the floor.

10 DR. MAURO: Do you know what would  
11 be helpful, in my mind, for me? Some language  
12 about one of the outcomes of this approach is  
13 this hundredfold difference and the reason for  
14 it. I mean, in other words, it's something  
15 that emerged from the process. And when you  
16 think about it, it makes sense, because on  
17 first blush you would say, like David just  
18 said, he was surprised, too.

19 But then when you start to think  
20 about it a little bit more, you probably could

1           explain it a little bit why that would occur in  
2         the residual period as opposed to the  
3         operational period.

4                            MR. ALLEN: The only part of that,  
5         John, is that the 1.1 times 10 to the minus 4<sup>th</sup>  
6         factor is applicable to about any facility, I  
7         think. And meanwhile we're applying it to  
8         contamination that was left over after  
9         scabbling. You know it's not that loose.

10                  DR. MAURO: Right.

11                  DR. NETON: We're assuming this 500  
12         is completely loose contamination.

13                  DR. MAURO: Yeah, yeah.

14                  DR. NETON: Not only that, but many  
15         of the values were much less than 500. You're  
16         almost getting a sensitivity of the measurement  
17         method, you know, 500 dpm per 100 square  
18         centimeters alpha is -- I don't know how --

19                  DR. MAURO: They get down to 100.  
20         Yeah.

1 DR. NETON: So when you're talking  
2 direct measurements, yeah, it's --

3 CHAIRMAN ANDERSON: The upper bound  
4 is --

5 (Simultaneous speaking.)

6 DR. NETON: -- a missed dose, I  
7 would say, but maybe some kind of a technology  
8 shortfall for ability to measure alpha  
9 contamination of direct survey measurement  
10 instruments. I agree. It's worth pursuing and  
11 considering, but I don't know where else to go  
12 with it on this particular -- I remember it  
13 distinctly now. This is the one where we used  
14 the deposition model and it just doesn't work.

15 DR. MAURO: Yeah, I remember when  
16 this came up.

17 MR. ALLEN: The problem with this  
18 one is we have survey information after the  
19 cleanup, which is our best starting point, and  
20 the deposition model wouldn't apply because it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   would be operational --

2                   DR. NETON: We did that in one case  
3                   and we got a finding on it. And I thought it  
4                   was in this site.

5                   MR. ALLEN: I don't think it's this  
6                   one.

7                   DR. NETON: There was another site  
8                   where we've done that and then we realized --  
9                   actually, I remember reviewing something and  
10                  going, it's circular logic. You take the  
11                  positive material, resuspend it and then you  
12                  let it come back down on the ground. It just  
13                  didn't make any sense.

14                  DR. MAURO: I remember that. I  
15                  don't know if this is the site or not.

16                  DR. NETON: Anyway. But I don't  
17                  know what else to say on this, other than --

18                  MR. THURBER: It sounds like the  
19                  misleading assumption, if you will, because it  
20                  was so conservative, is that using the 500.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

2 DR. NETON: -- numbers that are  
3 fairly low to start with.

4 MR. THURBER: If the stuff is, if  
5 the contamination is bound, and I think that  
6 the document actually said something to that  
7 effect -- I can't remember for sure -- then 500  
8 conceptually could be way too high.

9 DR. MAURO: And I think the 0.2  
10 number is not something you use during the  
11 residual period. It's only during the  
12 operational period.

13 MR. ALLEN: Right. I mean, we have  
14 used it for operational airborne to determine  
15 ingestion.

16 DR. MAURO: No. That's what I'm  
17 saying. And now that you moved into the  
18 residual period where completely different  
19 mechanisms are at work, you wouldn't apply the  
20 0.2. And you had to find a different way to

## **NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 come to grips with this. I think the answer  
2 somewhere lies in there.

3 MR. ALLEN: Yeah. I think,  
4 actually, this was the site where we did that  
5 incorrectly, really. And you're the ones that  
6 pointed that out.

7 DR. MAURO: Yeah.

8 CHAIRMAN ANDERSON: Okay. Good  
9 discussion. It sounds like a Dose  
10 Reconstruction Subcommittee issue. I think  
11 we've got it covered here.

12 DR. NETON: Yeah. And even with the  
13 30 dpm, which sounds high, I mean, the F1 value  
14 for uranium, the more soluble form, I think is  
15 0.02. So you're talking about a two percent --  
16 so 6 dpm per day across the GI tract. It's  
17 pretty small.

18 MEMBER KOTELCHUCK: Yes.

19 CHAIRMAN ANDERSON: Okay. Any other  
20 comments or questions?

1 MEMBER KOTELCHUCK: No.

2 CHAIRMAN ANDERSON: So I think that  
3 closes this Site Profile out. Are there any  
4 public comments people would like to make?

5 (No response.)

6 MR. KATZ: I don't think we have any  
7 public members on the line.

8 CHAIRMAN ANDERSON: Oh, okay. Then  
9 any other issues for the Committee?

10 MR. KATZ: No. I think until the  
11 other sites -- there's more work for this  
12 Committee --

13 CHAIRMAN ANDERSON: Oh yeah. Right.  
14 But I don't think there's anything --

15 MR. KATZ: It's not ready.

16 CHAIRMAN ANDERSON: It's not ready.  
17 I was going to say, we don't need to use this  
18 to pick a date.

19 MR. KATZ: No, I don't think so.  
20 And I don't think we're ready for that yet.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           But Jim will let us know when that other stuff  
2           is ready.

3                             CHAIRMAN ANDERSON:    Okay.    One more  
4                             Site Profile down.

5                             MEMBER KOTELCHUCK:       Very good.  
6                             Thank you.

7                             CHAIRMAN ANDERSON:    Unless there are  
8                             other comments, we'll close out the call.

9                             MR. KATZ:        No, that's good.   And,  
10                          Andy, at the next Board meeting you could just  
11                          report out that we closed this work.

12                          CHAIRMAN ANDERSON:    Will do.   Bye-  
13                          by, all.

14                          (Whereupon, at 1:05 p.m., the above-  
15                          entitled matter was concluded.)

16  
17  
18  
19  
20

This transcript of the Advisory Board on Radiation and Worker Health, Uranium Refining Atomic Weapons Employers (URAWE) Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the URAWE Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change

75

1

2

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)