

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

ROCKY FLATS WORK GROUP

+ + + + +

TUESDAY,  
MARCH 17, 2015

+ + + + +

The Work Group convened in the Brussels Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., David Kotelchuck, Chair, presiding.

PRESENT:

DAVID KOTELCHUCK, Chair  
R. WILLIAM FIELD, Member\*  
WANDA I. MUNN, Member

\*Participating via telephone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official  
TERRIE BARRIE\*  
BOB BARTON, SC&A\*  
JAMES BOGARD, ORAU Team\*  
ELIZABETH BRACKETT, ORAU Team\*  
RON BUCHANAN, SC&A\*  
JOE FITZGERALD, SC&A  
ROSE GOGLIOTTI, SC&A\*  
JENNY LIN, HHS\*  
JOYCE LIPSZTEIN, SC&A\*  
JOHN MAURO, SC&A\*  
JIM NETON, DCAS  
JUDY PADILLA\*  
LaVON RUTHERFORD, DCAS  
MUTTY SHARFI, ORAU Team\*  
DAN STEMPFLEY, ORAU Team\*  
JOHN STIVER, SC&A\*

\*Participating via telephone

TABLE OF CONTENTS

	<u>PAGE</u>
Welcome and Roll Call	
1. DCAS/SC&A - provide brief overview on petition status.....	5
2. Discuss NIOSH/ORAU White Paper: Rocky Flats Plant Health Surveillance Document Review.....	9
3. Discuss NIOSH/ORAUT White Paper: Existence of Mg-Th Alloy at RFP Based on Worker Statements.....	29
4. Discuss NIOSH/ORAUT White Paper: Evaluation of the Potential for Internal Dose from Np-237.....	62
5. Discuss NIOSH/ORAUT White Paper: Follow-up Efforts on SEC-00192.....	109
6. NIOSH Provide status and schedule for remaining open issues.....	235
7. Petitioner comments.....	255
Adjourn	

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:01 a.m.)

3 MR. KATZ: Good morning, everyone on  
4 the line, in the room. This is the Advisory Board  
5 on Radiation and Worker Health Rocky Flats Work  
6 Group, and we are ready to get going.

7 A couple of preliminaries. For this  
8 Work Group meeting, there is an agenda and related  
9 materials. They are all posted on the NIOSH  
10 website. You find them on the Advisory Board  
11 section under today's meetings, so go there and you  
12 can follow along with the materials that we will  
13 be discussing today.

14 Okay. And roll call.

15 (Roll call.)

16 Much thanks, and, Dr. Kotelchuck, it's  
17 your meeting.

18 CHAIRMAN KOTELCHUCK: Okay. Well, as  
19 folks know, Mark --

20 MR. KATZ: Griffon.

21 CHAIRMAN KOTELCHUCK: -- Griffon has  
22 -- thank you -- has left the Board to follow up and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 work full-time on his Chemical Safety Board  
2 appointment. So I have been appointed -- newly  
3 appointed as chair of this Working Group. And  
4 also, Dr. Field, who is on the line, has been added  
5 to the Working Group. So the two of us are  
6 relatively new, and we are going to have to depend  
7 significantly for our other Board Members with long  
8 experience -- Wanda Munn and Phil Schofield, who  
9 is not with us today.

10 So we have the agenda posted on the DCAS  
11 online. Let's talk -- let's start out -- so folks  
12 see it, there are seven points. Let's start out  
13 first with a brief overview on the petition status.  
14 LaVon Rutherford.

15 MR. RUTHERFORD: All right. I'll give  
16 a brief overview, and then I will let Joe Fitzgerald  
17 with SC&A kind of add things that I will surely  
18 leave out.

19 We received the petition. It has been  
20 quite some time. I actually forgot to look at the  
21 date that we actually received the petition, but  
22 we issued an evaluation report back in December

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 2012. We initially identified that there was no  
2 SEC classes. We had identified the tritium issue  
3 associated with tritium exposure or that had -- an  
4 incident that had occurred in the 1973 timeframe,  
5 and potential exposure to tritium is our basis for  
6 qualifying the petition.

7 After some additional discussion,  
8 review of documents, and interviews that we  
9 conducted, we ultimately went back and we revised  
10 our evaluation report recommending a Class up  
11 through 1983. That recommendation centered not on  
12 tritium but on potential exposures to thorium,  
13 neptunium, U-233 exposures were the main items that  
14 drove the SEC Class.

15 After we made that recommendation, and  
16 the Board concurred with that recommendation, we  
17 identified that there -- we would continue to  
18 evaluate neptunium and look at the potential  
19 exposures to neptunium 1984 through 1989 period.

20 We also ultimately, through additional  
21 discussions with the Work Group, we identified that  
22 we needed to go back and do some additional research

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on magnesium-thorium alloy. There were open  
2 issues with tritium that had not been resolved, so  
3 those were three open issues.

4 Additionally, the petitioner  
5 provided -- identified a potential issue with the  
6 health surveillance document that -- potential  
7 concerns with our ability to reconstruct doses  
8 because of that report. And then we also  
9 identified a potential exposure with the critical  
10 mass laboratory, and questions were brought up on  
11 data falsification as identified during the FBI  
12 raid. So these were the main six open issues that  
13 really kind of stayed open and have been -- we have  
14 been working through.

15 We have had a couple of Work Group  
16 meetings. We initially put out a White Paper on  
17 the tritium exposures. SC&A responded. We  
18 revised and did some additional update, and then  
19 SC&A provided another response back in September.  
20 So tritium exposure is something that we are  
21 definitely going to talk about today.

22 We also completed and issued a White

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Paper on the health surveillance document. That  
2 will be the first item we will discuss after this.  
3 We have put together a White Paper on where we feel  
4 -- the activities with neptunium and any potential  
5 exposure past 1983, and later on, at the end of the  
6 day, I will give updates on two remaining White  
7 Papers that we are going to develop, and that is  
8 data falsification/destruction, and a lot of that  
9 is centered on the FBI raid period, and also  
10 exposures from the critical mass laboratory.

11 So, again, six open issues. We will  
12 discuss four of those today.

13 Do you want to add to that, Joe?

14 MR. FITZGERALD: I think that covers it  
15 pretty well. I would say that, you know, we have  
16 been addressing at least some of these issues from  
17 back when we did the original SEC review back in  
18 2007 I guess with magnesium-thorium. We have  
19 participated with NIOSH in a lot of the early data  
20 captures, almost -- most of the interviews actually  
21 covering all of these issues pretty much, and have  
22 responded to all the White Papers, save one, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 most recent one, which is neptunium. And that's  
2 actually in a final version as far as the response  
3 goes. It is not issued, but we certainly can speak  
4 to that today. So I think we are prepared to  
5 respond to pretty much all of these issues.

6 So I guess with that I will turn it back  
7 to Dave.

8 CHAIRMAN KOTELCHUCK: Okay. Very  
9 good.

10 Well, let's -- first, before we get  
11 started on the health surveillance document review  
12 discussion, just to note for people online that we  
13 are changing the agenda slightly such that we will  
14 deal with the tritium issues, Items five and ---  
15 item five right after lunch, and then we will return  
16 to the agenda as posted online.

17 So let's start with the health  
18 surveillance document review.

19 MR. RUTHERFORD: Okay. I believe the  
20 health surveillance document is out on the website  
21 and available to everyone to review, and hopefully  
22 Bill -- Dr. Field, I apologize -- hopefully you had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a chance to take a look at that. I know you are  
2 going to be getting yourself up to speed with  
3 everything.

4 I will give you an overview of the  
5 document, and then our conclusion.

6 The petitioner -- well, the White Paper  
7 was developed in response to the petitioner's  
8 concern that dosimetry records cannot be relied  
9 upon for dose reconstruction. This issue was in  
10 response to -- the petitioner refers to the Oak  
11 Ridge Institute for Science and Education document  
12 Health Surveillance of Rocky Flats Radiation  
13 Workers. In that, it indicates that approximately  
14 10 percent of the former workers were found to have  
15 received internal exposures higher than reported  
16 in the health physics record.

17 So there was a concern that because the  
18 health surveillance document identified that  
19 exposures were actually higher than previously  
20 identified by the site that this brought into  
21 question our ability to reconstruct doses for the  
22 workers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Taking directly from that report, the  
2 report says approximately 10 percent of the 1,164  
3 participants for whom a dose assessment was  
4 performed were determined to have some unrecorded  
5 internal dose, and approximately five percent of  
6 the participants had a significant unrecorded  
7 dose. So, again, this brought up the issue that  
8 -- of concern that would affect our potential --  
9 or affect our ability to reconstruct the dose.

10           Back in SEC 30, we actually -- the  
11 evaluation report actually looked at the worker  
12 recall monitoring program, which was part of  
13 this --

14           CHAIRMAN KOTELCHUCK:       Just as a  
15 question, on that piece of data on the 10 percent  
16 that had significant unreported dose, how was that  
17 determined in that original paper? That is, how  
18 did they know what the original dose really was?

19           MR. RUTHERFORD:       Well, additional  
20 bioassays were taken as part of -- of some of the  
21 workers. So they actually took the bioassays --

22           CHAIRMAN KOTELCHUCK:   Bioassays.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. RUTHERFORD: -- versus the --  
2 right.

3 DR. NETON: If I recall, these were  
4 more sensitive bioassay measures.

5 MR. RUTHERFORD: Yes. And I was going  
6 to get to that.

7 DR. NETON: Okay. I'm sorry.

8 (Laughter.)

9 MR. RUTHERFORD: No. That's okay.

10 CHAIRMAN KOTELCHUCK: Okay. But I  
11 just -- and as I'm relatively new to this committee,  
12 I am going to ask maybe perhaps a few more  
13 questions.

14 MR. RUTHERFORD: Sure. That's good,  
15 you know, that --

16 MEMBER MUNN: That's all right. It  
17 helps bring us up to speed, too.

18 CHAIRMAN KOTELCHUCK: Good.

19 MR. RUTHERFORD: You know, it will  
20 be -- usually it's nice to be able to get a lead  
21 in. It helps me.

22 CHAIRMAN KOTELCHUCK: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. RUTHERFORD: All right. So,  
2 again, they looked at this back on SEC 30, and taken  
3 from the SEC 30 or Rocky Flats evaluation report:  
4 bioassay results from recall programs can help  
5 refine estimates of dose from internally deposited  
6 radioactive materials. However, the ability of  
7 NIOSH to perform dose reconstruction is not  
8 predicated on the continuance of such programs.

9 So, again, this was looked back at early  
10 on, actually under SEC 30. So we went back and we  
11 looked at the report again. We also, you know,  
12 looked at some of the reference documents as well.  
13 And when you review the report, you can see that  
14 the apparent difference in dose from the early  
15 years and as -- and primarily the results are based  
16 on a difference in the detection limits. So the  
17 minimum detectable activity for bioassay samples.

18 So the ORISE health surveillance  
19 report, it is taking the original site calculated  
20 doses and comparing them to recalculated external  
21 and internal dose based on new bioassay data from  
22 the medical monitoring program as well as from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 neutron dose reconstruction project.

2 The report finding that the internal  
3 exposures are higher than reported in the health  
4 physics record reflects the lesser sensitivity of  
5 the detection limits. So when workers were  
6 resampled during the medical monitoring program,  
7 the sensitivity of the more recent bioassay was  
8 much better. Therefore, it is not surprising the  
9 intakes were not detected.

10 Okay. The second observation, there  
11 are two additional differences between the doses  
12 assigned by either the historical site program or  
13 the health surveillance program. Under EEOICPA,  
14 we assigned this dose. Okay? That's something  
15 that is not done, you know, normally at a site, so  
16 -- which accounts for any limitations in any  
17 analytical measurements by -- you know, by  
18 calculating the maximum dose it could have been  
19 gone undetected.

20 Also, we assigned dose based on  
21 co-worker studies. So if we have unmonitored  
22 workers that, you know, did not get monitored

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 during that period, we look at those individuals,  
2 where they worked, the different -- you know, what  
3 groupings that they might be working in, and we can  
4 assign co-worker dose based on that.

5 So our conclusion was that the  
6 surveillance report does not indicate that the  
7 internal monitoring program was inaccurate. Our  
8 processes assess reliable and usable data to  
9 account for all potential exposures and  
10 determining bounding intakes, including  
11 unmonitored exposures through potential co-worker  
12 models.

13 Therefore, the conclusion is that all  
14 potential dose is accounted for, and the findings  
15 of the health surveillance report do not impact the  
16 ability to reconstruct dose with sufficient  
17 accuracy.

18 So, again, the main item was detection  
19 limits during those early years when new, more  
20 sensitive equipment that we have now allowed  
21 for -- you know, was the main indicator of the  
22 missed dose, or of those exposures being higher.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And that's it for that document. I'll  
2 let SC&A respond. I know that --

3                   MR. FITZGERALD: Questions? Thank  
4 you, LaVon.

5                   Actually, we've come across this issue  
6 once or twice before, so this is not an uncommon  
7 question, but we wanted to look at this de novo.  
8 And Ron Buchanan, who is sort of an internal  
9 dosimetrist by background, is on the phone. And,  
10 Ron, can you walk through your analysis?

11                  DR. BUCHANAN: Yes. This is Ron  
12 Buchanan, SC&A. LaVon gave a good overview of what  
13 took place, so I won't go into -- repeat that. What  
14 we did is we went back and reviewed NIOSH's White  
15 Paper of May of 2014 to determine exactly what was  
16 done and how they handled the situation.

17                  And we -- I do the auditing of the dose  
18 reconstruction cases, so I was familiar with how  
19 they processed their cases and how they did their  
20 calculations. And I can confirm that the way they  
21 do their dose assignments for internal doses does  
22 not depend upon the plant calculating doses in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 worker's files.

2 Now, what this consisted of, some of the  
3 DOE sites did have a program which projected out  
4 what the dose would be to major body organs when  
5 a person would have a whole body count. The person  
6 would have a whole body count, they would go in and  
7 project what the dose might be to their organs, not  
8 that they have the answer or anything, just what  
9 they might be for that worker at that time.

10 And many of these were zeroes because  
11 the detection level was fairly high in the older  
12 days. And what this -- NIOSH handles this by  
13 assigning a dose for that -- those zeroes,  
14 actually, a missed dose. And so this would  
15 actually result usually in a favorable dose  
16 assignment as compared to if they were surveyed  
17 with any more sensitive method, which ORISE used  
18 in later times.

19 And so we did not see a conflict. These  
20 are sheets of calculations in the workers' files.  
21 They are not actually used for dose reconstruction,  
22 especially those that read zero. NIOSH goes back

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and uses the raw data from the actual detector  
2 printouts as opposed to a pre-set program and  
3 calculated dose back at that time.

4 So we did not see a conflict there with  
5 the way it is presently done under the Act, and  
6 would not indicate that the ORISE doses were better  
7 or assigned more dose than what the NIOSH would in  
8 dose reconstruction.

9 I would like to make a correction. In  
10 the revising of our statement we issued March 2nd,  
11 in the first paragraph, last lines, the reported  
12 dose should be -- should read "reported doses in  
13 health physics records," not the professional  
14 journals, not in Health Physics Society Journal.  
15 This got inserted and it shouldn't be, so it should  
16 just be -- read "higher than reported in the health  
17 physics records." That is called in the -- in the  
18 workers' files was the health physics records.

19 And so that's what we -- the concern  
20 was, and we did not see that this conflicted with  
21 the way the dose reconstruction is performed by  
22 NIOSH at this time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay.

2 MEMBER MUNN: Ron, this is Wanda. Has  
3 that clerical error been corrected in the master  
4 copy?

5 DR. BUCHANAN: No. Unfortunately, it  
6 was issued that way, and we will have to go out and  
7 revise that.

8 MEMBER MUNN: All right.

9 CHAIRMAN KOTELCHUCK: Okay.

10 MEMBER MUNN: That's what --

11 DR. BUCHANAN: I want to clarify that.  
12 The actual records referring to was the health  
13 physics records in the DOE files, not a journal.

14 MEMBER MUNN: Yes. Thank you. It's a  
15 nice net to keep your eye on, though. Thank you.

16 CHAIRMAN KOTELCHUCK: And the concern  
17 that was raised in the petition was with respect  
18 to that 10 percent data, with the Oak Ridge data,  
19 but that -- we're saying that, and SC&A is agreeing,  
20 that the measurements are made based on the data  
21 collected, and they are not on any estimates from  
22 the plant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. RUTHERFORD:    Yes.    Well, what  
2 we're saying is that we will take the intakes or  
3 the bioassay data and the external monitoring data.  
4 We will make corrections based on detection limits,  
5 based on limitations that we know with this, and  
6 ultimately we don't -- we don't take a dose that  
7 is identified in the record and say, "Okay.  That's  
8 the dose that we are going to apply in dose  
9 reconstruction."  We actually go back and adjust  
10 it based on our internal procedures.

11                   CHAIRMAN KOTELCHUCK:    Right.    And  
12 that includes missed dose and --

13                   MR. RUTHERFORD:    Correct.

14                   CHAIRMAN KOTELCHUCK:    Okay.    And MDA.  
15 When were the -- just because I'm, again,  
16 relatively new, when were the actual evaluations  
17 or dose reconstruction -- we have been dealing with  
18 SECs, but a lot of dose reconstructions have  
19 happened.    When were they done?    What year?  
20 We're talking about 2007, something like that, or  
21 2004?

22                   MR. RUTHERFORD:    They have been, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 mean, all the way back since '04, '03, but we have  
2 -- you know, and what we do, I mean, as you know,  
3 our process, I mean, as we get our claims in, we  
4 will reconstruct it dose-based -- or reconstruct  
5 the claimants based on existing TBDs and stuff that  
6 we have. And as a TBD is revised, we will determine  
7 whether a Program Evaluation Report will determine  
8 whether we have claims that need to be pulled back  
9 and redone to -- based on additional exposures that  
10 we identified in a revision.

11 So that process continues on, and so  
12 what will happen after the -- when they completed  
13 the evaluation report, discussion on SEC 30, we  
14 went back and we made changes to the Rocky Flats  
15 Technical Basis Documents, and then we continue to  
16 make changes based on, you know, programmatic  
17 changes within different things, you know,  
18 technical information bulletins. And then after  
19 we get done with this evaluation report and we  
20 revise -- or we resolve all of the issues,  
21 ultimately the TBDs will be revised again. And so  
22 -- which could drive additional claims coming back

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 into ---

2 CHAIRMAN KOTELCHUCK: And, in  
3 particular, with respect to instrumentation, the  
4 MDAs, they have been lowered over time. Maybe not  
5 in this -- maybe not in this last decade, but over  
6 the years, and those are taken into account.

7 MR. RUTHERFORD: Right. And, you  
8 know, I think if you look at -- we have looked back  
9 at the analysis techniques that occurred all the  
10 way back, and we come up with detection limits based  
11 on those techniques, and then we use -- we take that  
12 into consideration for dose reconstructions for  
13 those time periods. And then, as we get new claims  
14 in for later years, those MDAs are adjusted to what  
15 analysis techniques they are using today.

16 CHAIRMAN KOTELCHUCK: Okay. Fine.  
17 So the MDAs at that time.

18 MR. RUTHERFORD: Right. Yes.

19 CHAIRMAN KOTELCHUCK: Okay. Good.  
20 Good.

21 MR. RUTHERFORD: So, Jim, you can feel  
22 free to add --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: No, I think we've got --

2 CHAIRMAN KOTELCHUCK: Yes? So basic  
3 agreement.

4 MR. KATZ: Bill -- do you want to just  
5 check with Bill Field? Are you --

6 CHAIRMAN KOTELCHUCK: Yes.

7 MR. KATZ: -- square with this, too?

8 MEMBER FIELD: Yes. I just had a  
9 question for LaVon.

10 LaVon, how was it, I guess, figured out  
11 or determined that the bioassay data was complete?

12 MR. RUTHERFORD: I'm confused here.  
13 What do you mean, how was it determined it was  
14 complete?

15 MEMBER FIELD: I guess the source that  
16 you are using now will be the actual bioassay data,  
17 right?

18 MR. RUTHERFORD: Correct. Yes.

19 MEMBER FIELD: Okay. What I mean is  
20 was there reported doses that there is not bioassay  
21 data for.

22 MR. RUTHERFORD: Well, yes, there -- I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 mean, there is -- again, there are unmonitored  
2 individuals that we know, I mean, had to -- that  
3 occurred, but we take that into account with the  
4 co-worker models, you know, for individuals during  
5 that era. Is that what you're asking me?

6 MEMBER FIELD: Yes.

7 MR. RUTHERFORD: Okay.

8 DR. NETON: Also, we did capture the  
9 medical monitoring data --

10 MR. RUTHERFORD: Yes.

11 DR. NETON: -- and I believe they have  
12 been placed in the individual files, so they're  
13 there. But those results, since they were done  
14 with a lower limit of direction, would only serve  
15 to lower the dose calculation.

16 MEMBER FIELD: Right.

17 CHAIRMAN KOTELCHUCK: And the  
18 co-worker data is calculated in each building or,  
19 I mean --

20 DR. NETON: No.

21 CHAIRMAN KOTELCHUCK: -- I know that  
22 background issue, and I know you've talked for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 years about --

2 DR. NETON: Well, the current  
3 co-worker model is the general co-worker model for  
4 all monitored workers. It fits a single  
5 distribution.

6 CHAIRMAN KOTELCHUCK: Right.

7 DR. NETON: But those will be  
8 reevaluated in light of this new implementation  
9 guide that we are going to talk about at the  
10 Advisory Board meeting.

11 CHAIRMAN KOTELCHUCK: Okay.

12 DR. NETON: There are some more  
13 prescriptive criteria now that we have to go  
14 through to demonstrate that a one size fits all  
15 model is appropriate, and, if not, it will be broken  
16 out, probably not by building but by different --

17 CHAIRMAN KOTELCHUCK: Division,  
18 whatever.

19 DR. NETON: -- different worker --  
20 construction trades versus routine workers. That  
21 sort of thing.

22 CHAIRMAN KOTELCHUCK: Okay. Thank

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       you.

2                   DR. MAURO:    This is John Mauro.    A  
3       quick question, Jim.    So what I understand is the  
4       new draft co-worker model that we met on a week ago  
5       or so under the -- I guess it was the --

6                   DR. NETON:    SEC Issues Work Group, yes.

7                   DR. MAURO:    Yes.    So that is a very --  
8       by the way, everyone agreed on the phone that it  
9       was quite a comprehensive document addressing lots  
10      and lots of the nuances associated with co-worker  
11      models.

12                   Did I just hear that you will be going  
13      back to not only this particular application of the  
14      co-worker model -- of course, it has been around  
15      -- these issues have been around for a while, but  
16      is there going to be a PER, for example, a series  
17      of them, that are going to be needed in light of  
18      this what I would consider to be a fundamentally  
19      much more comprehensive vision of the co-worker  
20      models?

21                   DR. NETON:    Yes.    Well, yes, but it  
22      depends.    If it turns out that some of the models

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 need to be stratified, we will do that and issue  
2 a PER. I'm not convinced that all cases that is  
3 going to be appropriate. Certain sites like AWEs  
4 maybe not, but, yes, we are going to -- we intend  
5 to do that once we -- hopefully we can get the full  
6 Board to accept the current draft model, and we will  
7 issue it probably early April and start moving our  
8 way through the files. It is going to take some  
9 time. We can't do this immediately, but that's our  
10 intent.

11 DR. MAURO: Thank you.

12 CHAIRMAN KOTELCHUCK: All right. So I  
13 think it sounds -- Wanda, do you have any comments  
14 or thoughts?

15 MEMBER MUNN: No. No. It's clear to  
16 me that the workers are being well represented  
17 here, and that everyone is having the kind of  
18 coverage allowed to them that gives them more than  
19 the benefit of the doubt in most cases.

20 CHAIRMAN KOTELCHUCK: Okay. I think  
21 we are -- if all agree, I think we are finished with  
22 this item.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Let's close it.

2 CHAIRMAN KOTELCHUCK: Let's close it.

3 And, let's see. We'll go on to the next item, which  
4 was -- which is the magnesium-thorium issues.

5 MR. RUTHERFORD: All right. Well, the  
6 magnesium-thorium alloy issue has been around a  
7 long time. Actually, magnesium-thorium alloy, we  
8 issued an 8314 SEC evaluation report for the Dow  
9 Madison site a considerable time ago. And that Dow  
10 Madison was the producer of magnesium, one of the  
11 producers of magnesium-thorium alloy. Also, Dow  
12 Midland did that as well.

13 So magnesium-thorium alloy has -- drove  
14 that SEC or the production of a magnesium-thorium  
15 alloy drove that SEC. During interviews and  
16 discussion with Dow Madison workers, one worker or  
17 group of workers identified that magnesium-thorium  
18 alloy was delivered to -- or sent to the Rocky Flats  
19 plant, at this time -- at the time we were going  
20 through the Rocky Flats evaluation, so there was  
21 a considerable amount of work to go back and look  
22 at that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And it was driven for a couple of  
2 reasons, not only the exposures from  
3 magnesium-thorium alloy. You know, the thorium in  
4 it is low percentage, two to three percent of  
5 thorium, but the driver -- there was also a driver  
6 under the covered facility portion of Dow Madison.

7           If they could show that a magnesium and  
8 thorium alloy was used in nuclear weapons, then it  
9 becomes a covered exposure, and it also changes the  
10 covered period. So Dow Madison's site had an  
11 ending of a covered period I think in 1970 at the  
12 time, and so the petitioner for Dow Madison took  
13 a lot of effort to see if they could show that  
14 magnesium-thorium alloy was used in nuclear  
15 weapons.

16           Ultimately, it was determined that it  
17 was used in -- it could have been used in some  
18 weapons, and the exposures at Dow Madison were --  
19 from magnesium-thorium alloy, were considered  
20 covered, and they extended the covered period up  
21 to 1973.

22           While one of the concerns was if the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 magnesium-thorium alloy was used at Rocky Flats in  
2 weapons production, you know, could this extend  
3 that even farther beyond the -- extend the Dow  
4 Madison covered period even farther. And are  
5 these exposures covered under the current -- I  
6 mean, are they -- have we evaluated those  
7 exposures? All these questions came up.

8 So some initial work that went on under  
9 SEC 30 and the review of documentation, and also  
10 interviews, they found no corroborating evidence  
11 for the assertion that magnesium-thorium alloys  
12 were used or present at Rocky Flats during -- or  
13 at Rocky Flats.

14 And I actually interviewed Rocky Flats  
15 personnel to see if one of them were aware of the  
16 receipt of these types of materials, and none were  
17 aware that magnesium-thorium alloy was ever  
18 present or used in any significant quantity. The  
19 actual interview -- the person that was interviewed  
20 from Dow said, you know, a truckload of material  
21 being sent to Rocky Flats, which is a considerable  
22 amount of magnesium-thorium alloy.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The issue came back up -- it was  
2 considered closed, and the issue came back up under  
3 this current SEC when a petitioner was -- she was  
4 approached by a former worker who wished to remain  
5 anonymous, and I will state this is from email.  
6 "Earlier this month, a former Rocky Flats worker  
7 related to me through a third party information  
8 concerning the use of magnesium-thorium alloy  
9 plates at Rocky Flats. You may remember that Dow  
10 workers submitted affidavits that Dow shipped  
11 these plates to Rocky Flats.

12           "The information relayed to me was they  
13 were brought in on the 903 pad to 881 to refine them,  
14 sent to the mod center for modification to fit  
15 semi-trucks as to make them bulletproof.

16           "The semi bed was brought in, stripped  
17 down, and the sheets were refined to help armor  
18 plate the trucks." And then it goes on.

19           So because that issue was brought back  
20 up, we went back and we did additional interviews.  
21 We actually -- I talked to a person that was at the  
22 Board meeting at the time who was involved in this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We set up classified interviews at the Denver  
2 records facility. We interviewed four to five, or  
3 it may have been -- you know, four to five workers  
4 that were directly associated with this work.

5 And we also went back and we did  
6 additional data captures and research to see if we  
7 could find documentation on -- potential  
8 documentation that would show that  
9 magnesium-thorium alloy was used at the mod center.

10 From that review, from the interviews  
11 of the workers, and from the review of  
12 documentation that we had there, we found no  
13 indication that magnesium-thorium alloy -- we had  
14 no corroborating evidence that it was used at Rocky  
15 Flats. But through that research we also  
16 identified that Sandia National Lab may have been  
17 involved in the process, since they were part of  
18 the design team, and putting together for the mod  
19 for the semi-trucks.

20 So we went back to Sandia National Lab.  
21 We did a data capture search there as well. And,  
22 again, we found no information that supported that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 magnesium-thorium alloy was used at Rocky Flats.

2 So, in summary, that's it. In summary,  
3 to date, we have found no evidence that supports  
4 that magnesium-thorium alloy was used at Rocky  
5 Flats. And that's it. I'll turn it over to SC&A.

6 MR. FITZGERALD: Okay. As LaVon was  
7 saying, this has a long history. I think this  
8 stemmed from a 2007 interview that we had recently  
9 conducted with a worker at Dow Madison, and, again,  
10 that was the first indication, and we have been  
11 following up ever since then, actually. So this  
12 does have, in fact, a long history.

13 At the time, there was some debate about  
14 whether that worker or workers may have gotten the  
15 destination for the mag-thorium wrong, because,  
16 again, I guess the Rocky Mount arsenal and Rocky  
17 Flats have some similarity in terms. But having  
18 been involved in that particular interview, it was  
19 a very clear answer, so it was certainly compelling  
20 enough that we wanted to make sure that due process  
21 -- we looked at documentation and talked to  
22 additional people.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Mag-thorium -- I think one of the key  
2 issues that would concern us at this point is  
3 mag-thorium did have some wide application in the  
4 weapons complex in that timeframe. I'm working in  
5 the Kansas City plant SEC at this point in time,  
6 and mag-thorium figured in Kansas City all the way  
7 up to 1979, in terms of actual handling.

8           So as far as timeframe and  
9 significance, Kansas City used it. We know it has  
10 application in the weapons program. Rocky Flats,  
11 Sandia, Kansas City, Los Alamos, were all key  
12 components of the weapons complex under the  
13 Albuquerque Field Office. So, you know, this  
14 question of whether any of these facilities were  
15 actively involved in that application is a valid  
16 one.

17           So certainly when you look at it from  
18 that standpoint, we have some specific comments,  
19 and that's in our response. But certainly the  
20 different specifications for the shipments, we  
21 felt there were a few more that needed to be  
22 addressed and searched against in terms of shipping

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 records, and we identified those. These came out  
2 of the interview with the Dow Madison work  
3 originally.

4 The other issue is I think there was --  
5 and we participated in the NIOSH data capture at  
6 the Legacy Management Complex in Denver, and I  
7 think all of us recognize that the set of records  
8 that Legacy Management had there that we were  
9 searching for, and we have certainly looked at the  
10 issue of mag-thorium in those records, was  
11 incomplete. I would say significantly  
12 incomplete, because Los Alamos apparently had come  
13 down, to some of the chagrin of the managers at the  
14 LM facility, and took quite a few Rocky Flats  
15 records, a lot of classified records that had  
16 relevance to the weapons program.

17 And, understandably, they were  
18 concerned about these records. Given the status  
19 of Rocky Flats having been closed, they wanted to  
20 take these records back and bring them back to Los  
21 Alamos and retain them there. So, you know, this  
22 issue was raised at our full Board meeting in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       October 2013. There were a number of boxes of  
2       Rocky Flats records at Los Alamos, which is not  
3       surprising. I mean, I think, again, it was  
4       recognized they took a lot of records.

5               So it does leave some question of  
6       whether, you know, mag-thorium would figure in  
7       those records, since it was an aspect of the weapons  
8       program in terms of processing.

9               Another issue is -- and I think this has  
10       turned out to be a fairly good tool, all of us have  
11       looked at the NMMSS, the nuclear material  
12       inventory, as a source of confirmation as to what  
13       strategic materials, you know, in fact are in place  
14       at different DOE facilities at different  
15       timeframes. And this has served to be a -- it is  
16       kind of a tool to verify, you know, what's being  
17       held.

18               And at least in Kansas City certainly  
19       we saw magnesium-thorium show up as an alloy  
20       thorium entry. We did the same thing for Rocky  
21       Flats for mag-thorium and did not find anything.  
22       But I want to caution, because we did actually talk

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to the DOE managers who manage the NMMSS program,  
2 and, you know, they basically took what the sites  
3 gave them. You know, they just kind of compiled  
4 it, summarized it, and certainly whatever the site  
5 provided is what they used.

6 And it is very possible that Rocky  
7 Flats, given the source terms they were dealing  
8 with, which, you know, plutonium, neptunium, and  
9 uranium, that mag-thorium probably almost didn't  
10 get on their screen. So it could explain why we  
11 didn't see it there, although we did see it at  
12 Kansas City, because, again, the difference is that  
13 they had very few radiological source terms.  
14 They, in fact, did list thorium as one of them, even  
15 though it was very slightly contaminated.

16 So, in general, you know, we have not  
17 found much in the way of records for mag-thorium.  
18 Otherwise, this issue would have been gone years  
19 ago. We have had to rely on interviews of workers,  
20 mostly to discount the original input that we got  
21 that in fact Dow Madison has shipped it. And we  
22 haven't found any corroboration of that at all, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it sort of leaves us in this situation where we  
2 don't have any records per se, any clear-cut  
3 closure on the thing from that standpoint.

4 We have sort of a disparate collection  
5 of interview inputs, most of which say, no, Rocky  
6 didn't receive it. We have one that says Rocky was  
7 sent it. So it's -- we are sort of at a point now  
8 where, no, we don't think it's conclusive, but on  
9 the other hand, short of trying to track down within  
10 Los Alamos' voluminous pile of records the boxes  
11 that might, and may not, contain mag-thorium, we  
12 are sort of at that point where I think the Work  
13 Group would have to consider if the search should  
14 go on.

15 I mean, I think, again, we are at that  
16 point where we have talked to a lot of people, we  
17 have chased down a lot of leads. There may in fact  
18 be some additional records at Los Alamos to  
19 validate this. And, certainly, the history of  
20 mag-thorium use suggests that it is possible that  
21 there was an application at Rocky, but to date we  
22 have not been able to verify that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So I think just trying to put all of that  
2           on the table is kind of where we are. We can  
3           continue looking, but given the cycle time that we  
4           have had with Los Alamos for the last three or four  
5           years, it could be a pretty lengthy search, quite  
6           frankly. So --

7           MR. RUTHERFORD: Let me add something,  
8           too.

9           MR. FITZGERALD: Yes.

10          CHAIRMAN KOTELCHUCK: Sure.

11          MR. RUTHERFORD: I also want to point  
12          out that -- and I think Joe had -- I don't know if  
13          you mentioned it or not, but I know that the SC&A's  
14          paper mentioned it, and I think ours mentioned it  
15          as well, the magnesium-thorium issue and the time  
16          period is within the current SEC period at Rocky  
17          Flats. So this issue would only be from exposures  
18          to potential -- for partial dose reconstruction.

19          MR. FITZGERALD: That's correct.

20          MR. RUTHERFORD: All right. I just  
21          wanted to make sure everybody is aware of that. It  
22          has no -- the time period does not reflect -- or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would not extend beyond the current SEC.

2 MR. FITZGERALD: Even if one would  
3 conjecture that given the Kansas City experience,  
4 the mag-thorium could be around through '79, that  
5 still would pre-date the '83 SEC cutoff. So the  
6 context is certainly of partial dose  
7 reconstructions only.

8 CHAIRMAN KOTELCHUCK: This issue will  
9 come up again -- does come up again in the tritium,  
10 that much of the issue that we're dealing with is  
11 covered by the current SEC, except for partial dose  
12 reconstruction.

13 I don't have any feeling for how many  
14 people either have already filed claims that would  
15 call for a partial or -- and how many -- if one has  
16 any sense of how many there might be in the future.  
17 Let's first talk about the past claimants.

18 MR. RUTHERFORD: Well, I'll let Jim  
19 jump in.

20 DR. NETON: It has been our experience  
21 -- I think it was holding fairly consistent that  
22 about 60 percent of the cases go SEC. If an SEC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is made, it covers about 60 percent of the cases  
2 we had in-house. So that leaves about 40 percent  
3 that would come in through what we call the  
4 non-presumptive cancers, you know, or they meet  
5 other eligibility criteria.

6 Of those cancers, the non-presumptive  
7 cancers, you know, have things like skin cancer,  
8 prostate cancer, organs that don't typically have  
9 much dose associated with the inhalation of things  
10 like uranium. So I'm not saying it's zero, but the  
11 dose would be pretty small. It is never a good  
12 idea, I don't think, though, to sort of couch  
13 whether we do something or not because it won't  
14 affect many people, because if I'm that one person  
15 affected, you know --

16 CHAIRMAN KOTELCHUCK: Of course.

17 DR. NETON: -- but the reality of it is  
18 that it wouldn't affect like almost any cases.  
19 Doesn't mean it wouldn't -- it would be zero,  
20 though.

21 CHAIRMAN KOTELCHUCK: Right.

22 DR. NETON: Because, like I say, once

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you get into reconstructing doses for particularly  
2 this thorium alloy, which is typically around two  
3 to three percent thorium by weight, so it's a trace  
4 contaminant, that's probably not --

5 MEMBER MUNN: So this is a larger  
6 issue, really and truly. It's not how many would  
7 be affected; rather, how likely would this  
8 particular single incident that we are talking  
9 about affect any individual given the low number  
10 of actual thorium molecules that you are dealing  
11 with here. It's really very, very slight.

12 Add to that the fact that you have no  
13 reassurance from any of the interviewees who were  
14 in that very limited space at that very limited  
15 period who can or will say, "Sure, we had a project  
16 like that," which seems unlikely. You have a small  
17 team that works that particular very specific area,  
18 and they don't have indication that they were ever  
19 involved in that particular kind of activity would  
20 lead one to believe that the confusion about where  
21 that shipment went was a natural one that could  
22 occur for anybody. It seems unlikely.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Yes.

2 DR. NETON: You also have to consider,  
3 even if this were shipped to Rocky Flats, what would  
4 they do with it? And the only way one would be --  
5 generally any significant exposure was to do some  
6 sort of grinding/cutting operation on a material  
7 that generated airborne source term. We don't  
8 know that that --

9 MEMBER MUNN: And the airborne source  
10 term would be very, very small.

11 DR. NETON: It would be very small,  
12 because it's mostly magnesium, not thorium.

13 CHAIRMAN KOTELCHUCK: And the concern  
14 raised by the petitioner was from a person who said  
15 they were using it for plating --

16 MR. FITZGERALD: Yes.

17 CHAIRMAN KOTELCHUCK: -- for  
18 bulletproof plating.

19 MEMBER MUNN: They said it was sent for  
20 that purpose. They were not at the other end, so  
21 they don't know where it went and what happened to  
22 it. They said it was sent for that purpose.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK: But the concern  
2                   -- but the recent concern is from a worker at Rocky  
3                   Flats who was using it presumably, or had  
4                   heard --MR. FITZGERALD: Right. And it was  
5                   unnamed source, but the interviews were directed  
6                   at folks that had worked in that facility to see  
7                   if there was any knowledge of --

8                   CHAIRMAN KOTELCHUCK: So one might  
9                   simply have cutting -- I mean, some machining --

10                  MR. FITZGERALD: Cutting, welding.

11                  CHAIRMAN KOTELCHUCK: -- fitting to  
12                  size.

13                  DR. NETON: That's what confused me a  
14                  little bit is magnesium-thorium, I'm not sure of  
15                  its application in bulletproofing. Normally,  
16                  when you think of that, you think of depleted  
17                  uranium or something like that.

18                  CHAIRMAN KOTELCHUCK: Right. Right.

19                  DR. NETON: The properties of  
20                  magnesium-thorium -- I could be wrong, but I'm not  
21                  familiar with how that was used in --

22                  CHAIRMAN KOTELCHUCK: I do remember as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a citizen reading about the period in the -- was  
2 it the Iraq war where there was suddenly felt a lot  
3 of people were getting hurt by bombs, and there was  
4 a big move to get -- that there was not enough  
5 bulletproofing, and that there had to be a lot, and  
6 they used depleted uranium, but I wouldn't be  
7 surprised if something else would work.

8 DR. NETON: Yes, I don't know.

9 CHAIRMAN KOTELCHUCK: So it's credible  
10 that --

11 DR. NETON: It's possible.

12 CHAIRMAN KOTELCHUCK: -- that it could  
13 have been used.

14 DR. NETON: Sure.

15 CHAIRMAN KOTELCHUCK: Tell us about --  
16 in light of what Wanda said, tell us about the  
17 persons who were interviewed for this. I mean,  
18 basically, we got a worker report -- I don't know  
19 that the person is even a worker. It's a third  
20 party. So we have an employee at the plant, and  
21 how many -- I mean, you gave a number of four, five,  
22 and then apparently, Joe, you also did some further

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 interviews?

2 MR. FITZGERALD: No, no. We actually  
3 did a joint one.

4 CHAIRMAN KOTELCHUCK: Okay. Great.

5 MR. FITZGERALD: This was a very  
6 specific allegation that was at a particular  
7 facility, whether that particular facility, the  
8 mod facility, had received and used these plates.  
9 So the idea was to talk to folks that would be  
10 familiar with that timeframe and that operation,  
11 to see if they recollected it.

12 CHAIRMAN KOTELCHUCK: And it was -- I  
13 mean, what's really very -- I mean, there would have  
14 to be transport into the -- you know, receipt,  
15 transport, but the folks who are really "working  
16 with it," that mod facility, how many people worked  
17 at that mod facility in that kind of operation?  
18 Not that you had to interview all, but just give  
19 me a sense, if you would.

20 MR. FITZGERALD: It was 30, 40, 50. It  
21 was a decent-sized operation. They did the SSTs,  
22 the safe transport vehicles that the complex used.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 So they were plating -- you know, putting armor  
2 plating on those. Not necessarily with this  
3 material, but the question was whether they were  
4 using this material, but they certainly were doing  
5 that as a mission.

6 CHAIRMAN KOTELCHUCK: Since I noticed  
7 that the petitioner was -- that they were part of  
8 a union, the Steelworkers Union, would you say that  
9 the people that you interviewed were -- included  
10 members of that union as well as managerial folks?  
11 Was that -- I mean --

12 MR. RUTHERFORD: Yes. It was mainly  
13 the workers that we talked to, and we talked to one  
14 or two -- I think there was one of the managers  
15 involved there.

16 MR. FITZGERALD: There was a mix.

17 CHAIRMAN KOTELCHUCK: Okay. Because  
18 --

19 MR. RUTHERFORD: But it was mainly the  
20 workers.

21 CHAIRMAN KOTELCHUCK: I mean, one  
22 might think something that could come in under the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radar, if you will, people down absolutely in the  
2 field would know or might know what they handled  
3 as compared to a person higher up in authority, who,  
4 you know, you give directions. If you're in  
5 authority, you give directions and you figure  
6 they're carried out by competent people.

7 MR. FITZGERALD: Now, the only  
8 cautionary note on this is we went through the same  
9 kind of probing at Kansas City, for example, and  
10 the way a lot of work was done at the -- in the  
11 complex back in that timeframe, it was very  
12 compartmentalized as far as what you were working  
13 on. The average worker would not necessarily know  
14 what he or she was working on for classification  
15 reasons.

16 CHAIRMAN KOTELCHUCK: Sure.

17 MR. FITZGERALD: So, you know, sort of  
18 a grain of salt caution, because sometimes asking  
19 a worker, "Did you work, or did you no work, with  
20 magnesium-thorium?" I'm not sure whether you would  
21 necessarily get an authoritative answer just  
22 because in a lot of cases they went right into that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 material they were working on.

2 CHAIRMAN KOTELCHUCK: Fair enough.

3 MR. FITZGERALD: So that's just -- just  
4 would add that as a side note.

5 MR. RUTHERFORD: I agree with that  
6 caution, but I do -- one of the workers was pretty  
7 definitive in his statement that, you know, he  
8 would have known if there was magnesium.

9 Now, I don't disagree with Joe at all.  
10 I think Joe is absolutely right. So, you know,  
11 whether he was definitive on his own, you know, or  
12 he -- but there was one worker who was pretty  
13 definitive.

14 MR. FITZGERALD: And then this is the  
15 -- sort of the thrust of our comment, that, you  
16 know, we have been sort of compelled to use  
17 interviews in this process, because the  
18 documentation just -- I think everybody's hope was  
19 that you would find something that would clear it  
20 up in writing in a record. And we haven't been able  
21 to do that, except, you know, we looked at shipment  
22 records and we didn't see anything in the shipment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 records, which I think is helpful, and --

2 MEMBER MUNN: On either end.

3 MR. FITZGERALD: Yes. I mean, yes.

4 MEMBER MUNN: On either end. That's  
5 key.

6 MR. FITZGERALD: And when you get into  
7 the interviews, I think you are looking for  
8 corroboration. And I think we sort of got a  
9 corroboration that nobody raised their hands and  
10 said that, yes, we have it.

11 So it's -- some of it is sort of a  
12 annulled feedback, but I think that's pretty much  
13 all we have been able to get. And I think that is  
14 helpful, and I think that is what we are bringing  
15 back to the Work Group. We have not been able to  
16 corroborate any magnesium-thorium at Rocky Flats  
17 through these various inquiries, and we haven't  
18 seen anything in the records. So there we go.

19 CHAIRMAN KOTELCHUCK: And I have to say  
20 from my own -- myself that reading through what  
21 NIOSH -- its records search, its search, seemed to  
22 me pretty comprehensive. I was impressed at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 number of different ways one approached trying to  
2 figure out if something was sent, transport,  
3 receipt, different ways, and they found nothing.  
4 It's hard to believe. If these are metal plates,  
5 right, that's -- somebody would have noticed metal  
6 plates coming in, and, as you noted, in fairly large  
7 weights, right, and sizes.

8 MR. RUTHERFORD: Yes. That was the  
9 Dow Madison indicator was that it was a  
10 significant --

11 MR. FITZGERALD: And I would also  
12 add -- again, I keep bringing up Kansas City because  
13 we are doing that there, but we did not find any  
14 issue with establishing receipt of mag-thorium in  
15 Kansas City at all. And it showed up in  
16 operational records as well as inventory records.

17 So it would be a puzzler with the  
18 asterisk being, you know, I'm not sure Legacy  
19 Management had as complete a record set as we'd  
20 like, but it would be puzzling if there wasn't any  
21 record at Rocky Flats of receipt, just because we  
22 saw it fairly extensively at Kansas City.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Right. Right.

2 MEMBER MUNN: Well, and the number of  
3 shipments was not just one every once in a while.  
4 The number of shipments out of Dow Madison was  
5 significant, several a month, three or four a  
6 month, something like that. And they wouldn't --  
7 none of them say that they are going there. So it  
8 doesn't follow. It just doesn't.

9 CHAIRMAN KOTELCHUCK: Yes. Although  
10 I did note in the record that [identifying  
11 information redacted] had 11 affidavits from folks  
12 at Dow Madison that they sent things there. But  
13 it does seem hard to believe that we wouldn't have  
14 something in the records of a large number of  
15 shipments of heavy -- large heavy items.

16 MEMBER MUNN: Well, you realize that  
17 anyone educated and undereducated, and everybody  
18 in between, east of the Mississippi, does not know  
19 what exists west of the Mississippi. So if  
20 somebody says "Rocky" to you, you're immediately  
21 going to see the Rocky Mountains, and you're going  
22 to see some facility there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Yes. Yes.

2 MEMBER MUNN: But the designation of  
3 individual smaller facilities, individual kinds of  
4 activities, would not be something that would, from  
5 my experience, be known by people, unless you are  
6 in that area, working in that area, and even then  
7 people don't make the distinction in their minds,  
8 especially if they are both defense facilities of  
9 some sort.

10 CHAIRMAN KOTELCHUCK: Yes. Yes.

11 Bill, do you have anything -- questions  
12 or comments or --

13 MEMBER FIELD: Yes. I guess those  
14 things -- in the SC&A report, there is a sentence  
15 that says, "However, it is within the Work Group's  
16 purview to judge whether further investigation is  
17 warranted." And I guess, you know, thinking about  
18 this, what is sufficient investigation? You know,  
19 what scope really addresses what is sufficient?  
20 It sounds like there is -- you know, they have gone  
21 back and done more interviews.

22 I guess if the committee would say,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 "Yes, we want more investigation," I mean, what  
2 would you really investigate?

3 MEMBER MUNN: The only thing you could  
4 do is go to Los Alamos and spend six, eight, 10  
5 months, two and a half years, trying to find in that  
6 set of documentation, which is staggering -- you  
7 know, they have taken over things, in my  
8 understanding, that otherwise would have been a  
9 part of the RIDS program. And so, therefore, you  
10 have multiples of the kind of paper information  
11 that you have at other sites.

12 So you would have to go and look through  
13 all of that hoping that you would find some  
14 indication that this particular shipment was  
15 received in that particular place, and you have no  
16 assurance that such a record ever existed or will  
17 exist after you have gone through everything that  
18 exists at Los Alamos. So this --

19 MEMBER FIELD: Yes. I agree with  
20 that, Wanda.

21 CHAIRMAN KOTELCHUCK: Yes. Yes.

22 MEMBER MUNN: It seems pointless. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 isn't as though this is a single rodeo. It isn't  
2 something that has been looked at, shrugged off,  
3 and said, "No, that can't be." It has been  
4 followed assiduously, not just for a few days but  
5 literally for years, and at two different sites.  
6 So from this Work Group Member, I do not see any  
7 purpose in pursuing this further.

8 MEMBER FIELD: I guess you could say  
9 you think there has been sufficient investigation.

10 (Laughter.)

11 MEMBER MUNN: I think you can probably  
12 say that with some assurance.

13 MEMBER FIELD: Okay.

14 CHAIRMAN KOTELCHUCK: Let me ask in  
15 this line, we have -- I'm still impressed by SC&A's  
16 comment that you -- that there is really a chance  
17 that it really did happen and that folks -- there  
18 were mistakes made. If that were shipped, if  
19 despite all of the lack -- with the lack of records,  
20 that it really was shipped, we are still talking  
21 about something -- a material with two or three  
22 percent thorium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I don't know what kind of -- obviously,  
2 we have to know how -- if it came, how people work  
3 with it. But it would seem as if this was not a  
4 heavy exposure that people would get, even if they  
5 handled the plates. But the exposure would be --  
6 and it would actually be on their badges.

7 MR. RUTHERFORD: Any external  
8 exposure.

9 CHAIRMAN KOTELCHUCK: The external  
10 exposure, right. Internal -- although to get  
11 internal exposure they would have to do machining  
12 --

13 MR. RUTHERFORD: But to be fair, the  
14 thought process was that they would have to make  
15 modifications to those plates to install them, and  
16 so there could have been cutting, there could have  
17 been grinding, and, you know, that would have  
18 driven some -- would have driven some exposures.

19 MR. FITZGERALD: The essence of it is  
20 we haven't established what the operational use of  
21 this material was, if any, at Rocky Flats. So  
22 before we could get to that question, we'd have to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 establish that it was at Rocky Flats, and what the  
2 operational application was. And that is what --  
3 the thrust of the research that was being done, and,  
4 you know, I don't -- actually, we framed it up, not  
5 too dissimilar to what Wanda was saying, that, you  
6 know, it is a question of how much it is worth in  
7 terms of resources.

8 The only -- again, the only pause I have  
9 is that when you do a records review, and you hear  
10 from the, you know, records manager that a lot of  
11 the records were, you know, swooped up and taken  
12 away, in this case by Los Alamos, after a closure  
13 then it sort of gives you some pause as to, you know,  
14 whether or not there is records or not.

15 And I would add that you mentioned  
16 [identifying information redacted] comments, and  
17 he filed a Freedom of Information request  
18 apparently of Los Alamos for magnesium-thorium as  
19 it was, and was told, you know, it was like  
20 something -- this was at our Board meeting a couple  
21 of years ago. There was something like 400 boxes  
22 at Los Alamos of Rocky Flats files, which sounds

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       pretty onerous to me.

2                       But on the other hand, you know, it just  
3       leaves you some pause. That's why we're saying  
4       here we don't have any confirmation or  
5       corroboration or indication. But, on the other  
6       hand, I think the records review is a bit  
7       inconclusive given that. So it is a question of  
8       whether or not it is worth pursuing further.

9                       CHAIRMAN KOTELCHUCK: I'm trying to  
10      think ahead. If this is sufficient, if the record  
11      search is sufficient, I'm thinking suppose we're  
12      wrong. Suppose it really happened. There is some  
13      credible evidence -- some evidence; I don't even  
14      say credible. Some evidence that it's -- that it  
15      happened, and we're wrong, this is not likely to  
16      have resulted in exposures that would be -- highly  
17      affect the dose reconstruction for the  
18      individuals. That is --

19                      MR.       RUTHERFORD:               For       the  
20      non-presumptive cancers.

21                      CHAIRMAN KOTELCHUCK: Yes. Right.

22                      MR.       RUTHERFORD:               Because    the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 presumptives are covered under the SEC and already  
2 included, so -- in the time period.

3 CHAIRMAN KOTELCHUCK: I mean, I agree  
4 with the others that maybe this really is  
5 sufficient, and that we really have done the best  
6 we could, short of going to Los Alamos. But we have  
7 tried many things.

8 MR. FITZGERALD: We have a collective  
9 wince at the thought of trying to get --

10 CHAIRMAN KOTELCHUCK: Right.

11 MR. FITZGERALD: -- records from Los  
12 Alamos.

13 CHAIRMAN KOTELCHUCK: And given that  
14 there are other issues outstanding, that we do need  
15 to resolve that are --

16 MR. FITZGERALD: This would have been  
17 a different discussion, I suspect, if we would have  
18 come to this point early in the process before the  
19 '83 cutoff. I mean, I thought -- I think it would  
20 have been a different discussion just from the  
21 standpoint of having to cross the T's that way.

22 CHAIRMAN KOTELCHUCK: Yes. Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. FITZGERALD: I think we can divorce  
2 it from that context now.

3                   CHAIRMAN KOTELCHUCK: I think that's  
4 true, and that most people -- for most people, well  
5 above 60 percent, it's resolved because they're in  
6 the SEC. So I'm ready to suggest for the committee  
7 that we do agree that it's sufficient, and I think  
8 maybe we should simply move that. Do other Work  
9 Group members agree?

10                  MEMBER MUNN: I agree.

11                  CHAIRMAN KOTELCHUCK: And Bill?

12                  MEMBER FIELD: Yes. I agree.

13                  CHAIRMAN KOTELCHUCK: Okay. And I  
14 agree. So I think we have resolved this to our  
15 satisfaction. And this will eventually, at some  
16 point, be reviewed by the Board, if they wish.

17                  Okay. So now the neptunium issue. By  
18 the way, it's 10:00, but we started at 9:00, which  
19 is a little late for some of our meetings, so that's  
20 fine. People live here in town, and 9:00 is fine.  
21 But I don't see any need for a break or upcoming  
22 for -- it's early.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And so let's go to the neptunium issue  
2                   and --

3                   MR. RUTHERFORD:    Okay.    All right.  
4                   White Paper is the evaluation of potential for  
5                   internal dose from neptunium at Rocky Flats plant  
6                   after 1983.    And it's after 1983 because, again,  
7                   the Class was added to -- up through 1983, and  
8                   neptunium was one of the components of that.

9                   Our White Paper summarizes our research  
10                  on neptunium-237 processing at Rocky Flats after  
11                  1983.    It includes discussions, operations,  
12                  inventories, available monitoring data, and the  
13                  evaluation for potential internal exposure after  
14                  1983.

15                  I highlighted a number of sections in  
16                  this report to kind of -- one, to get -- to remind  
17                  people of some of the work that was done with  
18                  neptunium, and also to kind of lead into -- as kind  
19                  of our weight of the evidence of how much work after  
20                  1983 occurred.

21                  There was a 1981 paper, Neptunium  
22                  Processing at Rocky Flats, that states that process

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 included preparation of pure neptunium oxide,  
2 metal, metal alloys, as well as neptunium-237  
3 recovery from a variety of residues.

4 If you look back at when we recommended  
5 the SEC Class and the reasons for that, our  
6 infeasibility, one of the key issues was pure  
7 neptunium. It was dealing with the exposures of,  
8 you know, you've taken a process, you've produced  
9 -- and you've made neptunium oxides, you've made  
10 different forms of neptunium in itself, and the  
11 inability to define the exposure won't -- not only  
12 from the neptunium that was produced, but also the  
13 controls that were in place at the time, and the  
14 lack of monitoring for neptunium at the time.

15 The processes employed included  
16 dissolution, anion exchange, precipitation,  
17 filtration, calcination, conversion to fluoride,  
18 and reduction to metal. So it was basically the  
19 whole metal fabrication process using different  
20 techniques of isolating the neptunium.

21 Neptunium was recovered from residual  
22 metals including sand, slag, crucibles, casting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 skulls, and various alloys containing plutonium,  
2 tin, uranium, or zirconium. And this was -- this  
3 whole process was also in other documents that  
4 supported, you know, actinide processing at Rocky  
5 Flats.

6 So, again, all of those operations  
7 occurred 1962 to 1983. And when we initially went  
8 through this, all indications that we had indicated  
9 that processing of neptunium did not occur after  
10 1983. So we went back -- and when we went back  
11 after committing to the Board that we would review  
12 the '84 to '89 period, we went back and did  
13 additional data captures. We also did additional  
14 interviews of individuals, and we did identify one  
15 operation that occurred in the 1985 period.

16 There was a -- the resultant effort had  
17 -- wait a minute. Okay. A single operation in a  
18 1987 document, production scale,  
19 plutonium-neptunium separation and residue  
20 recovery at the Rocky Flats plant. So we  
21 identified this one operation, and we went back and  
22 we interviewed the actual lead engineer for this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 project and a couple of other workers.

2 The 1985 operation involved the  
3 processing of plutonium scrap containing down to  
4 .5 percent neptunium to separate and recover the  
5 two metals. Feed material was roughly 63,000-  
6 64,000 grams of plutonium, and there was roughly  
7 200 to 230 grams of neptunium. The separation  
8 process involved oxidizing the plutonium residue,  
9 passing through an anion exchange resin, and  
10 leaving neptunium behind for subsequent pollution,  
11 evaporation, denitrification, and calcination.

12 So actually you're asking -- the  
13 process was to purify the plutonium. The authors  
14 reported completion of 24 separations over the  
15 course of a year, resulting in purification of  
16 58,000 -- roughly 58,000 grams of plutonium, and  
17 removal of 222 grams of neptunium.

18 Again, we interviewed the principal  
19 engineer who stated that project personnel  
20 consisted of roughly five experimental operators  
21 who performed the work in gloveboxes. So this was  
22 a very small process that occurred in 1985 period.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 There were few individuals involved, and it was  
2 performed in a glovebox.

3 The final purified plutonium contained  
4 only .0069 percent neptunium, and so the neptunium  
5 product or the byproduct that was left over  
6 consisted of 14,000 grams of plutonium, 220 grams  
7 neptunium, neptunium ratio of -- plutonium to  
8 neptunium ratio of 6.4.

9 So what we looked at was -- a similar  
10 thing that we looked at with SRS was, one, you know,  
11 the small portion of neptunium that was actually  
12 left in this product would the plutonium actually  
13 dominate the exposure over the neptunium. Again,  
14 this operation involved no purified neptunium.  
15 The dose from the mixture making neptunium -- or,  
16 wait a minute. Sorry. The dose of internal  
17 exposure would have been dominated by the  
18 plutonium, making neptunium bioassay unnecessary.

19 Given the much greater specific  
20 activity of plutonium, plutonium bioassay would  
21 account for all organ dose. So, again, we went  
22 back. We looked at, one, the operation. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 identified that the operation that did occur, that  
2 was controlled, the -- it was controlled in a  
3 glovebox, and that all individuals that were  
4 involved in that were on bioassay program, were on  
5 the plutonium bioassay program, which the  
6 plutonium would have dominated any exposure that  
7 occurred during that operation.

8 We also went back and we looked at  
9 inventories of neptunium. Again, we looked at the  
10 NMMSS database of neptunium at Rocky Flats. If you  
11 go on to page 5 of the report, you know, the  
12 inventories, you know, as reported in, you can't  
13 really draw a conclusion as to how much work that  
14 occurred with neptunium based on the NMMSS  
15 inventory, because as we've seen actually in our  
16 early evaluation, fluctuations during a given year  
17 -- you know, and you could start with one kilogram,  
18 you know, and have operations occur in -- and you  
19 could have received material ultimately, and at the  
20 end of the year still end up with one kilogram and  
21 be reported in the NMMSS database.

22 So unless you have the details of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 actual incoming receipt of materials and the  
2 operations, you can't really get a true picture of  
3 this. But it does give you an idea, if you look  
4 at after 1983 you have a relatively -- the '83/'84  
5 time period, you have relatively constant, I mean,  
6 inventory. And those people that we have talked  
7 to that work at MC&A, there is always minor  
8 corrections in stuff that go on with inventories.

9           So you will see some fluctuation, and  
10 you will see in a follow-on table, if you look at  
11 -- and I'll get to it, but there's a follow-on table  
12 that identifies receipt of materials, so there was  
13 some little bit of receipt of material that  
14 occurred, and there was some material that was sent  
15 from the site.

16           So let's go on. Also, we looked at the  
17 actual waste product. One of the indications that  
18 we had was that, yes, there was neptunium waste,  
19 a lot of neptunium waste, that could have presented  
20 exposures as well that -- in the later years.

21           Well, if you looked at the byproduct  
22 material or the amount of neptunium that was in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 waste, we went back and we looked at INEEL, which  
2 is where a lot of the waste from Rocky Flats went  
3 to. And you can see on page 6, Table 2, it presents  
4 measurements showing that drums containing  
5 neptunium-plutonium -- plutonium was also present,  
6 and the plutonium to neptunium ratio ranged from  
7 105 to 6,450.

8 So, again, your neptunium was a very low  
9 -- small constituent within that matrix, and it --  
10 the plutonium would have dominated exposures if it  
11 were actually, you know, processing these drums.

12 MEMBER MUNN: I think those tables are  
13 pretty clear. Orders of magnitude difference.

14 MR. RUTHERFORD: Okay. We also  
15 looked, again, at -- we looked at the monitoring  
16 that occurred. There was no -- if you remember  
17 back, we reported that we had two neptunium  
18 bioassay samples, and those were in the sixties.  
19 So there was no neptunium monitoring past 1983,  
20 but, again, we didn't expect neptunium monitoring  
21 because the one operation we identified, the  
22 plutonium would have dominated. And so as long as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the individuals were on plutonium bioassay, they  
2 were covered.

3 We all looked at workplace monitoring.  
4 There was no additional workplace monitoring for  
5 neptunium-specific. But I think the biggest thing  
6 is the containment measures that employed during  
7 neptunium operations. One of the other reasons  
8 that we identified the Class early on was not only  
9 a potential exposure from the pure neptunium, but  
10 we had indications that early processes were not  
11 necessarily contained.

12 We did get the -- we identified the 1981  
13 document that identified additional controls that  
14 had been in place, and it wasn't clear when we did  
15 the original evaluation when those additional  
16 controls went into play. So ultimately we -- you  
17 know, we pushed it out to the 1983 period, but it  
18 is clear from this 1981 report that the neptunium  
19 processing that occurred later years was done in  
20 gloveboxes.

21 And according to the principal engineer  
22 who designed the processing and directed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 activities, the operation -- that later operation  
2 in 1985 was performed in gloveboxes and tanks. So  
3 that was consistent with a 1981 report that we  
4 reviewed that identified neptunium operations were  
5 performed in gloveboxes as well as that 1985  
6 activity that occurred.

7 We have identified no radiological  
8 incidents involving neptunium after 1983. We also  
9 looked at shipments, receipts, and you can see on  
10 page 8 that no material was received for --  
11 neptunium received after 1986, and from 1983 to  
12 1986 there were very small quantities that were  
13 received from -- some from SRS, ORNL, and Lawrence  
14 Livermore.

15 CHAIRMAN KOTELCHUCK: That's in grams.

16 MR. RUTHERFORD: Yes. That's in  
17 grams. Those are in grams. Okay.

18 And you can see on the Table 5 on page 9  
19 that the shipments from Rocky Flats are very low  
20 as well after 1983. In fact, after 1986, there  
21 were extremely small quantities, and up until 2002  
22 and 2003, which is -- which we have presumed final

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inventories were shipped out.

2 Okay. So, again, we identified one  
3 operation after 1983 that involved purified  
4 plutonium with neptunium. And that -- in that  
5 operation, the most highly concentrated neptunium  
6 product produced by this separation was still  
7 mostly plutonium with a plutonium-neptunium ratio  
8 of 6.4.

9 And since the specific activity of  
10 plutonium is 90 times greater than the activity --  
11 or the specific activity of neptunium-237, the  
12 mixture is greater than 500 times -- or the activity  
13 ratio of this is greater than 500. So, again, the  
14 plutonium would dominate all exposure for that  
15 operation.

16 So, in conclusion, we find no evidence  
17 that neptunium-237 intakes occurred at Rocky Flats  
18 after 1983. If intakes had occurred during this  
19 period from this single identified operation, the  
20 resulting organ dose would be adequately accounted  
21 for from the available plutonium bioassay data.

22 And that's it. I know Joe doesn't have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a report, but he's got a draft report that he can  
2 speak to.

3 MR. FITZGERALD: Before I jump in, any  
4 questions of LaVon or -- okay.

5 We reviewed both Rev 0 of NIOSH's report  
6 that came out December 30th, as well as Rev 1, which  
7 is dated January 8th. As LaVon noted, we do have  
8 a review completed, and it's in a pretty finished  
9 draft. It just has not been issued.

10 And we are also certainly aware of the  
11 exchange of emails from the co-petitioner and are  
12 familiar with some of those issues as well. And  
13 we can certainly speak to those later.

14 I'm going to just focus, since LaVon  
15 gave a pretty good summary of the NIOSH review and  
16 the analysis, just sort of our lines of inquiry.  
17 You know, we wanted to probe some of the premises  
18 on the NIOSH assessment and just make sure that we  
19 are comfortable with those.

20 And the first one was, is there -- was  
21 there only the single neptunium operation that was  
22 identified in place at Rocky Flats after 1983, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know, the question of, you know, is there -- was  
2 there just one operation that actively handled  
3 neptunium and processed it.

4 And we participated in the onsite data  
5 captures that -- in 2012, and actually through  
6 2013, looked for records on neptunium, and,  
7 frankly, looked for any source terms, any  
8 operational information for the entire period,  
9 both pre- and post-'83. And we looked at the SRDB  
10 references as well that were cited in the NIOSH  
11 review.

12 And certainly we did not see any  
13 evidence of an operation post-'83 in those. We did  
14 identify three additional SRDB documents that  
15 spoke to neptunium handling in the post-'83  
16 timeframe. I want to go through those, because  
17 these are sort of additional documentation of the  
18 issue post-'83.

19 MEMBER MUNN: What was the reference of  
20 those documents, Joe?

21 MR. FITZGERALD: I'm sorry?

22 MEMBER MUNN: You said --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. FITZGERALD:     I'm going to go  
2 through those one by one. Just for reference's  
3 sake -- and I'll kind of summarize those, since,  
4 obviously, you don't have those references.

5                   But the first one is SRDB 130921. The  
6 second one I'm going to speak to is SRDB 138666.  
7 And the third one is SRDB 131225. I might add that  
8 I think in the NIOSH assessment they certain did  
9 capture the major ones. These are just additional  
10 ones that I thought were of interest.

11                   SRDB 130921, the first one, is actually  
12 an interview with a former worker knowledgeable  
13 about Rocky Flats materials accountability. And  
14 the question was a fluctuation in terms of the  
15 material descriptions for neptunium that was part  
16 of the discussion. And while the individual could  
17 not be definitive about these differences in  
18 descriptions, this is sort of, you know, the  
19 classification that was being used from neptunium  
20 in this case.

21                   There was a question regarding a small  
22 inventory of neptunium finished items reported in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 1988. So this would fall in the post-'83 period.

2 And what was being spoken to at that  
3 point in time was an alloyed, finished, machined  
4 item, about eight grams worth, and an assembled  
5 product of seven grams that had been left over.  
6 And when we -- in this interview we are talking to  
7 the worker about what -- what are we talking about  
8 in the late '80s.

9 And what he was talking about in this  
10 case was, you know, at Rocky Flats they were a major  
11 source of neptunium for the complex, and they had  
12 this sort of cottage industry of producing  
13 different products. And certainly after '83, in  
14 addition to the one operation that LaVon was  
15 talking to, you will find neptunium showing up in  
16 the inventory at Rocky Flats, because they held on  
17 to materials. They received -- actually, received  
18 materials. These were components. These were  
19 finished alloys, pure metal material that was held,  
20 shows up in NMMSS, and it shows up in shipping  
21 records.

22 So this interview was a corroboration

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that after '83 you did see neptunium coming and  
2 going and being stored at Rocky Flats. It just was  
3 in a finished form. They were no longer,  
4 apparently, fabricating or processing it.

5 So, you know, certainly from one  
6 vantage point was to validate the fact that, you  
7 know, even though you have neptunium being present  
8 at Rocky Flats in quantities after '83, the form  
9 of it and the handling of it was different than it  
10 was before the end of '83.

11 In the second interview, which was  
12 SRDB 138666, it was an interview with a former  
13 engineer at Rocky during the same years in  
14 question, and in this particular case the comment  
15 was that you had a considerable amount of former  
16 neptunium processing equipment abandoned in place,  
17 and that neptunium, including neptunium residues,  
18 were in the plant until site closure, until Rocky  
19 was closed for D&D, final D&D.

20 MEMBER MUNN: Residual stuff.

21 MR. FITZGERALD: Yes. And, in other  
22 words, the gloveboxes, the ductwork, you just had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 residual neptunium in the plant.

2 The worker further observed that, and  
3 this is a quote, "Equipment that processed  
4 neptunium was left in place and not stripped out,  
5 and that it was stored in shape or form until --  
6 on the site until site closure, and that Rocky was  
7 still shipping neptunium contaminated materials up  
8 to site closure."

9 CHAIRMAN KOTELCHUCK: Which was to --

10 MR. FITZGERALD: Which was 2003 was  
11 final closure. D&D was commenced, I think, in '91,  
12 11 or 12 years before that.

13 But, you know, again, you had a  
14 situation where cleanup was progressing and waste  
15 materials were being shipped, in a lot of cases,  
16 to Idaho and so you had certainly  
17 neptunium-contaminated material that was being  
18 processed and shipped. So --

19 MEMBER MUNN: And very carefully  
20 monitored.

21 MR. FITZGERALD: Yes. So, anyway,  
22 this was -- this interview pointed out that when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 D&D workers cut out the property, the equipment,  
2 and removed it, they became exposed to neptunium.

3 So, anyway, this was a commentary about  
4 D&D and waste management at Rocky Flats during the  
5 period when they were cleaning the plant up and  
6 closing it, and the fact that in the process it was  
7 likely there were workers exposed to neptunium.  
8 So that was the interview here.

9 And I want to point out that in that  
10 interview summary NIOSH did highlight its response  
11 to some of these issues, and I want to point these  
12 out for the record. While NIOSH -- and there are  
13 three bullets. "While NIOSH does not dispute the  
14 information provided in this response, the  
15 individual provided no dates or specific  
16 references to incidents or actions that could be  
17 traced or verified."

18 The second bullet is, "NIOSH is looking  
19 for information in the post-'83 period. Any  
20 discussions of the operations that occurred in the  
21 pre-'84 period would not be relevant.

22 "NIOSH does not dispute the potential

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for personnel neptunium exposures in the post-'83  
2 period. However, NIOSH contends that the exposure  
3 would be dominated by the plutonium. Nothing  
4 involved purified or pure neptunium, and nothing  
5 provided up to this point disputes that  
6 contention."

7 So, in that instance, we are talking  
8 about in D&D and waste management this was, again,  
9 plutonium and neptunium mixed, that the pure  
10 components, as referenced in that first interview,  
11 were kept in vaults, were handled as pure, and did  
12 not figure in the D&D and waste management as far  
13 as we can tell from these interviews.

14 The final point was really identifying  
15 additional people to talk to, but I think that was  
16 the essence of that second interview, that even  
17 though you had D&D and waste management actively  
18 happening, and you had certainly neptunium  
19 exposures, this was neptunium combined with  
20 plutonium that would have been the source term.

21 So, anyway, the third interview --

22 CHAIRMAN KOTELCHUCK: Were bioassays

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 going on in that --

2 MR. FITZGERALD: In the D&D phase, yes.

3 MEMBER MUNN: Absolutely. A lot of  
4 them. They were very, very closely monitored  
5 during that phrase.

6 MR. FITZGERALD: Yes. The D&D phase  
7 and waste management phase is one of sort of the  
8 modern era where you had active monitoring of  
9 bioassays.

10 And the third interview, this is  
11 131225, this is a foreign technician performing  
12 facility hold-up measurements in the '90s. This  
13 is where -- sort of is in concert with D&D and  
14 closing the plant. They were looking for  
15 unaccounted materials that might have been held up  
16 in ductwork, in flues, and whatnot, gloveboxes.

17 And this review, which was  
18 facility-wide, found traces of neptunium in about  
19 10 percent of Building 771 gloveboxes, and this was  
20 at levels relatively small compared to the  
21 plutonium present.

22 The interviewee believed that this was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 neptunium that was likely separated prior to  
2 recovery streams, and there was no evidence that  
3 contamination spread. So this was within the  
4 gloveboxes themselves. But they were cutting up  
5 gloveboxes, so, again, as part of D&D, you know,  
6 there was certainly that exposure potential.

7 MEMBER MUNN: The process was very,  
8 very carefully controlled, as I recall.

9 MR. FITZGERALD: Yes. I'm still  
10 talking about the '90s and beyond, so this is a  
11 pretty controlled process.

12 MEMBER MUNN: They were really very  
13 careful to make sure that no exposure other than  
14 what was absolutely necessary inside the  
15 enclosures was --

16 MR. FITZGERALD: And these  
17 interviewees agree that neptunium remained at  
18 Rocky beyond '83, and into final cleanup, and that  
19 contaminated equipment, like gloveboxes and  
20 ductwork, had trace amounts of neptunium and would  
21 have undergone D&D.

22 However, none of the interviewees

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 identified any other operations involving  
2 neptunium, and no one cited processing of pure or  
3 purified neptunium would have had exposure  
4 potential.

5 So, really, to answer that very first  
6 question, you know, was there any more than the one  
7 operation post-'83 that was identified in the NIOSH  
8 analysis, looking at these additional interviews  
9 that were not referenced in the White Paper that  
10 NIOSH produced seems to bear out that no -- other  
11 than D&D and waste management that was handling  
12 commingled plutonium-neptunium material, and the  
13 inventorying and shipping of pure forms of  
14 neptunium. There was no other operation that was  
15 handling neptunium at Rocky Flats.

16 So the second question -- that was the  
17 first question -- line of inquiry. The second line  
18 of inquiry, was there any exposure potential  
19 associated with this one neptunium operation or  
20 from any other neptunium source terms?

21 And, you know, again, we looked at the  
22 interviews and looked at the documentation we had,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and the tanks containing the feed materials were  
2 located outside the gloveboxes. These were piped,  
3 as LaVon pointed out, directly into the gloveboxes.  
4 Recovered plutonium was piped as a nitrate directly  
5 to the production operation, so you had essentially  
6 a closed system for this one operation, the  
7 recovery operation.

8 The recovered neptunium nitrate was put  
9 into pencil tanks, converted to an oxide, and  
10 canned back out of the glovebox. The operation was  
11 monitoring by alpha air counters, and RCTs were  
12 positioned in the area.

13 There was one incident that I think was  
14 identified which was a leak from a feed tank of  
15 plutonium nitrate, but it was cleaned up and no  
16 exposure was reported as being associated with that  
17 one leak. So we are looking at the incident  
18 history for this one operation, and that was it,  
19 and there wasn't any identified exposure  
20 associated with that one instance. It was a minor  
21 leak from a valve on that tank.

22 At any rate, all workers in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Building 771 where this operation took place were  
2 on routine bioassay. So that's a pretty important  
3 factor as well. So the impression of --  
4 essentially exposure potential, we did not see a  
5 routine exposure potential for the one operation,  
6 given that it was a closed system, and that -- and  
7 the one incident that did occur, there wasn't any  
8 uptake apparently recorded.

9 In terms of D&D and waste management,  
10 there was clearly exposure potential, but we didn't  
11 see any instances where that would have involved  
12 pure neptunium. So I think that distinction is  
13 important here.

14 The third line of inquiry was, was  
15 neptunium always present in combination with  
16 plutonium in this particular operation, or any  
17 other operation or source term identified? And I  
18 think basically we found that the PU neptunium  
19 separations work was effective at purifying both  
20 PU and neptunium, but as noted -- and I think and  
21 what LaVon was saying, it wasn't so perfect that  
22 you did not have sufficient plutonium to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 detectable through a routine bioassay.

2           So in this particular operation, as  
3 well as clearly in D&D and waste management, you  
4 -- at Rocky Flats particularly, you would always  
5 have plutonium with the neptunium, and that  
6 provides a marker, if anything, for the alpha  
7 analysis, the bioassay.

8           Were all workers having exposure  
9 potential from this one neptunium operation  
10 bioassay? Would those results encompass any  
11 intake of neptunium?

12           As I said earlier, all workers in 771,  
13 including this operation, were bioassayed, and all  
14 neptunium would have been associated with  
15 plutonium. So I think that is clearly an  
16 affirmative.

17           And in terms of the incident, there was  
18 the one incident involving Tank 1007, and this is  
19 in SRDB 138682, which is the incident report for  
20 that. And it involved a leaking valve, and no rad  
21 alarms were triggered, and no worker intakes were  
22 found and recorded on that particular instance.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 It was cleaned up and that was pretty much it. We  
2 looked for more reports, did not find any more than  
3 that one issue.

4 And, finally, I guess, is it  
5 technically sound? This is a key issue. Is it  
6 technically --

7 CHAIRMAN KOTELCHUCK: Could I just  
8 before --

9 MR. FITZGERALD: Oh, sure.

10 CHAIRMAN KOTELCHUCK: -- on the leak,  
11 what did you say about the leak?

12 MR. FITZGERALD: Well, the leak -- like  
13 I said, there was an incident report on that. It  
14 was a valve of plutonium nitrate, and it was -- you  
15 know, it was discovered as a leak under the tank,  
16 and once it was discovered the RCTs supervised a  
17 cleanup, which was done without any intake. So  
18 there was no intakes by workers reported for that  
19 leak. And that was the only -- frankly, the only  
20 incident report we found for that particular  
21 operation. This is the one that we have been  
22 talking about.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK: That's right.

2                   MR. FITZGERALD:     And, finally, you  
3 know, this is I think an important question for the  
4 Work Group.  Is it technically sound to rely on  
5 plutonium bioassays to account for any neptunium  
6 intakes that may have occurred during this  
7 timeframe?

8                   And we reviewed the -- you know,  
9 obviously, the RFP documents, and particularly  
10 SRDB 137075, and that addresses the dominance of  
11 a specific activity of PU as compared with  
12 neptunium.  And I think that was referenced in  
13 NIOSH's report.  And we compared it against the  
14 legendary rad health handbook information, and  
15 some -- I thought there was a later edition, but  
16 that's the same edition I had back when.  I guess  
17 it's so good you don't have to update it.

18                   And Ron Buchanan did a lot of this  
19 analysis using the Chronic Annual Dose Workbook,  
20 the CADW.  He does a lot of the DR reviews for SC&A,  
21 so it was particularly helpful for him to use those  
22 tools to double check on that analysis.  And,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 again, I think we would agree that the resulting  
2 neptunium dose is about equal to plutonium on the  
3 basis of dpm intake that would be 1/100 times less  
4 on a per mass basis. So, again, the specific  
5 activity is such that plutonium would clearly,  
6 clearly dominate.

7 So counting all alpha monitors as being  
8 plutonium appears to be claimant-favorable in this  
9 case, and I think -- you know, so the central thesis  
10 on this whole thing is if one could establish that  
11 there was one -- in fact one operation, and only  
12 one operation post-'83 that handled neptunium, and  
13 everything else was either pure -- in other words,  
14 handled in inventory as an alloy or a form, even  
15 if it was shipped, right? And there was no  
16 exposure associated with that, or as waste or D&D  
17 material, commingled with plutonium, which, you  
18 know, again, workers handling D&D would have been  
19 monitored. Then I think the use of the PU  
20 bioassays as dominant and applicable is okay from  
21 our standpoint.

22 That's pretty much where we are on that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK:     Okay.     When  
2     were you -- when will you finish it, roughly?

3                   MR. FITZGERALD:    It's in final draft.  
4     I actually, you know, noticed in one of the  
5     co-petitioners' emails that there might be some  
6     potential new information presented at this Work  
7     Group meeting, and I wanted to be open to that,  
8     since we are at sort of juncture of issuing this.  
9     And if there were new information that would be  
10    relevant, I was going to include that analysis  
11    here.

12                  But as far as the NIOSH White Paper, I  
13    think that by itself we have looked at, reviewed,  
14    and this is where we are, and we have that paper  
15    written, and it can be issued at any time.

16                  CHAIRMAN KOTELCHUCK:     Okay.     Very  
17    good.    And we will hear later from the petitioners  
18    and representatives later in the day.    But any  
19    questions by our Work Group members?

20                  MEMBER MUNN:     None.     Thank you for the  
21    overview, Joe.    That's very helpful.

22                  CHAIRMAN KOTELCHUCK:     Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: This is John Mauro. I just  
2 have one question. It may add a little bit more.  
3 I understand when dealing with the inhalation of  
4 the plutonium that there is some serious levels of  
5 neptunium.

6 Just two questions. When it's  
7 inhaled, did the two radionuclides more or less  
8 travel together biokinetically and up in the same  
9 organs? And the second question, and this may go  
10 more towards Jim, when you are doing the dose  
11 calculations and you're assigning an uncertainty,  
12 very often I see very large sigma values associated  
13 with these exposures.

14 I think these are two questions that go  
15 toward the degree to which there is some separate  
16 concern that is needed regarding neptunium.

17 MEMBER MUNN: Yes.

18 DR. NETON: Yes. Well, this is Jim.  
19 Liz Brackett can probably answer better than I can,  
20 but I don't think the metabolic models are  
21 identical for plutonium and neptunium. There are  
22 some differences.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. BRACKETT: Right. They are  
2 different.

3 DR. NETON: Yes. So --

4 MS. BRACKETT: But that shouldn't have  
5 any impact at all on using a ratio, because we would  
6 just ratio the intakes and then use the individual  
7 models to calculate the doses with them.

8 DR. NETON: That's right.

9 DR. MAURO: Yes. And I agree with  
10 that, so it really -- I just wanted to get a sense  
11 for that, whether it did go separate paths. And  
12 how about this uncertainty? Because I know you  
13 folks often decide a fairly large uncertainty,  
14 which would certainly account for this relatively  
15 trace level.

16 DR. NETON: Well, all of the internal  
17 dose calculations have a GSD of 3 on them, if it's  
18 not a co-worker model. And then, if it is a  
19 co-worker model, it is even larger. But that's the  
20 default value. It's a pretty large, large --

21 DR. MAURO: Yes. I thought it was  
22 important to get that on the record to complete the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 story.

2 CHAIRMAN KOTELCHUCK: Okay. Dr.  
3 Field, do you have any questions?

4 MEMBER FIELD: I guess the question,  
5 did I hear it right, or I may have missed it, there  
6 was about five workers involved with this process?

7 MR. RUTHERFORD: Yes. In the process  
8 that -- the one operation that occurred in '85-'86,  
9 yes, there was about five workers involved.

10 MEMBER FIELD: And they all have bio  
11 monitoring data?

12 MR. RUTHERFORD: Yes.

13 MEMBER FIELD: Okay. That's all I  
14 had.

15 CHAIRMAN KOTELCHUCK: All right. So I  
16 think we'll simply await the input from petitioners  
17 later in the day, and then expect to see it -- well,  
18 depending on what they say and whether there are  
19 things that need to be pursued, then we will see  
20 -- we will see the written document. And I don't  
21 know how the committee functions when that comes  
22 in.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. KATZ: The petitioners are on the  
2 line.

3                   CHAIRMAN KOTELCHUCK: Okay. That's  
4 true. I'm actually not sure how to phrase this.  
5 What is the -- how does the -- how do members of  
6 the Working Group feel about the report, except for  
7 that, the issues that may come up later? That's  
8 -- there really -- there have been -- there has not  
9 been, among us, questions about that, concerns, or  
10 our concerns were answered that you responded to,  
11 and basically agreed with NIOSH, I think pending  
12 completion of the report and possible later data.

13                  MR. KATZ: Yes. I think as we went  
14 through that analysis, I think Ms. Barrie brought  
15 up a question of duration of the '85-'86 operation,  
16 and the fact there was some ambiguity about how long  
17 it was. And I did research that. I can, you know,  
18 touch on that if you'd like.

19                  The precise duration of the campaign  
20 and the start date was questioned in the emails,  
21 as we were saying, and, you know, in interviews you  
22 do get comments like began around -- and this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a quote, "Began around January '85," "ended in  
2 '87," or "was terminated in '88," respectively, and  
3 I went through some of the interviews and just  
4 trying to -- you know, it's a valid question. I  
5 mean, how long was this thing?

6 And I think the recollections seem to  
7 be a little vague about dates, but you're talking  
8 30 years ago. So it's not too surprising.

9 CHAIRMAN KOTELCHUCK: Right.

10 MR. KATZ: But I think there was -- some  
11 of the ambiguity came from the fact that the one  
12 individual who was managing this did not file a  
13 termination report for the operation. He was  
14 pressed to do so, because that I guess was a -- at  
15 Rocky was the documentation that an operation had  
16 officially ended, and he was delayed something like  
17 six or seven or eight months in actually providing  
18 that report.

19 So there is some fuzziness at the tail  
20 end of this thing as far as length, but I think it  
21 was pretty clear it was about roughly a year, maybe  
22 a bit longer, and as far as the recollections it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 took them about six to seven months to officially  
2 terminate the program and write the report. So I  
3 think that explains some of it as far as that goes.

4 CHAIRMAN KOTELCHUCK: Perhaps as a  
5 senior Member, Wanda might suggest how we ought to  
6 proceed in the Work Group. I'm not quite sure --

7 MEMBER MUNN: Well, thank you, Dave.

8 CHAIRMAN KOTELCHUCK: -- how to  
9 proceed.

10 MEMBER MUNN: It is instructive  
11 sometimes to remind ourselves what we're trying to  
12 do here. And from my perspective, what we are  
13 trying to do here is to make sure that we have not  
14 overlooked any significant source of exposure for  
15 anyone who was ever employed at this facility. I  
16 can see no red flags having been raised in the  
17 process that has taken place with respect to  
18 neptunium.

19 It seems fairly clear that every effort  
20 has been made to identify any activity that might  
21 have gone on, any source of potential exposure from  
22 neptunium, and a fairly decent job has been done

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of quantifying what that could have been. Our big  
2 question is always what is the maximum that could  
3 have occurred? I think that is fairly well in hand  
4 now, and it seems fairly sure that it is unlikely  
5 any major source of neptunium that could  
6 considerably increase any exposure has been  
7 identified now.

8 And since it has been identified and is  
9 incorporated as a part of the program, I don't think  
10 we can completely write off this issue until we have  
11 actually had SC&A's report in hand and taken a look  
12 at it. But from my perspective, unless something  
13 unexpected shows up in the final report from SC&A,  
14 we can put this to bed once we have reviewed that  
15 document and agreed that it is satisfactory.

16 CHAIRMAN KOTELCHUCK: Good. That  
17 answers one of my two concerns, which is that we  
18 need to see the document, but seeing that things  
19 are -- there is agreement and I'm comfortable with  
20 what the conclusions are.

21 The other part of it is if we said,  
22 "Well, something may come up later when the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 petitioner speaks," then of course you will address  
2 that, if it needs further work. And that we can  
3 only say wait until it happens.

4 MR. KATZ: She's on the line. Do you  
5 want to consult the petitioner now? I mean, you  
6 don't have to put her off until the end of the  
7 meeting for comment. I mean, we do this all the  
8 time.

9 MEMBER MUNN: It seems it would be a  
10 good time to hear --

11 CHAIRMAN KOTELCHUCK: Is the  
12 petitioner on the line? Ms. Barrie?

13 MS. BARRIE: Yes. This is Terrie.

14 CHAIRMAN KOTELCHUCK: Would you be  
15 willing to address the issue of the neptunium or  
16 -- you were going to talk later at the end of the  
17 meeting today, and there is -- we expect that you  
18 will talk. But if there is a particular issue with  
19 respect to neptunium that you want to raise, would  
20 you be willing to talk about it right now?

21 MS. BARRIE: Yes. I am able to talk  
22 about neptunium. It is basically --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Good. Thank  
2 you.

3 MS. BARRIE: Thank you. Thank you. I  
4 was just writing you an email.

5 CHAIRMAN KOTELCHUCK: Okay.

6 MS. BARRIE: I'm not a scientist.  
7 This came from a former worker that has been  
8 interviewed I think a number of times by NIOSH and  
9 SC&A.

10 And one of the -- now, I'll just be  
11 reading this off his email.

12 CHAIRMAN KOTELCHUCK: Sure.

13 MS. BARRIE: One of the issues that  
14 NIOSH bases their model on, or their position on,  
15 is that protactinium was used to determine if there  
16 was neptunium at the site. And the worker wanted  
17 to know if they used U-238 or neptunium-237 as the  
18 isotope.

19 He also goes on to say Line 1 in Building  
20 771 was the americium-241 production line.  
21 Americium-241 decayed into neptunium-237 by alpha  
22 decay at a rate of five percent for 22 years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Rocky Flats produced one kilogram of americium-241  
2 per year for close to 40 years, so 10 percent of  
3 112 kilograms of americium-241 in 1998 was 11.2  
4 kilograms of neptunium-237. He says that, "We had  
5 our own source of neptunium-237 and didn't even  
6 know it."

7 He is not sure that Line 1 was monitored  
8 for neptunium-237, and he wonders if the 60 keV  
9 gamma we were told was from americium-241 was  
10 really from neptunium-237.

11 He also -- this is the last part, and  
12 I'm sorting this out -- this has to be a discussion  
13 for NIOSH and SC&A and the Work Group because this  
14 is not my background. He found in Basic Radiation  
15 Protection Technology by Gollnick, it says that  
16 neptunium-237 produces a deep dose of 287 millirems  
17 per hour per microcentimeter squared at seven  
18 milligrams a centimeter, whereas plutonium-239 is  
19 zero, and americium-241 is 9.3 millirems per hour.

20 So I'm wondering, if he is correct, if  
21 using the plutonium for dose reconstruction  
22 is -- or the bioassay is really accurate.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And the other part that I want to  
2 mention is I need to remind everybody, just because  
3 there was a glovebox does not mean it was contained.  
4 I know Joe Fitzgerald mentioned that there was one  
5 incident of the tank leaking, but there is numerous  
6 accounts of gloveboxes leaking at Rocky Flats. So  
7 I would not make the assumption just because this  
8 process was in a glovebox that nothing leaked.

9           Thank you.

10           CHAIRMAN KOTELCHUCK:       Thank you.  
11 Thank you. Any comment from --

12           DR. NETON: I think we are going to have  
13 to maybe -- I don't know if this is new information.  
14 We have not seen this email before. This is Jim.  
15 We certainly need to look at it, because there was  
16 a lot of technical numbers thrown out there that  
17 I couldn't follow on the top of my head.

18           I will say, though, the last comment on  
19 the seven milligram per square centimeter dose  
20 really, in my mind, relates to skin dose, not  
21 internal dose. So, yes, it's true that neptunium  
22 has a much higher penetrating gamma than plutonium,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 so the dose -- external dose would be higher. But  
2 that of course would be accounted for in the  
3 dosimeters that the workers were wearing I think.

4 But we would still like to take a look  
5 at it. I can't comment off the top of my head on  
6 something as complicated as --

7 MS. BARRIE: Okay. I'll send those  
8 off to everybody. Thank you.

9 MEMBER MUNN: Yes. Thank you. I'd  
10 certainly like to see that.

11 CHAIRMAN KOTELCHUCK: Okay. So folks  
12 will take a look at that. Folks at NIOSH will take  
13 a look at that and at SC&A, and you will talk about  
14 it, and that plus the report will be written. And  
15 the report -- the part before Ms. Barrie spoke,  
16 there is agreement certainly from the Work Group.  
17 I shouldn't say -- I am in agreement, and Wanda has  
18 said she is in agreement. And, Bill, have you --  
19 I believe you spoke also.

20 MEMBER FIELD: Right. I said I was in  
21 agreement as well.

22 CHAIRMAN KOTELCHUCK: Right. That's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what I thought, too. I just wanted that confirmed.

2 So this issue, except for that last  
3 item, is basically resolved, and we will either --  
4 we can either handle it at our next meeting or  
5 possibly --

6 MR. KATZ: So, Terrie, if you will send  
7 your email or whatever that -- form that  
8 communication was to LaVon, then he can distribute  
9 it to me and I can get it to SC&A and the Work Group  
10 members as well.

11 CHAIRMAN KOTELCHUCK: Okay.

12 MR. RUTHERFORD: Yes. And I wanted to  
13 add something real brief. This is actually mainly  
14 for Dr. Kotelchuck and -- is to remind you that,  
15 you know, I know we have gone through all of this,  
16 and we've said we have identified no operations,  
17 and so on. At a later date, if the SEC is closed  
18 out here and we all of a sudden come up with a report  
19 that says uranium -- or that neptunium was  
20 processed in dah, dah, dah, dah, dah, that's new  
21 information and we can either -- if we determine  
22 there is an infeasibility, we can go through the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 8314 process to add the Class.

2 So don't -- you know, I always want to  
3 remind everybody that just because we haven't found  
4 anything now doesn't mean if we find new  
5 information that we can say -- you know, we can go  
6 back to it. Okay?

7 MR. KATZ: Absolutely.

8 CHAIRMAN KOTELCHUCK: And which also  
9 means that claimants can later come up with  
10 information, because in some cases we have said do  
11 not continue to pursue searching the records for  
12 magnesium-thorium. But if somebody comes up with  
13 a record about that, and actually the 192 proposal  
14 exactly says that, no, I have some more  
15 information, and we are looking at it, and we have  
16 looked at it. Can't find it -- can't find backup  
17 for that documentation, I should say, for that.

18 It is there, and maybe more will come  
19 in, and we'll reopen it. Always reopen on new  
20 information, and that is important.

21 Okay. Well, folks, it is 11:00. We  
22 have, first, the tritium issue, which will take a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fair amount of time. And I am not sure -- I am open  
2 to suggestions on how to proceed. We can -- we have  
3 to break for lunch, but this is a little early. We  
4 could either start the discussion now until noon,  
5 break for lunch, and then come back, and then at  
6 that time -- it seems to me that's maybe the best  
7 way to go.

8 MR. KATZ: Can we have a comfort break,  
9 though? It's been two hours --

10 CHAIRMAN KOTELCHUCK: Yes. You're  
11 right, you're right. Okay. Let's take a short  
12 break, and let's get back together.

13 MR. RUTHERFORD: I was hoping someone  
14 was going to --

15 MR. KATZ: So we'll get back together  
16 in 10 minutes?

17 CHAIRMAN KOTELCHUCK: Right. Very  
18 good.

19 MR. KATZ: We're just putting the phone  
20 on mute, but we're not breaking the line.

21 CHAIRMAN KOTELCHUCK: Right.

22 (Whereupon, the above-entitled matter went

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 off the record at 10:59 a.m. and resumed at 11:18  
2 a.m.)

3 CHAIRMAN KOTELCHUCK: Okay. On the tritium issue,  
4 LaVon.

5 MR. RUTHERFORD: Okay. I'm going to,  
6 basically, go through a little history, a little  
7 bit of, you know, where our report ended up. And  
8 then once I complete that, I'll turn it over  
9 --- answer any questions, and I'll also turn it  
10 over to SC&A for them to respond.

11 This is actually Revision 1, and I'll  
12 go through, again. Initially, when we issued our  
13 Evaluation Report, as I mentioned, tritium was the  
14 basis for qualifying SEC 192 for evaluation. And  
15 it had to do with whether the 1973 incident was  
16 clearly evaluated in SEC 30, and potential for  
17 tritium exposure and the lack of monitoring prior  
18 to that. So, we qualified the petition. Our initial  
19 Evaluation Report when we issued it, we identified  
20 that tritium dose reconstruction was feasible. We  
21 were, basically, using the 1973 incident as a  
22 bounding exposure. We used a lot of the dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reconstruction that was in the report, the actual  
2 report of the incident, and we'd identified a  
3 bounding exposure I believe of 700 millirem from  
4 that incident. And we could use that to support all  
5 other operations.

6 The Board recommended at the time that  
7 we go back and do further evaluation. We committed  
8 to doing that, to doing additional interviews, also  
9 to do additional data capture. So, we had a  
10 follow-up. The follow-up was to clarify the  
11 existence of tritium on site and associated  
12 personal exposures, investigate tritium bubbler  
13 sampling, confirm the existence of shipping  
14 container tritium surveys, and also look at the  
15 sampling analysis of Building 123.

16 For our initial follow-up, we actually  
17 did some data captures at the Denver Record Center.  
18 We interviewed a number of individuals, a number  
19 of key individuals in classified interviews, and  
20 from those classified interviews we did identify  
21 the potential for tritium exposure from the receipt  
22 and opening of shipping containers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We also confirmed that in documents.  
2           There were a number of documents that later on after  
3           the data captures, we did find other documents that  
4           indicated that potential, as well.

5           We went back during that process, and  
6           we also looked at ways that we could potentially  
7           refine our previous analysis since it was pretty  
8           much tied solely to the incident. We went back to  
9           look and see if we could find additional survey  
10          information, additional information on the  
11          bubblers that were identified. One of the  
12          interviewers identified bubblers back in the  
13          earlier years in the '60s at the exhaust plenums,  
14          and we went to try to find additional data on those  
15          bubblers, what type of bubblers were used, do we  
16          have any additional information that would  
17          corroborate they were actually used earlier years?

18          We also looked at the post-'73  
19          monitoring data. We went back to see how much data  
20          we had, what the data was telling us for the tritium  
21          monitoring data, the incidents -- any incidents  
22          that occurred post-'73, or even pre-'73, and we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 --- all of this was in an attempt to, one, make sure  
2 that, one, we identified all our sources of tritium  
3 exposure, and that we --- to see if we could refine  
4 our analyses a little bit.

5 If you look in our report on page 4  
6 there's a follow-up --- you can see the follow-up  
7 information in that on tritium bubblers. You can  
8 see the table of the different items that --- on  
9 Table 1 it identifies all the different SRDB  
10 numbers associated with the tritium monitoring,  
11 and the tritium bubblers for the period.

12 What we found was pretty much pre-1973,  
13 there was very little data associated with tritium  
14 monitoring. We had a couple of ----- we had a few  
15 bioassay samples, but nothing that really  
16 identified a strong tritium monitoring program  
17 prior to 1973, which is consistent with what we had  
18 actually found in the initial evaluation.

19 We did there, as I mentioned, if you  
20 look on page 7, that tritium contamination in  
21 shipping containers was corroborated; however, no  
22 actual contamination surveys have been found. One

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the individuals we had interviewed indicated  
2 that, you know, he had been a part of starting the  
3 program, but they had never found any tritium  
4 contamination, which is actually kind of  
5 surprising, that statement.

6 We looked at the sample analysis in  
7 Building 123 and the program there to ensure they  
8 had the capabilities. And it appears after the 1973  
9 incident, they did have a good liquid scintillation  
10 technique for analyzing the tritium.

11 Our follow-up on our initial follow-up  
12 conclusions were the additional documents,  
13 interviews obtained during the post-ER follow-up,  
14 provide additional evidence for the potential for  
15 tritium exposure. And we also started to --- again,  
16 it also identified that the 1973 incident was  
17 bounding. We also were able to refine some of our  
18 calculations and to come up with a new approach for  
19 the tritium for bounding exposures. We basically  
20 isolated to three separate periods, pre-1973,  
21 1973, and then the post-1973 period.

22 So, we had a secondary follow-up which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was after we had issued our first revision, and it  
2 was to look --- to, again, refine our calculations,  
3 address the Work Group and SC&A comments on the  
4 initial tritium White Paper. So, we issued this  
5 report, the second, or the follow-up that included  
6 that in May of --- May 30th, 2014.

7 So, our findings initially, or actually  
8 our approach for dose reconstruction for tritium  
9 you have, again, I said the pre-1973 period, '73,  
10 and the post-1973 period. We used -- the 1973 period  
11 focuses on the incident that occurred in April of  
12 that year, and the individuals that the --- that  
13 incident was initially identified, actually, from  
14 environmental releases, and so it was not --  
15 actions were not taken until September of that  
16 year, so there were bioassay samples that were  
17 conducted in September of that year. We used those  
18 bioassay samples to actually bound our 1973  
19 exposure. I'll talk a little bit about that more  
20 later.

21 We take a --- for pre-1973, we  
22 identified that the 1973 incident was the bounding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exposure, and we looked at other potential  
2 incidents of that magnitude. And, again, we came  
3 up with nothing that was close to the magnitude of  
4 the '73 incident.

5 So, what we looked at, what would be the  
6 most likely chronic exposure that would occur or  
7 that individuals would be routinely exposed to on  
8 a day-to-day basis of tritium. And we went back to  
9 the interview that was identified of shipping  
10 containers being opened and the bubbler, and the  
11 exhaust plenum, and if they heard --- and I'm just  
12 paraphrasing what the interview said. You know,  
13 sometimes they would get news that their bubbler  
14 was hot, later on so, you know, they could have been  
15 exposed to tritium. So, we felt like the shipping  
16 container was our most likely chronic exposure  
17 scenario that individuals would be exposed to.

18 We looked for pre-'73 data and,  
19 obviously, found no pre-'73 data on shipping  
20 containers and contamination. We have found a 1974  
21 incident that involved a shipping container. We  
22 felt like this 1974 incident was more closely

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 resembling the type of exposures that individuals  
2 would routinely be exposed to on a daily basis.

3           The 1974 incident was in August of that  
4 year, and it involved a release of 1.5 curies of  
5 tritium. And, basically, what we did was we took  
6 the bioassay samples, the highest bioassay sample  
7 for that period and determined the individual's  
8 exposure from that bioassay. And as I --- the  
9 individual's dose came out to roughly .15 millirem.  
10 So, we felt like, again, that this was very close  
11 to the --- something that individuals would be  
12 exposed to in the early years, so we took what we  
13 felt was a pretty claimant-favorable assumption  
14 and assumed that the .1 --- or that an incident of  
15 this magnitude occurred every day for 250 days in  
16 a year, and we --- so, 250 times the individual's  
17 exposed to .15 millirem, and it roughly came out,  
18 if I remember correctly, 37.5 millirem exposure for  
19 a given year.

20           We felt like we could apply this  
21 exposure to all years previously because, one, we  
22 had no indication of any significant exposure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 incidents prior to --- or other than --- in the  
2 magnitude of the 1973 incident.

3 We also went back and we did additional  
4 searches at Los Alamos, and the Denver Federal  
5 Records Center to look for potential incidents of  
6 that magnitude, and we could not find anything.

7 Now, again, I will qualify that in  
8 saying that they weren't exactly looking for it,  
9 either. But we felt that from a routine basis, the  
10 exposure from opening a shipping container was more  
11 likely the exposure than individuals would be  
12 exposed to.

13 So, our bounding, or our approach for  
14 dose reconstruction --- and, again, this is for  
15 partial dose reconstructions for the pre-1973  
16 period would be to give individuals 37.5 millirem  
17 per year for that period.

18 The 1973 incident, and we'll get into  
19 some of the details later, and some of the issues  
20 that will be brought up by SC&A. We went back and  
21 we modeled the five individuals. Basically, there  
22 were 250 individuals that were monitored initially

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 after the incident. And, again, this was six months  
2 after the incident, but there were 250 individuals  
3 that had bioassay samples. They had a cutoff or a  
4 trigger level ---

5 CHAIRMAN KOTELCHUCK: Pardon me. Just  
6 five individuals after the '73 incident?

7 MR. RUTHERFORD: I'm going to --  
8 actually, I'm going to add a little more  
9 information on that.

10 CHAIRMAN KOTELCHUCK: Okay, sorry.

11 MR. RUTHERFORD: There were actually  
12 250 that were initially, I believe it was 250, 250  
13 or 225 individuals that were initially monitored  
14 after the '73 incident. These individuals were  
15 individuals that we felt would be likely to receive  
16 the exposure from the incident.

17 They had a trigger level of 10,000  
18 picocuries per liter for identifying individuals  
19 with further analysis. All the other ones were  
20 --- the initial 250 were not distilled, and then  
21 anybody that was over the 10,000, they distilled  
22 the samples to get a more refined account. They were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 able to narrow it down to five --- I believe it was  
2 five individuals that they wanted to do further  
3 bioassay on.

4 Those five individuals, we actually  
5 modeled those. ORAU, and specifically Liz  
6 Brackett, took and modeled those bioassay samples  
7 to come up with --- and looking at their exposure  
8 scenarios, when they were potentially exposed, the  
9 date of the incident, other activities that could  
10 have driven potential exposures, and a lot of this  
11 information was in the report that was issued from  
12 1973.

13 And then using our standard IMBA, and  
14 we modeled the bioassay data, and we had a highest  
15 intake of 84 millirem. We determined that we would  
16 take that 84 millirem and use that as exposure  
17 plutonium workers in the 1973 period, we would give  
18 them 84 millirem per year for tritium exposure.

19 And then for the post-'73 period, we  
20 looked at all the --- there was a bioassay program  
21 put in place. The bioassay program for tritium,  
22 there was a significant amount of bubblers and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 monitoring that was done, contamination surveys  
2 post-'73, to try to identify sources of potential  
3 tritium exposure. And their monitoring program  
4 took plutonium workers and took 10 percent of those  
5 plutonium bioassay samples and further analyzed  
6 them for tritium. Again, this was not a  
7 task-specific, but it took all plutonium workers  
8 and did the 10 percent idea in the '74 to '75 period.

9 All the bioassay samples we went back  
10 and we looked at them in a coworker type approach  
11 for '74 to '75, and analyzed that data. And based  
12 on the data, the '74 to '75 period would have been  
13 less than 1 millirem; therefore, we would apply  
14 zero dose for that period. And all other samples  
15 post-'74 were in the same category. There weren't  
16 that many samples, but all of them came up in the  
17 same order of magnitude or the same range, and so  
18 we applied zero millirem for exposure on the  
19 post-'75 period after they stopped that 10 percent  
20 monitoring program.

21 Let me get back to some of the specific  
22 questions. Okay. All right. Some of the initial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 questions that were --- SC&A responded with their  
2 initial response to our tritium paper, and  
3 identified using a different tritium model, and  
4 also for the 1973 incident, the five workers, the  
5 main worker, or those five workers, SC&A  
6 re-analyzed those five workers using a newer  
7 tritium model and came up with --- and a different  
8 intake date, and came up with different numbers.  
9 That was one issue.

10 There were other issues that were  
11 identified. One of the concerns that was brought  
12 up with using the 1974 incident to back-extrapolate  
13 for workers was the concern that the 1974 incident  
14 probably had additional controls that were put in  
15 place that would minimize or would make the  
16 exposures not reflective of what may have occurred  
17 pre-1973.

18 We had one --- we had found one document  
19 that kind of indicated it --- that controls weren't  
20 in place until after that incident, but then SC&A  
21 identified another document that indicated that it  
22 could have been in place before that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN KOTELCHUCK: I wonder, if  
2 you're going to talk about responding to the SC&A

3 ---

4                   MR. RUTHERFORD: This is just their  
5 first response.

6                   CHAIRMAN KOTELCHUCK: Oh, okay.

7                   MR. FITZGERALD: I'm good, so far.

8                   CHAIRMAN KOTELCHUCK: Okay.

9                   MR. RUTHERFORD: I'm going to let him  
10 ---

11                   CHAIRMAN KOTELCHUCK: Okay. Because I  
12 thought he might then do it, and then you might say  
13 there is some ---

14                   MR. FITZGERALD: No, no.

15                   (Simultaneous speaking.)

16                   MR. RUTHERFORD: And some of these are  
17 open issues that ---

18                   CHAIRMAN KOTELCHUCK: That went back.

19                   MR. RUTHERFORD: Carried forward.

20                   CHAIRMAN KOTELCHUCK: Yes. Fine, fine.  
21 Please go on. I'm sorry to interrupt.

22                   MR. RUTHERFORD: So we did, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 again, additional research looking into the issue  
2 of whether the '74 incident was more likely or was  
3 a reasonable incident to use, or situation to use  
4 to round down pre-'73.

5 We actually went back and we looked for  
6 documents at Pantex to try to figure out when Pantex  
7 had modified their program in support of the  
8 changes that were recommended after the 1973  
9 incident. And based on our review of records, and  
10 information, and discussions, we did not see  
11 changes in the Pantex program until 1981. Now, that  
12 doesn't mean the other sites hadn't made changes.

13 In the '74 incident, one of the concerns  
14 that SC&A brought up was the fact that it was, I  
15 think, Pacific Northwest Laboratories that  
16 actually had sent the unit, which most of the units  
17 were coming to Rocky Flats were from Pantex, so they  
18 were concerned that it would be two different  
19 sources. We still felt that the actual source  
20 material size of the release in 1974 was much more  
21 indicative or claimant-favorable of a source term  
22 from that release perspective. And then there were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 other issues that SC&A brought up.

2 And then they issued a follow-on  
3 report, and I'll let Joe go through all the issues.

4 MR. FITZGERALD: That was a pretty good  
5 lead-up. You know, first off, you know, we  
6 certainly are acknowledging the context. You know,  
7 we're dealing with partial dose reconstructions  
8 now that the '83 cutoff is in place, and clearly  
9 the tritium issue is relevant before '83,  
10 particularly in the '70s.

11 We --- not trying to revisit all that,  
12 but I think our second report had the advantage of  
13 getting the responses from NIOSH, and we refined  
14 our answers in the second report. Which, by the way,  
15 the --- I noticed on the DCAS website, it's the May  
16 version of the SC&A tritium paper that's posted,  
17 and not the September version. But the September  
18 version, anyway, I think goes into more detail on  
19 -- certainly in all three time periods. And we had  
20 a chance to do some further investigation as far  
21 as looking at some of the SRDB documents and were  
22 able to provide a little more refinement, for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 example, on the pre-'73.

2 I'm going to jump these time periods,  
3 but pre-'73, I think we were able to identify  
4 additional documents, as LaVon was talking about,  
5 that helped identify what may have been the  
6 controlling practices at Rocky Flats post-'73,  
7 which makes a big difference as far as what one  
8 assumes the --- what one can assume is the  
9 representativeness of that '74 release, for using  
10 that as a bounding analysis for all the exposures  
11 before '73 at Rocky Flats to tritium; which, you  
12 know, again, is a pretty major assumption.

13 We can go into more detail right now.  
14 We have this broken up pretty much the way LaVon  
15 mentioned. We have an analysis that focuses on the  
16 1973, the 84 millirem per year. And, again  
17 --- Joyce, are you on the phone, Joyce Lipsztein?  
18 I know we announced ---

19 DR. LIPSZTEIN: Yes, I am.

20 MR. FITZGERALD: Okay. I was just  
21 concerned that maybe you thought this was after  
22 lunch, but I think everybody is here. John Mauro,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are you here, too?

2 DR. MAURO: Yes, I am.

3 MR. FITZGERALD: Okay. Well, we broke  
4 this up into three time periods, the 1973 analysis.  
5 This is going to be not a tale of two cities, but  
6 a tale of three cities.

7 DR. MAURO: Yes.

8 MR. FITZGERALD: We have different  
9 perspectives, actually, on each time period. The  
10 first one, we have questions which may be leaning  
11 more TBD, but questions of the assumptions and  
12 start dates of exposures, and the particular model  
13 being used as far as whether it fits the particular  
14 circumstances of testing on the tritium, the  
15 monitoring on the tritium. And Joyce Lipsztein will  
16 be going into that in some more detail. She did the  
17 original analysis on the first review.

18 On the post-1973, a little different  
19 perspective for the Work Group. Our concern there  
20 is more questions of the validity of how the  
21 monitoring data is being applied. The frequency  
22 --- whether the frequency of monitoring was such

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for tritium that you would see it in a  
2 representative way, and whether the location of the  
3 bubblers was such that you'd be monitoring in the  
4 right locations, things like that, and John Mauro  
5 will address those.

6 Pre-'73, as I was mentioning a little  
7 earlier, that's more of a question. This is kind  
8 of a standard question we get into when one is  
9 looking at back-extrapolation of data. You know,  
10 how representative is the data that you're trying  
11 to back-extrapolate? Does it fit the operations and  
12 the circumstances such that you can use that as a  
13 reasonable bounding analysis? And I'll certainly  
14 address that.

15 So, with that, Joyce, I'm going to turn  
16 it over to you as far as addressing some of the  
17 questions that you had for --- and issues that you  
18 had for the 1973 incident, and how that was modeled.

19 DR. LIPSZTEIN: Okay. I'm going to speak  
20 about this particular accident and the exposures  
21 that occurred in 1973. And it's going to be very  
22 technical, I'm sorry. But just repeating what was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 said before, there was a tritium accident that  
2 occurred in April 1973, and from then on then Rocky  
3 Flats people thought that there was exposure to  
4 tritium.

5 This accident happened between April 9  
6 and April 25, but the people were not immediately  
7 identified as having been contaminated, so they  
8 were monitored only in September 1973. So, we had  
9 more than 150 days; actually, the ones that the dose  
10 was calculated was around 170 to 180 days after the  
11 exposure. Also NIOSH identified there were also  
12 other opportunities for intakes in 1973. For  
13 example, there was an incident in September 1973  
14 before the monitoring took place.

15 Because, as was explained before today,  
16 there was a large number of people that were  
17 monitored. At first, they were analyzed, the raw  
18 urine samples were analyzed without distillation,  
19 and then the count deficiency was only about 3  
20 percent for this analysis. And from all this  
21 analysis, NIOSH says in its ER Revision 1 from  
22 September 2013 that the five most-exposed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 individuals were identified.

2 Then NIOSH analyzed the data using only  
3 pre-distilled samples used for fix. They assume  
4 that tritium was in the form of tritiated water and  
5 used the IMBA model for inorganic tritium. And took  
6 several intake dates based on organ information and  
7 examination to urine sample results using IMBA.

8 And then 75 individuals, NIOSH only  
9 took two individuals as having been exposed in this  
10 April 1973 accident, which is supposed to be the  
11 highest incident that occurred in Rocky Flats, and  
12 would be the bounding dose. So, the bounding dose  
13 would be --- was calculated using only two  
14 individuals, not the five, only two. And NIOSH  
15 claims that the methods that were used to  
16 reconstruct these upper bound doses were  
17 scientifically sound because they followed the  
18 current ICRP guidance.

19 Okay. So, we have two things here.  
20 First, the model that was used to calculate the dose  
21 and to fit the intake to the excretion, because we  
22 had excretion rate results for those two workers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 The excretion rate results were fitted to an intake  
2 to calculate the intake and the dose using IMBA.  
3 What happens is that the IMBA model for inorganic  
4 tritium is not the model that is recommended by the  
5 ICRP. And there is nothing at least that I saw or  
6 that justifies the modification of the ICRP model.  
7 No peer-reviewed papers, nothing. But, anyway,  
8 it's not the ICRP model.

9 What happens with the ICRP guidelines?  
10 The current ICRP model was described in ICRP 78 in  
11 1997 with a clarification that was published in  
12 ICRP 88 in 2002. The ICRP does not recommend the  
13 use of the current model when, for more than 100  
14 days after the intake, so it's not recommended to  
15 use for about 177 days, 178 days, around 180 days  
16 after the intake, as was used by NIOSH. That's one  
17 of the things.

18 The second thing is that the current  
19 ICRP model is --- there is --- it's based  
20 --- actually, what ICRP 78 recommends is not to use  
21 for more than 30 days, but if you --- you can really  
22 expand it to 50 to 60 days after the intake. After

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that, you kind of don't have the --- it's not very  
2 good, because the current ICRP model, it has like  
3 two compartments because there was a  
4 simplification, and 97 percent of the intake would  
5 have a half-life of 10 days, and then 3 percent a  
6 half-life of 40 days. But this is a simplification  
7 from ICRP 56 which had three explanations, and one  
8 of them was simplified and taken out. And because  
9 it was taken out, ICRP recommends that you  
10 calculate the body concentration divided by the  
11 water content of the body, and you have what is  
12 excreted in the urine.

13 Okay. Even if you use the ICRP at the  
14 177 days after exposure, this was done, for  
15 example, by Potter in a paper he published in Health  
16 Physics in 2004, in which he expanded to calculate  
17 activities at 170 days and then using that, he has  
18 --- you can look in the Health Physics paper that  
19 he has expanded the ICRP model, even if ICRP doesn't  
20 advise on doing that. But if, you know, ICRP was  
21 used, then the results are different from the ones  
22 that are -- that were used -- calculated using

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 IMBA.

2 In addition, the model that uses IMBA  
3 is also different from the model citation in  
4 OTIB-0011 from 2004. And, in addition, if you go  
5 to 100 days, the IMBA model will be different from  
6 the ICRP model, which is reproduced in the agency  
7 document from 1994. And, again, it's different,  
8 also, from the results that were published in NCRP  
9 161 from 2008.

10 The NCRP 161 2008 goes only until 100  
11 days, and the agency documents from 1994 also only  
12 goes to 100 days. But after 60 days, even the NCRP  
13 and the agency document are in conflict.

14 So, in summary, there is no model that  
15 is in the international agreement for calculating  
16 intakes from tritium for more than 50-60 days after  
17 the intake, so it's really a big problem on how to  
18 calculate this.

19 The ICRP is going to issue a model for  
20 a patient that was not published yet, that you can  
21 go beyond that. But I agree with NIOSH that even  
22 though it was published in the website of the ICRP

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 by public consult, is not an official document, and  
2 I really feel better not using it, although SC&A  
3 used it because it was published in the website for  
4 public consult.

5 I don't know when the report is going  
6 to be published. It was supposed to be published  
7 in 2014; now it's 2015, so I don't know. Anyway,  
8 it's only about .02 percent of the intake that's  
9 going to have a half-life of about one year.

10 Okay. So, this is a very big problem of  
11 the long-term biokinetic oxidation to calculate  
12 the bounding dose. So, besides this problem on not  
13 having an international model that everybody  
14 agrees on it, there is another problem. The  
15 bounding dose was calculated using data from only  
16 two workers that NIOSH considered were exposed in  
17 the April 1973 accident.

18 DR. NETON: Joyce, this is Jim. Could we  
19 stop there and maybe address that first, or talk  
20 about that first issue before we get into how the  
21 dose is modeled based on just two workers?

22 DR. LIPSZTEIN: Oh, yes, of course.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes. I think it would be good  
2 to stop there and talk about that. It's been a while  
3 since I looked at that. I know Liz is on the phone;  
4 hopefully, she can chime in here, but my  
5 understanding from looking at this a while back was  
6 that we actually did use the current model. And the  
7 model that was used in IMBA was a modification of  
8 IMBA to incorporate that new model. Is that not  
9 correct, Liz?

10 MS. BRACKETT: What we used is actually  
11 the ICRP 56 model. Tom's feeling was that ICRP 88  
12 was just a rough approximation to be able to use  
13 software, you know, to do an assessment when you  
14 have results closer to the intake date. But IMBA  
15 doesn't actually have a model for assessing urine,  
16 so we had to put our own in. And, as I said, it's  
17 the ICRP 56 model that we used.

18 DR. NETON: And that's a  
19 two-compartment model. Right?

20 MS. BRACKETT: Yes.

21 DR. NETON: So, it's got the long-term  
22 compartment, and that was the current ICRP model?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. BRACKETT: Yes, the 40-day  
2 compartment. Yes.

3 DR. NETON: Right, so it does have that  
4 40-day compartment.

5 DR. LIPSZTEIN: The 40-day compartment  
6 is in the 78 document, also, the 40-days  
7 compartment.

8 DR. NETON: Right.

9 DR. LIPSZTEIN: It's the 3 percent that  
10 has a 40-days compartment, because the inorganic  
11 tritium will transform into organic lead-bound  
12 tritium, and that will have the 40-days half-life.

13 DR. NETON: So, Joyce, I guess what  
14 we're saying is we used the current ICRP model with  
15 the 40-day half-life for ---

16 DR. LIPSZTEIN: No, no, no, no. The  
17 current ICRP model, for example, if you take the  
18 Potter paper, he calculates until 400 days using  
19 the current ICRP model. And the results are  
20 different from the one in IMBA. And if you use the  
21 OTIB-0011 also on patient, the results are  
22 different, also, from the one that was used in IMBA.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And if you compare it with the NCRP model 161 which  
2 was done, I think, after this model, if you go only  
3 until 100 days -- it only goes until 100 days, but  
4 it's different from the current ICRP model, and  
5 it's different from the IMBA model, and it's  
6 different from the agency model.

7 DR. NETON: Right.

8 DR. LIPSZTEIN: So, it's a whole mess  
9 this problem of --- after 50 to 60 days, the models  
10 don't agree anymore.

11 DR. NETON: Well, as you know, we are  
12 committed to using the current ICRP models in these  
13 calculations. There's no latitude.

14 DR. LIPSZTEIN: Yes. But the one in IMBA  
15 is not the current.

16 DR. NETON: So, what is the model that  
17 Potter used that you're saying is the current ICRP  
18 model?

19 DR. LIPSZTEIN: Yes, that's exactly. He  
20 extended it. Although ICRP says you shouldn't do  
21 it after 100 days, he extended it to 400 days.

22 DR. NETON: What model --- which ICRP

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was that: 78, 56?

2 DR. LIPSZTEIN: 78 was a clarification  
3 88. It's based on --- it was so confused. I'm saying  
4 this because I know from inside the ICRP, it was  
5 so confused that after 78 they issued a  
6 clarification in 88 because nobody knew exactly how  
7 to deal with it.

8 DR. NETON: So, what I'm hearing,  
9 though, is the 56 model and the 78 model are the  
10 same biokinetic model.

11 DR. LIPSZTEIN: Not exactly, because  
12 they decide this for the term. The 56 just says  
13 there was a third term on the equation but they are  
14 not going to use it because it's very rare that you  
15 do monitoring after 100 days, so they took out the  
16 third term. And the new model that is going to be  
17 introduced by the ICRP puts again the third  
18 component.

19 DR. NETON: No, but what did the 78 model  
20 have in it, not the third term?

21 DR. LIPSZTEIN: Two compartments.

22 DR. NETON: Right, which is ---

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: The 40 days and  
2 the ---

3 DR. NETON: Which is the same as the 56  
4 model.

5 DR. LIPSZTEIN: Yes.

6 DR. NETON: Okay. So ---

7 DR. LIPSZTEIN: It's based on ---

8 DR. NETON: --- we are using the ICRP 56  
9 model which is the same as the 78 model.

10 DR. LIPSZTEIN: No, no, it gives  
11 completely different results.

12 DR. NETON: Well, I don't understand  
13 what you're saying.

14 DR. LIPSZTEIN: You have the IMBA model,  
15 you have the ORAU-0011 which is almost exactly the  
16 same as the ICRP. You have the agency model which  
17 is exactly the same as the ICRP, and you have the  
18 Potter, which is exactly the same. But if you use  
19 the Potter ----the Potter model is the only one that  
20 goes until 200 days. Okay? If you use the --- if  
21 you look at the tables that were published by Potter  
22 in Health Physics and you look at the results you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have from IMBA, they are different. And it's  
2 significantly different.

3 DR. NETON: Which IMBA ---

4 MS. BRACKETT: IMBA does not have a  
5 model for tritium ---

6 DR. NETON: Right.

7 MS. BRACKETT: --- urine excretion.

8 DR. NETON: Right. So, I don't know  
9 which IMBA you're talking about, Joyce.

10 MS. BRACKETT: Right.

11 DR. LIPSZTEIN: That's the one that was  
12 used because here it says to use IMBA to fit the  
13 dose, so I calculated how much was going to be the  
14 excretion rate if I use the intake that was  
15 calculated by NIOSH, and the excretion rate is  
16 completely different from the one that was --- that  
17 the worker had.

18 DR. NETON: All right. I'm still  
19 confused, I guess, because ---

20 DR. LIPSZTEIN: Because it's confused,  
21 Jim. What happens is that --- I don't know what is  
22 done in IMBA, because I don't use really IMBA. What

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I know is that if you use the Potter data, which  
2 is exactly the ICRP and you use --- you get a  
3 different result from the one that was obtained  
4 here.

5 CHAIRMAN KOTELCHUCK: For some of us who  
6 are less well acquainted with this modeling, are  
7 you talking --- let's talk about, are we talking  
8 on page 16, there is a three-component exponential  
9 function? Is that the correct equation that we  
10 should be looking at?

11 DR. LIPSZTEIN: Let me follow. There  
12 should be three exponential terms, but what ICRP  
13 did in the current model, it simplified and took  
14 out the third component.

15 CHAIRMAN KOTELCHUCK: Okay.

16 MEMBER MUNN: Are you talking about page  
17 16?

18 CHAIRMAN KOTELCHUCK: Page 16, yes.

19 DR. NETON: I guess ---

20 CHAIRMAN KOTELCHUCK: Yes, page 16 of  
21 SC&A's report.

22 MEMBER MUNN: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: So, what I don't understand  
2 is if we use the ICRP 56 model ---

3 DR. LIPSZTEIN: No, Jim, you didn't.

4 DR. NETON: --- in IMBA ---

5 DR. LIPSZTEIN: I don't know what was  
6 done, but it doesn't ---

7 DR. NETON: Well, I could tell you,  
8 Joyce ---

9 DR. LIPSZTEIN: --- match.

10 DR. NETON: I don't know what you  
11 compared. That's the problem. You ran ---

12 DR. LIPSZTEIN: Oh, okay. I had the  
13 Worker D, Worker H. He had --- was calculated by  
14 his excretion rate that he had an intake of 1,240  
15 microcuries. Okay?

16 DR. NETON: Right.

17 DR. LIPSZTEIN: So --- and I have to  
18 find it, just one second. The numbers, just one  
19 second, let me find the numbers. You'll see. Just  
20 one second. Okay?

21 DR. NETON: Okay.

22 MS. BRACKETT: While she's looking, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would just mention that our doses are almost  
2 identical if we use the same intake date. The  
3 primary difference in the doses that we got were  
4 because of the choice of different intake dates.

5 DR. LIPSZTEIN: No, no, no. Only the  
6 ones that were very close to the intake. Like, for  
7 example, the Worker H is calculated using this  
8 --- as if the intake date was in September, and it  
9 was monitored in September, then we get the same  
10 results, but not if you do it for a long time after  
11 intake. After 50 to 60 days of intake, everything  
12 goes different. Even the NCRP model goes different.  
13 I want to find the numbers. I have it, but I have  
14 so many things open in my computer that I have to  
15 ---

16 CHAIRMAN KOTELCHUCK: We can wait. We  
17 have the time.

18 MEMBER MUNN: Don't feel pressured,  
19 Joyce.

20 CHAIRMAN KOTELCHUCK: Don't ---

21 MEMBER MUNN: No.

22 CHAIRMAN KOTELCHUCK: Also, we will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 come back after lunch.

2 DR. LIPSZTEIN: Okay, and then I'll have  
3 that, if you want.

4 CHAIRMAN KOTELCHUCK: It might be good  
5 to break.

6 DR. NETON: Let Joyce find it.

7 MEMBER MUNN: Yes, that would be a good  
8 idea, gives you an opportunity to find it.

9 CHAIRMAN KOTELCHUCK: Right, without  
10 our waiting on you and feeling under pressure. It's  
11 12:00 anyway, so it works well. So, why don't we  
12 take a break right now. It's a few minutes after  
13 12, we'll get back together at 1:00. You'll have  
14 a chance to look through the data calmly without  
15 our --- people looking over your shoulder.

16 DR. LIPSZTEIN: Okay. It's from our last  
17 report, but I just have so many reports in front  
18 of me.

19 CHAIRMAN KOTELCHUCK: Oh, absolutely.  
20 No problem. It works out, this works very well  
21 administratively that we break for lunch, and at  
22 1:00 we come back. We'll continue that. And also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for the petitioners who are on the line, it looks  
2 like we'll --- you know, we may finish earlier in  
3 the afternoon, but you're on the line, so whenever  
4 we finish and we get to that as the final item, we  
5 will ask for your report, or for your further  
6 report. Okay?

7 DR. LIPSZTEIN: But just before you  
8 finish, Jim, think about it, and Liz, and  
9 everybody. Even, you know, if I say they don't match  
10 the results with the Potter data which uses the  
11 current ICRP model, the ICRP model says  
12 specifically it should not be used after 100 days,  
13 so it doesn't matter. I'm going to find this data  
14 to show that it's not the same model. But, anyway,  
15 it doesn't matter so much, because the ICRP says  
16 you should not use this model for over 100 days.  
17 Just that, okay?

18 DR. NETON: Okay.

19 CHAIRMAN KOTELCHUCK: With that ---

20 DR. LIPSZTEIN: See you after lunch.

21 CHAIRMAN KOTELCHUCK: See you after  
22 lunch. Okay, we'll get together at 1:00. Okay, very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 good.

2 DR. LIPSZTEIN: Bye-bye.

3 MR. KATZ: Take care. Have a nice lunch,  
4 everybody.

5 (Whereupon, the above-entitled matter  
6 went off the record at 12:05 p.m. and resumed at  
7 1:07 p.m.)

8 CHAIRMAN KOTELCHUCK: Let's resume the  
9 discussion that we were having before. Joyce, do  
10 you want to start out?

11 DR. LIPSZTEIN: Yes, I found the data.  
12 It's on page 17 of our response to NIOSH White  
13 Paper from September 2014. It's the second  
14 paragraph, the one that is in regular characters.  
15 And it says like if I use the ICRP model and the  
16 one that exactly matches the numbers from Potter,  
17 and the one that matches the number from the agency  
18 documents until 100 days, I get that the calculated  
19 intake of 1,240 microcuries.

20 This was the calculated intake from  
21 NIOSH corresponding to excretion rates of 26,320  
22 picocuries for one of the results, and the other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 result was 33,040 picocuries on days 177 and 178,  
2 respectively.

3 If you use the ICRP model as it is now,  
4 wrongly as it is now, you get 15,000 picocuries at  
5 177 days, instead of 26,320 picocuries, and you get  
6 14,756 picocuries at the 178 days, instead of  
7 33,040 picocuries. So, you have one-half of the  
8 results from NIOSH.

9 So, the model is not the one in ICRP,  
10 but I think that, you know, this discussion, as I  
11 told you before, I think it's innocuous, because  
12 any model that we would apply at the 177 days after  
13 the intake, and 178 days after intake, they are not  
14 recommended by ICRP.

15 And even if we --- if the new model was  
16 already published, the fraction that has a longer  
17 half-life of one year, this is the new model, is  
18 so small that the uncertainty is very high when you  
19 get to almost 180 days after the intake. So  
20 treatment should not be monitored for such a long  
21 time after the intake. You can't get a good --- you  
22 can't calculate a realistic intake by using data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that is so long after the intake. And all the  
2 recommendations from the agency, from NCRP, from  
3 the ICRPs do not use tritium models after 100 days.

4 So, this is one of the big points, I  
5 think, on the model that you --- I was supposed to  
6 stop on the difference on the models. Right?

7 DR. NETON: Right. I guess now I'm  
8 trying to figure out what you're really saying  
9 then. We can't do any kind of calculations or not?  
10 I mean ---

11 DR. LIPSZTEIN: I think you can't do.

12 DR. NETON: All right. Now, that's new.

13 DR. LIPSZTEIN: You know, until the 56  
14 that even 100 days is --- the problem between 60  
15 and 100 days is that the NCRP model doesn't match  
16 the ICRP model. But after 100 days, if you have  
17 excretion after 100 days, it's very difficult to  
18 go back to the intake because the models are not  
19 meant to --- the half-life is too small to really  
20 get a meaningful result at 180 days after exposure.

21 DR. NETON: I don't know. I mean, three  
22 half --- 40-day half-life and you go ---

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: Yes, but it's only 3  
2 percent, and it's different into OBT.

3 DR. NETON: I understand. Well, you know  
4 ---

5 DR. LIPSZTEIN: So, anyway, it doesn't  
6 match. It doesn't match. As I --- you can see on  
7 that page, the IMBA model doesn't match.

8 DR. NETON: Oh, yes. We ---

9 DR. LIPSZTEIN: And the other problem is  
10 that you have, for example, one worker that was  
11 Worker A. Worker A, he supposedly had --- he was  
12 exposed in the April accident, and then he was  
13 exposed again in September.

14 What happens with an exposure in April,  
15 one exposure in September, and you get monitoring  
16 data in September? What happens is that the  
17 September exposure will dominate the excretion  
18 rate of the monitoring taken in September. Right?

19 DR. NETON: Yes. No, I understand that,  
20 but I thought we looked at that, and the guy really  
21 wasn't in the position to have that exposure in  
22 April.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: I didn't see why,  
2 because Worker --- you had a work --- he was  
3 exposed together with --- he was working with  
4 Worker D, and he was working with Worker P, and the  
5 three of them were exposed on the April accident.  
6 So, to say that his excretion rate doesn't agree  
7 with the other ones, of course he wouldn't because  
8 he had also the September exposure rate.

9 And I calculated, for example, what  
10 would happen if --- I used the new model, the one  
11 that has a component with one-year half-life, also.  
12 And if you --- you can do a combination of exposure  
13 in April and exposure in September, and the data  
14 will fit very well, you know, the urine excretion  
15 rate. But the difference in dose is more than 100  
16 times, so --- and this is, of course, because you  
17 have such a domination from the long --- from the  
18 recent exposure that any model that you use, the  
19 recent exposure will dominate. And you'll never  
20 know how much he was exposed.

21 DR. NETON: Right.

22 DR. LIPSZTEIN: And then if you let me

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 just continue to --- not only about the model, but  
2 then you have --- then the model was used on Workers  
3 D and H. Can I proceed with this?

4 CHAIRMAN KOTELCHUCK: Yes, proceed.

5 DR. LIPSZTEIN: Okay. And the Case D has  
6 a lot of uncertainty, high uncertainty on those  
7 results. And this, you know, was recognized by  
8 NIOSH. It says, "Case D submitted samples on only  
9 three days, although there are two results on two  
10 of those days. In one instance, one of the samples  
11 was distilled. On the other day, there is a note  
12 stating repeated with sample generation. On the  
13 later date the results differ by a factor of almost  
14 2."

15 And then the Case H, which the bounding  
16 dose was calculated based on this result of Case  
17 H, if you look at it, it was based on only two  
18 results. This person has two non-distilled  
19 results, and has four distilled results, but the  
20 uncertainty is so large that both the distilled and  
21 the non-distilled dose, the urine excretion rates  
22 increase instead of decreasing when you have, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know, different dates that the samples were taken.

2 So, for example, the non-distilled  
3 samples that were used, they were taken on day 177  
4 -- days after the intake, and 178 days after intake,  
5 and the results from one --- the excretion results  
6 from 177 days is smaller than the excretion rate  
7 for 178. And if you take the distilled samples that  
8 were not used, you have samples at 180 days, 185  
9 days, 170 days, and 188 days. And all those samples,  
10 they increase with time, instead of decreasing.

11 So, the uncertainty is very high on  
12 those results, so you can't --- so, you are  
13 calculating a bounding intake and dose from a  
14 worker that has a high uncertainty on the bioassay  
15 results, and we are not certain about the  
16 application of the tritium model.

17 DR. NETON: Okay.

18 DR. LIPSZTEIN: So, I --- in SC&A  
19 opinion, I think you can't --- there are great  
20 uncertainties on this calculation of the bounding  
21 dose aggravated by the lack of a correction  
22 international accepted model for tritium, so we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think that you cannot calculate a bounding dose  
2 based on this worker.

3 DR. NETON: So, do you suggest then we  
4 don't assign any dose to the non-presumptive  
5 cancers during the SEC period?

6 DR. LIPSZTEIN: Yes.

7 DR. NETON: That's the ultimate  
8 conclusion that you would arrive at.

9 DR. LIPSZTEIN: Yes, yes, yes, yes, I  
10 think so.

11 DR. MAURO: Joyce, this is John Mauro.  
12 I was reading over the weekend a lot of the  
13 literature standing behind what we're talking, and  
14 I seem to recall your picking one particular case.  
15 It might have been that Case A, I'm not sure, where  
16 you said well, if you really wanted to try to assign  
17 a bounding dose from the intake that occurred on  
18 the 18th of April --- in April of 1973 based on data  
19 that you've collected sometime in September, and  
20 you use the three-component model, you came up with  
21 a dose, if I recall, of something on the order of  
22 6,000 millirems.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: Yes. That's using ----

2 DR. MAURO: --- as opposed to their 84.

3 And you seem to be ---

4 DR. LIPSZTEIN: Yes.

5 DR. MAURO: -- your sense was that it's  
6 not the greatest, but if you're going to put an  
7 upper bound, that might be a good one. So, I'm  
8 hearing two different things right now.

9 DR. LIPSZTEIN: Yes. John, you are  
10 correct, because it was a little bit confusing;  
11 because I was applying the new model that is going  
12 to be used, but I think NIOSH is correct in this  
13 way. The ICRP didn't publish it officially, so I  
14 don't feel --- you know, and I am on the committee.  
15 I should not apply it before it is officially  
16 published.

17 I only did it because it was published  
18 in the website for public comment, so it was nothing  
19 that was confidential. It was open for the public,  
20 and it's still open for the public. It's just going  
21 into the website.

22 DR. NETON: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LIPSZTEIN: It's unrealistically  
2 high, also, 6,000 rem. So, I think the best thing  
3 is to say it's not possible to calculate the  
4 bounding dose. There are too much uncertainties on  
5 this.

6 DR. MAURO: I'd make one more point  
7 certainly for the consideration by the Board. We  
8 have been in circumstances before, I think this is  
9 written up in our reports, where we were confronted  
10 with a difficult situation like high-fired  
11 plutonium, where there were really no approved  
12 models at the time from ICRP to deal with that. And  
13 somehow we tried to come to grips with it, and we  
14 actually ended up doing that. And by matter of  
15 --- this goes more to a policy decision.

16 If there is an interim model, such as  
17 the one that Joyce just described, that is under  
18 consideration, I don't know how --- you know, where  
19 it lies in the process, but if that --- you know,  
20 are we in a hard and fast position where well, if  
21 it's not published by ICRP, we really are not in  
22 a position to use it, or is there some degree of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 flexibility here in trying your best to assign a  
2 plausible upper bound?

3 I understand what you're saying, Jim.  
4 If you can't do it, then you won't assign anything.

5 DR. NETON: Yes.

6 DR. MAURO: So really, it becomes a  
7 question of well, do we assign nothing, or do we  
8 try to assign a number but, of course, it has to  
9 be a plausible upper bound.

10 DR. NETON: Yes.

11 DR. MAURO: And therein lies the  
12 dilemma.

13 DR. NETON: Let's look at what we're  
14 trying to accomplish here, though. They took  
15 samples on 250 people. These five cases were the  
16 ones that were the highest values that they could  
17 find. Right? And what we're trying to do is not to  
18 reconstruct these guys -- well, we could  
19 reconstruct these guys' doses and argue about what  
20 their doses are, but what is a valid dose to assign  
21 to everybody else?

22 DR. MAURO: Yes, yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Knowing that everybody  
2 else was well below these guys, including the 245  
3 other people that were sampled that had the highest  
4 potential. We're talking about assigning this 84  
5 millirem to everybody regardless of where they  
6 were.

7 DR. MAURO: Yes.

8 DR. NETON: So, I think that you do have  
9 to allow for some degree of uncertainty in this  
10 calculation.

11 DR. LIPSZTEIN: But then you have, as  
12 you consider the Worker A, which was doubly exposed  
13 in April, also. And if you want, all the --- you  
14 know, this 365 days, there are some papers that  
15 confirm this 365 days. And, actually, the HBA in  
16 the U.K. has adopted the 365 days. And there are  
17 many --- many, no, but there are some papers  
18 talking about this 365 component.

19 CHAIRMAN KOTELCHUCK: I don't know  
20 where the 365 comes in. Excuse me.

21 DR. LIPSZTEIN: Okay, I'm sorry. The new  
22 model from the ICRP, the one that it's going to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 adopted, talks about the 10 days half-time, the  
2 --- half-life, the 40 days half-life, and he puts  
3 another component of OBT also, that has a longer  
4 half-time of 365 days. And so, we see it, you really  
5 could see what was the --- you know, related to the  
6 intake with excretion rate at around --- at about  
7 180 days.

8 CHAIRMAN KOTELCHUCK: All right.

9 DR. LIPSZTEIN: So the difference --

10 CHAIRMAN KOTELCHUCK: Go ahead.

11 DR. LIPSZTEIN: I'm sorry?

12 CHAIRMAN KOTELCHUCK: Go ahead, Joyce.

13 DR. LIPSZTEIN: No, just the difference  
14 between the --- applying 365 days and applying 40  
15 days for OBT, because new model has two  
16 compartments. It's very large, so it will increase  
17 the dose. And you really can do, I think, not --- I  
18 think that probably this Worker A, he was exposed  
19 in both accidents, not only --- of course, the  
20 bounding dose was supposed --- as only exposed in  
21 April, but he probably had an exposure in  
22 September, also.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: You know, I'd like to talk  
2 about that a little bit, Joyce. I think Liz, maybe,  
3 has been looking at that.

4 MS. BRACKETT: Yes.

5 DR. NETON: Can you comment on that  
6 issue, the Worker A, and why we don't believe he  
7 might have been exposed in September?

8 MS. BRACKETT: I will have to --- I have  
9 to apologize, my computer died. It was dead all day  
10 yesterday, and I just got it back this morning, so  
11 I didn't have time to review this, and I ---

12 DR. NETON: I know we looked into that,  
13 and we have some reasons why we don't necessarily  
14 agree with that.

15 DR. LIPSZTEIN: Jim, what I read from  
16 the papers that you published, is that he didn't  
17 have an excretion rate that matched excretion rates  
18 from Worker D and Worker P, who were working with  
19 him in April. But the problem is that if he had an  
20 exposure in September also, of course, it wouldn't  
21 match. And even if they --- if he didn't have, not  
22 necessarily at 180 days after he would have the same

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 excretion rate as Worker D and P. And P didn't have  
2 anything, so even though D was with P, and D was  
3 considered having it. And Worker D is this one that  
4 has two urine samples on the same day, one double  
5 of the other result, so the uncertainty is very big.

6 I think the uncertainty in all this is  
7 very big. That's my feeling, what I think.

8 DR. NETON: Well, I think what I'm  
9 hearing now is that SC&A has changed their opinion,  
10 that we can't reconstruct doses in this time  
11 period. And I guess I'd like to see that in writing  
12 so we can consider it.

13 I mean, I understand what you just said,  
14 but if that's your official position, I'd like to  
15 see that documented somehow so that we can have it  
16 documented and look at it, and we'll consider it.  
17 Although, I'll have to be honest, I'm uncomfortable  
18 saying we can do zero for these people for tritium  
19 exposures.

20 DR. LIPSZTEIN: Jim, actually, our  
21 position, official position that we put in the  
22 paper is that either you consider the 6,000 which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is a huge number, which would be all --- a really  
2 bounding exposure in April, or you can't do it.

3 DR. MAURO: Can I try something out? You  
4 know, I understand the dilemma, Jim, and I really  
5 understand the dilemma, the 84 versus 6,000, the  
6 fact that we only have five workers with measurable  
7 levels.

8 DR. NETON: Right.

9 DR. LIPSZTEIN: And only three that  
10 could be exposed in April. The other two were ruled  
11 out. They were not exposed in April.

12 DR. MAURO: Well, let me --- that's  
13 where I'm headed with this question, one of these  
14 things. Let's assume that 500 people were --- I'm  
15 going to make up a number. Okay? This is more of  
16 a thought problem that may help us solve this thing.

17 Let's say you've got a large number of  
18 people that were exposed in the April incident, and  
19 you don't --- and you start collecting data  
20 sometime in September. And just for the sake of a  
21 thought problem, let's assume everyone that you  
22 measured was below the limits of detection, okay,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for tritium. And then you're going to say well, we  
2 know that there were at least some people that  
3 actually experienced exposures to tritium in  
4 April.

5 Perhaps we don't have any large  
6 exposures, but because we're collecting samples so  
7 far out into the future, 180 days later, that it's  
8 going to --- you know, we wouldn't expect to see  
9 anything, even if there were relatively large  
10 intakes because of the clearance and the retention  
11 functions.

12 So, one could say --- I mean, almost  
13 thinking about this lower limit of detection  
14 question so, in effect, what you're really saying  
15 is let's forget about these five people for a  
16 minute. Let's talk about all the others that might  
17 have had some exposure, but you didn't see  
18 anything.

19 Couldn't one ask the question, well,  
20 let's assume those other people, or at least some  
21 of those other people were at one-half the MDA for  
22 tritium, and you're reporting zero, or you're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reporting undetectable, but in theory they could  
2 have had some intake.

3 I mean, the question is well, what  
4 intake would they have had to have for them to have  
5 experienced a reading in the urine that's below the  
6 detection limit.

7 Now, we don't know who those people are.  
8 It could be a large number. And we don't know who  
9 those people might be, but some of them may very  
10 well have had a fairly large intake and be  
11 undetectable at 100 ---

12 DR. LIPSZTEIN: Yes.

13 DR. MAURO: I'm almost done. Now, the  
14 dilemma you have is, if you were to take that tact,  
15 then the question becomes do you use the  
16 two-compartment model that's approved by ICRP  
17 right now, or the three-compartment model to back  
18 calculate? You know, what would the intake have to  
19 have been to get one-half the MDA 180 days later?  
20 Isn't that one way you could come at this problem?

21 DR. LIPSZTEIN: I think it's a very good  
22 question, John, but I think there is no currently

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 accepted international model that goes back 180  
2 days. That's a problem, unless you use the new ICRP  
3 model which was not published yet.

4 CHAIRMAN KOTELCHUCK: Joyce, I'm sorry.

5 DR. LIPSZTEIN: Yes?

6 CHAIRMAN KOTELCHUCK: No, no, I  
7 interrupted you. Pardon me. But I have a concern  
8 that comes from a different place, just in terms  
9 of what SC&A is proposing.

10 I feel when you said that you were using  
11 a model that was on a website by another  
12 organization, professional organization that's  
13 contemplating something that is not --- not only  
14 I feel like we can't use it, we're acting on behalf  
15 of the U.S. Government.

16 The U.S. Government --- this is a  
17 confidential source. I mean, confidential in the  
18 sense that they're asking for information from  
19 around the world. There may be somebody in  
20 Australia, or Brazil, or excuse me, Australia or  
21 Austria who will come in and say the whole thing  
22 is wrong. I want to change it this way.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           That model is not usable and is,  
2 essentially, in my opinion, confidential in terms  
3 of it is held by that organization. It is theirs,  
4 and when they announce it, fine. So, I don't think  
5 that we can as a government agency use the 6,000  
6 alternative that you propose. That, to me, is off  
7 the table. We need to resolve the question.

8           DR. LIPSZTEIN: I agree with you 100  
9 percent. I don't feel well to use it, also. I think  
10 that we don't have any approved model that will go  
11 beyond 100 days.

12           DR. NETON: I think, though, Joyce, that  
13 we are committed to using the best available  
14 science, and I stress the word "available." The  
15 best available science is the current model, and  
16 there are many things, as you pointed out, that Gus  
17 Potter published in a peer-reviewed journal, an  
18 extension of that model out past 100 days.

19           DR. LIPSZTEIN: Yes, but he is using it,  
20 you know, outside the scope of ICRP. He says I'm  
21 using ICRP model, but ICRP says you don't use it  
22 over 100 days.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. NETON: Again ---

2 DR. LIPSZTEIN: NCRP 161 also goes only  
3 to 100 days, and has a different model. And it's  
4 from the, you know, United States, NCRP.

5 DR. NETON: I would prefer to use the  
6 best available science that the ICRP model has,  
7 recognizing the peer-reviewed literature has  
8 extended it beyond that, and assign some type of  
9 dose to these workers for tritium rather than say  
10 nothing, no dose.

11 DR. LIPSZTEIN: Yes. But, you know,  
12 Potter is the only one who goes beyond 100 days,  
13 and he says he's using ICRP model. And the ICRP  
14 recommends not to use it over 100 days.

15 DR. NETON: Then why would it be  
16 published in peer-reviewed literature if it wasn't  
17 --- had some validity?

18 DR. LIPSZTEIN: Yes, but you read it,  
19 you'll see he's using it beyond ICRP  
20 recommendations. And the NCRP also says --- also  
21 has that, until 100 days. The agency, the  
22 International Atomic Energy Agency only goes also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to 100 days.

2 MR. FITZGERALD: Joyce --

3 DR. LIPSZTEIN: You know, using beyond  
4 this is --- we have a mandate to use ICRP models,  
5 but ICRP doesn't recommend to use --- there is no  
6 ICRP recommended model over 100 days. And if Potter  
7 used, he used it wrongly.

8 Anyway, it's not the one that using in  
9 --- was used by NIOSH. You can modify it, but I  
10 think it's going to be still wrong, because it  
11 shouldn't be used over 100 days. And we still have  
12 the problem of Worker A, that you can do a combined  
13 intake of in April and September and get results,  
14 because he has better data than Worker D and Worker  
15 H.

16 And Worker H, you know, just getting a  
17 bounding dose with Worker H that has two points,  
18 and they go up instead of going down.

19 MR. FITZGERALD: Joyce ---

20 DR. LIPSZTEIN: You know, it's a lot of  
21 uncertainty in those two data.

22 CHAIRMAN KOTELCHUCK: Joyce, Joe is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 trying to get something in. If you would excuse us,  
2 not excuse us, if you will wait for one second.

3 MR. FITZGERALD: Yes. Thank you, Joyce.  
4 Sorry to cut you a little short.

5 What Jim, I think, is clarifying is that  
6 we're sort of in this non-ICRP space, meaning that,  
7 you know, the new ICRP three-compartment model  
8 isn't available. And given the fact that by policy  
9 we're held to what is available, he's offering that  
10 as with the high-fired plutonium issue that we  
11 worked on quite a while ago, that was resolved, in  
12 a sense, by a technical or scientific approach; not  
13 a model, per se, even though there were rumors that  
14 ICRP was working on such a model.

15 But, certainly, using a very pragmatic approach  
16 based on, as I recall, transuranium data?

17 But, you know, basically using  
18 empirical data and using what we had in the way of  
19 available methodology to come up with the best  
20 science to provide a fit, an imperfect fit, but one  
21 that was the best available.

22 I think --- my sense is that's where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we're at, that in the absence of this ICRP --- this  
2 new model, we're --- I think everybody wants the  
3 best, pragmatic, and empirical-driven fit that's  
4 going to provide some satisfaction on the post-100  
5 days issue. And that's kind of what we're asking  
6 for, is some consideration in that direction. And  
7 I think there are some differences of opinion  
8 whether we've achieved that in the best way  
9 possible.

10 That's a different issue than saying go  
11 or no-go. That's sort of saying is it the best fit  
12 and best approach available by science given those  
13 circumstances? And I think from our vantage point,  
14 that's what we want, too. Acknowledging that we  
15 just can't have that three-compartment model, it's  
16 going to have to be something that is founded on  
17 what we do have.

18 Do you agree with that? I think that's  
19 where we're at.

20 DR. LIPSZTEIN: Yes, but imagine we  
21 agree on a model, or there is a model that is done,  
22 so to which data are we going to apply this model?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We have only --- NIOSH only considered two workers  
2 from the five that were exposed in April. And those  
3 two workers have a lot of uncertainty on the data.  
4 The one that the dose was calculated, bounding dose  
5 was calculated only has two points, and the  
6 excretion rate goes instead of decreasing like you  
7 expect, it increases. And the other has also a lot  
8 of uncertainty, so we don't have really results on  
9 which to base, you know --- on which to apply any  
10 model.

11 CHAIRMAN KOTELCHUCK: But, Joyce, we  
12 have an imperative as a Board to decide issues on  
13 behalf of claimants. There are people out there who  
14 are ill, or possibly passed away, and they and their  
15 families need to know what our decisions are as  
16 promptly as we reasonably can so that it's not  
17 --- there is an imperative to make decisions, to  
18 make the best ones we can with understanding that  
19 we have to be pragmatic so that we can do something.  
20 We can't just say let's wait for ---

21 DR. LIPSZTEIN: Oh, no, no. What I'm  
22 suggesting is that even if we had the newest model

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 published, the data that we have to apply the model  
2 is so uncertain that it wouldn't be correct,  
3 anyway.

4 CHAIRMAN KOTELCHUCK: Okay.

5 DR. LIPSZTEIN: So, I think that the  
6 best thing is not --- you know, is to say we can't  
7 calculate the bounding dose.

8 DR. NETON: Joyce, this is Jim. I'm  
9 going to offer this up. We're going to go back, and  
10 I thought we had looked at these arguments that you  
11 made about why these certain people didn't --- you  
12 know, the guy could have had a previous exposure.  
13 And I thought we addressed that issue.

14 Apparently, we're not ready to talk  
15 about it today, but we'll go back and relook at  
16 that, because I'm pretty certain when I looked at  
17 the data that there were valid reasons why the  
18 person probably wasn't exposed way back in April.  
19 So, we need to go back and look at that, and put  
20 that right in front of you so we can discuss it from  
21 our position.

22 And, also, I want to go back and justify

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 --- not justify, but discuss why we believe we're  
2 going to use the current model extended beyond 100  
3 days. I think there's a valid reason for doing that.  
4 I don't think there's anything that prohibits us  
5 from doing that.

6 DR. LIPSZTEIN: And, Jim, please look at  
7 the data from the two workers that were considered.  
8 They are very uncertain. The excretion rate goes  
9 up instead of going down in Worker H.

10 DR. NETON: Yes. Well, you know how  
11 bioassay models go, Joyce. I can show you a lot of  
12 models where ---

13 DR. LIPSZTEIN: Yes, yes, but you'll see  
14 --- you know, it's working on a bounding dose on  
15 only two points from a worker leaves a lot of  
16 uncertainty.

17 DR. NETON: Two points out of 250  
18 workers ---

19 DR. LIPSZTEIN: Look at it. You're going  
20 to look at everything, look at it, though.

21 DR. NETON: I understand, but it's two  
22 points out of 250 workers that were sampled.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Jim ---

2 CHAIRMAN KOTELCHUCK: Ted.

3 MR. KATZ: I just want to say when you  
4 go back and think about this to keep in mind from  
5 a policy perspective you do have a feasibility  
6 issue. And you can't apply a new standard to  
7 feasibility because these are non-presumptives  
8 than you in other circumstances. There's not really  
9 much leeway for that, so if truly at the end of the  
10 day you decide this wouldn't hold water, and you  
11 would normally be establishing a Class on this  
12 basis, you can't flip around and then use these  
13 methods to reconstruct doses for other workers.

14 DR. NETON: I understand what you're  
15 saying.

16 MR. KATZ: Because then you're  
17 contradicting your own policy.

18 DR. NETON: We've also had a sort of  
19 --- I don't know if it's a written policy, but the  
20 policy has been where the doses are very small and  
21 we're adding them, we allow for a lot more  
22 uncertainty in the dose.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: More latitude, right.

2 DR. NETON: And we're talking about 80  
3 millirem here, it's not a huge dose.

4 MR. KATZ: Right. No, all I'm saying is  
5 --- I'm not making a judgment about the fact ---

6 DR. NETON: I understand.

7 MR. KATZ: I'm just saying if the  
8 science and the factual information, the base is  
9 really shoddy, then you need to think about it.

10 DR. NETON: I agree with you. I agree.

11 CHAIRMAN KOTELCHUCK: Wanda.

12 MEMBER MUNN: I hope that this is  
13 partially instructive, that we again look at why  
14 we're doing what we're doing.

15 I believe we've shown by our experience  
16 that the primary thing our claimants are most  
17 concerned about is whether they were injured while  
18 they were employed by the federal government.

19 There may be new information about the  
20 biological effects of tritium of which I'm not  
21 aware, because I don't work in that particular  
22 field, but unless I'm seriously mistaken, there is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 no evidence that doses of the magnitude we're  
2 talking about of soft beta exposure is deleterious  
3 to human health.

4 I can understand their attitude if we  
5 --- and we need to add that to potential exposures,  
6 but absent the fact that our claimants can't be  
7 expected to understand what I'm saying here fully,  
8 it seems reasonable that based on the best science  
9 available to us we can establish at least a limit  
10 that makes sense with respect to which no person  
11 can assume to have been exposed in this case at  
12 Rocky Flats.

13 Once we establish what that is, then  
14 surely the question of whether or not that is  
15 completely accurate is a secondary one. The  
16 question is not whether it's completely accurate,  
17 it's whether it's adequate, and whether it is  
18 reasonably accurate. So, if we're going to agree  
19 that 6 rem is an unreasonably high number, then I  
20 don't think that we can truly argue that less than  
21 1 rem is too small a number. It is, obviously, in  
22 the reasonable range.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   It appears that the work we have to do  
2 is come to some conclusion as to what is reasonably  
3 acceptable given the best science available to us.  
4 If I'm incorrect, then we should go on a different  
5 tact, but it seems to me that that narrows down what  
6 we need to do.

7                   CHAIRMAN           KOTELCHUCK: Presumably  
8 ---thank you. Presumably, then you folks can have  
9 technical calls in the committee as you try to  
10 resolve this.

11                   MR. KATZ: The technical calls aren't to  
12 resolve, but just to clarify matters. The  
13 resolutions always have to have to happen in the  
14 ----

15                   CHAIRMAN   KOTELCHUCK: That's right.  
16 Right, and just as I was going to say.

17                   MR. KATZ: Sorry.

18                   CHAIRMAN KOTELCHUCK: Then it will come  
19 back to us and/or to the Board --- actually, to us  
20 first, and then on to the Board. So, we'll leave  
21 it in your hands to be talking together.

22                   DR. NETON: Yes. I will say that this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 truly a Site Profile issue, because it's not  
2 related to does this SEC after '83 move forward.

3 CHAIRMAN KOTELCHUCK: Right.

4 DR. NETON: We're talking about 1975  
5 time frame here.

6 CHAIRMAN KOTELCHUCK: Right.

7 DR. NETON: So, again, this has nothing  
8 to do with --- well, it may have, but it's not  
9 really relevant for the Board to make --- the  
10 Working Group to make a decision whether or not an  
11 SEC should be extended after 1983.

12 MR. KATZ: Just how to do dose  
13 reconstructions.

14 DR. NETON: Just how to do the dose  
15 reconstructions for a Class that's already been  
16 added.

17 MR. FITZGERALD: I think a  
18 clarification is, you know --- assuming that when  
19 you're ready would be one --- a two-part issue.  
20 One, how --- what's the best approach to doing a  
21 dose reconstruction? What dose reconstruction  
22 approach would be warranted based on the best

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 available information? And the second thing is how  
2 would you apply that to the worker bioassay data  
3 that we have? And, clearly, there's a question of  
4 implementation, as well as a question of what  
5 approach you apply. So, those two things, I think,  
6 would be laid out.

7 Now, to avoid going beyond  
8 clarification, I think it would be useful just to  
9 get that in writing back from NIOSH to the Work  
10 Group. And if we have a clarifying question about  
11 that, then we can certainly have that call.

12 I don't know if there's a --- you know,  
13 I mean, it seems like some of the issues that we're  
14 talking about are beyond clarification, more of a  
15 discussion about what --- so, that may be something  
16 that the Work Group on a telephone call ought to  
17 address rather than ---

18 DR. NETON: I would suggest that the  
19 other remaining issues that are before the Working  
20 Group that are SEC-related should take precedence  
21 over resolving this issue right now, because this  
22 is not required to determine whether the SEC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 petition is closed. It's not relevant to that.

2 MR. KATZ: Right. It's not an SEC issue.

3 DR. NETON: So, if it's not an SEC issue,  
4 then the Working Group, in my opinion, at least,  
5 should focus on the issue that still may have SEC  
6 relevance after 1983.

7 CHAIRMAN KOTELCHUCK: I'm not sure I  
8 follow that.

9 DR. NETON: Okay.

10 CHAIRMAN KOTELCHUCK: In that the level  
11 of --- this relates to what exposures we're using  
12 to bound.

13 DR. NETON: During a period that's  
14 already an SEC --- it's already been decided that  
15 this time period, doses can't be reconstructed, not  
16 for tritium reasons, but for was it ---

17 MR. RUTHERFORD: Neptunium.

18 DR. NETON: Neptunium, uranium-233.  
19 There's --- an SEC is already going to have the  
20 Rocky Flats up to 1983.

21 CHAIRMAN KOTELCHUCK: Right. I'm trying  
22 to think of people who are in partial --- who are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ---

2 (Simultaneous speaking.)

3 MR. FITZGERALD: That remains the  
4 standard.

5 MR. KATZ: Yes, that's the standard that  
6 definitely matters for them, but the priority  
7 always for all Work Groups is to complete the SEC  
8 consideration, because that's sort of the biggest  
9 human impact is resolving that.

10 And then sorting out the dose  
11 reconstruction issues for those who are already  
12 covered by an SEC is sort of second ----is second  
13 tier business. But I don't see any reason why these  
14 both can't go on if you've already sunk your teeth  
15 in them.

16 DR. NETON: But the other, prior issues  
17 should take precedence.

18 MR. KATZ: But like for this Work Group  
19 meeting you should be ---

20 CHAIRMAN KOTELCHUCK: Priority.

21 MR. KATZ: Right.

22 CHAIRMAN KOTELCHUCK: Okay. All right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 So, then that is decided upon, not resolved.

2 MR. FITZGERALD: For the specific  
3 proceeding ahead, I think you're going to, Jim,  
4 provide that interpretation and ---

5 MR. RUTHERFORD: We're also going to  
6 look at the justifications for the dates that we've  
7 chosen to start for intakes.

8 MR. FITZGERALD: Right. And I think that  
9 will be conveyed to the Work Group and SC&A. Then  
10 if we need clarification we can have a call. If it's  
11 a question of debating that, then that's the Work  
12 Group's ---

13 CHAIRMAN KOTELCHUCK: Okay.

14 MR. FITZGERALD: You know, there may not  
15 be any clarification needed.

16 DR. NETON: These comments that Joyce  
17 has made, we've heard before, and I thought that  
18 we had addressed this, but nobody has it in front  
19 of them at this point, so we need to revisit those  
20 and be clearer as to where we're coming from.

21 CHAIRMAN KOTELCHUCK: All right. Then  
22 that's finished for the moment, and we should go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ahead. Do we want to do post-'73? We're talking  
2 about --- that was '73.

3 MR. FITZGERALD: Let's do post-'73.

4 CHAIRMAN KOTELCHUCK: Post-'73 it is,  
5 okay.

6 MR. FITZGERALD: I'm not sure John needs  
7 any introduction on this, but, John, are you still  
8 on?

9 DR. MAURO: Oh, yes, certainly.

10 MR. FITZGERALD: Okay. I know you've  
11 been waiting for your time.

12 DR. MAURO: I'd be glad to try to help  
13 out here.

14 And, again, I'd like to preface this  
15 discussion also reiterating before we were talking  
16 about doses that were, perhaps, high 6,000  
17 millirems but, of course, we dropped that.

18 We're now in a mode where we're talking  
19 about even smaller doses. And what -- so, in effect,  
20 we're going to be discussing data and strategies  
21 for evaluating exposures post-1973 where, in  
22 effect, we're talking about doses that are very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 small. In fact, one could argue that --- Jim,  
2 remember you did that dosimetrically significant  
3 piece of work where you determined ---

4 DR. NETON: Yes.

5 DR. MAURO: --- that 100 millirem per  
6 year is from a practical standpoint probably of no  
7 dosimetric significance. We're in that --- and I  
8 know it was dealing with external exposure.

9 DR. NETON: John, it wasn't 100 millirem  
10 per year, it was 100 millirem total.

11 DR. MAURO: Oh, okay, my mistake. I just  
12 raised that because I think it has some play.  
13 Tritium exposure is a uniform whole-body exposure,  
14 in many respects it's like an external exposure  
15 from that perspective, so this 100 --- here's a  
16 place where we want to sort of keep that in our  
17 pocket, that the number 100 millirem has been found  
18 to be external --- likely to be of no dosimetric  
19 significance in terms of affecting change in a  
20 Probability of Causation determination. I wanted  
21 to just preface the conversation.

22 Now, we'll get to this post-1973. You

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know, after the incident in April of '73, a lot  
2 happened. And there's quite a bit that's been  
3 written in the documents that are on the web. And  
4 anyone who really wants to dive into this, you know,  
5 you could read our report dated September 18th,  
6 2014. There's a transcript, and I believe there's  
7 a May 30th, 2014 NIOSH report. It's all there.  
8 That's basically what's on the record right now.

9 So, what I'm going to draw upon is the  
10 report that we prepared that's dated September 18,  
11 2014. I believe that's the most recent official  
12 document that SC&A put out on the subject. And for  
13 those of you who might want to follow this along,  
14 it's on page 28 of SC&A's September 18th, 2014  
15 report.

16 And in that section, there are nine  
17 issues or concerns. You'll see those concerns  
18 regarding the strategy that NIOSH is employing for  
19 dealing with this circumstance. And I want to  
20 create --- I'm not going to go through each one of  
21 the nine. It's just too burdensome. I'd rather try  
22 to create a visualization.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The way I understand it, after '73 a lot  
2 more attention was paid to tritium possibly showing  
3 up and resulting in some exposures. And the way I  
4 understand what happened was, there was increased  
5 attention to looking at the --- what they call  
6 bubblers which are --- the way I understand it,  
7 this is a way of collecting tritium, and they're  
8 in or near a hood, and they collect tritium that  
9 might be on its way out the plant, up the stack.  
10 And there's a lot more attention paid to the  
11 bubblers as a source of data that will let you know  
12 whether there's any airborne tritium around, and  
13 that's being exhausted out of the facility.

14           There was also a lot of swipe samples  
15 that were being collected to see if there's any  
16 tritium showing up. This is all because of this  
17 increased concern due to the April incident. And  
18 there's also --- they implemented a program, I call  
19 it the "One In Ten Program." This is something that  
20 we often call a cohort sampling, whereby one out  
21 of every 10 workers who submit urine for, I believe,  
22 analysis for plutonium, I think it was plutonium,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is also analyzed for tritium. It's almost like just  
2 we're going to grab it, you know, randomly, pick  
3 a number and see if we're seeing anybody with any  
4 tritium.

5 So, what we have here is sort of like  
6 a new program that's out there to keep an eye out  
7 if there's anything unusual happening with  
8 tritium. And it's these data that help us to come  
9 to grips with how are we going to go about assigning  
10 some exposures post-1973.

11 Now, the way I understand it is in  
12 post-'73, there are two sets of circumstances that  
13 you had paid attention to, NIOSH. One is that there  
14 was what I would call a chronic ongoing potential  
15 for exposure to workers that based on the data that  
16 you have collected, the answer is less than 1  
17 millirem per year. But then a little bit of a monkey  
18 wrench is thrown into this. There was a minor  
19 incident in August 1974 where there was some  
20 release, so you have to come to grips with that.  
21 And those are the two sets of circumstances and sets  
22 of data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And, by the way, when the 1974 event  
2 occurred, there were bioassay samples collected,  
3 I believe, in a timely way. You know, you're not  
4 confronted with the same circumstance we had with  
5 the April '73 exposures. So, what I understand we  
6 have here is --- and correct me if I'm wrong.

7           The plan is this, for those workers  
8 post-'74 that were involved in the August 1974  
9 incident, I believe that you calculated the  
10 exposures as being .15 millirem from that single  
11 incident. Is that correct?

12           MR. RUTHERFORD: That's correct.

13           DR. MAURO: That would be the doses that  
14 a number of workers would have experienced from  
15 that 1974 release, a very, very small dose.

16           MR. RUTHERFORD: That's correct.

17           DR. MAURO: I have that correct, but I  
18 wanted to make sure that that was the number. And  
19 then --- but, of course, there are other workers  
20 that were not involved in that incident. And,  
21 certainly, you move on to 1975, and 1976, and so  
22 forth, the general sense is that there's data now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Now, we take the 1974 incident out of  
2 the picture for a minute now. Now you're saying  
3 okay, what does the data, there's the "One In Ten"  
4 sampling, the bubbler sampling, the swipe sampling  
5 tell us? And my understanding is, the story that  
6 it tells us is that the doses to all these workers  
7 were less than 1 millirem per year, so for all  
8 intents and purposes they were zero millirem per  
9 year. And this is what the strategy is for assigning  
10 exposures post-1974.

11           Did I fairly characterize that as being  
12 your --- the strategy you plan to use?

13           MR. RUTHERFORD: Yes. I think that's  
14 pretty good, John.

15           DR. MAURO: Okay, thanks. All right.  
16 Now, then I go on now on my --- given that strategy,  
17 on page 28 of the September 18th, 2014 SC&A report,  
18 I identified these nine issues. And I want --- and  
19 here's where ----recognize that we're talking  
20 about doses that are very, very small, so I think  
21 all I'm really saying is the logic of the problem  
22 and the strategy that's been adopted where there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 may be some limitations in it, and where there may  
2 be some problems.

3 One problem is, from reading all of  
4 these SRDB reports, there was a whole long list of  
5 them, and I read through them. And what emerged from  
6 that was one of the problems is that --- is where  
7 the bubblers are. All right? Picture a 55-gallon  
8 drum, I believe, or some container shows up. It  
9 could be scrap plutonium, it could be pits, and what  
10 happens is, I think the 55 --- inside the 55-gallon  
11 drum --- it arrives and a worker is there. And it  
12 arrives, and it's placed at a location near where  
13 there's a bubbler. And the worker opens the can,  
14 55-gallon drum, and if there's any tritium that may  
15 be associated with that particular shipment, it'll  
16 come out, and it'll go up and be captured by, I  
17 guess, the vent of the hood, go up through a bubbler  
18 and be detected. So you'll know we've got ourselves  
19 a container that is contaminated, so I think  
20 there's a degree or control there, that says, you  
21 know, we're paying attention now. We're opening  
22 them by the bubblers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But then I understand that one of the  
2 other things that's done is inside the 55-gallon  
3 drum, the guy reaches in and pulls out smaller  
4 containers that contain material. I'm not sure what  
5 kind of material is in there, but the plutonium is  
6 inside another container that was in the 55-gallon  
7 drum. He picks that up and he brings that someplace  
8 else. Okay? Stay with me. And, certainly, correct  
9 me if I've got this movie in my head incorrect. So,  
10 he walks away and he goes to someplace where there's  
11 something called a down draft table, where he opens  
12 up this other container. And in theory, there could  
13 be tritium inside this other container that could  
14 come out. But in that case, it's not going to be  
15 captured by the bubblers, okay, because where he's  
16 taken the smaller container, there may not be  
17 bubblers nearby.

18           So, one of my concerns --- and I'm not  
19 saying this is of great import, but I think that  
20 the bubblers give you a certain amount of  
21 information, but it's a very good possibility that  
22 the guy that carries the container over to another

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 location, the bubblers are not going to catch that.  
2 They're going to miss that. So, somehow the person  
3 could have experienced some exposure that the  
4 bubblers didn't pick up.

5 Then I say to myself but, okay, but  
6 you've got this one-in-ten sampling program, this  
7 sort of cohort sampling program where people's  
8 urine are being grabbed randomly, one out of every  
9 ten workers. But it's my understanding when you  
10 look at that data, it's really spread out. In other  
11 words, you don't have a --- for example, urine  
12 samples that are taken, let's say once a month from  
13 some group of workers. It ends up being more like  
14 on the order of one sample a year for a given worker.  
15 And what does that tell me? It tells me that you're  
16 going to have to get -- you know, if there are people  
17 that are --- had a tritium intake, the one in ten  
18 program could very well miss that. Maybe not all  
19 of them, but apparently the one in ten program, the  
20 results show no one got anything detectible above  
21 1 millirem per year.

22 So, my takeaway is, on the face of it,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the bubbler samplers, the one in ten urine sample  
2 bioassay program, swipe samples, on the face of it  
3 look like a lot of attention is being paid to it,  
4 but then when you think a little bit more about,  
5 you know, where the bubblers are located, as  
6 opposed to where the workers are, and the bioassay  
7 sample being really a very infrequent sampling,  
8 that it's very easy to miss exposures. So, there  
9 may have been exposures going on that might be, you  
10 know, above 1 millirem a year, maybe not very much  
11 above 1 millirem a year.

12 That approach to sort of keeping an eye  
13 on things is really not very good, so my --- I'm  
14 at page, I mentioned earlier, those nine comments.  
15 They basically go toward that with two additional  
16 questions, and then I'll stop. The two additional  
17 questions have to do with the efficiency of the  
18 bubblers themselves.

19 When I hear about bubblers, I picture  
20 air flowing through water that --- and the tritium  
21 will stay, become tritiated water and stay with the  
22 water, but you don't know the efficiency unless you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have another bubbler after it that is connected to  
2 it downstream, and then you look at that. So, I'm  
3 not quite sure if the data that you're getting from  
4 the bubblers you have a good idea of what the  
5 efficiency is. I haven't read anything in those  
6 SRDB reports where the efficiency of the bubblers  
7 has been demonstrated. And, usually, you do that  
8 by having two bubblers in sequence. That's one  
9 question that's sort of layered on top of the story  
10 I just told.

11 And the second question is, I ran into  
12 some language that appears that there was some  
13 metal tritides associated with what was handled at  
14 the facility. And, of course, as we know, metal  
15 tritides are a lot different than tritiated water  
16 or elemental tritium. And I'd like to hear a little  
17 bit more about tritides and how that fits into this  
18 idea that really other than the 1984 --- I'm sorry,  
19 the August 1974 incident, how does that play out,  
20 the idea that some of this might have been tritides?  
21 The bubblers may not be very good in terms of  
22 capturing things, capturing where the exposures

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were. And the urine sample, the one in ten urine  
2 sample program may, itself, provide you with  
3 information that could be a little bit misleading  
4 because of the way in which it's spread out. And  
5 that really is the essence of those items that are  
6 listed, one through nine in the report that I cited  
7 earlier.

8 MR. RUTHERFORD: Okay. John, a couple of  
9 things. First, and I'm going to get Jim Bogard in  
10 on this here in a minute, but the one thing,  
11 post-'73 incident, I think that, you know, just the  
12 idea of bubblers fixed locations in the exhaust  
13 plenums, I don't think that was the only air  
14 monitoring that occurred. And I'll get Jim to weigh  
15 in on that when I'm done.

16 Also, the --- I think the '74 incident,  
17 if you look at it, the individual -- I mean, the  
18 monitoring that was in place was there, and it did  
19 show that it was able to detect an incident and  
20 identify the proper people to ensure that those  
21 individuals were monitored. And in that case, the  
22 highest exposed individual was less than 1 millirem

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 so, you know ---

2 DR. MAURO: Yes, yes.

3 MR. RUTHERFORD: I don't --- I think  
4 that was a very good example where they picked up  
5 the monitoring program. They went through a  
6 two-year period with this increased monitoring  
7 program. And, you know, again, I'll get Jim to weigh  
8 in on this in a second. And they identified nothing  
9 during that two-year period that indicated an  
10 additional problem. In addition, one of the main  
11 sources of potential exposure was opening up those  
12 containers.

13 That was the other issue. Once they  
14 start --- once they identified the issue of opening  
15 up containers as being a problem, they instituted  
16 shipping requirements on shipping containers to  
17 the site, and what -- the maximum amount of activity  
18 that could be inside the containers. They  
19 implemented a survey program on those containers  
20 as they were opened. They actually, if you read the  
21 report, at one point they started --- they were  
22 sucking air from the containers to try to see what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 containers were --- the concentrations were. And  
2 that presented a problem in itself, so there was  
3 an increased amount of monitoring that was done on  
4 the containers, which produced the highest  
5 potential for exposure. So, I'll let Jim --- can  
6 you add a little bit to the discussion on air  
7 sampling?

8 MR. BOGARD: Yes. The containers were  
9 opened at a down draft table, and after 1973 they  
10 did have tritium monitors in the work area near that  
11 down draft table. So, the hoods weren't the only  
12 places where bubblers were located.

13 DR. MAURO: That's --- let me --- I'm  
14 sorry to interrupt, but that's an important point  
15 that was not immediately apparent to me. So, not  
16 only was there the 55-gallon drums, the bigger  
17 drums were opened, they were close to bubblers for  
18 sample collection. But you're saying, in addition,  
19 the smaller containers, like 10-gallon, whatever  
20 they were, there were two of them. When they were  
21 lifted out of the 55-gallon drum and brought  
22 elsewhere. And I understand was brought to what's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 called this down draft table, it was my  
2 understanding that the --- when they opened  
3 --- now, in theory one could say that the big  
4 55-gallon drum, maybe there wasn't very much coming  
5 out of that drum when it was initially opened near  
6 let's say a bubbler. But then they --- then later  
7 they open up this other container, these two that  
8 were inside, and my concern was when they opened  
9 that, the tritium might be in there, and could come  
10 out at that time, but there were not any bubblers  
11 nearby. But you're saying yes, they were. And that  
12 I'd be corrected if that's the case.

13 And then I understand what you are  
14 saying is really they had pretty comprehensive  
15 coverage of having bubblers where the potential for  
16 exposure existed, whether it was when you were  
17 opening the 55-gallon drum, or when you were  
18 opening the small 10-gallon drums. In both  
19 circumstances there were bubblers nearby that were  
20 being --- where tritium would have been picked up.  
21 And it's that program that caused the 1974, the  
22 August 1974 incident. Is that what I'm hearing?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           MR. BOGARD: Yes, that's correct. They  
2 started putting those out in the work area after  
3 the '73 accident.

4           DR. MAURO: I see. Okay. You know, I have  
5 to tell you, when I read the --- you'll notice if  
6 you folks read my report, when I -- and I sort of  
7 summarized about a dozen SRDBs. And one of the  
8 messages that came out of that, to me, was that that  
9 wasn't the case. Now, I'm not saying I'm right.  
10 Please bear with me, but it appeared to me that  
11 there was --- that therein lied a hole in coverage  
12 for tritium exposure. But if that's not the case,  
13 that's not the case.

14          MR. BOGARD: Yes. But, of course, we  
15 were using this incident as a model for pre-'73,  
16 when the assumption is they did not have tritium  
17 bubblers in the workplace.

18          DR. MAURO: Yes. I don't want to talk  
19 right now about pre-'73, a whole other story. I just  
20 want to get a sense on post-1973, the fact that  
21 you're concluding that the doses were really zero  
22 per year to everyone except for this --- and even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this 1974 incident, you're saying that this August  
2 1974 --- so, even then the highest exposure was  
3 less than a millirem due to that incident. So, it's  
4 all based --- so, I mean, so your takeaway is that  
5 really no one received any exposures post-1973. And  
6 the reason being all of these provisions that were  
7 made to keep an eye on things.

8 And all I'm trying to bring up in my nine  
9 items in my write-up is that, well, there may be  
10 certain places where the coverage was pretty soft,  
11 and it's very possible that there could have been  
12 exposures that were missed. And I don't know, you  
13 know, what the magnitude of those might have been.  
14 Probably pretty small, but if the bubblers were,  
15 in fact, catching everything, you know, all the  
16 workers that were opening these containers and  
17 working with this material, if there were bubblers  
18 there, there were bubblers there, and you got your  
19 data, and you're sitting pretty strong. But I've  
20 got to tell you, the SRDBs did not read that way.

21 MR. BARTON: John, this is Bob Barton.  
22 Can I ask a clarifying question here? Because my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 read on this was that --- and I might be confused,  
2 but the decision not to assign anyone any tritium  
3 dose after 1973, the way I read it was that a  
4 coworker analysis was done on 1974 and 1975. Now,  
5 was it just restricted to those two years? I guess  
6 I'm posing that to DCAS.

7 MR. RUTHERFORD: Yes, it was, only  
8 because the amount of bioassay after 1975 didn't  
9 support really adding those. I think, and Liz can  
10 --- well, Liz may be able to correct me, I don't  
11 know. But I believe there was 11 bioassay samples  
12 or so after '75 that could have been used, and they  
13 didn't really fit for the coworker model.

14 MR. BARTON: But when we talk about  
15 coworker model, are we talking about actually doing  
16 sort of a best-estimate fit to each individual  
17 worker, or is it the sort of standard model where  
18 --- well, you calculate an OPOS result and you fit  
19 it to a distribution, and you pull off some  
20 percentile, and then you calculate the intake? I'm  
21 curious how that was done.

22 MR. RUTHERFORD: Well, it was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 definitely not done in accordance with the new IMBA  
2 guide, if that's the question. But I think --- I  
3 don't know if Liz or Mutty can comment on that or  
4 not.

5 MS. BRACKETT: I'm trying to look  
6 quickly. I don't know if Mutty knows off the top  
7 of his head. I believe that we did this the same  
8 way we've done others, and that's doing each one  
9 individually, and then coming up with the --- you  
10 know, using the doses rather than the individual  
11 results. But I'm trying to find that right now.

12 MR. RUTHERFORD: Now, that's what I  
13 remember was done.

14 MR. BARTON: I mean, we have the data  
15 set, you guys provided that to us.

16 MR. RUTHERFORD: I was going to say, we  
17 provided that to you guys.

18 MR. SHARFI: This is Mutty. Liz is  
19 correct, that they assessed every individual, got  
20 their dose, and then they looked at the  
21 distribution of all the individual doses and they  
22 were all less than a millirem.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: They were all  
2 what?

3 MR. SHARFI: This is separate than the  
4 one in ten program.

5 MR. RUTHERFORD: No, this was actually  
6 taking that one in ten --- those individual  
7 bioassay samples, those individuals that were  
8 monitored, looked at their dose, and then  
9 established a distribution based on that.

10 DR. MAURO: But am I correct, that one  
11 in ten program really effectively resulted in one  
12 urine sample per person per year? And you could  
13 understand why I would be concerned if that, in  
14 fact, is your data set, because of the half-life  
15 of tritium, the effective half-life of tritium,  
16 where you wouldn't expect -- I mean, you'd have to  
17 get pretty lucky. You'd have to catch a guy that  
18 a week ago was exposed, you know. When you did pull  
19 that sample, I --- that was my understanding, that  
20 the one in ten sounds good, but when you look at  
21 it a little closer, you find out you're really only  
22 pulling one urine sample per person per year. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do you really expect to pick anything up with  
2 something like that, if that's the data you're  
3 referring to?

4 MR. RUTHERFORD: And off the top of my  
5 head, I don't disagree with what you're saying. It  
6 does --- and when you look at it closely, and if  
7 it is one sample per person per year. However, the  
8 other evidence that was used, the increased air  
9 monitoring surveys, and the smear surveys, and all  
10 the other things that point to the same result kind  
11 of give you, you know, a weight of the evidence type  
12 of thing.

13 CHAIRMAN KOTELCHUCK: There's one --  
14 effectively, one monitoring per person per year.  
15 How many persons were monitored?

16 MR. RUTHERFORD: I think there were  
17 --- is there 250 samples on that?

18 MS. BRACKETT: Well, I have a file that  
19 it has 75.

20 MR. RUTHERFORD: Okay, 75.

21 MS. BRACKETT: It looks like they have  
22 75 individuals. And what was done with that, it does

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 look like most had --- if not all had one sample  
2 per year, and it was assumed that that was their  
3 excretion rate for the entire year. So, it was  
4 assumed that there was a constant chronic exposure  
5 throughout the year.

6 CHAIRMAN KOTELCHUCK: But if there was  
7 a spike, you would expect the spike to show itself  
8 up in one of the 75. Not looking at one person,  
9 looking at the population that it's essentially a  
10 random sample of ---

11 DR. MAURO: Well, collectively, you  
12 would argue that if something was going on, at least  
13 one of those 75 people, you'd get a hit.

14 CHAIRMAN KOTELCHUCK: That's right, one  
15 or two.

16 DR. MAURO: I hear that argument. I  
17 could see some merit to that argument. You know,  
18 without doing the statistics, what's the  
19 likelihood that something big could have happened.  
20 Not big, but something could have happened and you  
21 missed it, you know. I don't know.

22 MR. BARTON: Well, John, there is one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 worker in this database that sort of gives me pause.  
2 And we're talking about 1978 now, so this is a  
3 little further down the line, but essentially the  
4 first samples of this worker in 1978 is at the  
5 beginning of April. And it's almost 120,000  
6 picocuries per liter, which is like four times  
7 higher than what you saw in those 1964 samples, I  
8 believe. So, I mean, there is at least some spikes  
9 in here that that particular worker might be worse,  
10 you know, doing the best estimate approach. I  
11 assume it's TIB-11, I guess, is what was used to  
12 come to the conclusion that all the doses were less  
13 than 1 millirem?

14 MS. BRACKETT: No. TIB-11 would assume  
15 that only the --- only that one result would have  
16 been collected at the time that they were  
17 potentially exposed. As I said, we assumed that  
18 they were exposed at that rate for the entire year,  
19 and that would not be the TIB-11 assumption.

20 MR. BARTON: Okay. This worker can have  
21 several samples. It looks like they were on a  
22 monthly tritium schedule ---

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. BRACKETT: Okay.

2 MR. BARTON: --- for '78. And prior to  
3 that April sample, in the previous year there were  
4 samples in October. So, I mean, there's a pretty  
5 big gap before you saw that one spike sample. It  
6 sort of seemed like they put them on a monthly  
7 schedule after that, maybe. I can send you the claim  
8 number offline if you want to take a closer look  
9 at it.

10 MS. BRACKETT: Okay. But you said that  
11 their result was four times larger than the ---

12 MR. BARTON: It's 117,000 picocuries  
13 per liter.

14 MS. BRACKETT: I don't remember what the  
15 other --- what the magnitude of the others were,  
16 but ---

17 MR. BARTON: I thought they were around  
18 30,000. That's why I started looking at that  
19 number.

20 MS. BRACKETT: Okay.

21 MR. BARTON: Yes. I mean, I'm looking at  
22 the report. I guess it's SRDB --- I don't have it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 marked down here, but there's essentially a table  
2 that lists the individuals that were above 10,000  
3 picocuries per liter. And the highest one in that  
4 table is 32,000, but the one I'm looking at is 120.

5 MS. BRACKETT: Okay. So, but we're  
6 getting less than a millirem dose, and four times  
7 that is going to be, you know, 1.5 millirem, 2  
8 millirem.

9 DR. MAURO: If I remember ---

10 MR. BARTON: Well, depending on when you  
11 assume the intake occurred, though, I mean, if  
12 you're assuming it happened right before they took  
13 the sample, and that might be borne out by the  
14 subsequent samples months afterwards. And you  
15 might very well be right, but if that intake  
16 occurred in some other method, an acute sample a  
17 month before, two months before when there was no  
18 sampling available for this worker, then it may  
19 not. It may actually get you over to where you have  
20 a measurable dose above 1 millirem, but I don't  
21 know, because I don't think that calculation is  
22 done.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Am I correct as a rule of  
2 thumb, this is --- I remember doing this. If you  
3 have chronic concentration of 10,000 picocuries  
4 per liter all the time in your urine, that means  
5 you're being chronically exposed at about 1  
6 millirem a year? I think that was about --- that  
7 was the rule of thumb I've been operating under.  
8 It helps to give some meaning to the numbers we're  
9 throwing around right now.

10 MS. BRACKETT: I'm not familiar with the  
11 rule of thumb on this.

12 DR. MAURO: That's --- I remember doing  
13 the calculation while I was working on my report,  
14 and that sort of sticks with me. And I read it the  
15 other day, and I think that's about right.

16 CHAIRMAN KOTELCHUCK: But I thought the  
17 one in ten worker sample for plutonium only  
18 occurred in '74 and '75, and then was ended.

19 MR. RUTHERFORD: That's correct. And  
20 then the others would be sampled because there was  
21 a reason to sample them, basically, or they were  
22 --- what they call this is, if they were in a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 situation where there was a potential for tritium  
2 exposure, and they may have identified ahead of  
3 time that those individuals will be on a tritium  
4 monitoring program. So, in that case, that  
5 individual was probably identified as being an  
6 individual that could be exposed in 1977 or '78 and  
7 placed on that program.

8 CHAIRMAN KOTELCHUCK: Okay.

9 MR. RUTHERFORD: Which is consistent  
10 with, you know --- the reason, you know, the idea  
11 they cancelled the program '74 and '75, after '75  
12 they weren't finding anything. They had  
13 established controls in place in the workplace.  
14 They felt those controls were doing an adequate job  
15 of identifying potential exposures, and so they  
16 stopped the individual monitoring program.

17 I think the one thing I can do, John,  
18 just to --- again, I mean, I think we all agree  
19 these doses are very low. I think we can go back  
20 and actually do a little additional write-up on the  
21 bubblers as respect over time post-'73 in the  
22 workplace, and give you a little better feel for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that.

2 DR. MAURO: And, also, if you can look  
3 a little bit at the efficiency of the bubbler, and  
4 also the issue of tritides. Those are really  
5 --- you want to break all this thing down, and you  
6 say well, what are we talking about post-'73? Well,  
7 we're saying, are the data that's being collected  
8 adequate for you to judge that really there's no  
9 exposures, and the nature of those samples that we  
10 just talked about. And that would be like question  
11 number one.

12 Question number two would be well, what  
13 is the efficiency of those bubblers, because we're  
14 putting a lot on that. And, finally, what about  
15 tritides? They seemed to have showed up in the  
16 SRDBs, and where does that fit into the picture?

17 So, if I was to say the three general  
18 subjects that I'd like to hear a little bit more  
19 about would be those three. And, of course,  
20 embedded in the first one has to do with the one  
21 in ten program, the location of the bubblers and  
22 how representative they might be, sort of all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clustered together.

2 MEMBER MUNN: What kind of tritium  
3 exposure do we really --- could you ever have  
4 gotten from tritides?

5 DR. MAURO: Well, in a urine sample  
6 --- if you take a urine sample and you detect  
7 tritium, and in one case the tritium you're  
8 detecting is from tritiated water, the other case,  
9 the tritium you're detecting in the urine is from  
10 hafnium tritide, the difference in the whole body  
11 dose is a factor of 10,000. So, an enormous  
12 difference.

13 MEMBER MUNN: Yes, but I'm trying to  
14 very simplistically in my own mind identify what  
15 kind of tritium exposure would result from the  
16 presence of tritides. I have no feel for what  
17 activity was involved. I don't mean radiological  
18 activity, I mean I don't have any feel for what kind  
19 of work activity was involved ---

20 DR. MAURO: Oh, okay.

21 MEMBER MUNN: --- with tritide metals  
22 in the plant during that period. What were they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       doing?

2                   MR. FITZGERALD: Well, I think metal  
3       tritides had a weapons complex application, but  
4       that application was in a sealed component in every  
5       place except for Mound and Los Alamos. So, one would  
6       expect that to be a sealed component at Rocky.

7                   MEMBER MUNN: Which means they weren't  
8       really and truly ---

9                   MR. FITZGERALD: Well, you have some  
10      residual tritides in locations, because it's just  
11      a particulate form of tritium. I'm just saying that  
12      from an application standpoint you would only  
13      expect to see non-sealed tritides, like hafnium  
14      tritide at Mound and at Los Alamos, were the two  
15      locations I'm familiar with.

16                  MEMBER MUNN: I'm trying to get a feel  
17      --- you know, I'm trying to see ---

18                  MR. FITZGERALD: Yes. Operationally,  
19      you would see them in those two locations in the  
20      weapons complex. Everywhere else they would have  
21      existed, but in sealed components.

22                  MEMBER MUNN: But I'm thinking that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 tritium is being so closely bound to the metal.  
2 That's what I ---

3 MR. FITZGERALD: No, it was -- without  
4 getting into anything sensitive. It was just the  
5 form it was in, that it was useful.

6 MEMBER MUNN: Well, yes, but I'm  
7 speaking in exposure terms here. So, you have  
8 tritium bound ---

9 MR. FITZGERALD: Yes, certain tritides  
10 were very insoluble and, therefore, would not have  
11 been picked up as you would pick up normal tritium  
12 in urine.

13 MEMBER MUNN: And that's why I'm asking  
14 this question. What kind of exposure ----

15 MR. FITZGERALD: Well, the first  
16 question is, would you have a form of tritide that  
17 would be so highly insoluble as to not be picked  
18 up in urinalysis.

19 MEMBER MUNN: That would create some  
20 kind of exposure route. And I'm trying to imagine  
21 what that would be, other than just soft beta  
22 external exposure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. FITZGERALD: Yes, it has an internal  
2 issue but, you know, two questions. I mean, where  
3 does it exist in that form and would it be available  
4 for exposure? We beat this to death at Mound, and  
5 even if you do have it for exposure, the actual  
6 exposure amounts to a millirem. It's still a very  
7 small exposure.

8                   MEMBER MUNN: Even fractions of a  
9 millirem.

10                  MR. FITZGERALD: Well, it's --- even  
11 though it's not easily detectible, the  
12 implications are not as great as ---

13                  MEMBER MUNN: I guess I can't see any  
14 probability of danger, of physical danger as a  
15 result of what I've been shown ---

16                  MR. FITZGERALD: Well, I think the first  
17 thing is, does it exist in an insoluble form and  
18 available for exposure at Rocky.

19                  MEMBER MUNN: Yes.

20                                 (Simultaneous speaking.)

21                  MR. FITZGERALD: You asked potentially  
22 that question first.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER MUNN: I guess that's the bottom  
2 line question I'm driving at.

3                   MR.     FITZGERALD: Yes,     that's     the  
4 question you answer first, because beyond that, you  
5 know ---

6                   MEMBER MUNN: The answer is not to  
7 worry. It is not going to affect what we have to  
8 do.

9                   MR. FITZGERALD: Well, yes. The answer  
10 to the first question will determine how far you  
11 go with it.

12                  MEMBER MUNN: Okay.

13                  MR.     RUTHERFORD: And I think we're  
14 --- again, we're all in agreement the tritium  
15 exposures are low. I mean, if they --- you know,  
16 and this is an SEC period, so I just want to remind  
17 everyone. We will go back, we will look at the  
18 efficiency of the bubblers, and we'll also look at  
19 locations and try to get better documentation on  
20 the program for that period. And we'll look at the  
21 tritides, as well.

22                  DR. MAURO: Yes, that's what I'm asking.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Thank you. You summarized it very well.

2 CHAIRMAN KOTELCHUCK: Okay. Does that  
3 close this part of the discussion? Unless there's  
4 from Working Group folks, any further comments?

5 MEMBER MUNN: No. It looks like the next  
6 meeting's agenda is pretty well laid out already.

7 MR. RUTHERFORD: Well, we were going to  
8 have another meeting, anyway, on a couple of other  
9 things, so we might as well talk about that, too.

10 CHAIRMAN KOTELCHUCK: Okay. That's  
11 good. So, then we should go to the pre-'73  
12 exposures. Anticipating something, should we stop  
13 for 10 --- it's 2:30, stop for a few minutes, or  
14 just keep going? Keep going. Okay, I hear.

15 MR. FITZGERALD: Yes, let me jump into  
16 it. This will, I think, go more straightforwardly.

17 MR. RUTHERFORD: Yes, I agree.

18 MR. FITZGERALD: The issue for pre-'73  
19 is just simply they didn't recognize tritium as a  
20 source term of concern to monitor for radiation  
21 protection reasons at Rocky Flats. It just wasn't  
22 something that was on their screen, so there wasn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 any routine monitoring program. There were some  
2 limited bubblers, but nothing that would produce  
3 routine results.

4 And the approach that NIOSH took, a  
5 reasonable approach was to pick the 1974 event, the  
6 August event as a fairly prominent shipping  
7 container release, and to use that as --- represent  
8 that as typical and bounding of all the other  
9 container releases that may have occurred at Rocky  
10 Flats before 1973. Again, I think it wasn't  
11 certainly as high as the '73 event, which was sort  
12 of a spike and a once-only type event at Rocky, but  
13 it was considered typical.

14 The approach I took was, frankly, to go  
15 through the factors that were presented as  
16 supporting that particular -- because, again, what  
17 we're doing is retrospectively applying a value for  
18 all previous years. So, that's usually one where  
19 you want to be careful to have something that is  
20 representative. And I took the six supporting  
21 factors, now on page 30 of our paper, and the  
22 analysis is page 30-35 of the September paper. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think there was a series of factors, which I think  
2 are all very good key supporting factors that have  
3 to be satisfied. I kind of critique each one as far  
4 as how it --- whether it supported the application  
5 of that 1974 event.

6 And the first one I looked at was  
7 whether or not the background tritium levels before  
8 the August '74 event, whether they were pretty well  
9 defined and represented typical background levels.  
10 And the issue I have there, and it's detailed in  
11 the paper, is that my concern there is that they  
12 did establish in the investigation that followed  
13 the August event that there was a clear  
14 cross-contamination involved with the buildings  
15 and the rooms that were involved in the '74 event.  
16 And this came from, apparently --- and this is,  
17 again, from the investigation report. It  
18 apparently came from the '73 event, that once they  
19 got tritium in the building, it was everywhere,  
20 which is not too surprising and was, in fact, in  
21 the lines and in the plenums for these facilities.  
22 So, when they were doing some baseline measurements

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in and around the --- before and after the '74 event  
2 --- I'm only raising that to question whether,  
3 really, there was a clear background level for  
4 Rocky after the '73 event, because you just had some  
5 fairly widespread contamination. So, that would be  
6 a question that I would certainly raise in terms  
7 of background.

8 MR. RUTHERFORD: Quickly, on that one.

9 MR. FITZGERALD: Yes.

10 MR. RUTHERFORD: I mean, I kind -- I see  
11 that as more of potentially, you know, increasing  
12 the potential release of the '74 incident than, you  
13 know, by giving you that --- because, I mean, it  
14 kind of sounds like you're implying that we really  
15 didn't know the background levels, you know.  
16 Because we said everything was fairly well close  
17 to background when this event occurred, but you're  
18 talking about the actual, you know, the lines,  
19 exhaust lines, and things that were internal that  
20 could have potentially masked or contributed to the  
21 event.

22 MR. FITZGERALD: Yes. I'm just saying,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you know, I think the whole thing comes down to how  
2 representative are the parameters in '74 to ---

3 MR. RUTHERFORD: Okay.

4 MR. FITZGERALD: Previous to '74. You  
5 know, this thing can swing both ways.

6 MR. RUTHERFORD: Sure.

7 MR. FITZGERALD: And they're saying,  
8 yes, actually the '73 event did screw up the  
9 background to some extent beyond that and,  
10 therefore, one has to consider that before you ---

11 MR. RUTHERFORD: Okay.

12 MR. FITZGERALD: --- establish that you  
13 have a representative background. I'm not even sure  
14 what a representative background would be after the  
15 '73 event.

16 On the second one, the quantity of  
17 tritium released was significantly less than the  
18 '73, is more typical of potential undocumented  
19 releases in work areas. And then this question of  
20 identifying six documented releases from '68 to '74  
21 average of one per year.

22 This one gave me some pause because,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 again, we're talking how representative is the '74  
2 event? And my concern there is that you had --- in  
3 terms of source term you had a pressurized  
4 container being opened with Battelle parts, where  
5 there's some evidence of contamination of the  
6 container. You had a workplace configuration in  
7 terms of ventilation, in terms of controls that had  
8 been beefed up considerably from what it was prior  
9 to '73. So, in terms of the source term, I'm not  
10 even sure we --- Rocky had a good feel for what the  
11 source term was once they unpacked the 55-gallon  
12 drum and got the interior pieces out. There really  
13 wasn't any monitoring of the interior. They did do  
14 some monitoring on the 55. When that went into the  
15 glove box, the workers actually, based on  
16 interviews, handled that directly, and there  
17 wasn't any monitoring to base whatever the source  
18 exposure was when that went into the glove box. And  
19 they handled hundreds of these. These were the pits  
20 coming -- returned from Rocky and Burlington in  
21 hundreds.

22 So, in terms of source term what gives

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 me some pause is that even though the release in  
2 '74 was a large number, and just on that basis I'd  
3 say well, you know, probably bounding but, you know  
4 --- but the question is how representative would  
5 it have been for the kind of releases we're talking  
6 about. You know, I don't think the six incidents  
7 that we do have records for really characterizes  
8 the many, many returns that Rocky had from  
9 Burlington and Pantex. I think that's sort of an  
10 unexplored area ---

11 MR. RUTHERFORD: Well, I agree with  
12 that.

13 MR. FITZGERALD: --- so the source  
14 term, you know, I think --- I'm comfortable with  
15 it being a large number. I'm not comfortable with  
16 it being characterized as representative, and  
17 whether it's bounding, you know, I could probably  
18 convince myself.

19 CHAIRMAN KOTELCHUCK: It is certainly  
20 --- I mean, it sounds like you're saying it is  
21 bounding; that is to say, it's way above what people  
22 used to be getting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. FITZGERALD: Well, I'm just saying  
2                   it's a large number, and I could probably speculate  
3                   that it would be bounding because it's a high  
4                   number. I don't think we would exceed 1.5 curies  
5                   as a source term anywhere in the pre-'73. As far  
6                   as knowing what was in the returns from Pantex and  
7                   the other facilities, we don't know that. So, you  
8                   know, again, I think ---

9                   MR. RUTHERFORD: Yes. And I understand  
10                  what you're saying. I think what we used was we felt  
11                  like this was clearly a high number. It was one that  
12                  was an incident that occurred that was what we felt  
13                  would provide the most likely chronic exposure  
14                  scenario. And what, actually --- I think when you  
15                  looked at the controls and stuff that were put in  
16                  place afterwards were to focus on that very type  
17                  of thing that potential contaminated containers  
18                  and the return of pits and so on. So, I think we  
19                  felt like that number, one, was high, and it was  
20                  an exposure scenario that was more typical of what  
21                  the individuals would see on a chronic basis. Now,  
22                  whether 1.5 is right or one is right, or .8 is right,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I don't know.

2 MR. FITZGERALD: But it is  
3 claimant-friendly.

4 MR. RUTHERFORD: Well, yes. I think the  
5 issue ---

6 (Simultaneous speaking.)

7 MR. FITZGERALD: Well, the issue, I  
8 think you have this dichotomy. You always go  
9 through this, you know. Is it sufficiently  
10 conservative to be claimant-favorable and  
11 bounding? Is it sufficiently accurate or  
12 representative, because otherwise you can pick a  
13 large number and be done with it in every case. So,  
14 in this instance, are the conditions that you --  
15 looking at the conditions of the container handling  
16 and opening, is it sufficiently representative of  
17 what preceded '73 for those years, 16, 17, 18 years  
18 the returns.

19 Two things come into play. One, you  
20 know, what are we talking about as far as the  
21 release itself of source term? And, certainly,  
22 that's large, certainly not as large as '73 ---

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. RUTHERFORD: Right.

2 MR. FITZGERALD: --- but large enough.

3 MR. RUTHERFORD: Yes.

4 MR. FITZGERALD: The second thing is  
5 getting into what kind of controls you had in place.  
6 And we had a healthy debate about that.

7 MR. RUTHERFORD: Oh, yes.

8 MR. FITZGERALD: And the situation was,  
9 were you getting more controls, more mitigation out  
10 of '73 such that that '74 event wouldn't resemble  
11 how the returns, the other containers were handled  
12 prior to '73. In other words, you had many, many  
13 hundreds of containers that were opened. In those  
14 days, tritium wasn't recognized, and typically  
15 they got a 55-gallon drum, opened it up. They did  
16 some monitoring, some bubbler monitoring at that  
17 point, but then they opened the inner container and  
18 literally put the returned pits right into the  
19 glove box, so there was a potential for exposure.  
20 If exposure was going to take place, it probably  
21 took place then. We don't have any good measurement  
22 on that, so the issue is after '73, you know, a rigor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was instilled in the way containers were opened at  
2 that point where you had a down draft table, you  
3 had monitoring, active monitoring going on, RCTs.  
4 I mean, it was a much different picture.

5 Now, Pantex, as far as the senders go,  
6 they didn't come around to changing the actual  
7 practice until later. But as far as Rocky went, they  
8 had procedures in place because they kind of got  
9 hit with this and, therefore, they were protecting  
10 themselves. So, they instilled a lot more rigorous  
11 practices.

12 So, when we're comparing the two, you  
13 know, you have a couple of questions. One of which  
14 is, is the number conservative? Certainly, it's  
15 conservative as far as the source term. Is it  
16 representative of what happened before '73 in terms  
17 of rad controls, practices, monitoring? It was not.

18 CHAIRMAN KOTELCHUCK: But I'm less  
19 worried about overestimating a small quantity, I  
20 mean, a small exposure. We're dealing with some  
21 very small exposures, and if we're fairly heavily  
22 over-estimating where it's not going to affect

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 --- no, we're not doing --- let's just say, I don't  
2 worry about over-estimating on a very small  
3 quantity on something that is going to result in  
4 a very small dose. That's all.

5 MEMBER MUNN: Yes. The difference in .8  
6 and 1.5 millirem is quite different than the  
7 difference in 8 millirem and 15 millirem. Right.

8 CHAIRMAN KOTELCHUCK: Exactly.

9 MR. FITZGERALD: So, anyway, this  
10 analysis goes through and looks at the factors  
11 involved. And, basically, I think the conclusion  
12 is it wasn't representative, and wasn't  
13 necessarily typical, but we're not going to argue  
14 that it is a large number. So, if the Work Group  
15 is comfortable with a large number, we can go that  
16 way.

17 CHAIRMAN KOTELCHUCK: Yes. I'm not --  
18 your charge was to critique it in terms of what is  
19 correct, what is most nearly correct.

20 MR. FITZGERALD: The question of  
21 typical and bounding.

22 CHAIRMAN KOTELCHUCK: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: And I think we  
2 concluded it certainly looked like it would be  
3 bounding, but it wasn't typical.

4 CHAIRMAN KOTELCHUCK: Yes.

5 MR. FITZGERALD: There's the answer.

6 CHAIRMAN KOTELCHUCK: Right. Yes.

7 MEMBER MUNN: But there is an enormous  
8 difference at the low end, as opposed to at the high  
9 end.

10 CHAIRMAN KOTELCHUCK: Yes.

11 MR. FITZGERALD: Yes, for sure.

12 MEMBER MUNN: With its affect for the  
13 claimants.

14 CHAIRMAN KOTELCHUCK: Right.

15 MR. KATZ: Do you want to just check in  
16 with Bill, too, since both you and Wanda have spoken  
17 about this?

18 CHAIRMAN KOTELCHUCK: Right. Bill?

19 MEMBER FIELD: Yes, I think it's  
20 sufficiently bounding but not unreasonable.

21 CHAIRMAN KOTELCHUCK: Okay, yes.

22 MR. KATZ: So, that's an item we can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 close.

2 CHAIRMAN KOTELCHUCK: But let me -- let  
3 you finish --- I mean, do you have more that you  
4 want to say? I mean, I ---

5 MR. FITZGERALD: No, I think, you know,  
6 I went through the factors in terms of the question  
7 of representation, how representative it was. I  
8 think it's all laid out here. I'm not sure I need  
9 to ---

10 CHAIRMAN KOTELCHUCK: Fine.  
11 Excellent. No, I just ---

12 MR. FITZGERALD: Yes.

13 CHAIRMAN KOTELCHUCK: --- didn't --- I  
14 hoped we were not cutting you off.

15 MR. FITZGERALD: No, I think you grasped  
16 the essence of it, which is the ---

17 CHAIRMAN KOTELCHUCK: Right. And we're  
18 in agreement so that this issue, I think, is closed  
19 now for this Working Group.

20 MEMBER MUNN: I think so.

21 DR. MAURO: This is John Mauro. I just  
22 want --- one question that's been lingering with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 me. It seems to me that there's a connection between  
2 the post-'73 data and understanding of the kinds  
3 of exposure that may have occurred, and the  
4 questions I raised just a moment ago. And I believe  
5 that the --- that you're drawing upon that  
6 experience which was that .15 millirem per event,  
7 the August 1974 and you're going to say well, let's  
8 just assume that that kind of experience happened  
9 every --- once a day pre-1973.

10 Is there a linkage --- I mean, given  
11 that rationale, and I understand why you would say,  
12 geez, that's pretty conservative, but is there any  
13 more to the story in terms of when we get a richer  
14 and more complete understanding of the post-'73  
15 circumstances, let's say regarding the bubblers,  
16 and their location, regarding tritides and their  
17 existence or non-existence and that sort of thing,  
18 and the adequacy of the one in ten urine sample.  
19 The collective knowledge that we get from that,  
20 does that have any bearing on our judgments  
21 regarding how we're going to deal with pre-'73?

22 MR. RUTHERFORD: Are you asking me?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: I guess I'm asking everyone  
2 if there's ---

3 MR. RUTHERFORD: Well, I could comment  
4 on ---

5 DR. MAURO: Is there a linkage?

6 MR. RUTHERFORD: Again, I think the  
7 difficulty, the things that occurred post-'73, you  
8 know, we made our case with the '74 incident as it  
9 being a good example, or an example; I won't say  
10 good example. I'll say an example of an event that  
11 causes a chronic exposure. And, you know,  
12 recognizing that, you know, the controls that were  
13 put in place after that point, obviously, were put  
14 into place to limit and minimize the exposure to  
15 personnel which, you know, those controls were not  
16 in place pre-'73. But I think what we've said is  
17 the source term we've used and taking a, you know,  
18 one event per day, and knowledge of thinking about,  
19 you know, the chances of tritium exposure, you  
20 know, from a chronic exposure standpoint are more  
21 in contaminated containers than they are in pit  
22 returns. If you know the history and know what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 occurred, you know, at the various sites. Getting  
2 things from Los Alamos were much different than  
3 getting things from Pantex. So, I'm just leaving  
4 it at that. So, I think that this is a reasonable  
5 over-estimate of the exposure for those early  
6 years.

7 But, you know, John, again, if we find  
8 out new information that we think, you know what,  
9 we may need to refine this, or we may need to look  
10 back at this, we can always do that.

11 DR. MAURO: I really appreciate it.  
12 Thanks very much.

13 MR. RUTHERFORD: Okay.

14 CHAIRMAN KOTELCHUCK: Well, then we are  
15 ready on Item 6.

16 MR. RUTHERFORD: Do you know what Item  
17 6 was?

18 CHAIRMAN KOTELCHUCK: Item 6, the ---

19 MR. RUTHERFORD: Oh, yes. Okay, yes.

20 CHAIRMAN KOTELCHUCK: NIOSH staff  
21 provide status and schedule for remaining open  
22 issues, and also associated with data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 falsification, destruction and exposures from the  
2 critical main source.

3 MR. RUTHERFORD: Okay. The two other  
4 open issues that we have that we're looking at has  
5 been data falsification and destruction. This has  
6 taken, and I'm sure that Terry, the petitioner will  
7 agree and will probably talk about, it's taken a  
8 long time.

9 One of the concerns that was brought up  
10 was that during the FBI raid, that there was an  
11 identification of potential data falsification or  
12 destruction of records. We have done an enormous  
13 number of interviews. We've interviewed  
14 individuals that the FBI agent in charge, Mr.  
15 Lipsky, who had identified, we've interviewed a  
16 number of individuals that were in his documents.  
17 We've looked --- identified or interviewed  
18 individuals identified by the petitioner,  
19 individuals identified by people that we  
20 interviewed, we've interviewed, so we've  
21 interviewed a lot of people on this subject.

22 One of the things that was holding this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 up was there were a number of documents that were  
2 provided to us by Mr. Lipsky early on, and when our  
3 review of those documents, it was not clear that  
4 the FBI had formally released those documents for  
5 public use. So, our general counsel recommended  
6 that we go back to the FBI and get an official  
7 release from them. This took a considerable amount  
8 of time. In fact, we did not get released until  
9 sometime December/January time frame.

10 There also --- and in that process, we  
11 had thought that the FBI was controlling all of  
12 those documents. There is actually --- the FBI came  
13 back and released eight or ten documents, or  
14 whatever it was. And they said you need to go to  
15 the other agencies to get their official release  
16 on those. So, now there's a few documents we're  
17 getting --- we have to get released from EPA. I  
18 honestly do not think that's going to take a long  
19 time, because I don't think EPA is going to be as  
20 difficult as the FBI was on this.

21 So, as soon as we get the release of  
22 those documents, we'll be able to finalize our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 report. I know we have done a lot of work on that  
2 in drafting that, so I hope to get that report --- I  
3 can't really give you a date because of getting that  
4 EPA release.

5 And the other document is the Critical  
6 Mass Laboratory. The Critical Mass Laboratory, we  
7 were --- this was actually identified, again, by  
8 --- through the petitioner, actually, as a  
9 potential issue. Critical Mass Laboratory at Rocky  
10 Flats took assemblies and such to, you know, the  
11 criticality level, so we're looking at activation  
12 and fixed --- fission products, potential  
13 exposures.

14 Again, we've interviewed a number of  
15 people in this --- on this, and looked at a lot of  
16 data. And there's a very good history of the  
17 Critical Mass Laboratory done by the manager of  
18 that facility, with worker input. And right now  
19 we're doing some final modeling.

20 We got in a situation, you know, the  
21 Work Group was stagnant for a period of time there,  
22 and we got into a resource where we're going to put

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 resources in certain areas of priority, so the  
2 individual who's been working on some of the  
3 modeling and work with Critical Mass Laboratory was  
4 instrumental in some of the other evaluations that  
5 are being presented next week. So, he was tied up  
6 with Hanford and some of the INL work, so we're  
7 going to get him back on this. And we should, I think  
8 in April, I think we have a current schedule of late  
9 April to have the Critical Mass Laboratory report  
10 out. I will work as best I can to try to get the  
11 other report out, but it's going to be tied up with  
12 the EPA release of those documents. And at the same  
13 time we will work the issues here with the post-'73  
14 tritium exposures. But we ought to be able to get  
15 a Work Group in sometime before the next Board  
16 meeting after this one coming up.

17 CHAIRMAN KOTELCHUCK: Try that again,  
18 what Board meeting?

19 MR. KATZ: Well, the next Board meeting  
20 is the summer, in July, so it sounds like we could  
21 have the Work Group work tied up before July, unless  
22 we have an unexpected bump in the road. Data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 capture.

2 MEMBER MUNN: June is a good month.

3 CHAIRMAN KOTELCHUCK: Okay. Let me  
4 understand the --- I've not been --- I don't know  
5 too much about the FBI raid, and that whole issue.  
6 But if I --- as I understand what you're saying,  
7 the FBI documents have been released to us.

8 MR. RUTHERFORD: Yes, we do have them  
9 now.

10 CHAIRMAN KOTELCHUCK: And they are also  
11 official.

12 MR. RUTHERFORD: Yes.

13 CHAIRMAN KOTELCHUCK: And they have  
14 been gone over.

15 MR. RUTHERFORD: Yes.

16 CHAIRMAN KOTELCHUCK: Does that mean  
17 that --- and there'll be a report on them.

18 MR. RUTHERFORD: Yes, it'll be all tied  
19 up in that data falsification, the data fabrication  
20 report, yes.

21 CHAIRMAN KOTELCHUCK: Okay. So, there  
22 will be a White Paper coming out on this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. RUTHERFORD: Yes.

2 CHAIRMAN KOTELCHUCK: Okay.

3 MR. RUTHERFORD: These are two White  
4 Papers that we're producing, the data  
5 falsification, data fabrication, and a White Paper  
6 on the Critical Mass Laboratory.

7 CHAIRMAN KOTELCHUCK: Okay. And then  
8 SC&A will respond.

9 MR. FITZGERALD: Well, yes, we'll  
10 respond.

11 MR. RUTHERFORD: And you know the other  
12 nice thing is that SC&A has been involved with all  
13 the interviews in the process so, you know.

14 MR. KATZ: Can I ask this? I mean, if --  
15 SC&A is behind the curtain just like you are in a  
16 sense, so is there any reason why -- is there  
17 anything holding you up from getting the paper to  
18 SC&A to review before, because the release by EPA  
19 doesn't really matter for what we do in-house?

20 MR. RUTHERFORD: I don't know. I'd have  
21 to speak to that internally.

22 MR. KATZ: I mean, it's all in-house.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. RUTHERFORD: Yes. I think that --- I  
2 know that when that hold was put on those documents,  
3 we did not ---

4 MR. KATZ: Oh, you couldn't work on it  
5 either?

6 MR. RUTHERFORD: We couldn't work on it.

7 MR. KATZ: Okay, I'm sorry.

8 MR. RUTHERFORD: You know, it becomes an  
9 issue, in fact ---

10 DR. NETON: We're not even supposed to  
11 have them.

12 MR. RUTHERFORD: Yes, we're not even  
13 supposed to have them. We wouldn't -- our  
14 contractor would ---

15 MR. KATZ: Oh, that's fine. I didn't  
16 understand that. I didn't understand that, so  
17 sorry.

18 MR. RUTHERFORD: So, that's kind of the  
19 hold up.

20 MR. KATZ: Okay.

21 CHAIRMAN KOTELCHUCK: But the EPA is a  
22 release, but you have the documents.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. RUTHERFORD: We have them but we're  
2 not ---

3 CHAIRMAN KOTELCHUCK: You're not  
4 looking at ---

5 (Simultaneous speaking.)

6 CHAIRMAN KOTELCHUCK: Okay, that's  
7 fine. Are there any other agencies beside EPA?

8 MR. RUTHERFORD: There's a couple of  
9 Department of Energy documents, again, that I don't  
10 think they're going to be an issue.

11 CHAIRMAN KOTELCHUCK: Right. So,  
12 basically, you'll give us reports in, what, April,  
13 and SC&A will be able to go over them by July.

14 MR. FITZGERALD: We've been involved in  
15 all the interviews, so I don't think there will be  
16 a very long review. I think we can turn it around  
17 relatively fast.

18 CHAIRMAN KOTELCHUCK: Oh, good. Okay.  
19 Excellent.

20 MR. RUTHERFORD: And I want to say that  
21 the date for the Critical Mass is late April,  
22 because I don't want --- I know our contractor is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 listening, and he hears us say April, and he's like,  
2 oh, gosh, you know, it's late April.

3 MR. KATZ: It sounds like we could have  
4 a Work Group meeting in early June.

5 MR. RUTHERFORD: Yes.

6 CHAIRMAN KOTELCHUCK: Early June, that  
7 sounds good. And remind me where we're meeting in  
8 July?

9 MR. KATZ: July, we don't know where  
10 we're meeting yet.

11 CHAIRMAN KOTELCHUCK: Okay, good. I'm  
12 glad, so that it's not my ignorance, it's that we  
13 don't have a place.

14 MR. KATZ: It's not.

15 CHAIRMAN KOTELCHUCK: But we have a  
16 date.

17 (Simultaneous speaking.)

18 CHAIRMAN KOTELCHUCK: We have the date.  
19 That's fine.

20 MR. KATZ: And we'll be talking about  
21 that at the Board meeting, where ---

22 MR. RUTHERFORD: We'll be presenting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 our Argonne National Laboratory Evaluation Report.

2 CHAIRMAN KOTELCHUCK: Which?

3 MR. RUTHERFORD: Argonne National  
4 Laboratory, the West, out of Idaho. We will be  
5 presenting that in July.

6 MR. KATZ: Yes, so we have talked about  
7 possibly going to Idaho again.

8 CHAIRMAN KOTELCHUCK: Okay.

9 MR. FITZGERALD: Not Oak Ridge?

10 MR. RUTHERFORD: Oh, yes, we talked  
11 about that, too.

12 CHAIRMAN KOTELCHUCK: So, that finishes  
13 that.

14 MR. RUTHERFORD: Yes.

15 CHAIRMAN KOTELCHUCK: And I --- it's  
16 ten of three. We do have petitioners, and I know  
17 that Ms. Barrie said that she wanted at least  
18 --- she needed at least 10 minutes. But my feeling  
19 is let's go and let's not break. Terrie, are you  
20 on the line?

21 MS. BARRIE: Yes, I'm here. I'm on, and  
22 I won't need 10 minutes because I gave part of my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 presentation earlier today.

2 CHAIRMAN KOTELCHUCK: Yes.

3 MS. BARRIE: And I thank you for that,  
4 and I thank you for this opportunity on behalf of  
5 myself and the petitioner, [identifying  
6 information redacted].

7 I want to start backwards, I guess, with  
8 the last discussion about the Criticality Lab. And  
9 I had just located this, LaVon, and I apologize for  
10 not sending this to you, either, but it's been  
11 within the past week I've located things. And I will  
12 send it to you, but it's a document from Lawrence  
13 Livermore, and I'll just quote this one thing. You  
14 can consider this when you're finalizing your White  
15 Paper.

16 MR. RUTHERFORD: Okay.

17 MS. BARRIE: It says, an example  
18 --- they're talking about a loss of Rocky Flats  
19 documents, especially for the Criticality Lab. It  
20 says, an example of such a loss might be that which  
21 took place upon the closing of Rocky Flats  
22 facility. Rocky Flats had assembled a substantial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 collection of criticality safety documents. Dr.  
2 Rothe has noted that he retained a few of the less  
3 well distributed internal documents in his  
4 personal collection. Many others, evidently, had  
5 been destroyed or dispersed and are now unavailable  
6 to be scanned. So, everything that you have there  
7 may not be everything that was available.

8 Which gets into, I guess, the 400 boxes  
9 at Los Alamos. You had mentioned, or there was a  
10 discussion about whether it's worth going and  
11 taking a look to see if there's any documentation  
12 on magnesium-thorium plates. And I really  
13 appreciate everything that --- all the  
14 investigation everyone has been involved with. The  
15 reason I sent that little tidbit was because it was  
16 a lot more specific information than -- other than  
17 Dow Chemical --- yes, Dow Chemical shipped  
18 truckloads of this plate. And I really do  
19 appreciate that you took it seriously and tried to  
20 ascertain, you know, documentation for that.

21 But I think because of that and this  
22 document about, you know, records being destroyed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for the Criticality Lab, that you might want to  
2 consider exploring those 400 boxes. There might be  
3 documentation that would support the position of  
4 the petitioners about, you know, policies not being  
5 followed, procedures not being followed, things of  
6 that nature. So, I'd just like to throw that out  
7 to everyone.

8 MR. RUTHERFORD: Terrie, I will  
9 ----just to add, you know, we did go look at those  
10 documents at Los Alamos with respect to exposures  
11 from neptunium, U-233, the tritium. We did go out  
12 and look at a number of those documents.

13 With respect to policies, I'm not sure  
14 that we necessarily looked at them on that scale,  
15 but I did want to let you know we did look at it  
16 from the other ---

17 MS. BARRIE: Okay, great. Thank you.  
18 Yes, when it comes to the policies and procedures,  
19 it's common knowledge that, you know, just because  
20 it was written down doesn't mean it was followed.  
21 There was, you know, the philosophy of production  
22 over safety, so there was a lot of corners that were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cut. Like I said, it is common knowledge.

2 I don't know how you can prove that,  
3 though, other than the testimony of the workers.  
4 And when it comes to the testimony of the workers,  
5 what seems to have been ignored so far when it comes  
6 to tritium is how frequently the tritium alarms  
7 went off. If you remember, there was a focus group  
8 back in, what, 2012, where they discussed tritium,  
9 and there was testimony from one worker I remember  
10 especially, where they would have to hold their  
11 breath to go through this one corridor. The  
12 petitioner actually mentioned in an interview that  
13 there was an alarm that went off frequently in the  
14 building that he --- or a room that he had to go  
15 into. So, I would not discount their testimony.  
16 They were there. They knew what happened. Just  
17 because you can't necessarily find it documented  
18 doesn't mean it didn't happen.

19 And when it comes to --- yes, John Mauro  
20 mentioned about the location of the bubblers. And  
21 I tend to think that he might be right, that the  
22 bubblers may not have always been located at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 down draft tables. The affidavit that was submitted  
2 with the petition, the original petition, the  
3 worker mentioned he was at a down draft table and  
4 he drilled into the site return, and the drilling,  
5 drilling too far, obviously, and tritium was  
6 released. He had a nasal smear. There is no record  
7 of a nasal smear, nor did the worker, as far as I  
8 know, have a bioassay or a urine sample taken for  
9 tritium. So, you know, we might want to take another  
10 look at that part of it, too.

11 As for neptunium, I received an email  
12 from, I think it's the [identifying information  
13 redacted] that you interviewed, and that's  
14 mentioned in the White Paper. She came back with  
15 a little bit more information today after the  
16 discussion, and I'd like to pass that on to you.

17 Excuse me. She's talking about ----she  
18 got the impression that only five experimental  
19 operators are being considered as being possibly  
20 exposed, but that would have been --- there would  
21 have been a whole lot more workers. She says, and  
22 I'm quoting, the ion exchange, calciner, and other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 process equipment used in Room 114 and Room 149.  
2 These are the two large processing rooms where many  
3 other workers would have been present around those  
4 special operator people. She's going to go check  
5 a little bit more to see if she can get further  
6 information for you.

7 And she also says that she believes the  
8 process, the neptunium process was conducted out  
9 on the main floor using the same glove boxes and  
10 equipment used daily by others, and perhaps by the  
11 special operators because it was a relatively small  
12 batch operation, and a slightly different process,  
13 including extraction of the neptunium.

14 And my last --- I have papers all over  
15 the place here. Wanda had asked about how metal  
16 tritides would be formed. And I'm not sure, but I  
17 remember reading in SC&A's report something about  
18 the hydride process. And there was a hydride  
19 process at Rocky Flats, and I believe it was in  
20 Building 779. So, that might be another avenue for  
21 investigation or exploration to see if metal  
22 tritides were there. And I think that's all I have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for today, and I thank you very much for allowing  
2 these comments and for everybody's hard work on  
3 this.

4 CHAIRMAN KOTELCHUCK: Very good. Thank  
5 you. Thank you. Was there --- on the neptunium, was  
6 there --- the comment was five experimental  
7 operators. Was that the reference to the five  
8 people whose numbers were sampled out of the larger  
9 group of people who worked?

10 MR. RUTHERFORD: No, that was just the  
11 project engineer in charge of that process  
12 identified that there were five experimental  
13 operators that worked on that.

14 CHAIRMAN KOTELCHUCK: Okay.

15 DR. NETON: We won't restrict the dose  
16 reconstruction to five operators.

17 CHAIRMAN KOTELCHUCK: Right.

18 DR. NETON: Anyone who worked with  
19 plutonium will get the dose.

20 CHAIRMAN KOTELCHUCK: Yes, yes. Okay.

21 MR. FITZGERALD: I mean, I think that's  
22 the difference, that we're still talking about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 plutonium, neptunium being ---

2 CHAIRMAN KOTELCHUCK: Okay, good. Well,  
3 again, thank you. Are there other folks from the  
4 petitioners to speak?

5 MS. PADILLA: Yes, sir. My name is Judy  
6 Padilla, and I have just submitted another  
7 --- myself and other people have just submitted  
8 another SEC petition just in the past week or so.  
9 And I would just like to make one short statement,  
10 if you would allow it.

11 CHAIRMAN KOTELCHUCK: Surely.

12 MS. PADILLA: In 1993, Federal Judge  
13 Sherman Finesilver approved the release of the  
14 complete grand jury report for Rocky Flats as a  
15 matter of history. Rockwell International pled  
16 guilty of the environmental crimes, as well as  
17 falsification of paperwork, and paid an \$18.5  
18 million fine. Nevertheless, NIOSH used information  
19 submitted by Rockwell as viable data when  
20 calculating the Probability of Causation for all  
21 radiation exposures. NIOSH and DOE, DOL allowed an  
22 admitted liar and criminal company to submit

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 documentation which was very possibly tainted,  
2 incorrect, and/or tampered with.

3 CHAIRMAN KOTELCHUCK: Okay.

4 MS. PADILLA: Criminal actions,  
5 fraudulent and illegal activities, and the  
6 omission of the truth in paperwork and deeds is  
7 proof that Rockwell could not be trusted to give  
8 accurate information concerning nuclear workers'  
9 radiation exposure; yet, NIOSH used only data  
10 provided by them as the basis to perform the  
11 analysis for workers' radiation dose. Can flawed,  
12 incorrect, or missing data be used in any  
13 scientific documentation? The grand jury report  
14 has shown us that any data which was provided by  
15 Rockwell International and EG&G should be negated.  
16 If you haven't read this grand jury report, I would  
17 suggest that you read it. It is now on the internet.

18 CHAIRMAN KOTELCHUCK: Okay. And that's  
19 in your petition.

20 MS. PADILLA: Yes, sir.

21 CHAIRMAN KOTELCHUCK: Okay. Well, we  
22 will certainly have to consider the petition.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. PADILLA: Yes, sir. And please  
2 consider the Colorado Federal District Court  
3 report of the Federal District Special Grand Jury,  
4 number 89-2. And this is as of January 24th, 1992.

5 CHAIRMAN KOTELCHUCK: January 24th,  
6 '92. Okay.

7 MS. PADILLA: It's a complete redacted  
8 version of the grand jury report through 1993.

9 CHAIRMAN KOTELCHUCK: Okay. Well, thank  
10 you for that, and that's an important thing that  
11 we have to consider, and we will.

12 MS. PADILLA: Thank you.

13 CHAIRMAN KOTELCHUCK: Thank you. Any  
14 further petitioner comments? Are there -- let me  
15 ask Ted. Can folks from the general public comment?

16 MR. KATZ: Yes.

17 CHAIRMAN KOTELCHUCK: If someone from  
18 the general public is there, not a petitioner, and  
19 wants time, please so request. Hearing none, I  
20 think it's time to close our Working Group meeting.

21 MR. RUTHERFORD: All right.

22 CHAIRMAN KOTELCHUCK: Okay. So, is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       there anything ---

2                   MEMBER MUNN: We'll try to ---

3                   CHAIRMAN KOTELCHUCK: Right.

4                   MR. KATZ: It's a little premature, I  
5 think, to establish a date.

6                   MEMBER MUNN: Okay.

7                   CHAIRMAN KOTELCHUCK: Okay. So, Bill,  
8 anything? Wanda, anything to say?

9                   MEMBER MUNN: Nothing here.

10                  CHAIRMAN KOTELCHUCK: Okay.

11                  MEMBER FIELD: No, nothing here. Good.

12                  CHAIRMAN KOTELCHUCK: Very good. So, we  
13 stand adjourned.

14                  MR. KATZ: Yes, thanks everybody on the  
15 line. Take care.

16                  (Whereupon, the above-entitled matter  
17 went off the record at 3:06 p.m.)

18

19

20

21

22

1

2

3