

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

SUBCOMMITTEE ON DOSE RECONSTRUCTION REVIEWS

+ + + + +

WEDNESDAY
FEBRUARY 10, 2016

+ + + + +

The Subcommittee convened via teleconference at 10:30 a.m. Eastern Time, David Kotelchuck, Chairman, presiding.

PRESENT:

DAVID KOTELCHUCK, Chairman
JOSIE BEACH, Member
BRADLEY P. CLAWSON, Member
WANDA I. MUNN, Member
JOHN W. POSTON, SR., Member
DAVID B. RICHARDSON, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official
JOEL ARANA, ORAU Team
KATHY BEHLING, SC&A
RON BUCHANAN, SC&A
GRADY CALHOUN, DCAS
DOUG FARVER, SC&A
ROSE GOGLIOTTI, SC&A
JENNY LIN, HHS
JOHN MAURO, SC&A
BETH ROLFES, DCAS
SCOTT SIEBERT, ORAU Team
MATT SMITH, ORAU Team
JOHN STIVER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

Contents

Welcome and Introduction	4
Decision on Allied Blind Case	6
Review of Second Draft Report	31
Review of Blind Set 22	55
Assigning a New Set of Blinds	62
Review of Draft Report to Secretary.....	74
Criteria for Assigning and Finding Observations..	114
SC&A Proposal	127
Reviews of Cases in Set 14	153

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (10:32 a.m.)

3 **Welcome and Introduction**

4 MR. KATZ: First of all, welcome,
5 everyone. This is the Advisory Board of Radiation
6 and Worker Health, Subcommittee on Dose
7 Construction Reviews. A few preliminaries:

8 The agenda for today is posted on the
9 NIOSH website under the Board section, under
10 meetings on today's date or schedule -- scheduled
11 meetings, today's date. So you can follow along
12 on the agenda. There are some other materials
13 posted there as well that will be discussed today.
14 Okay. There's no public comment session today.

15 And roll call: I already know which
16 Board Members I have on, although I'll circle back
17 on Dr. Richardson. But I will address, to make it
18 easy, conflicts of interest. They may not arise
19 at all because we're mostly dealing with more
20 general matters today. But just in case, I'll
21 cover those.

22 So, we have for Wanda Munn and Josie

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Beach conflicts related to the Hanford Site. And
2 Brad Clawson has a conflict related to the INL Site.
3 And then the only other conflicts there are would
4 be for Dr. Poston. And those include BWXT, X-10,
5 ANL, Sandia, LANL, Lawrence Livermore, Y-12, West
6 Valley, and then dose reconstructions related to
7 Dr. Poston's [identifying information redacted].
8 Of course, he doesn't have any involvement [on the
9 Subcommittee] with those.

10 MEMBER POSTON: It sounds like I should
11 just sign off.

12 MR. KATZ: No, no, no. No, John, we
13 need you. Thank you. So that takes care of
14 conflict matters.

15 (Roll call.)

16 MR. KATZ: Alright then. Dr.
17 Kotelchuck, it's your meeting. I would just
18 remind everyone on the line, mute your phones
19 except when you're speaking.

20 CHAIRMAN KOTELCHUCK: Okay. Hello.

21 MEMBER MUNN: Hello.

22 CHAIRMAN KOTELCHUCK: Hi, can you hear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 me, folks?

2 MEMBER MUNN: Yes, we can.

3 CHAIRMAN KOTELCHUCK: Okay, great.

4 MEMBER RICHARDSON: Hi. Excuse me.

5 This is David Richardson. I just want to say I'm

6 on.

7 **Decision on Allied Blind Case**

8 CHAIRMAN KOTELCHUCK: Wonderful,
9 okay. Welcome, Dave. So, well, let's get
10 started. First, on the first item, the resolution
11 of the two remaining issues: One was to finalize
12 the decision on the Allied blind case and the other
13 was further discussion of the number of cases whose
14 compensability changed.

15 They may be shorter discussions. I
16 should report that when I talked with Dr. Melius
17 on a few occasions about the Allied blind case, he
18 noted that that case had not been vetted, and, in
19 particular, not been vetted by the Surrogate
20 Methods Work Group, which I was not [previously]
21 aware of.

22 And he's been looking into the case and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been reading up about it further, but would like
2 to review the case. In which case, we really don't
3 have to finalize the decision.

4 But I would like to have a discussion
5 about the case to clarify issues that were raised
6 at our last meeting on December 1st. So basically,
7 for myself at least, I would like to understand what
8 was the core issue that led to the quite different
9 compensability results by SC&A and NIOSH.

10 And I wondered if folks could try to
11 encapsulate that for us and discuss further the
12 issue of: Was there an issue of error, scientific
13 error, or lack of information that was provided and
14 would [that] bring the two together? Or were they,
15 as we mostly discussed last time, two perfectly
16 appropriate, scientifically appropriate, dose
17 reconstructions that for various reasons came to
18 different conclusions?

19 First, in terms of clarifying the
20 differences which resulted in the very large PoC
21 differences between the cases. Would somebody
22 either from NIOSH or SC&A like to start that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussion and help us on the Subcommittee better
2 understand that, or clarify?

3 MR. CALHOUN: This is Grady. I can
4 tell you at least what I remember of it.

5 CHAIRMAN KOTELCHUCK: Okay.

6 MR. CALHOUN: Initially it started out
7 to be a radon issue and that's what was written up.
8 And we had discussions just about the relative size
9 of the operation compared -- the relatively small
10 size of the operation compared to the very large
11 scale operation that we used to calculate the radon
12 dose.

13 After a lot of discussion, John Mauro
14 and I pretty much agreed that the radon issue was
15 adequately handled by our dose reconstruction.
16 And then what happened was we got a new issue,
17 basically by memo, about the equilibrium of some
18 of the daughters and that we underestimated the
19 equilibrium of some of the daughters for our
20 internal dose.

21 Based on a couple of emails back and
22 forth recently between Rose and myself, I believe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, at least her understanding is, that was taken
2 care of, too. So that's where my understanding of
3 this is, is that they agree. But you never know.

4 CHAIRMAN KOTELCHUCK: Right.

5 MS. BEHLING: Excuse me. This is
6 Kathy Behling. If you would like, I can maybe add
7 to that discussion.

8 CHAIRMAN KOTELCHUCK: Absolutely,
9 thank you.

10 MS. BEHLING: Okay. And I'm going to
11 backtrack a little bit. You know, SC&A initially
12 did the Method A and the Method B, for this type
13 of case. And under SC&A's Method A and our current
14 SC&A blinds, we used the same tools and guidance
15 that's available to ORAU and to NIOSH, with the
16 goal, I think, being to determine if there's
17 consistency in the DR methods used and the
18 interpretation of those methods.

19 Now, Method B is encouraged to, you
20 know, think outside the box, if you will, and the
21 Allied Chemical case was a perfect example of that
22 approach. And I think everything that Grady has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 said is correct with regard to SC&A's Method B.

2 Now, when it comes to Method A, as I
3 indicated, we were attempting to show some
4 consistency by using the same documentation. And
5 Method A starts by using what we consider the
6 appropriate hierarchy of data and documents,
7 meaning individual monitoring records and
8 site-specific guidance.

9 But in the case of Allied Chemical,
10 there was no formal approved site-specific
11 guidance. So SC&A felt that it was appropriate to
12 use surrogate data, as well as the OTIB-43, which
13 is the generic guidance that's appropriate for this
14 particular case, in deriving our dose.

15 So that's what Method A did, using
16 Blockson data, and we were not aware of NIOSH's
17 approach for using 10 percent values of the
18 OTIB-43. After becoming aware of that, I think we
19 from SC&A do feel that, based on the throughput and
20 the operations that were going on at Allied
21 Chemical, that that was an appropriate approach.

22 But it wasn't something that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 formally documented and we were not aware of it.
2 So I think this is what led to the differences. We
3 used what we felt was appropriate surrogate data
4 which complies with the hierarchy of data, and we
5 were not really aware of this 10 percent OTIB-43
6 approach. And we didn't realize that it was being
7 used consistently, because I think this is just
8 more of a NIOSH/ORAU guidance letter that's put
9 into this file.

10 CHAIRMAN KOTELCHUCK: Okay, good,
11 good. That's helpful to me. Do other folks on the
12 Subcommittee have questions or want a little more
13 clarification?

14 MEMBER CLAWSON: Yeah, this is Brad.
15 I just would like to better understand this 10
16 percent that you were talking about, Kathy. Is
17 this something that is used all the time or is there
18 special circumstances that push you into that?

19 MS. BEHLING: What I found and what I
20 did look at for this Allied Chemical, I went back
21 into all of the previously completed Allied
22 Chemical cases to determine if they were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consistently using 10 percent of the OTIB-43
2 values, and they are.

3 And they're using -- and correct me if
4 I'm wrong here -- but I think they're using 10
5 percent of the maximum values that are provided in
6 the OTIB-43. And what we did, we actually went in
7 and used, I think, a mean value -- and maybe, Doug,
8 you can correct me here if I'm wrong -- but we used
9 a mean value. They're using 10 percent of the
10 maximum values that are cited in OTIB-43. And as
11 I said, this was just not anything that's formally
12 documented. And I guess, if you don't mind me
13 continuing a little here,....

14 CHAIRMAN KOTELCHUCK: Please do.

15 MS. BEHLING: I think one of the things
16 that it did point out to me when I was doing the
17 comparison is, like I said, we're hoping to use the
18 same data to see if there's consistency between
19 what SC&A does on our blinds and what NIOSH does.

20 And therefore, it's important that
21 we're made aware of any new methodologies that are
22 being used or -- and I will give you, not just take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 too much of a side step here, but if you've read
2 through any of the 22nd blinds that we just recently
3 submitted, we did go outside of that thinking a
4 little bit in the Metals and Controls blind case.

5 There we realized that there is a
6 template. And when I talk about a template, it is
7 something that NIOSH is now embedding into the dose
8 reconstruction report. It's a dose methodology,
9 a dose reconstruction methodology, that's embedded
10 in the dose reconstruction report. And it does use
11 site-specific data.

12 So for the Metals and Controls blinds
13 that we just did, we did make the decision to use
14 a methodology that has not been approved by the
15 Board at this point, but it did represent
16 site-specific data as opposed to trying to use
17 surrogate data.

18 So that is a little bit different than
19 what we've done in the past. Like I said, we try
20 to use data that we know has been approved and that
21 we think NIOSH is also using. But it's important
22 to identify the fact that we need to be, I think,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 kept the loop here so we know what methodologies
2 are being used for the various sites.

3 And it's interesting to me, because
4 Allied Chemical is a smaller site and I understand
5 why NIOSH went down this path, but we just were not
6 aware of it.

7 MR. CALHOUN: Just a little -- just a
8 quick point of clarification, and it's a little bit
9 silly, but I don't want to confuse Allied Chemical
10 with Allied Chemical & Dye. They're two very
11 different sites and this case was Allied Chemical
12 & Dye. That's all. I just wanted to make sure you
13 were aware of that.

14 MS. BEHLING: Correct. That's
15 appropriate.

16 CHAIRMAN KOTELCHUCK: Right.

17 MEMBER BEACH: This is Josie. And
18 Grady, I'm glad you mentioned that, because Allied
19 Chemical & Dye and Allied Chemical are
20 interchangeable on the website and in the
21 paperwork. So it makes it even more confusing when
22 you go back and try to research Allied Chemical.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. CALHOUN: Yeah, we just discovered
2 that. And I don't know if you or somebody tipped
3 us off to that. But I saw some email traffic
4 yesterday with our web team and they're trying to
5 fix that, because that's very confusing and they're
6 very different sites.

7 So, you're right. We are in the
8 process of fixing that on our website, too.

9 CHAIRMAN KOTELCHUCK: Yes. Great, go
10 ahead. Sorry.

11 MEMBER BEACH: Just to go back to
12 SC&A's paperwork, there's one I reviewed December
13 2014 for Allied Chemical & Dye, and then I found
14 one for Allied Chemical Corp. One is in Illinois
15 and one is in Delaware. But then if you open it
16 up, the second one I mentioned was September 2011,
17 it also references Allied Chemical & Dye.

18 So I guess one of my concerns in this
19 whole process is doing this blind review and having
20 our sites so mixed up with Allied Chemical. That
21 concerns me a bit.

22 CHAIRMAN KOTELCHUCK: Yeah, I found

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that also when I was going onto the websites, that
2 I was having trouble separating the Allied Chemical
3 & Dye from Allied Chemical.

4 And I just want to clarify something
5 Kathy said. You said that you reviewed the cases,
6 all the Allied cases, and you mean the Allied
7 Chemical & Dye cases, right?

8 MS. BEHLING: Yes. That's correct.
9 I went into NOCTS and I pulled out all of the Allied
10 Chemical and Dye cases that have been adjudicated,
11 and I did find in everything that I reviewed that
12 this method of this 10 percent of the maximum values
13 of OTIB-43 is being used consistently.

14 CHAIRMAN KOTELCHUCK: Good, good.
15 Okay. I just want to clarify. And that was as I
16 thought it would be.

17 MEMBER BEACH: And I guess I want
18 clarification why 10 percent is okay instead of 20
19 percent of the amount. Did you look at that as
20 well?

21 MR. CALHOUN: Yeah, I don't know if you
22 were in on that discussion, Josie. But John and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I had very lengthy discussions on that. And it
2 would actually be probably closer to less than one
3 percent if you actually did the correct ratio. We
4 tried to overestimate.

5 CHAIRMAN KOTELCHUCK: I think
6 certainly, Jim, when we talked, I mean, he's quite
7 concerned that for the AWE cases that we really be
8 consistent, that we have a consistent approach.
9 And I think it goes beyond the issue, if I may
10 paraphrase my discussion with him, it goes beyond
11 the issue of Allied Chemical & Dye and this
12 particular blind. But how are we handling this
13 issue in AWE cases, with issues of surrogacy in
14 particular?

15 So what I think is this has been very
16 helpful to me in clarifying some of the issues that
17 we discussed last time.

18 I think there's not a discussion or --
19 well, let me just say, to me, there's no -- let me
20 get started again. It seems to me that both
21 groups, the NIOSH and SC&A, tried to conduct dose
22 reconstructions in a scientifically defensible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 way.

2 The way that NIOSH approached it with
3 the 10 percent of the OTIB is now agreed upon by
4 all as the better way. And if we were discussing
5 this as a case that came up for review there would
6 be no problem about resolving the issue and moving
7 ahead using NIOSH's approach.

8 Obviously, for the blind, this comes
9 up. But I think that Jim would like to take a look
10 at the consistency of our approach.

11 MR. KATZ: Can I, Dave?

12 CHAIRMAN KOTELCHUCK: Yes.

13 MR. KATZ: This is Ted. I'm just
14 wondering, because I was part of those discussions
15 with Jim.

16 CHAIRMAN KOTELCHUCK: Good.

17 MR. KATZ: And his focus, of course,
18 was -- and this is a question related to Grady, I
19 think -- well, I think Kathy could probably answer
20 it just as well. But SC&A in their sort of
21 back-of-the-envelope approach used surrogate -- I
22 don't want to call it that really. But you know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what I mean, Method B used surrogate data.

2 But my question is, the OTIB-43, the 10
3 percent, is that still a surrogate data approach
4 or is surrogate data not on the table the way NIOSH
5 approached this?

6 MR. CALHOUN: That's still the way we
7 do these DRs for Allied Chemical & Dye.

8 MR. KATZ: But, no, I mean my question
9 is, is that surrogate data we're talking about
10 still?

11 DR. MAURO: Excuse me. I'm sorry to
12 interrupt. This is John Mauro. I'm sorry I'm
13 late in joining you. And John Stiver asked if I
14 wouldn't mind joining you, because you are talking
15 AWEs and it's a subject that's near and dear to my
16 heart. And I thought maybe I could help a little.

17 I've been listening for about five or
18 ten minutes and I'll just add a little bit right
19 now, because you may have already talked about
20 this. But whenever I am involved in either a blind
21 --

22 MR. KATZ: John.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Sure.

2 MR. KATZ: I was asking a question that
3 I'd love to get a clear answer about it before you
4 take the reins.

5 DR. MAURO: Sure.

6 MR. KATZ: What my question was, did
7 the NIOSH approach, which, you know, was sort of
8 mirrored by one of the SC&A approaches, was that
9 also using surrogate data? That's my question.

10 DR. MAURO: In all likelihood, we rely
11 heavily on TBD-6000 and OTIB-70. In other words,
12 when we do any type of review or blind, because
13 those are two documents that have undergone
14 thorough technical review, historically. So almost
15 the rock we stand on.

16 CHAIRMAN KOTELCHUCK: Excuse me. But
17 I think he was asking, John, just before you start,
18 I think he was asking Grady the question.

19 DR. MAURO: I'm sorry. I thought you
20 were asking me.

21 CHAIRMAN KOTELCHUCK: No, no. He was
22 asking Grady.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Oh, I'm sorry.

2 CHAIRMAN KOTELCHUCK: That's fine.
3 We do want to hear from you soon. But, Grady, did
4 you have an answer to Ted's question? Does NIOSH
5 use surrogate data? Did this work involve
6 surrogate data when you used the 10 percent?

7 MR. CALHOUN: I would have to go back
8 and look at the derivation of the values in that
9 TIB. I imagine it does.

10 MR. KATZ: Okay.

11 CHAIRMAN KOTELCHUCK: Okay.

12 MR. KATZ: And the reason I ask that is
13 just because Dr. Melius' interest is in having the
14 Surrogate Data Work Group look at the site just to
15 make sure that, in terms of surrogate data, it's
16 meeting the requirements that, you know, the Board
17 and NIOSH have set out for how it applies surrogate
18 data.

19 That's all, that's why I wanted
20 clarification on that point.

21 MS. BEHLING: And this is Kathy. If I
22 can clarify one additional thing: Not only did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Method B use the surrogate data, actually they were
2 using radon EPA guidance data for the radon. But
3 Method A, or SC&A's Method A, which was trying to
4 duplicate what we assumed NIOSH would have done in
5 this particular case, we also used surrogate data.
6 We used the Blockson TBD as well as OTIB-43.

7 MR. KATZ: Right. And I understood
8 that for your method, Kathy. I just wanted to
9 understand whether that was true for NIOSH's method
10 because that's what the Board would be looking at,
11 not really SC&A's approach to it, but NIOSH's
12 approach.

13 CHAIRMAN KOTELCHUCK: Right. I'd like
14 to now get back to John. You were starting to say
15 and I look forward to hearing from you now. I think
16 we've answered the question.

17 DR. MAURO: I'll keep it brief. Yes,
18 most AWEs have some degree of need for surrogate
19 data. It's very common. And the first place we
20 look to see if we can find any default or surrogate
21 airborne activity, occupancy times, whatever is,
22 in TBD-6000, which is a comprehensive -- it's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compendium that reflects research done by
2 Christifano & Harris, which is a wonderful report.

3 So what I'm getting at is that, yes,
4 surrogate data is very, very much part of AWE work,
5 because very often, almost all the time, it
6 requires surrogate data. But the surrogate data
7 has almost become -- the fallback is TBD-6000,
8 which in turn hangs its hat on Christifano & Harris,
9 a definitive piece of work that is a classic piece
10 of work.

11 So when we're dealing with most AWE
12 sites, what we find ourselves, what I find myself
13 doing is looking at the site, looking at where there
14 is a need to fill in holes or provide surrogate
15 data. Usually it's airborne uranium activity or
16 deposit activity on surfaces.

17 And what we depend on is this vast
18 amount of data that has been compiled and reported
19 on. And then we find amongst that array, that
20 matrix of different types of activities that might
21 have gone on at an AWE site, which is often a uranium
22 operation. We try to find which particular time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 period and type of operation and job category for
2 the particular person that we're looking at, let's
3 say we're doing a DR review, is best suited.

4 So we try to find that person, that is,
5 we think he's, you know, an operator that did some
6 type of grinding operations in 1958. You know,
7 that's how detailed the granularity of the TBD-6000
8 and its backup support information is.

9 So we do have a fairly standardized
10 process that -- when I say standardized, something
11 that I use and we use to check AWEs.

12 Now, when you leave the mode of the
13 conventional uranium machining and handling
14 operation, and then we go into things like Blockson
15 where we're talking about tailings from
16 phosphates, phosphogypsum processing, then we
17 leave the realm of TBD-6000. And then we have to
18 go to our own devices. But there are OTIBs, I think
19 it's 43, that is the standard method for dealing
20 with phosphate-type facilities where uranium was
21 extracted.

22 But we don't just depend on that. In

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fact, quite frankly, what I do is I go back to the
2 original source document, which is the Florida
3 Institute of Phosphate Research. They've
4 published very, very widely and have a tremendous
5 amount of work and a great deal of granularity to
6 the data on the concentrations of uranium, radium,
7 radon emanation rates from the operation itself,
8 from the phosphogypsum stacks.

9 And what we do -- this is what I've
10 recently done, in fact -- is I go see if I can find,
11 when we don't have real data -- and I believe this
12 Allied Chemical Delaware site might be one; I don't
13 recollect, but might be one of those -- what I do
14 is I try to say -- and I do use our five surrogate
15 data criteria.

16 I mean, that is the rock we stand on.
17 But in this case, you know, I drew upon this
18 experience from the Phosphate Institute. And by
19 the way, that doesn't always work very well, for
20 a variety of reasons I won't go into right now.

21 So we have to be careful when we use FIPR
22 [data] to apply to a place like in Delaware because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's a lot of differences in the way in which
2 a phosphate is processed in Florida as opposed to
3 Delaware. But, anyway, I hope that helps.

4 I wanted to let you know that when we
5 do this, yes, we do very much tend to the surrogate
6 data criteria and are very sensitive to that. And
7 usually our findings on any particular case go
8 along that line, that we may say that, you know,
9 we don't really agree with how you applied your
10 surrogate data for the following reasons and where
11 we believe it might have failed or passed the
12 Board's surrogate data criteria. I hope that
13 helps.

14 CHAIRMAN KOTELCHUCK: It certainly
15 helps for me. Thank you, John. Any questions or
16 comments further about what John said? All of this
17 discussion today helps me, at least in my own mind
18 as one Member of the Subcommittee, to understand
19 better what the issues are and the surrogacy issues
20 that need to be looked at.

21 In a sense, we do not need -- well, I
22 think we do not need to go further in -- we've moved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 along in understanding. I don't think we need to
2 go further in trying to resolve some of these issues
3 if we are going to send this to a Working Group.

4 Is that generally agreed? I mean, how
5 do folks feel?

6 MEMBER CLAWSON: Dave, this is Brad.

7 CHAIRMAN KOTELCHUCK: Yes.

8 MEMBER CLAWSON: I just had one
9 question, because when Kathy was talking she said
10 that they didn't use surrogate data, and this is
11 something with the metals. I'm just wondering if
12 she could expand on that, because I was better
13 trying to understand what she was talking about.

14 MS. BEHLING: I'm sorry, Brad. I
15 apologize if I confused things. I meant to say
16 that [at] SC&A, we did use surrogate data. In the
17 hierarchy of the documents that we would use, we
18 would first try to go to site-specific guidance.
19 And since there wasn't any, we think it would be
20 appropriate then to use surrogate data, which I
21 think Doug used Blockson, which is a much bigger,
22 larger operation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we also used the generic guidance
2 from OTIB-43. But we used, I think, mean values
3 or geometric mean values, as opposed to the
4 maximum, this 10 percent [of] maximum values just
5 because we weren't aware of that. But, yes, both
6 methods did use surrogate data. I'm sorry if I
7 misstated that.

8 MEMBER CLAWSON: No, that was probably
9 me. I just wanted to make sure I was onboard with
10 that because I always thought if we had actual
11 information there we were always supposed to use
12 that for surrogate data. That's just what my
13 question was.

14 MR. KATZ: Brad, Kathy was talking at
15 one point about another site, Metals and Controls.
16 That was about another site, though.

17 MEMBER CLAWSON: Okay. That's where I
18 got confused. I apologize.

19 CHAIRMAN KOTELCHUCK: Not at all. No
20 need to apologize.

21 MS. BEHLING: That was probably
22 confusing for me to introduce that at this point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 My apologies.

2 CHAIRMAN KOTELCHUCK: No, no. Okay,
3 great.

4 MEMBER BEACH: This is Josie. I have
5 a question for Kathy. Kathy, what was the number
6 for that Metals case you were talking about?

7 MS. BEHLING: That's part of the 22nd
8 set of blinds that we just submitted. It was the
9 Metals and Controls blind. And in that particular
10 case, as I indicated, there is no site-specific
11 data. And rather than going to the approach of
12 using perhaps surrogate data, we did become aware
13 that this template --

14 MEMBER BEACH: Yeah, I understand
15 that. I was just looking for it. I had reviewed
16 six of them but that one I could not recall. So
17 I was wondering if there was a case number.

18 CHAIRMAN KOTELCHUCK: Josie and other
19 Subcommittee Members, have you all received the
20 blinds from Set 22? I've seen them.

21 MR. KATZ: Yes, they've all received
22 them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay, good,
2 good. Well, we'll come back to the Blind Set 22
3 later in Item 3. To my mind, suffice it to say,
4 I was delighted to get Set 22 and find good
5 agreement between NIOSH and SC&A.

6 And that means that we will have
7 completed 20 blinds, which I hope we can include,
8 all of which I hope we can include in our report,
9 and we have issues with one out of 20. And we will
10 talk about those a little bit later.

11 MEMBER BEACH: Okay. I'll hold my
12 question until then. Thank you.

13 CHAIRMAN KOTELCHUCK: Okay, great.
14 So the other issue on Item 1 is, again, one that
15 may be -- it may really be resolved. I did not
16 understand at the last meeting that it was
17 resolved.

18 And that is to determine the number of
19 cases whose compensability changed as a result of
20 our dose reconstruction review discussion. And I
21 certainly received, and we received, I gather --
22 or, Ted, say if we all received Kathy's or Rose's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 letter, I'm not sure who sent it -- saying that they
2 looked through the data and they could not find
3 anywhere, or could not detect anywhere there was
4 a change as a result of our discussion.

5 And then Grady had long ago talked about
6 that there were maybe two or three. And I wasn't
7 clear what the resolution of that was. He wrote
8 something up further.

9 MR. CALHOUN: Dave.

10 CHAIRMAN KOTELCHUCK: Yes.

11 **Review of Second Draft Report**

12 MR. CALHOUN: This is Grady. We took
13 a closer look at that and I can tell you exactly
14 what our findings are.

15 CHAIRMAN KOTELCHUCK: Great.

16 MR. CALHOUN: And of all of the cases
17 that we've actual spoke about and reviewed at this
18 point, we found two. And I'll tell you briefly
19 what they are.

20 CHAIRMAN KOTELCHUCK: Great.

21 MR. CALHOUN: There was one, let's see

22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: It's great that
2 you found them, not that they flipped.

3 MR. CALHOUN: Well, yeah. But I want
4 to see. There was one -- the very first one was
5 a long, long, long time ago. And let's see, let
6 me get down to it. There it is.

7 And this had to do with we were trying
8 to get cases out. This is like in 2005. And we
9 just didn't have any data. And we came up with an
10 approach that we knew would ensure that we didn't
11 undercut anybody's dose, but it was in fact an
12 overestimating-type approach for some AWEs for
13 which we did not have any data at all at that point.

14 And so instead of letting these cases
15 languish for literally years, we processed some of
16 these through what was at the time TIB-18. And
17 that was an overestimating-type TIB.

18 This resulted in a compensable -- a case
19 being over 50 percent that was reviewed by the
20 Committee and with a finding that we really -- that
21 was an overestimating approach and maybe we
22 shouldn't have compensated that case.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay.

2 MR. CALHOUN: My assertion on that then
3 and now is that wasn't a mistake. We did that
4 intentionally. Our director at the time told us
5 to do that and to get these cases out the door and
6 it wasn't a case of us not following the directions
7 in our TBD.

8 So, obviously, we didn't call that one
9 back or try to rework it or anything like that. And
10 that was one of several, I'm sure, that were
11 processed through that. But there was only one
12 officially reviewed, I believe. And then there's
13 one other one.

14 CHAIRMAN KOTELCHUCK: Either way, the
15 Subcommittee reviewed that at that time?

16 MR. CALHOUN: Yes, they did. They
17 brought it up.

18 CHAIRMAN KOTELCHUCK: Okay.

19 MR. CALHOUN: That's how they said it
20 was flipped and it was an overestimation.

21 CHAIRMAN KOTELCHUCK: Okay, good.

22 MR. CALHOUN: Now, we don't do that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anymore.

2 CHAIRMAN KOTELCHUCK: Right.

3 MR. CALHOUN: But it was really based
4 on the pressure to get cases out the door. I don't
5 know if you were around in '05, but it was pretty
6 unbearable.

7 CHAIRMAN KOTELCHUCK: I was not.

8 MR. CALHOUN: Anyway, the second one,
9 the second and only other one that we could find
10 that we've actually reviewed already in our
11 Committee talks, was a Rocky Flats case.

12 And in this case, the way we processed
13 Rocky Flats plant cases, is that when we get
14 information, it's called NDRP data, and that's
15 indicative of significant neutron exposure. We
16 requested information from Rocky Flats, and all of
17 their dosimetry information was provided to us and
18 they did not provide us with NDRP data.

19 So we did not assign significant
20 neutron dose because typically the NDRP data is
21 associated with individuals who worked with
22 neutrons. Upon review, you guys found that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 probably should have, could have, maybe assigned
2 NDRP data.

3 We re-requested data from Department of
4 Energy for that specific case, and lo and behold,
5 they found it. And so they gave it to us. We redid
6 the case and it became compensable.

7 So, again, my assertion on this one is
8 we used all the data that DOE gave us. And we did
9 it correctly compared to all of the data that we
10 had. We certainly don't go back and re-request
11 data from all of the sites. But in this case we
12 did and we found it.

13 Since that time, and I don't want to
14 belabor this, but it's just another process that
15 we've employed here. And, you know, we do a very,
16 very large number of data captures for various
17 sites, central repositories throughout the
18 country. Whenever we capture data with an
19 identifier, such as a social security number or an
20 employee ID or even names, we scan that in a way
21 that can be linked to other existing cases in our
22 holdings.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we have literally processed
2 thousands and thousands and thousands of these.
3 And we look to make sure that any new data that we
4 have acquired during these data captures don't
5 change the outcome of a case.

6 And so what we do is we compare that to
7 the holdings that we have. And then we literally
8 do a calculation to determine, if they do in fact
9 have new information, if that would cause the case
10 to flip. And weekly I get reports from ORAU that
11 goes over every case that could have been affected
12 and flipped because of this.

13 And [for] the cases that are flipped we
14 request from Department of Labor a rework. And
15 there's been probably less than ten in the
16 thousands and thousands. I say that, I'm actually
17 going to try to call this up while I'm speaking to
18 tell you how many we've done.

19 But we request that rework from
20 Department of Labor. They send it to us and that
21 results in a rework, and, in more cases than not,
22 a flip. We've done 3,685 of those.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: How many?

2 MR. CALHOUN: 3,685. Let me
3 double-check my header and make sure there's two
4 spaces up there, yeah. And the vast majority of
5 those there's no consequence because it's data we
6 already had. But we still take a look at it.

7 And just for quick illustration, in the
8 last couple of weeks we've got actually three of
9 these which could potentially flip. We requested
10 that DOL send the rework.

11 One of them has no eligible claimants,
12 so DOL has nobody else to contact. So we can't do
13 anything with that one. The second one had already
14 had maximum benefits paid under Part E and Part B,
15 but we didn't know that because it was done under
16 beryllium requirements. And then the third one
17 we're getting a rework from, just to let you know
18 how that worked.

19 So that kind of goes back to this Rocky
20 Flats case. And when we do in fact find additional
21 data, we don't just sit on it. We look to see if
22 it can be of benefit to the claimants.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So those were the only two that we could
2 find that, due to the discussions we had,
3 potentially flipped. And our belief now is that
4 the first one was intentionally done that way and
5 it wasn't an error, although we don't do it that
6 way anymore. And the second one was just because
7 we didn't get the right information from Department
8 of Energy.

9 CHAIRMAN KOTELCHUCK: Thank you. I
10 mean, that's an excellent report. It seems to me
11 that, from what you've said, that in the second
12 case, the Rocky Flats case, you simply got new data
13 and in a sense that didn't flip so much as in any
14 case that is processed by NIOSH or that we review
15 if there's, if new data comes up, of course we
16 incorporate it and make the decisions
17 appropriately.

18 In a sense that's not a flip. That's
19 just simply late data. So from what you've said
20 I would say there was only one case that flipped.

21 MR. CALHOUN: Well you could interpret
22 it that way, Dave. But, you know, you could always

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 say what if DOE never gave us that data? If they
2 never gave us that data, that case would still be
3 non-compensable right now.

4 CHAIRMAN KOTELCHUCK: Well, that's
5 true.

6 MR. CALHOUN: But we only can work with
7 what we get.

8 CHAIRMAN KOTELCHUCK: That's right.
9 But then, go ahead.

10 MR. SIEBERT: I'm sorry. This is
11 Scott Siebert. I just want to make one small
12 clarification on this. The Rocky Flats case we're
13 talking about right now is in the 16th set.

14 I don't know if that impacts your
15 thought process because I know that this letter to
16 the Secretary is dealing with things up through the
17 13th set, if I remember correctly.

18 CHAIRMAN KOTELCHUCK: That is correct.

19 MR. SIEBERT: And this would be after
20 that. But I wanted you to be aware of that while
21 we were discussing it.

22 CHAIRMAN KOTELCHUCK: Yes, that's very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 helpful because in fact that would not be counted
2 among the cases. It will simply be in the next
3 report, but it would not be in this report.

4 Although I will admit I do want to use
5 the blinds for Set 22 because we don't have so many
6 and to my mind a special exception should be made
7 because the more cases that we've reviewed, blind
8 cases, it gives a much better picture of how well
9 we are doing, how precise our DRs are.

10 But let me follow up, I mean from that
11 question of, from Grady's response. Grady
12 responded that, yes, they may never have sent the
13 data and the person would never be compensated.
14 But would that not be true for any case that was
15 not compensated?

16 I mean we can always get data that may,
17 I mean, they may find some eventually. Although
18 I guess if they're not looking they won't find it,
19 right? If we don't request it, they won't find it.

20 MEMBER MUNN: True, very unlikely.

21 CHAIRMAN KOTELCHUCK: Yes, right. So
22 then if you make that argument on the Rocky Flats

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 case, then let me understand the 3,685 cases,
2 right, that are referred for a rework based on new
3 information.

4 MR. CALHOUN: Okay, now those aren't
5 all referred to a rework. We evaluate all of those
6 to determine if that new data would require a
7 rework.

8 CHAIRMAN KOTELCHUCK: Okay.

9 MR. CALHOUN: Okay. And like I said in
10 the vast majority of cases, and I don't have a
11 percentage, I'm guessing that it's in the 90-plus
12 percent, the new data that we get in fact isn't new.
13 It's something that we already have received from
14 DOE but we found in a different holding somewhere.

15 CHAIRMAN KOTELCHUCK: Right.

16 MR. CALHOUN: And so when it is new, we
17 actually write up and actually it's a document.
18 It's a little one-pager that says here's what we
19 got. It is in fact different or it's not
20 different.

21 And if it is different, we do a
22 calculation to determine if it in fact would cause

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Probability of Causation to go up over 50
2 percent. And in those cases, we will request from
3 DOL, we say, they know of this process and we say,
4 hey, based on this process we have found a case
5 that's probably going to flip. Please send it back
6 so we can rework it and they gladly do.

7 CHAIRMAN KOTELCHUCK: And do you have
8 any count of how many that are sent back or have
9 been sent back that are reworked?

10 MR. CALHOUN: I can get that for you
11 relatively quickly before the end of this call.

12 CHAIRMAN KOTELCHUCK: That would be
13 useful.

14 MR. CALHOUN: It's very -- sure. It's
15 very slim that we --

16 CHAIRMAN KOTELCHUCK: Right.

17 MR. CALHOUN: -- that could have gone.
18 And like I said just the last three for example we
19 requested them but we found out that, you know, one
20 of them had already been paid. And we don't always
21 find out if they're paid through the SEC or through
22 some other mechanism.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And one, they didn't have a claimant
2 available. And the other one was, is in the
3 process of being sent back to us by Labor.

4 CHAIRMAN KOTELCHUCK: Right, right.
5 To my mind, and this will maybe come up in the
6 discussion later of the report to the Secretary,
7 I would count the first case you talked about today
8 as a flip.

9 But the other cases and those that
10 you'll give us by the end of the day or as soon as
11 you can, I would put those, information about those
12 in the text. It is not, to my mind, that is not
13 what I would call a flip in the sense that they,
14 that, you know, when we talked about -- after you
15 folks processed the data and did your dose
16 reconstruction and then we talked about it and with
17 SC&A's help, then it changed.

18 I mean, you've got, you didn't have
19 data. So you can hardly be blamed for not
20 analyzing that data until you get it. So in a
21 sense, I don't view that as a flip and we can come
22 back to that as we, but I think it should be noted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the text that happens and of course the process
2 that you go through to make sure that you haven't
3 missed anything in other cases, the procedure that
4 you follow to track others is very good and
5 important.

6 MR. CALHOUN: Right. And you know
7 that this process is very, very separate from the
8 DR Subcommittee's actions, right?

9 CHAIRMAN KOTELCHUCK: Right, right.
10 So I mean what do other folks on the Subcommittee
11 think? I mean do you, let's say what I said and
12 in terms of how we interpret flipping and what we
13 report in terms of flipping.

14 MEMBER MUNN: I don't have any argument
15 with your position, Dave.

16 CHAIRMAN KOTELCHUCK: Okay.

17 MEMBER BEACH: This is Josie. I don't
18 either, Dave.

19 CHAIRMAN KOTELCHUCK: Right, so
20 basically we've got one flip up to Case 13 and other
21 cases that we're going to report on in the text.

22 MR. CALHOUN: And one thing to remember

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about that is that flip was we compensated them and
2 the DR Subcommittee thought that maybe we shouldn't
3 have.

4 CHAIRMAN KOTELCHUCK: Yes, in that
5 case, yes. So one case among the thousands that
6 you've done is --

7 MR. CALHOUN: Well, just the ones
8 you've reviewed.

9 CHAIRMAN KOTELCHUCK: Yes, yes, okay.
10 One in 300-some, correct.

11 MS. GOGLIOTTI: Grady, what is the tab
12 number on that case?

13 MR. CALHOUN: Golly, which one?

14 MR. SIEBERT: 423.

15 MR. CALHOUN: Scott's got it, okay.

16 MR. SIEBERT: If it's the Rocky Flats,
17 it's 423.

18 MS. GOGLIOTTI: It's 423. Do you know
19 about the OTIB-18 case?

20 MR. SIEBERT: I don't know. Give me a
21 second here.

22 MR. CALHOUN: Okay and then also, Dave,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I just counted. There's been 20 cases out of 3,685
2 that have, that new information caused the case to
3 potentially flip.

4 Now the other question you're going to
5 ask now is how many of those were actually reworked
6 and I don't have that yet. But more than half of
7 those based on my notations here had already been
8 paid through the SEC associated with that site.

9 CHAIRMAN KOTELCHUCK: So most had
10 already been paid.

11 MR. CALHOUN: Right.

12 CHAIRMAN KOTELCHUCK: Which means that
13 --

14 MR. CALHOUN: And what happens is we
15 say, hey DOL, we've got Case Number 1234 and we
16 believe that it may flip now. And they send it
17 back, they send a response back and say this has
18 already been paid through the SEC. No need to
19 rework.

20 CHAIRMAN KOTELCHUCK: Right, right.
21 But as far as we're concerned now, you said 20
22 flipped. Flipped from non-compensated to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compensable, right?

2 MR. CALHOUN: Correct.

3 CHAIRMAN KOTELCHUCK: Because we don't
4 go back for ones that have been compensated.

5 MR. CALHOUN: Correct. We don't look
6 that way.

7 CHAIRMAN KOTELCHUCK: That's right.
8 Okay, fine. Just wanted to clarify.

9 MR. CALHOUN: I mean we will do the
10 calculation and sometimes we come up with a lower
11 PoC but we don't ask for a rework.

12 CHAIRMAN KOTELCHUCK: Right, of
13 course. Good. Okay.

14 MR. KATZ: Dave, can I just make a
15 suggestion?

16 CHAIRMAN KOTELCHUCK: Yes.

17 MR. KATZ: If you're going to discuss
18 this process we just learned about from DCAS in the
19 letter, it seems like you almost want to bundle that
20 with a discussion of the PER process too then,
21 because the PER process is sort of in effect a much
22 bigger process that also results in reworking dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconstruction cases.

2 It sort of is, right? I mean, Grady,
3 they're similar in that respect. I mean they both
4 do dose reconstructions that have already been
5 completed.

6 MR. CALHOUN: That's based on changing
7 methodology. This is changing data.

8 MR. KATZ: Right.

9 CHAIRMAN KOTELCHUCK: And I don't know
10 how the Board will want to handle the whole PER
11 process. I don't know if somebody has been
12 assigned to discuss it. That's totally, you know,
13 that's out of the part of the report that we're
14 going to talk about later. But it's important.

15 MR. KATZ: Dave, right. All I'm
16 saying is if you're going to discuss this process,
17 I don't know that it even has a name. It's the new
18 data process. I don't know. But those two are
19 sort of brothers.

20 CHAIRMAN KOTELCHUCK: Yes, yes.

21 MR. KATZ: I'm not sure either. We can
22 not discuss either of them or discuss both of them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But if you're going to try to be holistic and sort
2 of cover these kind of matters then you might as
3 well discuss both of them.

4 CHAIRMAN KOTELCHUCK: Right, right.
5 And I am counting on Dr. Melius to assign somebody
6 to talk about those and to incorporate them into
7 the report -- attach, incorporate, whatever.
8 That's certainly out of my purview.

9 MR. SIEBERT: I'm jumping in. I'm
10 sorry. This is Scott Siebert. I looked up the
11 case. The OTIB-18 overestimate case is Tab 103.

12 MS. GOGLIOTTI: Thank you.

13 CHAIRMAN KOTELCHUCK: Okay, good,
14 good. Are we, it's 11:30 already. We are, I
15 think, finished Item 1. We're ready to go, well,
16 we're ready to proceed. I think it would make
17 sense, rather than starting discussions of draft
18 reports -- we have about a half an hour to break
19 -- to go ahead to [item] three and talk about the
20 Set 22 blinds that have come up anyway and have a
21 discussion on that now.

22 And then after the break, we'll start

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with the draft report. How does that sound, folks?

2 MEMBER MUNN: It sounds good to me.

3 CHAIRMAN KOTELCHUCK: Okay.

4 MR. CALHOUN: Works for me.

5 CHAIRMAN KOTELCHUCK: Okay. So let's
6 go to Set 22. I'm not sure -- Grady, one can talk
7 about the blinds process. I'm not quite sure, in
8 thinking further about it, quite what you might
9 want to say.

10 MR. CALHOUN: Well, I can make it short
11 and sweet.

12 CHAIRMAN KOTELCHUCK: Okay.

13 MR. CALHOUN: In that we've done no new
14 ones since we last discussed it.

15 CHAIRMAN KOTELCHUCK: Okay. No new
16 blinds. But basically we have up to and through
17 Set 22 completed, right, the blinds for that,
18 right?

19 MR. KATZ: Right. But those are SC&A,
20 not Grady's.

21 CHAIRMAN KOTELCHUCK: Right, right,
22 okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Just saying, Grady is just
2 saying that the NIOSH process, the blind review
3 process, the internal blind review process, they
4 haven't done any additional cases.

5 CHAIRMAN KOTELCHUCK: Okay. I'm not
6 quite sure how that blind review process differs.
7 In other words, I guess Grady, what I'm really not
8 sure about and maybe this will clarify things for
9 others and certainly for me, when you're going
10 through and doing dose reconstructions on cases and
11 claims that are filed, I assume you don't know which
12 one is going to be chosen to be a blind at some time
13 in the future.

14 MR. CALHOUN: No, Dave. This is
15 completely different. And basically we --

16 CHAIRMAN KOTELCHUCK: Well, explain.

17 MR. CALHOUN: We started doing these a
18 while ago for the same reason you guys are doing
19 them. What we did is we would select adjudicated
20 cases at random and -- not adjudicated cases
21 because we're not held to that -- but we would take
22 cases at random and we would have a separate "DRist"

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inside our house do the blind DRs just like you guys
2 are doing them, and just for the same reason that
3 you guys are doing them.

4 But, you know, given our layer upon
5 layer upon layer of review and oversight, both
6 in-house and by you, and limited resources those
7 are pretty low on our priority list. We're not
8 required to do them.

9 It's just one of those nice to have
10 things that we do when we can. And I reported back
11 on those, I don't know six, eight months ago. But
12 the only thing I can tell you now is that we just
13 didn't get any new ones completed since then.

14 CHAIRMAN KOTELCHUCK: Right. And I
15 did not realize that. It makes perfect sense.
16 What you're saying is that's an internal check on
17 your work that you do and that's absolutely
18 appropriate to do.

19 And you did report to us on that and I
20 think I may --

21 MR. CALHOUN: Yes, I had actually a
22 pretty detailed report on that. And time goes so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fast, Dave, I don't remember when. But I would say
2 it's six months ago or more.

3 CHAIRMAN KOTELCHUCK: If, Grady, could
4 I at least for myself, could I ask if you could find
5 it and email me a copy to look at. I was not clear
6 about that part of the internal DCAS blind process.

7 MR. CALHOUN: Sure. I've got it all
8 written up in an assessment report and I'll just
9 forward you that internal report that we use for
10 us.

11 CHAIRMAN KOTELCHUCK: Right. Other
12 Members of the Subcommittee, do you, this was clear
13 to you? It wasn't clear to me that that process
14 was done or at least I don't recall.

15 MEMBER CLAWSON: Yes, I understand.
16 This is, Brad.

17 CHAIRMAN KOTELCHUCK: Okay, good,
18 good. I'm still the sitting chair. I'm still the
19 newbie in the group. So I didn't. And I'm glad to
20 get that report from Grady and understand better
21 exactly what's going on.

22 And this will not be in the report

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because it's an internal check within DCAS. So
2 maybe that's good and you'll send it to me and I'll
3 understand better. So let's go on to Set 22 and
4 the results that Rose had. And I think you're not
5 going to do a report on each of the six. I think
6 it's a matter of summarizing what you have and then
7 we will go over it, I assume, at the next meeting.

8 MS. GOGLIOTTI: Yes. You just asked
9 for a brief overview.

10 CHAIRMAN KOTELCHUCK: Yes.

11 MS. BEHLING: And, Rose, before you
12 start, I just wanted to make mention for Josie's
13 sake, we submitted the Metals and Controls blind
14 on December 18, 2015. I'm sorry to interrupt.

15 CHAIRMAN KOTELCHUCK: No, no, you're
16 not. Thank you.

17 MS. BEHLING: December 18, 2015, was
18 when we sent that report out.

19 CHAIRMAN KOTELCHUCK: Okay.

20 MEMBER BEACH: And I found it, so thank
21 you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Review of Blind Set 22

2 MS. GOGLIOTTI: Okay. Well, great.
3 In January we finished our review of the 22nd Set,
4 which is a blind [set] similar to what we've been
5 talking about. Again there were six cases in it.

6 The majority of those cases had one
7 cancer. There was an ANL-East, a Grand Junction
8 operations office, a LANL-NTS, a Metals and Control
9 Corp, a Rocky Flats and an SNL-Albuquerque.

10 We went through and did our comparison
11 reports in the same process that we've been using
12 previously. And actually we had pretty strong
13 agreement with NIOSH in this case. You'll see here
14 our comparison of PoCs.

15 SC&A and NIOSH PoCs, in every case we
16 were on the same side of the compensability
17 decision. I would note that the largest
18 difference that we found was in the ANL-East case
19 and in the external dose and that was predominately
20 due to a difference in the number of zeros.

21 MR. CALHOUN: I can barely hear you.

22 MS. GOGLIOTTI: I'm sorry. I tend to
23 talk quietly. Is this better?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Yes, it is.

2 MR. CALHOUN: Thanks.

3 MS. GOGLIOTTI: So as I was saying the
4 largest change that we had was total external dose
5 for the ANL-East case and about a 12 rem difference
6 in dose, but that was almost exclusively due to the
7 number of zeros that we chose and it didn't have
8 an impact on the compensability decision.

9 CHAIRMAN KOTELCHUCK: Right.

10 MS. GOGLIOTTI: And of course we'll go
11 into more detail when we actually --

12 CHAIRMAN KOTELCHUCK: Right, right.
13 And I mean, I just thought that since I had been
14 looking at the differences, the first issue is of
15 course whether the compensability decisions are
16 the same and of course they were for Set 22.

17 But then the other thing I certainly
18 look for in writing the report was how much
19 difference in PoC percents was there between the
20 cases. And the differences between the PoCs from
21 SC&A and NIOSH were much, much smaller than had been
22 in the past.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I mean it just happened to be a set where
2 the agreement was quite good, I mean over and above
3 the issue that the compensability was correct in
4 all cases. So that was very satisfying and
5 certainly I do want to include the results from that
6 Set 22 in the report so that we have 20 blind cases
7 to report which seems a more, I don't know how you
8 would describe the number, ..

9 It's 14 versus 20. But it seems like
10 a number that has a little more heft, let's say,
11 by having 20. So any other comments that anybody
12 had? The detailed questions we're going to go over
13 next time and the details of both of those, both
14 sets of calculations.

15 But is there any other broad question
16 or comment from anybody on the Subcommittee about
17 that Set 22? Were you as impressed with it as I
18 was, or glad to see those results?

19 MEMBER MUNN: My goodness, yes. That
20 is indicative of a serious increase in precision
21 from my perspective.

22 CHAIRMAN KOTELCHUCK: Yes, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. CALHOUN: Just a question I have
2 is: Did we ever get that last comparison report on
3 that last ANL-East?

4 MS. GOGLIOTTI: Yes. All six have
5 been delivered and if you don't have it, I can send
6 you another copy.

7 MR. CALHOUN: I don't know if I was not
8 on the list. I just did a quick search. I can't
9 find it in my inbox. But --

10 MS. GOGLIOTTI: They're also on the O:
11 drive and in today's meeting folder.

12 MR. CALHOUN: Okay, great. Thank you.

13 CHAIRMAN KOTELCHUCK: Great, great.

14 MEMBER BEACH: This is Josie. I think
15 my only, and I'm not looking for an answer right
16 now -- my only concern is in the broad review of
17 those templates again.

18 We've talked about them a couple of
19 different times and I think there's a couple of
20 different ones here that mention the templates, the
21 Metals and Control and then also the --

22 MS. BEHLING: Grand Junction.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: Grand Junction, yes.

2 CHAIRMAN KOTELCHUCK: I'm not quite
3 sure what you mean by the templates.

4 MEMBER BEACH: The templates that are
5 being used that aren't always, [that] SC&A is not
6 always aware of them. So those continue to be a
7 source of question and how we're going to resolve
8 those template issues.

9 CHAIRMAN KOTELCHUCK: Okay.

10 MEMBER BEACH: We haven't really come
11 up with a solution there and we just keep mentioning
12 them. So that's something we need to think about.

13 CHAIRMAN KOTELCHUCK: Very good. I
14 appreciate your mentioning them now and I'll try
15 to think a little bit and learn a little bit more
16 about it as we have a detailed discussion.

17 (Telephonic interference)

18 MS. GOGLIOTTI: -- for our blind
19 reviews. I think our point is that the templates
20 have never been reviewed by the Board.

21 MEMBER BEACH: That's my concern, yes.

22 CHAIRMAN KOTELCHUCK: Right, okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Well, that's certainly important.

2 MS. BEHLING: This is Kathy. If I can
3 just interject?

4 CHAIRMAN KOTELCHUCK: Sure.

5 MS. BEHLING: I'm not sure -- and maybe
6 Rose has a list -- I'm not aware of a complete list
7 of which sites are using the templates. We happen
8 to sometimes serendipitously find them because
9 we're reviewing a dose reconstruction and we see
10 that it's embedded in the dose reconstruction
11 report. But I don't know that we actually have a
12 list from NIOSH as to which sites they are using
13 templates for.

14 MR. KATZ: I think we do, Kathy. I
15 think Grady supplied me, we asked for that --

16 MS. BEHLING: Right, you --

17 MR. KATZ: -- months ago and Grady
18 supplied it. And I shared it with the Subcommittee
19 at least once, maybe twice. So we do have a list,
20 I think, from Grady. If I remember that right,
21 Grady, that's what that was, right?

22 MR. CALHOUN: I think it was -- it might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have been the other way. It might have been a list
2 of cases for which we do have a TBD. But you could
3 figure that out. I think that's it.

4 MS. BEHLING: Was SC&A, I'm sorry, was
5 SC&A provided that list? Because I never saw it.

6 MR. KATZ: You know, I can't remember.
7 I normally address my emails to the Subcommittee,
8 not just to the Members but to the staff too. I
9 would be surprised if I didn't. But I may not have.
10 I can look.

11 MS. BEHLING: Okay, thank you.

12 CHAIRMAN KOTELCHUCK: Good, good.
13 We'll discuss this a little bit further next time,
14 whenever that is, after the Board meeting.

15 MS. GOGLIOTTI: And just to point out,
16 at the end of our reports that we do use a template
17 for, we do include the actual template in the
18 report. So if you wanted to see what a template
19 looked like you could go to the back of the Metals
20 and Control Corp case and at the very back you'll
21 see lots of colorful text and that is part of the
22 template.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay. Thank
2 you. Good. We've got a little time now still and
3 that's -- we just wanted to have a brief discussion.
4 You know, we might start talking about Item 4,
5 discussing assigning a new set of blinds to SC&A.
6 Ted?

7 MR. KATZ: Yeah, sure.

8 CHAIRMAN KOTELCHUCK: You were
9 thinking about that.

10 **Assigning a New Set of Blinds**

11 MR. KATZ: Yeah, I'm happy to, because
12 I would like to get these assigned early in March,
13 which would coincide with a new contract here for
14 SC&A, too. And it takes a while to get the cases
15 together.

16 So, unfortunately, you haven't had a
17 discussion of the Set 22 blinds. But I think all
18 the Board Members probably at least skimmed them,
19 right, and are somewhat familiar with what's there.
20 And I can remind you, and I will, what the
21 parameters have been for DCAS pulling cases, and
22 they pull about 20 cases from which to select six.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I can and I will remind you what those
2 parameters are. But I thought maybe the
3 Subcommittee would want to think about whether
4 these parameters should hold, or whether you want
5 a somewhat different parameter or two, considering
6 what you've learned so far through your review of
7 blinds.

8 Now, again, I sort of assumed at the
9 time, I suggested that you guys would go through
10 Set 22 and you didn't really do that. But maybe
11 you're familiar just from having received them and
12 read them.

13 So, anyway, let me tell you what the
14 parameters have been, they're pretty longstanding
15 at this point, for these 20 cases.

16 So, the first parameter is that they are
17 recently adjudicated, because we're trying to stay
18 as fresh as we can be, right? So we say within the
19 last two years adjudicated. So we're not getting
20 old cases with old methods to the extent possible.
21 So I think that's probably one that's good to keep
22 with.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But the second parameter is we've asked
2 for a full internal and external so that there's
3 sort of a robust dose reconstruction and not one
4 with a bunch of shortcuts in it.

5 Number three, that the PoC is above 45.
6 Again, I think that sort of relates to the
7 Subcommittee's focus on cases where errors would
8 make the most difference, could make the most
9 difference.

10 And number four is employment at one or
11 more DOE sites. So we've had a focus on DOE sites
12 for those.

13 And number five, that the case was not
14 previously reviewed by the Board. So they're
15 fresh cases as far as the Board's review process
16 is concerned.

17 So those are the sort of marching orders
18 by which we get nominee cases from Grady and Beth.

19 CHAIRMAN KOTELCHUCK: On three, by the
20 way, Ted, the PoC greater than 45, did we not have
21 it 45 to 52?

22 MR. KATZ: We just have said greater

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than 45. It may come out that way anyway. But
2 that --

3 CHAIRMAN KOTELCHUCK: Because I think
4 it is worthwhile, as we had in Set 22, at least one
5 case where it was above 50 percent.

6 MR. KATZ: Right, but greater than 45
7 doesn't have a cap on it.

8 CHAIRMAN KOTELCHUCK: Well, you're
9 right, I guess.

10 MR. KATZ: It doesn't really -- I'm not
11 sure whether it makes a difference whether it's 52
12 or 75, for that matter, so long as it's a best
13 estimates.

14 CHAIRMAN KOTELCHUCK: Well, yeah, I
15 would actually keep in my mind's eye of 52 or 55
16 really being an upper limit. But to my mind that
17 should be in the criteria.

18 MR. KATZ: That's up to the
19 Subcommittee, right.

20 CHAIRMAN KOTELCHUCK: Yeah, it is.
21 Also, just in terms of the five criteria you just
22 outlined, was everybody -- I received from SC&A a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 review of the data up through Set 22 on the first
2 20 blind cases.

3 MR. KATZ: You all should have received
4 that.

5 CHAIRMAN KOTELCHUCK: Did everybody
6 receive that? Yeah, okay. I found that quite
7 useful, by the way. And I particularly think that
8 it will influence me in terms of also where our
9 cases are.

10 I mean, if we have 20 cases already, we
11 want to make sure that we don't have too great a
12 percent from certain large facilities, from any
13 large facility. That is, we want to have it spread
14 fairly well among different facilities.

15 So I would look at that when I was making
16 up my mind as to selection. And that's not a
17 criterion, but it seems to me it should influence
18 our decision. And given that we have 20 cases, I
19 might also make sure that issues like gender,
20 percent of blinds that are male and female, should
21 be looked at or should be considered.

22 That's not a criterion, but I think it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is an attempt to look for balance. So in a way,
2 what I would say is, I'm open to the five criteria,
3 except maybe saying a PoC shouldn't be above 55
4 percent just to put a cap on it.

5 And then say that we, as Subcommittee
6 Members, in making selections, should look at the
7 data that we've received to make sure that we have
8 as good a balance, as representative of a group,
9 with what will be 26 by the time we finish this set.

10 What do people say? Reasonable?

11 MEMBER MUNN: Sounds okay to me.

12 CHAIRMAN KOTELCHUCK: Yeah, okay.

13 MEMBER BEACH: Sounds fine, too.

14 CHAIRMAN KOTELCHUCK: Okay.

15 MEMBER CLAWSON: Dave, this is Brad.

16 I just don't understand your cap on that. Why are
17 you feeling that you want to put a cap on that?

18 CHAIRMAN KOTELCHUCK: Well, because
19 it's another case where errors, relatively small
20 errors, might cause a flip. And why we're doing
21 above 45 percent. And I just want to know if it
22 flips below. That's a serious matter even though

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we're not going to change the compensation for that
2 person.

3 MEMBER CLAWSON: Well, I'm just trying
4 to understand your thought because, you know, I
5 myself, I'm kind of like Ted, you know, 45 and above
6 with no cap. It kind of doesn't -- you know, it
7 doesn't do that much. But it's not going to hurt
8 anything. I was just trying to understand your
9 reasoning on it.

10 CHAIRMAN KOTELCHUCK: Yeah, yeah.
11 Well, I'm also more than open just to leaving it
12 that way. I mean, but from my personal one vote
13 among many, we ought to cap it at 55 percent.

14 And why don't we just talk about that
15 for a moment? I mean, others may just feel it's
16 not necessary. It's not a major point. But I
17 would feel better that way. Would you, or would
18 you like to just leave it off?

19 MEMBER CLAWSON: Well, let me tell you
20 what my thoughts are.

21 CHAIRMAN KOTELCHUCK: Okay, good.

22 MEMBER CLAWSON: We can cap it at 55 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's going to show so much. These blinds that we
2 have done, it's been very interesting to me to be
3 able to understand the process better, and by not
4 putting a cap on it we may get something way up
5 there.

6 I want to see in these doses what it was
7 that kind of pushed it so much higher. Was it, you
8 know, was it a substantial event or something else
9 like that? I just hate to limit us to 55 percent.
10 There might be something out there that, say it was
11 60 or something like, that but it was because of
12 an instance in an area that they were evaluating.

13 And it just gives me more information.
14 I think my personal feeling, like you said, it's
15 one among many, I don't see that it would buy us
16 that much. But, you know, I'll go with the flow
17 on this, too. I get a lot of information from these
18 doses and I like to see why some of these are so
19 high and what pushed them over the edge.

20 CHAIRMAN KOTELCHUCK: Well, I think
21 you make a good case for that. We have never looked
22 in any of the 20 at a percentage that was, if I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recall from memory, above 55 percent. We just
2 haven't.

3 On the other hand, there are things to
4 be learned from those and it might be interesting
5 to take a look at one or two. So you're convincing
6 me not to put a cap on. What do other people say?

7 MEMBER MUNN: Well, this Wanda. And I
8 think one could make a reasonably cogent argument
9 either way. But it's such a minor point I don't
10 see much point in debating it very much.

11 My only thought is that it's not a
12 question of whether or not we're going to find one
13 such outlier amongst the many, and therefore have
14 a major "a-ha" event of some kind. I don't think
15 that's likely at all.

16 But it's more a question of the pool
17 that's available to NIOSH to make the selections
18 more than anything else. If we say between 45 and
19 55 percent then it makes it much easier for them
20 to just look at a smaller set of potentials. If
21 we have problems with having a large enough set then
22 obviously it's wise not to put a cap on it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I was of the impression, and perhaps
2 someone can enlighten us, as to whether or not there
3 is sometimes a problem in identifying a set that's
4 large enough to exceed the number that we need to
5 choose for our next set. If there is a problem
6 finding that many completed claims that fit our
7 criteria then it seems logical to not put a cap on
8 it.

9 If we have a plethora then it expedites
10 the process.

11 MR. KATZ: That's the question for
12 Grady.

13 CHAIRMAN KOTELCHUCK: Grady, exactly.

14 MR. CALHOUN: And one thing I wanted to
15 clarify here is that actually the normal criteria
16 is 45 to 52. It's not 65. And we typically --
17 well, not typically -- when we cannot find the fit
18 within that criteria for you we'll creep up or down
19 a few percentage points.

20 But the standard criteria is 45 to 52,
21 which actually coincides to our 10,000-iteration
22 IREP runs to make sure that we're statistically

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 completely valid on that. So it depends. When
2 you ask for them, we'll tell you what we got at the
3 time, because that's an ever-changing number.
4 And then if you guys choose to go up or down, we'll
5 do it.

6 CHAIRMAN KOTELCHUCK: Well, I'm by now
7 convinced, even though not every Member has spoken,
8 not to bother with it. You, basically, if you have
9 problems, you're going to enhance the PoC range
10 that we're looking at. So --

11 MR. CALHOUN: But we'll tell you first.

12 CHAIRMAN KOTELCHUCK: Right. Well,
13 I'm more than happy to leave it to you to do that,
14 start with 45 to 52 as you always do, and then expand
15 as needed. And unless there's an affirmative
16 feeling that I'd like to see one or two, or one by
17 now, in the 60-plus range.

18 Is that -- it's kind of nice. But I'm
19 not sure, none of us feel very strongly.

20 MEMBER CLAWSON: I'm not -- you know,
21 I'm with Grady. We've got a parameter and we go
22 from there. If we need to go up, okay, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's not a big deal.

2 CHAIRMAN KOTELCHUCK: Okay. I think
3 maybe I'm making a big deal out of it. So let's
4 keep it as we have, keep three as we have, and allow
5 ourselves a few minutes more to get some lunch.

6 No, seriously, I feel like my judgment
7 is, let's leave it as is and it's working fine. And
8 we all agree it's a small point in the first place.

9 So, with those, are we ready to just say
10 that we accept the criteria and that we will look
11 at the criteria, at the selections that were given,
12 the cases that were given, also keeping an eye on
13 the distribution of cases that we were sent
14 recently?

15 MR. KATZ: That sounds like a good
16 plan.

17 CHAIRMAN KOTELCHUCK: Okay.

18 MR. KATZ: I will send a formal request
19 to Grady and Beth to provide a new set of nominee
20 cases.

21 CHAIRMAN KOTELCHUCK: Right. And
22 what would that set be, by the way?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Well, that would be Set 23.

2 CHAIRMAN KOTELCHUCK: Okay, Set 23
3 blinds. Okay. That sounds good. And it is now
4 five minutes of 12. It seems to me this is the time
5 to take a break, lunch or breakfast as the case may
6 be, or coffee.

7 And let's reconvene at 1 o'clock and
8 start reviewing the second draft report. See you
9 all in an hour or so.

10 (Whereupon, the above-entitled matter
11 went off the record at 11:55 a.m. and resumed at
12 1:03 p.m.)

13 **Review of Draft Report to Secretary**

14 CHAIRMAN KOTELCHUCK: So, we'll now go
15 over the second draft report. Basically, I took
16 all the suggestions that I had from the first
17 report, and it was particularly helpful that I got
18 the transcription of the talk before I did this
19 review so I could get exactly the many things that
20 you suggested, almost all of which were fine,
21 great.

22 So this incorporates the finding of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 last meeting and any that you have. Now I received
2 from Wanda a number of suggestions, particularly
3 dealing with editorial syntax, et cetera. And
4 also I got a very nice review from Ted, thank you,
5 which was very good and I will put those in.

6 Ted gave several nice changes in the
7 chapter on Findings. And let's figure a little bit
8 more precise language and let's figure that will
9 be put in. Are there any special suggestions or
10 any further suggestions on findings, Part A, the
11 first paragraph?

12 MR. KATZ: Well, and, Dave, you got an
13 email, I don't know if you saw it, from Rose too.

14 CHAIRMAN KOTELCHUCK: No, I did not
15 pick that up.

16 MR. KATZ: Rose sent an email. And,
17 Rose, she's on the line so she can explain what it
18 is that she's suggesting in her --

19 CHAIRMAN KOTELCHUCK: Okay, great.
20 So, Rose, do you want to -- are you there?

21 MS. GOGLIOTTI: I'm here. Sorry, I
22 was on mute.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay, so
2 suggestions or -- I'm sorry I missed yours, but I'll
3 certainly --

4 MS. GOGLIOTTI: That's alright. It
5 came through a little later than I would have liked.
6 I have several suggestions. One in the version
7 that I sent you, which is not up on the screen now,
8 I made some changes to the finding numbers.

9 If you remember, we were tasked to go
10 through, with NIOSH's help, and reclassify all of
11 our findings.

12 CHAIRMAN KOTELCHUCK: Right. Oh,
13 yes, yes, surely.

14 MS. GOGLIOTTI: These pages were not
15 requested in your revision. So I did change those.

16 CHAIRMAN KOTELCHUCK: Right. Well,
17 why don't we just -- we're going through it already,
18 so why don't we just hold that until we get there.

19 MS. GOGLIOTTI: Okay, great.

20 CHAIRMAN KOTELCHUCK: Okay, great.
21 But that's very good. Okay. So the next one,
22 Cases Sent to NIOSH for Reconstruction. And I got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those totals from Grady, which was very helpful,
2 and nothing to say other than the 42,000, which was
3 used later to calculate the percent that we have
4 reviewed, I used that 42,000 and got 0.86.

5 And as you see, we really only have to
6 go over the ones we did dose reconstructions for.
7 And that put us over one percent, which was a very
8 happy thing. I felt very good about that. So,
9 anything on this? This is pretty well factual.
10 Anything special? I hear nothing.

11 I'll go on. Types of Dose
12 Reconstruction. Again, not many suggestions,
13 that was just simply technical. There were a few
14 syntax and other suggestions from Ted about the 22
15 cancers that are covered. Questions, comments?

16 MEMBER BEACH: No, none here.

17 CHAIRMAN KOTELCHUCK: Okay. Dose
18 Reconstruction Cases. Now the point is we had
19 31,534 claims with dose reconstruction. So that's
20 not the 42,000 that I had first used. And we had,
21 as you recall, we did 332 cases, which is more than
22 one percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, is there anything in Table 1 or that
2 first set of data or the way I describe it? I try
3 to be straight, factual.

4 MEMBER BEACH: I didn't see any issues
5 with that one.

6 CHAIRMAN KOTELCHUCK: Okay. Since
7 many of these are just adaptations and things you
8 all suggested at the last meeting, obviously there
9 may not be lengthy discussion. But that's fine.

10 So, Dose Reconstruction Cases
11 Reviewed. Let me see, 82 percent of them were best
12 estimates and 14 percent overestimates. And
13 that's of course, in Table 2, a dramatic change from
14 --

15 MEMBER BEACH: Dave, in the second
16 paragraph, Cases 101 to 234, shouldn't that be 334
17 or --

18 CHAIRMAN KOTELCHUCK: Of the recently
19 reviewed cases. Of the 200 we recently -- 6
20 through 13. I'm comparing those to the first
21 hundred, right. See, the table compares the first
22 hundred, one to 100, and then the second cases --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whoops, yeah, 101 to 334.

2 MEMBER BEACH: Oh, you did fix that,
3 okay.

4 CHAIRMAN KOTELCHUCK: Yeah, I did.
5 Folks had clarified that. And that's of course --
6 you know, I give the rationale for that below. And
7 again, Ted, you had some things on the second bullet
8 below about the different site-specific Work
9 Groups.

10 So are there any things that anybody
11 wants to comment on?

12 MEMBER BEACH: The only thing I kind of
13 circled, on that last bullet, there's a couple
14 things: "many more analytical procedures have been
15 written down based" and then it's --

16 CHAIRMAN KOTELCHUCK: On staff input,
17 yeah?

18 MEMBER BEACH: You need to add staff.

19 CHAIRMAN KOTELCHUCK: Okay, thank you.
20 Sure, sure. Actually Ted caught that. I remember
21 looking at that.

22 MEMBER BEACH: Yeah, I should have sent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these to you but I didn't think to do that. And
2 then the last sentence --

3 CHAIRMAN KOTELCHUCK: If you have
4 already done it and it's just a matter you didn't
5 send it in, after we finish I'm going to do one for
6 everybody, so send it to me.

7 MEMBER BEACH: And the last one, "more
8 nearly uniform" just didn't make sense to me. The
9 "more uniform," just get rid of the "nearly."

10 CHAIRMAN KOTELCHUCK: So the doses are
11 now better regularized and more uniform. You're
12 right, you're right. I'll take that out. Thank
13 you, again. Good, thanks. Other?

14 MEMBER BEACH: Right after the first
15 bullet, the first sentence, "since 2009" to the
16 second line, "NIOSH," it just says subcontractor.
17 It seemed to be hanging there. NIOSH,
18 subcontractor.

19 CHAIRMAN KOTELCHUCK: Yes. I think,
20 Ted, you changed that, if I'm not mistaken.

21 MR. KATZ: I think I've commented on
22 all of this stuff.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: No, but that's
2 fine. That's fine. I kind of held everything so
3 that I might just do one change. If you know,
4 Josie, that it's in your changes that you have
5 already done, just send them to me.

6 MEMBER BEACH: Okay.

7 CHAIRMAN KOTELCHUCK: If you haven't
8 done them. Don't do it special.

9 MEMBER BEACH: No, I've already --
10 yeah, I already did it.

11 CHAIRMAN KOTELCHUCK: Good, that's
12 great. Appreciate it. Okay. Findings among
13 Reviewed Cases.

14 MS. GOGLIOTTI: Dave, this is Rose. I
15 just have one more comment. This sentence here
16 that I have highlighted on the screen.

17 CHAIRMAN KOTELCHUCK: I'm not using
18 the screen actually. Which one, I'm looking at the
19 --

20 MS. GOGLIOTTI: The paragraph directly
21 above Table 2.

22 CHAIRMAN KOTELCHUCK: Pardon?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: The paragraph directly
2 above Table 2, there's a --

3 CHAIRMAN KOTELCHUCK: Paragraph above
4 Table 2. One second. Pardon me, I'm going the
5 wrong way. One second. Excuse me, one second.
6 Yes, above Table 2. Go ahead.

7 MS. GOGLIOTTI: The sentence in
8 brackets that says "two cases in Sets 6 through 13
9 have not been reviewed." That's actually not
10 accurate.

11 CHAIRMAN KOTELCHUCK: Ah. What is
12 accurate?

13 MS. GOGLIOTTI: The two cases that we
14 did not review we didn't review because there was
15 a PER in process and they were being reworked under
16 the PER at the time they were assigned to us. And
17 so it didn't make sense to do a dose reconstruction
18 review of those cases.

19 CHAIRMAN KOTELCHUCK: Right. Is that
20 not --

21 MEMBER MUNN: Not an updated Site
22 Profile. It's the Program Evaluation Review.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: I see, okay,
2 okay. Thank you, yes. Okay. I didn't pick up
3 that distinction. Good, good. Thank you. Got
4 that. Alright. And it's actually, I'm sure, on
5 the email that you sent me.

6 MS. GOGLIOTTI: It is.

7 CHAIRMAN KOTELCHUCK: Good,
8 appreciate it. Further suggestions, comments,
9 changes?

10 MEMBER BEACH: Mine are just
11 grammatical and I'll send those to you.

12 CHAIRMAN KOTELCHUCK: Great, exactly,
13 yes. And Ted and Wanda have a number of those too
14 and that's good.

15 Findings among Reviewed Cases. Now,
16 here we're going to discuss findings and impacts,
17 finding impacts, later on as we talk about moving
18 ahead into Set 14. But this is just taking the data
19 as we had defined it earlier.

20 So there's nothing to say special about
21 this, Grady, even though I know you will be talking
22 a little later about possible changes. Okay, this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is just what we had --

2 MS. GOGLIOTTI: Dave, the first
3 paragraph there in that section --

4 CHAIRMAN KOTELCHUCK: Yes.

5 MS. GOGLIOTTI: Details on the
6 findings that need to be changed --

7 CHAIRMAN KOTELCHUCK: Let's see.
8 First paragraph, details on the findings need to
9 be changed.

10 MS. GOGLIOTTI: Yes. And that is my
11 email with the exact figures.

12 MEMBER MUNN: She has a change in
13 numbers.

14 CHAIRMAN KOTELCHUCK: Oh, yes, okay.
15 I thought I had gotten the corrected numbers, but
16 apparently I didn't. Thank you. But I'll also
17 make a note, too. That's important data.

18 MEMBER MUNN: Does that change the
19 average per case as well?

20 MS. GOGLIOTTI: It does.

21 CHAIRMAN KOTELCHUCK: Right.
22 Findings change. Good, good, okay. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And --

2 MEMBER MUNN: It should change the
3 percentages below also.

4 CHAIRMAN KOTELCHUCK: Yes, okay.
5 Now, actually, the last line on Page 4. So the
6 probability would change only in one case, right?

7 MEMBER MUNN: Correct, as we heard
8 earlier this morning.

9 CHAIRMAN KOTELCHUCK: That's right.
10 And there will be a comment in there about the
11 numbers that were returned for review. And also,
12 as we talked this morning, we will also add
13 information about PERs.

14 Ted, somebody needs to do that.

15 MR. CALHOUN: This is Grady. On that,
16 it sounds like, Dave, do you want my numbers on the
17 -- we call them PADs, post-approval documents. Do
18 you want those in here, the ones we sent back for
19 review? Because I was just letting you know that
20 we do that, because that really doesn't have a whole
21 lot to do with this particular section. That would
22 almost be stand-alone, in my mind, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Right. Dave, that was what
2 I was suggesting earlier: if that was going to be
3 addressed it would be addressed with the PERs. And
4 you were going to maybe check with Melius, or maybe
5 when the Board takes this up in March, as to whether
6 you want a section sort of addressing that aspect
7 of the NIOSH program.

8 CHAIRMAN KOTELCHUCK: Right. Well --

9 MR. KATZ: I agree with Grady that it
10 doesn't really fit here. It has nothing to do with
11 the Board's review.

12 CHAIRMAN KOTELCHUCK: Right.

13 MEMBER MUNN: It doesn't really have
14 that much to do with dose reconstructions,
15 actually.

16 MR. KATZ: It has a lot to do with dose
17 reconstruction because it's about how they get
18 improved but --

19 MEMBER MUNN: But that's --

20 MR. KATZ: It has a lot to do with that.
21 But it's not really the Board's review that it's
22 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: No, it isn't.

2 CHAIRMAN KOTELCHUCK: So, actually,
3 that sentence probably should be deleted and put
4 into the other section, right? There was change
5 in only one case.

6 MR. KATZ: No, that's part of --

7 MEMBER MUNN: That's correct.

8 MR. KATZ: -- the Board's review.

9 MEMBER MUNN: Yeah, that's correct.

10 MR. KATZ: Grady's whole discussion
11 about the PADs is about an internal process, not
12 the Board's review.

13 CHAIRMAN KOTELCHUCK: Right. Yes,
14 right.

15 MR. CALHOUN: Now, I do have a comment
16 on that. Since we discussed it, the end of that
17 sentence would say, "was changed in only one case
18 and it's not resulting in the compensation of a
19 claimant who was initially denied compensation."
20 It's the opposite.

21 CHAIRMAN KOTELCHUCK: Yes, right.

22 MR. CALHOUN: It was changed. And it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wasn't changed, it was identified in one case where
2 the claimant was compensated and maybe shouldn't
3 have been or something like that.

4 CHAIRMAN KOTELCHUCK: Right, right,
5 no, you're right. That will be changed. Thank
6 you for identifying that and that will be done.

7 MS. BEHLING: The last sentence on Page
8 4, one case, not plural.

9 CHAIRMAN KOTELCHUCK: Yes, of course.
10 Well, I was assuming it was two or three. So, yes,
11 I'll change that, sure. Okay, good. And we'll
12 get rid of those little side comments in red.

13 By the way, when I go to the -- well,
14 first of all, that will be deleted. But there are
15 side comments that I may keep in for the Board in
16 red. But not this one. Okay.

17 Going to Page 5. Deficiencies, again,
18 we may want to change. But this is what we had.
19 And --

20 MEMBER BEACH: The only thing I have on
21 that page, Dave, is towards the bottom of the page.
22 It says that the great source of findings 40

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent, 21 percent. That table doesn't have
2 percents listed so --

3 CHAIRMAN KOTELCHUCK: Yes, maybe we
4 should put in percents.

5 MEMBER BEACH: Well, if you're talking
6 about percents, yeah, you probably should or --

7 CHAIRMAN KOTELCHUCK: I discussed the
8 percents below. Let me just see in the text.

9 MEMBER BEACH: Well, it says right
10 underneath the table as --

11 CHAIRMAN KOTELCHUCK: It's clear, yes,
12 you're right. So that's very good. Table 3,
13 insert percentages.

14 MR. KATZ: And Rose's figures probably
15 change all these numbers, right?

16 MS. GOGLIOTTI: Yes, that's correct.
17 They all do change.

18 CHAIRMAN KOTELCHUCK: Right, right.
19 Okay. I'll check Rose's numbers. Good, made a
20 note. And it is what you sent me?

21 MS. GOGLIOTTI: That's correct.

22 CHAIRMAN KOTELCHUCK: Okay. Let's go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on to six, Observations among Reviewed Cases.

2 MEMBER BEACH: I just had grammatical
3 stuff here.

4 CHAIRMAN KOTELCHUCK: Great, and I'll
5 see it.

6 And number of dose reconstruction cases
7 reviewed. And again, I'm going to leave that
8 little red thing in about 0.86 because people may
9 remember, you know, that we were disappointed that
10 we didn't make one percent, and we had actually.

11 MEMBER BEACH: Is that date correct
12 under number of reconstructed cases reviewed? It
13 says claims filed as of November 1st, 2015. Is
14 that the wrong date, year, I mean?

15 CHAIRMAN KOTELCHUCK: No, I think that
16 is correct. That's where we tallied up to.

17 MEMBER BEACH: Okay.

18 CHAIRMAN KOTELCHUCK: I mean, by the
19 time we get to it we may go further. But my feeling
20 --

21 MEMBER BEACH: I understand. Okay, I
22 was looking at it from the inception not from where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we were. Okay, thanks.

2 MR. KATZ: You will actually -- I guess
3 you'll have six more if you add the Set 22.

4 CHAIRMAN KOTELCHUCK: Yes. And by the
5 way, if we were to add Set 22, we have to have a
6 DRSC meeting to review those six extra, right?

7 MR. KATZ: Absolutely.

8 CHAIRMAN KOTELCHUCK: So that we
9 can't put it in the report until it's been reviewed
10 by the Subcommittee. So that will move us to a
11 meeting not too long after our March meeting.

12 MEMBER MUNN: Scope creep, scope
13 creep.

14 CHAIRMAN KOTELCHUCK: Yes.

15 MEMBER MUNN: If you don't stick with
16 your original day.

17 CHAIRMAN KOTELCHUCK: Yes, that's
18 true. Okay. Page 7, Distribution of Dose
19 Reconstruction Sites. This is straightforward.
20 Rose, thank you very much for updating the tables.

21 The figures are fine. I think I want
22 to take a look at the tables later, but we'll get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to that.

2 Distribution across Employment Sites.
3 I trust, straightforward. And then Page 8,
4 Distribution among Cases Reviewed, PoCs. Okay.

5 Blind Review, which, as we said, we'll
6 add the others on. And we will remand the Allied
7 Chemical & Dye. And by the way, I will also change
8 the table on Table 4 to make sure that I call it
9 Allied Chemical & Dye. And --

10 MS. GOGLIOTTI: Dave, if we could go
11 back to Page 8 for a second.

12 CHAIRMAN KOTELCHUCK: Absolutely.

13 MS. GOGLIOTTI: I think that you're
14 confused with overestimating cases versus
15 underestimating cases.

16 CHAIRMAN KOTELCHUCK: Really, let's
17 see.

18 MS. GOGLIOTTI: The last few sentences
19 on Page 8.

20 CHAIRMAN KOTELCHUCK: Last sentence on
21 Page 8. To conduct and compare the tasking.

22 MS. GOGLIOTTI: "This reflects a sharp

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decline in overestimated cases since 2009."

2 CHAIRMAN KOTELCHUCK: One second.
3 You said the last paragraph? Oh, the last full
4 paragraph. I'm not following you. As I say, I'm
5 looking at the program. Where is the issue, the
6 sentence you're referring to?

7 MS. GOGLIOTTI: At least on my version,
8 it is on the bottom of Page 8, or midway through
9 the last paragraph on Page 8.

10 CHAIRMAN KOTELCHUCK: The last full
11 paragraph?

12 MS. GOGLIOTTI: Correct.

13 CHAIRMAN KOTELCHUCK: Okay. I was
14 looking at the other paragraph, the other part of
15 the paragraph. And what was the sentence?

16 MS. GOGLIOTTI: "This reflects a sharp
17 decline."

18 CHAIRMAN KOTELCHUCK: Right, here we
19 are. Okay. In overestimation.

20 MS. GOGLIOTTI: But overestimated
21 cases are not eligible for compensation. I think
22 you have the two swapped there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Oh. Yes, I do.
2 I've done that, over and under, right, Page 8.
3 I'll write that down. Thank you, of course. Is
4 it in your note to me?

5 MS. GOGLIOTTI: Yes, it is.

6 CHAIRMAN KOTELCHUCK: Okay, good.
7 Thank you. I'll do that. I have done that before
8 and you are absolutely right. Good. I'm still
9 going to write that down. Thank you. Good.

10 Page 9, folks. And Page 9, of course,
11 we will send the Allied Chemical to the other,
12 referred. And I suspect I will change that -- how
13 should I change it? I mean, I can leave it in and
14 just say referred to Subcommittee.

15 MR. KATZ: Yes. Dave, I wouldn't say
16 that it's been referred anywhere because this
17 Subcommittee doesn't refer cases elsewhere,
18 really. I mean, the Surrogate Data Work Group is
19 going to look at the use of surrogate data at that
20 site.

21 CHAIRMAN KOTELCHUCK: Right.

22 MR. KATZ: And that's stimulated by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this case. But they're not, you know, per se going
2 to review the case itself, right?

3 CHAIRMAN KOTELCHUCK: Right.

4 MR. KATZ: So, I mean, I think you can
5 simply say that there's a -- I mean, you can write
6 something after the fact, and I can't say for you.
7 But, I mean, that, you know, issues related to this
8 case are under review by another Work Group or
9 something like that.

10 CHAIRMAN KOTELCHUCK: Yeah, okay,
11 you're right.

12 MR. KATZ: In effect.

13 CHAIRMAN KOTELCHUCK: But the point
14 is, I'm not going to put a number there.

15 MR. KATZ: Right, right.

16 CHAIRMAN KOTELCHUCK: In my opinion,
17 yeah. Okay. So, Table 4 is being reviewed by the
18 other Work Group. Good, okay.

19 And then what should I, folks, for
20 presentation, particularly Subcommittee Members,
21 or anybody else who wants to advise me? We will not
22 have reviewed the last six cases, the Set 22 cases,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 by the time of the Board meeting.

2 So I think, probably correctly, I
3 should not put them down. And I put a note in there
4 in red just saying that four more, when the
5 Subcommittee finishes, we will add four more and
6 --

7 MR. KATZ: Six more.

8 CHAIRMAN KOTELCHUCK: Six more. And
9 also that I can't and I will therefore not be able
10 to revise the percent, the average percent
11 difference or absolute percent difference. Okay.

12 MEMBER BEACH: That will just be noted
13 in red to the Board Members?

14 CHAIRMAN KOTELCHUCK: That's right,
15 that's right.

16 MEMBER BEACH: Yeah, that makes sense.

17 CHAIRMAN KOTELCHUCK: Okay, right.

18 And alright, now Page 10, Distribution of Dose
19 Reconstruction Reviews figure. Yeah, the
20 figures. I want to go back to those figures,
21 because what happened, I want to look at the wording
22 of the table that SC&A folks put next to the pie

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 charts.

2 I mean, the data in it was very nice and
3 it's a very good addition. I just thought the way
4 it was described was a little less clear to me. And
5 by the way, we have the open meeting or whatever
6 they call it.

7 MS. GOGLIOTTI: Live Meeting?

8 CHAIRMAN KOTELCHUCK: Live Meeting,
9 thank you. You have Live Meeting on, yes?

10 MS. GOGLIOTTI: Correct.

11 MEMBER MUNN: Yeah.

12 CHAIRMAN KOTELCHUCK: And others are
13 looking at it. You know, we normally have it, and
14 I looked at Zaida's emails and I didn't see it this
15 time.

16 MEMBER MUNN: It went out a little
17 early.

18 MR. KATZ: If you don't have it, I could
19 send it to you again. But I don't think --

20 CHAIRMAN KOTELCHUCK: No, I don't
21 think it's worth it at this point. But could you
22 put up one of the tables and could you read outloud,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for at least me, what was the caption over the
2 table, the little table that you put in next to the
3 pie chart?

4 MEMBER MUNN: Of which one, for Figure
5 4, Figure 6?

6 CHAIRMAN KOTELCHUCK: Either one,
7 either one. It's the same issue. They used the
8 same -- it just seemed awkward to me and not clear
9 to readers, other readers.

10 MEMBER MUNN: So she has three figures,
11 the breakdown case reviews.

12 CHAIRMAN KOTELCHUCK: A little louder
13 please, Wanda.

14 MEMBER MUNN: Breakdown case reviews
15 101-334 by PoC. And then the table on the left
16 says, NIOSH case statistics for a population of all
17 cases.

18 CHAIRMAN KOTELCHUCK: Statistics for
19 population of all cases.

20 MEMBER MUNN: Range of less than 20
21 percent rises from 20 to 39.9 percent.

22 CHAIRMAN KOTELCHUCK: Yes, that's it,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 right.

2 MEMBER MUNN: -- range and percent of
3 cases.

4 CHAIRMAN KOTELCHUCK: I found
5 "population of all cases," statistics for, can we
6 say for all cases processed or for all DR cases?

7 MS. GOGLIOTTI: I just wanted to make
8 it clear that those are not statistics for our
9 review.

10 CHAIRMAN KOTELCHUCK: Pardon?

11 MS. GOGLIOTTI: I wanted to make it
12 clear that those are not statistics for the cases
13 that SC&A and the Board have reviewed. These are
14 statistics for NIOSH's population of all cases.

15 MEMBER MUNN: This is for everybody.

16 CHAIRMAN KOTELCHUCK: Yes.

17 MS. GOGLIOTTI: -- that there's a clear
18 distinction that it's not the same set of data that
19 we're looking at. We can change the heading. But
20 I just want to make sure that --

21 CHAIRMAN KOTELCHUCK: Right. And I
22 understand that and that's the important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 distinction. But would it be clearer to say
2 statistics for all DR cases, all --

3 MEMBER MUNN: They're not just DR
4 cases, though. That's what she's trying to say.
5 These are for all --

6 CHAIRMAN KOTELCHUCK: Oh, I'm totally
7 at fault. For all --

8 MEMBER MUNN: For all claimants.

9 CHAIRMAN KOTELCHUCK: Yeah, for all
10 claimant cases. It's really cases processed by
11 NIOSH.

12 MS. GOGLIOTTI: Yes.

13 CHAIRMAN KOTELCHUCK: For all cases.

14 MR. KATZ: Yeah, I mean,
15 parenthetically, you know, not just those reviewed
16 by the Board.

17 MEMBER MUNN: Well, may I make a
18 suggestion?

19 CHAIRMAN KOTELCHUCK: I want to make
20 the distinction in the title that one is --

21 MEMBER MUNN: Why don't we say, "case
22 statistics for population of total NIOSH cases."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Total NIOSH
2 cases. I think we can say it more succinctly. But
3 we're moving in the right direction. Statistics
4 for --

5 MEMBER MUNN: Total population of
6 NIOSH cases.

7 CHAIRMAN KOTELCHUCK: Yes. For total
8 population of NIOSH cases. That to me sounds
9 better and clearer. Does it sound better to
10 others?

11 MR. CALHOUN: The only thing I'm going
12 to add here, and it's just because I'm a "NIOSHian".

13 CHAIRMAN KOTELCHUCK: That's okay.

14 MR. CALHOUN: Is that it only counts
15 the ones for which we've done dose reconstruction.
16 There are many cases that are pulled for SEC that
17 don't go through dose reconstruction.

18 CHAIRMAN KOTELCHUCK: Right, no,
19 that's exactly the distinction I missed when I was
20 using the percentages. So that's very important.
21 So, total population of DR cases.

22 MR. CALHOUN: Of cases for which dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconstruction was completed.

2 CHAIRMAN KOTELCHUCK: Cases for which
3 DR completed. Can we use DR in there?

4 MEMBER MUNN: It wouldn't be a good
5 idea, I think.

6 CHAIRMAN KOTELCHUCK: It would not?

7 MEMBER MUNN: I think not.

8 CHAIRMAN KOTELCHUCK: Okay.

9 MEMBER MUNN: If it's trying to stand
10 alone anywhere it's shown, probably it needs to say
11 dose reconstruction.

12 CHAIRMAN KOTELCHUCK: That just makes
13 it lengthy and bulky inside that.

14 MEMBER MUNN: It does.

15 CHAIRMAN KOTELCHUCK: I think what I'm
16 suggesting is a change, if people accept that it
17 would be better to change it to be a little clearer
18 to the reader. Could we -- we're trying to
19 essentially change it by committee, writing by
20 committee. And of course, it gets awkward. Could
21 somebody or somebodies, anybody who has some ideas,
22 send it in to me? Email me. Could folks do that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and just take a look and see if you can make it
2 clearer?

3 And it's certainly not incorrect. But
4 I think by not including either NIOSH or dose
5 reconstruction cases -- why not statistics for all
6 dose reconstruction cases? For all dose
7 reconstruction cases.

8 MEMBER MUNN: I just have a tendency to
9 like "total" more than "all."

10 CHAIRMAN KOTELCHUCK: Okay. For
11 total.

12 MEMBER MUNN: I know it's a longer
13 word, but --

14 CHAIRMAN KOTELCHUCK: Statistics for
15 total --

16 MR. KATZ: Dave, I just second your
17 instinct that by committee doesn't work, and
18 everybody send in your suggestions and then we can
19 work it out.

20 CHAIRMAN KOTELCHUCK: That sounds
21 good, okay. Great. As long as people -- but
22 people do agree that we could make it clearer?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Absolutely.

2 CHAIRMAN KOTELCHUCK: Okay, fine.

3 Then that would be great.

4 MEMBER CLAWSON: Hey, Dave, this is
5 Brad. I'm going to be right upfront. I'm not
6 going to send anything because I write exactly the
7 way I talk and it stinks. So I just sit back and
8 it's not that I don't care. I want you to realize
9 that.

10 CHAIRMAN KOTELCHUCK: Absolutely.
11 No, it's not an assignment. It is a voluntary
12 activity by any Member of the Subcommittee.
13 Several of you have sent in -- well, Wanda, Josie,
14 Ted, you've all sent in some suggestions. So see
15 if you can make one more.

16 Very good, very good. You will not be
17 "dinged" in present or future meetings for not
18 sending it in. Others who agree and can do it, they
19 will. And we'll get something that's a little
20 better and then I'll communicate that to you,
21 right, Kathy -- or Rose, excuse me.

22 Okay. Alright. We're going to go to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Page 11, the last page, Distribution of Cases
2 Reviewed by Decade First Employed. And I worked
3 on that because we took out a lot of the chemistry
4 and physics and latency periods, as you all see.

5 The most light touch on that. As
6 expected, given the decades long latency period of
7 most cancer, each percentage, et cetera. Okay.
8 Anything else?

9 MS. GOGLIOTTI: This is Rose. I just
10 have one more overarching comment.

11 CHAIRMAN KOTELCHUCK: Good.

12 MS. GOGLIOTTI: I'm concerned that
13 we're drawing some conclusions --

14 CHAIRMAN KOTELCHUCK: I'm -- am I
15 breaking up folks?

16 MEMBER MUNN: No.

17 CHAIRMAN KOTELCHUCK: I'm having
18 trouble hearing.

19 MEMBER MUNN: No, but Rose has a very,
20 very soft and gentle voice. I strain to hear her.

21 MS. GOGLIOTTI: I'm sorry.

22 CHAIRMAN KOTELCHUCK: Alright. Go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ahead, Rose.

2 MS. GOGLIOTTI: I'm just concerned
3 that we're drawing conclusions based on the dose
4 reconstruction reviews that we've done on an actual
5 population of NIOSH claims. I want to remind you
6 that our reviews that we do were hand-selected by
7 the Board. So it's not a random sample of the total
8 cases that NIOSH has. It's a very selective sample
9 that was intentionally selected, and I just want
10 to make sure that we don't draw broad conclusions
11 based on that.

12 CHAIRMAN KOTELCHUCK: Yeah. I mean, I
13 think there's a real merit to what you say. And
14 I think it started from the fact that when I was
15 tasked with writing this I wrote it primarily from
16 what I knew, which is the DRSC perspective.

17 And what has happened is as people sent
18 information to me and made suggestions and
19 corrections, it has expanded the scope of this,
20 properly so, to be not [about] the review process,
21 but [about] the process. And these are the reviews
22 of them. And what I'm saying is I think there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a real truth to what you say, and an import to what
2 you say, and I can see where it stems from the way
3 or the person who first wrote it writing from their
4 perspective.

5 I always thought, oh, this is just going
6 to be a piece of the bigger report and then there's
7 going to be four or five other sections and all
8 that, which is not going to be the case as I now
9 understand. But I don't know how to remove that,
10 slightly change that focus to make it clear that
11 these are the reviews, but the process for the
12 42,000 cases is the process.

13 Do any others have comments about what
14 Rose just said and your feelings about that?

15 MEMBER MUNN: Well, I will be glad to
16 comment on that, surprisingly. It is, I think,
17 very, very accurate to point out that this isn't
18 a completely random process.

19 I do believe that it would be worthwhile
20 spending no more than two or three sentences on it,
21 but it needs to be clarified. Rose is absolutely
22 correct. The reason that the production of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lists from which we choose is intended to be random
2 is one can make selections based on certain
3 parameters. You know, you have to set the
4 parameters up before you go. For example, the case
5 has to be completed at the time you're making the
6 selection or else it doesn't work.

7 CHAIRMAN KOTELCHUCK: Right.

8 MEMBER MUNN: So, at any given time,
9 you have to have some degree of selection criteria.
10 Once the basic criteria are established, the
11 selection is supposed to be as random as we can get
12 it.

13 But when it comes to us for selection,
14 as anyone who has ever dealt with random numbers
15 knows, what you get in randomization is not full
16 coverage of the sites and the types of cases that
17 come before you. So to err on the side of adequate
18 coverage for every type, you can't rely on a random
19 selection to provide you that.

20 Our selection process, in my mind, is
21 established to provide a random list of what's
22 available to us, and then using our personal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 criteria inside this Subcommittee with respect to
2 concern for assurance that all sites, all types of
3 cancers, and all types of persons are covered, we
4 therefore established additional internal
5 criteria for ourselves, saying let's be sure we
6 look at this. Let's be sure we look at that. And
7 in order to do that we have to make selections out
8 of the random list.

9 CHAIRMAN KOTELCHUCK: That sounds very
10 good, yes.

11 MEMBER MUNN: It'll take two or three
12 sentences to say that. But it doesn't need to be
13 belabored, in my view. But it does need to be made
14 very clear.

15 CHAIRMAN KOTELCHUCK: So, probably
16 someplace way up front here going back to the first
17 pages.

18 MR. KATZ: David?

19 CHAIRMAN KOTELCHUCK: Yes.

20 MR. KATZ: This is Ted. You have
21 already made the point earlier on as a result of
22 the same concern, in effect, I mean, that the Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 selection process isn't random. You've made the
2 point that in fact the Board has been selecting
3 cases in the range where they're most likely to,
4 if there are problems, for those problems to be
5 important.

6 So you've already said pretty clearly
7 it's not at all a random selection or a random
8 review.

9 CHAIRMAN KOTELCHUCK: Right, right.

10 MR. KATZ: So, I mean, you may be able
11 to add a little bit more upfront in the front end
12 of the report on that. But you did try to address
13 it already in a different part.

14 CHAIRMAN KOTELCHUCK: Well, I could
15 try, though -- I'm looking at the report. I could
16 look on Page 3, Dose Reconstruction Cases Reviewed.
17 Of those reviewed for this report, et cetera, I can
18 try to insert some sentences or clarification of
19 essentially what Rose and Wanda are saying. And
20 I'd be glad to do that.

21 So I'm going to put a note to myself,
22 intro sentences, Page 4, Wanda and Rose. Well,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 good. Okay, that's very good. Thank you. I
2 mean, I think those are very good suggestions of
3 a broader nature and I think clarifying. I
4 certainly allude to it. But I can be more
5 explicit. Okay.

6 MS. GOGLIOTTI: There's also a few
7 instances in the report, for instance, the last
8 sentence of the report, that draw conclusions on
9 the data specifically that probably are not
10 appropriate to draw.

11 CHAIRMAN KOTELCHUCK: This appears to
12 reflect both the increase in cancer rates.

13 MEMBER MUNN: Yeah, and what I sent
14 you, I put that in brackets and made question marks
15 about it.

16 CHAIRMAN KOTELCHUCK: Okay.

17 MEMBER MUNN: It's hard to see, from
18 just a casual reading, it was hard to see much
19 difference in increase in incidents of age-related
20 cancers and the fact that after people are retired
21 they have a tendency to file more claims. You
22 know, it seems like a different side of the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 coin to me.

2 CHAIRMAN KOTELCHUCK: Yeah, you may be
3 right. Let me look at that. It's in your report
4 and I'll remember this part of the conversation.
5 And that last chapter -- excuse me, the last
6 paragraph, the last section, was hard to write and
7 we revised it quite significantly. And I think I'm
8 letting my public health interests interfere,
9 because it's not just public health. It's also the
10 rates at which people file claims, which is not the
11 same as the rates of cancer incidence.

12 So, okay, good. I may just delete that
13 sentence, actually. We'll see. As long as you've
14 noted it there, Wanda, it will be addressed. Any
15 other comments? These are all very good.

16 So what I will do is make revisions and
17 then I can either send them out to the Subcommittee
18 to kind of look over or just simply give them to
19 Ted and ask them to be distributed to the Board for
20 the March meeting. I have a feeling the latter is
21 probably better. You've all read over this now a
22 second time, and we've improved it. And I'll put

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those changes in and then we'll give it to the Board
2 and of course you as Subcommittee Members will be
3 on the Board and will comment on if any of the
4 changes that I put in reflecting today's discussion
5 were not put in appropriately or we could do better,
6 something like that.

7 Which would you prefer? Would you like
8 to take a look at the changes I'll make before we
9 submit it to the Board?

10 MEMBER BEACH: Yeah, Dave, this is
11 Josie. I'd like to see them.

12 CHAIRMAN KOTELCHUCK: Okay. Others?

13 MEMBER MUNN: I think it's always
14 helpful for the Subcommittee Members to see what
15 we're reporting to the Board.

16 CHAIRMAN KOTELCHUCK: Okay, alright.

17 MEMBER CLAWSON: It's always fun
18 reading, you know.

19 CHAIRMAN KOTELCHUCK: Okay. Good.
20 Revisions will be sent to Subcommittee members.

21 MEMBER CLAWSON: It may help me with my
22 English language.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay, good.
2 Alright. Fine. Well, thank you. And it's now
3 ten of two and we finished with that and that's
4 great. And we're really ready to go on to 5.

5 There's elements in me that would -- I
6 don't think we want to take a break quite this
7 early, so let's go on for a little while. Let's
8 start five. We're not going to deal with all three
9 bullets, perhaps, before break.

10 **Criteria for Assigning and Finding Observations**

11 Let's start with the first bullet, the
12 Criteria for Assigning Findings and Observations,
13 Grady. And actually, by the way, for this, Ted,
14 if you would send me, email me Zaida's letter. And
15 in fact, if you might just send it to my home email
16 then I'll get on, because it will be helpful to be
17 on Live Meeting.

18 MR. KATZ: I'll do that right now.

19 CHAIRMAN KOTELCHUCK: Thank you very
20 much. So, as he's doing that and as we're doing
21 that, Grady, you had a number of suggestions about
22 changing the criteria for findings and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 observations, if I'm not mistaken.

2 MR. CALHOUN: We discussed it last
3 time, and actually Scott wrote some stuff up about
4 it and we forwarded it on. And I think we discussed
5 it a little bit the last time we got together.

6 So I really don't know what to say other
7 than what we've put forth. And I don't know where
8 there's some agreements or disagreements. I don't
9 know, Scott, if you have anything on there that we
10 can talk about. I think we're almost to the point
11 where you guys need to ask us questions or agree
12 or disagree with what our proposals were.

13 CHAIRMAN KOTELCHUCK: Okay. I did not
14 leave the last meeting thinking that this was
15 closed. But it's certainly appropriate for us to
16 talk about, for us to ask questions of you, since
17 you did respond with a memo.

18 Can we put this up on Live Meeting? I'm
19 waiting for it to come in.

20 MS. GOGLIOTTI: I'm not sure which memo
21 he is talking about. If he wants to pull it up,
22 he can certainly take over the screen.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. CALHOUN: I do not have such a memo.
2 We discussed, I don't know if it was an email or
3 what. But last time, we talked about, you know,
4 like, for example if we found a -- if a finding was
5 identified and through our course of discussion you
6 guys decided we were right, then that wouldn't be
7 a finding.

8 And then there were some other
9 questions, well, what if the TBD changed or what
10 if you were looking at an older TBD? So, unless
11 those criteria are up in front of me, I don't know
12 if I can speak intelligently about it, and I don't
13 have them handy.

14 CHAIRMAN KOTELCHUCK: Right. I
15 certainly saw them. And I remember you sent us a
16 group of tables, you know, saying that the -- you
17 were talking about low impact ones -- no, that's
18 --

19 MS. GOGLIOTTI: We did go through and
20 complete the reclassification of findings, if
21 that's what we're talking about.

22 CHAIRMAN KOTELCHUCK: Yeah.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. CALHOUN: And I think we kind of,
2 sort of agreed to that before we reclassified them.

3 CHAIRMAN KOTELCHUCK: You know, and it
4 may be that I'm thinking about the impact of the
5 findings, the impacts of the findings and that
6 discussion. What do other Board Members -- what
7 are your recollections?

8 MEMBER MUNN: I do not remember seeing
9 any additional information above what we
10 discussed. It may have come in and I missed it,
11 but --

12 CHAIRMAN KOTELCHUCK: You mean on
13 findings and observations?

14 MEMBER MUNN: Yes, correct.

15 CHAIRMAN KOTELCHUCK: Yes, yes. And I
16 don't recall either. But I was busy with other,
17 you know, writing the report and things like that
18 and there were periods that just, I said I'm going
19 to come back to that and I may have missed [doing
20 so].

21 MR. KATZ: Yes, Dave, I think Rose and
22 Grady are correct. Their discussion, which was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concluded, was all about getting to correct
2 statistics on that Secretary's letter.

3 But the discussion related to what
4 changes the Subcommittee might want to recommend
5 to the Board in how it does its dose reconstruction
6 reviews. The only discussion, you know, that you
7 just touched on, that there's no memo on it because
8 there's no detail to it, but with the whole
9 question, Rose had a memo, I think, or Kathy --

10 CHAIRMAN KOTELCHUCK: Yes.

11 MR. KATZ: -- that related to how to get
12 more efficiency, how do you want to deal with
13 observations. You had discussed [this], Dave, to
14 some extent.

15 And then also the question of how do you
16 want to deal with sort of, which Kathy
17 redistributed pretty recently I think, the memo how
18 do you want to deal with circumstances where the,
19 there seems to be sort of a simple path forward for
20 agreement, you know, simple conflicts versus more
21 complicated conflicts and findings and so on.

22 CHAIRMAN KOTELCHUCK: Well that's to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be -- I mean that's the third bullet and we
2 certainly want to talk about that. I mean that was
3 presented and but the question is the first two
4 bullets, or the first bullet -- so I just don't
5 remember if they're, well let me just start fresh.

6 And I don't -- do people believe that
7 we have settled the issue of findings and
8 observations and that there is no change that we
9 are recommending or [that] the changes were
10 recommended in the memo which I don't recall
11 seeing? But that could be my fault and Wanda's
12 also.

13 MR. CALHOUN: I think we discussed them
14 and then Rose actually changed them and that was
15 kind of it and we kind of agreed that going forward
16 during our discussions we, rather than trying to
17 go back and change the whole lot of them we would
18 just say, okay, this one really wasn't a finding
19 and this one was.

20 CHAIRMAN KOTELCHUCK: Right. And I
21 remember those memos that went back and forth and
22 there was agreement eventually.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. CALHOUN: And I think [it] was even
2 not so much memos. We had a couple emails. But
3 I think more of it was just discussion during the
4 meeting but --

5 MR. KATZ: Right. But that's not a
6 change in process really.

7 CHAIRMAN KOTELCHUCK: Right.

8 MR. KATZ: We went through that all
9 along, updating the findings according to what the
10 Subcommittee decided. So that's not something you
11 need to recommend to the Board because that really
12 was meant to always be in place.

13 So it was sort of a mess for this
14 Secretary's Report. It shouldn't be a mess in the
15 future because I think SC&A is already keeping
16 records in a different way.

17 CHAIRMAN KOTELCHUCK: Right.

18 MR. KATZ: So we won't have that
19 situation. But you do have the -- you have never
20 recommended to the Board one way or the other
21 whether you want to continue with observations as
22 is or not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 How you want to deal with observations?
2 You haven't made any recommendations regarding
3 that. Right now you still deal with them. You
4 still review them and so on.

5 CHAIRMAN KOTELCHUCK: Right, right.
6 And I, right -- sorry, go ahead.

7 MEMBER MUNN: And we did talk about
8 [this] extensively based on a memo that Rose sent
9 out last -- I don't know it's been a number of months
10 now, I guess the tenth to 13th sets about where we
11 had discrepancies in our conversations, about
12 whether this should be an observation or a finding
13 in that particular set.

14 And we had a memo on that. It was the
15 basis of a part of our significant discussion the
16 last couple meetings I think. My memory was that
17 we pretty much put the issue to bed.

18 But I thought we fairly well, as Ted
19 mentioned, I think we fairly well resolved how we
20 were going to go forward with it. But maybe not.
21 In my mind we're done.

22 CHAIRMAN KOTELCHUCK: Well I'm --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 maybe the thing to do is --

2 MEMBER MUNN: I haven't looked at the
3 minutes of the meeting either, I mean --

4 CHAIRMAN KOTELCHUCK: The transcript.

5 MEMBER MUNN: -- the transcript,
6 right.

7 CHAIRMAN KOTELCHUCK: Right. And I
8 can go back, we can go back and look at those. But
9 I think in fact it sounds to me as if things were
10 resolved. I may have missed something. I
11 remember those emails going back and forth and I
12 can of course go back to them myself.

13 But maybe the way to say it is that I'm
14 a little unclear now about what we decided. I can
15 go back and find things. I'd like to start later
16 today on 14, go back to 14 to 21 sets, which as Rose
17 noted, you know, we have done very little if
18 anything.

19 I don't think we've reviewed cases
20 there yet. So our -- if people would, I'll go back
21 and check the records and the discussion. If, Ted,
22 if you see those and want to forward the relevant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ones to us.

2 MR. KATZ: I don't, Dave, look here's
3 what's on the plate right now related to this which
4 Dr. Melius I think, you know, requested that or was
5 thinking that you would, that the Subcommittee
6 would make recommendations to the Board on how we
7 might change the dose reconstruction review
8 process.

9 Kathy sent you all the memo and the memo
10 suggested sort of an efficiency process. So
11 that's one suggestion in effect. It's
12 complicated. It's not a one sentence suggestion.
13 But that's one approach to how the dose
14 reconstruction review process might be changed
15 going forward for the Board.

16 It was in effect, just to say it very
17 briefly, sort of an efficiency process where
18 simpler, easier cases to resolve get sort of
19 reviewed prior to the meeting and get minimal
20 discussion in the meeting. And Dr. Melius raised
21 some concerns about that because --

22 CHAIRMAN KOTELCHUCK: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: -- some problems seemed
2 simple or straightforward and don't end up being
3 so and so on. And he thought that every, you know,
4 finding might need to have, you know, more
5 substantial discussion engagement by the Board
6 because, of course, SC&A's review is just that:
7 SC&A's review. It's not the Board's point of view.

8 So there was that discussion and
9 there's that sort of one proposal that we have from
10 SC&A about one way we could make a more efficient
11 dose reconstruction review process. But the table
12 is still wide open for other approaches that any
13 of you may have, other ideas you may have for how
14 to go forward.

15 We do have a problem in that the current
16 process is very slow. Very meticulous, very slow.
17 It's excellent on detail and very thorough. But
18 it takes a long time and it's hard to get
19 significant quantity done in any real time which
20 is why we have this, you know, very big backlog on
21 the shelves right now.

22 CHAIRMAN KOTELCHUCK: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: So I just say that because
2 I don't think there's much more to send around to
3 you other than Kathy's memo. It's really about you
4 folks brainstorming and coming up with suggestions
5 if you have them, or don't, for the rest of the Board
6 to consider.

7 And then of course there's [what] the
8 rest of the Board can think about this problem too
9 when it takes it up in March.

10 CHAIRMAN KOTELCHUCK: Right. Well we
11 have, we certainly have on the table the SC&A
12 proposal. And we should talk about that. It's as
13 if the first two bullets are, those are essentially
14 internal matters that don't need to go to the Board,
15 right?

16 MR. KATZ: Right.

17 CHAIRMAN KOTELCHUCK: So they do not
18 have to be resolved or they are resolved to some
19 extent. I would say, let's figure that we will
20 focus a little bit on those, some of us at least
21 between now and the next meeting, and try to follow
22 a little bit more closely what was discussed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I think we should, maybe we should
2 just go right ahead to the SC&A proposal that we
3 have talked about before and has been formally put
4 on the table for our discussion and recommendation
5 to the Board. So let us do that.

6 Okay, folks. We'll do that now.

7 MEMBER BEACH: That would be good.

8 CHAIRMAN KOTELCHUCK: Okay, Ted, for
9 some reason I haven't gotten your Live Meeting yet.

10 MR. KATZ: I emailed it to both of your
11 accounts.

12 CHAIRMAN KOTELCHUCK: Okay, you know
13 what that's good. The Gmail account will be there
14 no matter what. It moves quickly, no, it's, the
15 other one goes through a second, through another
16 server, and that's at the college. So let's see.

17 Well, why don't we do this? I mean I've
18 read it and I remember it and while I'm searching
19 around maybe we let folks -- and is it Rose or is
20 it Kathy who will present this?

21 MS. GOGLIOTTI: I'm prepared to do it
22 but I'm sure Kathy will jump in if I'm --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay. So,
2 Rose, why don't you?

3 **SC&A Proposal**

4 MS. GOGLIOTTI: Okay. I'll put the
5 memo up on the screen here. But, in order to give
6 you some kind of context, as you know SC&A has
7 reviewed 500 cases and we're just finishing 334 of
8 them which means that we have a backlog of
9 approximately 400 findings and observations.

10 And typically we only get through about
11 30 findings and observations per meeting. So at
12 the current rate it will take us at least three and
13 half years to finish if we do nothing else.

14 And so what we proposed is essentially
15 grouping findings one more time. The findings are
16 currently already grouped by site and NIOSH makes
17 that grouping. And we found that by grouping them
18 in that way that has really expedited the finding
19 resolution process, but possibly not as quickly as
20 we could be doing.

21 So SC&A proposed grouping them one set
22 further. And we call these Type 1 and Type 2

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 findings and we could call them whatever you want
2 really. But the Type 1 findings are your QA
3 findings or your QC findings, findings that the
4 underlying issue has already been resolved,
5 simple, technical clarifications.

6 And these are findings that SC&A no
7 longer has follow-up questions on. And in our mind
8 there's no longer a source of a disagreement
9 between SC&A and NIOSH.

10 The second type of finding, Type 2,
11 would be everything else. These are the more
12 complicated findings. These are the issues that
13 SC&A still has questions on, sources [where] we
14 believe there's still disagreement.

15 These are findings that we really need
16 Dose Reconstruction Subcommittee input on. So
17 what we're proposing is simply to create a table
18 in advance of every meeting, whether it's a week
19 or whatever predetermined time frame, that
20 summarizes all the Type 1 findings.

21 And we have an example of that, the type
22 of table that we have in mind in the attachment to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this memo. Basically it just provides the finding
2 number, a little bit about the case and then the
3 finding and a summary of what is already in the
4 matrix.

5 Now we realize the matrices for the BRS
6 are really complicated, they have a lot of details.
7 But we boil these down to just the main points. And
8 we're suggesting that we provide this to the Dose
9 Reconstruction Subcommittee and NIOSH a week in
10 advance of the meeting and that way Dose
11 Reconstruction Subcommittee Members can review
12 this information.

13 It highlights everything that was
14 discussed in the matrix but in minimal detail and
15 makes it very easy to understand exactly what's
16 happening. Now, I don't want it -- I want it to
17 be clear that these are not positive and negative
18 findings.

19 I think that term has been thrown
20 around, which is not really accurate. We don't
21 make any judgment on it's either right or wrong.
22 These are just findings that we don't think require

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the level of attention as some of the other
2 findings, the Type 2 findings.

3 And with this table Subcommittee
4 Members then, if they have any questions, they can
5 look into them in the BRS or they can bring them
6 up for more discussion at the actual meeting. But
7 our hope is that by providing these in advance the
8 more simple findings can be addressed in an
9 accelerated --

10 CHAIRMAN KOTELCHUCK: Hello. I got
11 cut off.

12 MR. CALHOUN: It sounded like Rose just
13 dropped off.

14 MEMBER MUNN: Yes, it does.

15 CHAIRMAN KOTELCHUCK: Okay. I wasn't
16 the only one for whom -- Rose, are you there? Good,
17 I thought it was just me.

18 MEMBER MUNN: Sounds like a void to me.

19 CHAIRMAN KOTELCHUCK: Let's wait a
20 moment. She's probably trying to get back on.

21 MEMBER MUNN: She's not moving
22 anything around on the screen.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Right. And for
2 some reason, Ted, I do not have what you emailed
3 me either.

4 MS. GOGLIOTTI: This is Rose. Can you
5 hear me?

6 CHAIRMAN KOTELCHUCK: Can hear you
7 now? Good.

8 MEMBER MUNN: You're back.

9 MS. GOGLIOTTI: I'm sorry. I could
10 hear you the whole time and I thought you could hear
11 me.

12 MEMBER MUNN: Well, good. I thought
13 perhaps you would hear the clue and move the screen,
14 which you did. Very good.

15 MS. GOGLIOTTI: Where did you lose me
16 here?

17 MEMBER MUNN: We lost you -- it sounded
18 to me as though you had pretty much wrapped up. You
19 were talking about positive and negative findings
20 and pointing out there is no such thing actually,
21 and what you were doing essentially was just
22 deriving which of the items you had reviewed seemed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to be the most simple and had the least ongoing
2 discussion necessary in order to resolve them.

3 MS. GOGLIOTTI: Were there any
4 questions on this proposal?

5 CHAIRMAN KOTELCHUCK: Well, why don't
6 we, I mean, why don't we first raise, I mean when
7 we -- I don't remember how Jim Melius, whether he
8 was in on the discussion that we had at one of our
9 earlier meetings or where it came up, but he raised
10 the question immediately: Well are we really -- he
11 was concerned about the Board taking
12 responsibility for all the decisions,
13 responsibility, not, you know, in supervising and
14 giving our approval to the decisions that were
15 made.

16 And he was concerned, and I have thought
17 about it since, whether he was concerned whether
18 in fact people on the Board on the Subcommittee
19 would follow through and really look carefully at
20 the ones that you folks agreed upon so that we could
21 really say that the Board has looked over and
22 approved the decision that the two groups, the SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and... --

2 MS. GOGLIOTTI: If I could just perhaps
3 clarify. We're not proposing that we don't talk
4 about any findings. Disposing [of] findings is
5 the sole responsibility of the Board.

6 We just want to prevent, provide this
7 information in a timely fashion, so that if the
8 Board Members want to look into them in more detail
9 then we need to present in the meeting what is
10 available to them.

11 But we're still proposing going through
12 every finding. It's just we're proposing reducing
13 the amount of time on each finding.

14 CHAIRMAN KOTELCHUCK: Okay. I
15 actually misunderstood then because I thought that
16 you were proposing that some of us look over the
17 -- where we have the Type 1, where there's
18 agreement, and just check it out and then report
19 to the Subcommittee that it's fine.

20 MEMBER MUNN: That wasn't what I got
21 out of it.

22 CHAIRMAN KOTELCHUCK: Okay. And so I,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's an important clarification. So we're still
2 going to go over every one of the cases.

3 MEMBER MUNN: That was what I took
4 away.

5 CHAIRMAN KOTELCHUCK: Right, and that
6 there would be an explanation. But where there
7 were Type 1 cases it would be abbreviated.

8 MS. GOGLIOTTI: Yes. So essentially
9 for a Type 1 case we could go directly down the
10 example table that we have here.

11 CHAIRMAN KOTELCHUCK: Right.

12 MS. GOGLIOTTI: I would say Finding
13 391.1 has a rank of L, PoC of 46 percent. The
14 finding had to do with an inconsistency of
15 unmonitored dose. And the resolution was this and
16 are there any follow up questions?

17 And at that point we could reduce the
18 amount of discussion going through explaining
19 exactly what the small inconsistency was, why NIOSH
20 thinks it was an error and then we were not focusing
21 nearly as much time on these small problems.

22 MS. BEHLING: And this is Kathy. If I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can just interject.

2 CHAIRMAN KOTELCHUCK: Please.

3 MS. BEHLING: Exactly right, Rose.

4 The point was that we are first of all trying to
5 not have you have to make a decision real time
6 because we maybe didn't get something into your
7 hands in enough time for you to review these things
8 and we're now sitting at a meeting and you're
9 hearing this for the first time making a decision.

10 And we're trying to focus your
11 attention on those that we feel maybe will require
12 the least amount of discussion. And if this is in
13 your hands prior to the meeting and you have an
14 opportunity and you have the time to look at these
15 things then during a meeting we can maybe quickly
16 say: Is there any disagreement with what NIOSH and
17 SC&A have come to conclude?

18 Are you in agreement? Do you have any
19 additional questions? And we can move on. I
20 think the problem was, you know, during these
21 meetings you were sitting and having to make
22 decisions real time and not being aware of maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all the details.

2 And we're trying to focus your
3 attention on those that we can more quickly get
4 through. But we would definitely be discussing
5 each and every finding, and I think that
6 understanding will hopefully make other Board
7 Members who are not part of this Committee more
8 comfortable with what we're recommending.

9 MEMBER MUNN: Kathy, I will have to say
10 to both you and Rose, I personally welcome this
11 suggestion with open arms. It is, I don't know how
12 conscientious other Board Members are, but I know
13 there are times when I spend a lot of time looking
14 at the material that's going to come up. There are
15 times when I barely have a chance to even identify
16 where it is and end up having to try to come up to
17 speed while we're actually in meetings.

18 I try not to do that. But it happens
19 to me every once in a while. And I, when I saw this,
20 I thought, wow, this is to me the same kind of
21 thinking that we used early in our deliberations
22 to approach our entire process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That is, there are some things that are
2 obvious. There are some things that take great
3 deliberation. For things that are obvious, for
4 things that have essentially been resolved in
5 principle, it's incumbent upon us to identify what
6 has been agreed to and know that we are agreeing
7 with the agreement.

8 But it does not require that we search
9 through a large mass of data in order to get to this
10 fairly straightforward conclusion. And it seems
11 to me that this would really clear the decks to a
12 large degree and allow us much more time to focus
13 on the thorny things that are going to take days,
14 weeks, sometimes months to resolve because of
15 multiple aspects of some issue or because of
16 inability to identify exactly where we need to come
17 down on this.

18 So I think it would be a tremendous help
19 to me as a reviewer's reviewer to, just to know
20 where to look on the matrix, for goodness sake, to
21 identify this item and not having to scroll through
22 pages and pages of what I'm trying to find to take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a look at it and read what's already been done.

2 MS. GOGLIOTTI: Absolutely. And
3 that's the reason we wanted to put together this
4 summary table because I understand how challenging
5 it is to find it in the matrix even for people who
6 use the matrix every day.

7 MEMBER MUNN: Yes, it's, as I said,
8 when we're able to perform our jobs properly and
9 devote several hours to this prior to a meeting then
10 it's one thing. But there are certainly times when
11 I can't do that.

12 So being able to focus in on it quickly
13 during a meeting time is a real blessing.

14 MS. BEHLING: This is Kathy. I also
15 just [to] make one additional comment. I think,
16 Wanda, that this is also consistent with how you
17 handle the Procedures Subcommittee meetings.

18 Often SC&A provides reviews and
19 summarizes like salient elements of PERs and that
20 type of thing in advance and so everyone has an
21 opportunity to read through them, think about them
22 before the meeting, and then make decisions more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 quickly by being more informed during the meeting.
2 So this is consistent with that approach.

3 CHAIRMAN KOTELCHUCK: Right. There's
4 no question that having you folks send us
5 information about where the problems are, if any,
6 that this will help us focus on what to look for
7 when the report is made.

8 But presumably the report would be,
9 still, a full report about the case because there
10 are times when there seems to be agreement about
11 something and then somebody from the Board or the
12 Subcommittee will spot something and say, wait a
13 minute, explain this or, you know, there are times
14 we find things that you folks didn't agree upon.

15 MR. KATZ: Well, Dave, you're still
16 going to receive the case reviews for the set,
17 right. You will have the individual case reviews
18 first.

19 Those will come out first and
20 presumably subsequently as they're working towards
21 a Board Meeting SC&A and DCAS will have some
22 interaction so that they can clarify matters that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are unclear for some of these more, whatever you
2 want to call them, simple cases that can be
3 presented then in this kind of a table to give you
4 sort of a shorthand on what's important and what's
5 at least agreed upon between SC&A and DCAS for those
6 matters.

7 So you're still going to get the full
8 case report for each dose reconstruction review.

9 CHAIRMAN KOTELCHUCK: And we're still
10 going to get a summary of it at the meeting, will
11 we?

12 MS. GOGLIOTTI: Yes, you'll still get
13 a summary of --

14 CHAIRMAN KOTELCHUCK: Right, right.
15 It can be a brief summary, I mean much more brief
16 than we do now.

17 MEMBER MUNN: Well, we certainly are
18 free to ask any questions we want regardless of how
19 lengthy the survey is.

20 CHAIRMAN KOTELCHUCK: Right, right.

21 MEMBER BEACH: I have a question for
22 either Rose or Kathy. Is it really clear when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're doing these, when you put together this
2 Table 1, what fits in Type 1 and what fits in Type
3 2? Is there any gray areas or did you find it very
4 clear cut?

5 MS. GOGLIOTTI: It seems pretty clear
6 cut for the most part. If there's any gray area,
7 if I have any question at all, it goes in Type 2
8 because I want Board input on that.

9 MEMBER BEACH: Perfect.

10 CHAIRMAN KOTELCHUCK: Right.

11 MR. KATZ: And just from my
12 observations on this with respect to the cut and
13 paste and I think Dave said something important too
14 because the presentations sometimes have been very
15 long winded and they probably didn't need to and
16 that's probably another area where we could get
17 some real efficiency by figuring out how to present
18 at the right level of detail for the particular case
19 so that we don't lose a lot of time that way.

20 CHAIRMAN KOTELCHUCK: That's true.
21 Brad, did you start to say something?

22 MEMBER CLAWSON: No, I was just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 listening. I was just thinking if Wanda agreed
2 with this then I would take the other side. But,
3 you know, just kidding.

4 Actually I would like to tell Kathy and
5 Rose I think this has been a long time coming and
6 they've done a good job on this and I think it will
7 help the process out.

8 CHAIRMAN KOTELCHUCK: Right.

9 MEMBER BEACH: This is Josie. I also
10 think this would give us a clear record of what was
11 done and why in very easy to access data, I mean
12 very accessible. So --

13 MEMBER CLAWSON: I agree with that,
14 Josie, because if you think about it a lot of times
15 when we bring a case back up it's because something
16 hasn't been clear in this and we were going to get
17 some more information. So it takes like five to
18 ten minutes just to bring us back up to speed of
19 what the actual [issue] was and why it was an issue.

20 CHAIRMAN KOTELCHUCK: That's true.
21 You're right about that. So essentially to the
22 concern that, Jim's concern, that we're --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Dave, we lost you.

2 CHAIRMAN KOTELCHUCK: You did. Okay.
3 You know what, my phone, I'm running off a
4 wireless... Well, I'll change, can you hear me
5 now?

6 MR. KATZ: Yes, we can hear you now. I
7 just --

8 CHAIRMAN KOTELCHUCK: And I will
9 change phones at the break later. I'll have a
10 recharged phone. But basically we will go through
11 all of them.

12 We will have essentially somebody
13 pointing out where there are minor problems that
14 seem to be resolvable but that we will have someone
15 quickly run through the whole case and we will hear
16 it. But we can do it much more quickly if we know
17 there's basic agreement and we're not going to have
18 two reports.

19 We're going to have one person
20 reporting, right, unless there are findings,
21 right?

22 MS. GOGLIOTTI: We are not going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 present the whole report to the Board for each case.
2 That is reserved for the one-on-one calls. This
3 is just the findings.

4 CHAIRMAN KOTELCHUCK: We're talking
5 about case reviews.

6 MS. GOGLIOTTI: Yes.

7 CHAIRMAN KOTELCHUCK: Right.

8 MS. GOGLIOTTI: So we do the case
9 reviews and we do a one-on-one call with Board
10 Members where we discuss the cases in detail.

11 CHAIRMAN KOTELCHUCK: Right.

12 MS. GOGLIOTTI: And then NIOSH
13 responds to our findings and we respond back to them
14 and then it gets presented in the meeting. So we
15 only talk about findings and observations in the
16 meetings.

17 CHAIRMAN KOTELCHUCK: Right. You're
18 right.

19 MR. KATZ: Right. That's what we've
20 been doing all along.

21 CHAIRMAN KOTELCHUCK: Yes, yes, okay.
22 You're right. You're right and I'm -- I think I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to move for a break more quickly. You're
2 correct. That's what we're doing.

3 So if we have any questions, any other
4 questions we can bring them up then. So sounds
5 good. I mean sounds -- I don't know where I got
6 the idea, mistaken idea, that one person from the
7 Board was going to be assigned -- a Subcommittee
8 member was going to be assigned to look it over and
9 say it's okay and tell the rest of the committee.

10 MR. KATZ: I don't think you dreamed
11 that up, Dave. That came up in some discussion
12 somewhere because I recall it too. So don't feel
13 --

14 CHAIRMAN KOTELCHUCK: I'm glad to hear
15 that.

16 MR. KATZ: There was a discussion with
17 some things thrown out like that, for example, that
18 maybe a couple Board Members would focus on the
19 cases and then they would come and present back to
20 -- that was said somewhere.

21 CHAIRMAN KOTELCHUCK: Well that's good
22 to know I remember. But that's again, that's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what we're talking about now. And it seems like
2 it would be a good thing to do and the Subcommittee
3 members are in agreement on this.

4 And we will go over every single case
5 before -- the ones where there's Type 1 they will
6 be rather quick, hopefully.

7 MS. GOGLIOTTI: And I also want to
8 point out that our Type 2 findings, by highlighting
9 the Type 2 findings, we make NIOSH aware in advance
10 of the findings that SC&A wants to talk about in
11 more detail. So that makes them able to better
12 prepare for a meeting so they don't have to go back
13 and we don't kick these down the road longer and
14 longer.

15 CHAIRMAN KOTELCHUCK: Right. And I
16 admit I did not realize until your report just a
17 few moments ago that we have a backlog of 500 cases
18 now. Is that correct?

19 MS. GOGLIOTTI: We have a backlog of
20 about 150 cases which total 400 findings and
21 observations.

22 CHAIRMAN KOTELCHUCK: Right, okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Right. So it seems to me it's a reasonable
2 approach and we can certainly try it. We can
3 change back if we don't find this working. And I
4 think we could make this as a recommendation to the
5 Board, then, at the March meeting.

6 MEMBER MUNN: I would recommend that we
7 do that.

8 CHAIRMAN KOTELCHUCK: Yes. Now do we
9 need to -- based on this discussion, is there any
10 need to change anything in the memo itself?

11 MR. KATZ: The Board has to buy into
12 whatever changes there might be going forward.
13 But --

14 CHAIRMAN KOTELCHUCK: Yes. Okay.
15 Well, alright. Sounds like we should give this a
16 try. Alright. So we'll essentially present this
17 memo to the Board and send it out in advance to Board
18 Members.

19 MS. GOGLIOTTI: I could re-provide
20 this to the Board. I believe --

21 CHAIRMAN KOTELCHUCK: Pardon.

22 MS. GOGLIOTTI: You would like us to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 provide this to the whole Board then?

2 CHAIRMAN KOTELCHUCK: I'm not quite
3 sure who sends and how we --

4 MR. KATZ: That's, Rose, yes -- I mean,
5 Rose, that -- I'll provide that to the Board with
6 their other meeting materials.

7 MS. GOGLIOTTI: Okay.

8 MR. KATZ: So I know I've had it
9 multiple times but go ahead and send me the memo
10 again just so I have it on the top of my emails and
11 I'll package that in to the March meeting. Thank
12 you.

13 CHAIRMAN KOTELCHUCK: Okay.

14 MS. BEHLING: One thing that we would
15 want to reinforce with the Board Members who are
16 not part of the Subcommittee is that each finding
17 will be discussed by the Subcommittee and the final
18 decision will be made by you.

19 CHAIRMAN KOTELCHUCK: Yes.

20 MR. KATZ: Kathy, anything you want to
21 clarify in that memo before we send it to the Board?
22 That's fine too if you want to highlight some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 things.

2 MS. BEHLING: Okay.

3 MR. KATZ: That's probably a good thing
4 to do.

5 CHAIRMAN KOTELCHUCK: That's why I was
6 kind of leading to that. You may want to do for a
7 little bit more clarification or reassurance and
8 I think --

9 MS. BEHLING: Because it sounds like I
10 may have misled some people by some of the wording
11 in here, so we'll --

12 CHAIRMAN KOTELCHUCK: Well, let's not
13 say you have misled. Let us say some of the Board
14 members did not understand [this] quite, and
15 clarification and communication is always good.

16 MEMBER BEACH: I have one question.
17 This gives us an idea of what Type 1 recommendations
18 will look like. What about Type 2? Will we see
19 those in a similar chart or will we just go back
20 to the full reports for each individual site or case
21 for Type 2?

22 MS. GOGLIOTTI: No, we can certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 make a summary table like that for those. But
2 since they were so much more complicated we assumed
3 that it made more sense to go directly to the BRS
4 or to the matrix and we can talk about them from
5 there, where the bulk of the material is.

6 MEMBER BEACH: Okay. And that just
7 might be a question when we send this out so I wanted
8 to be clear on that.

9 CHAIRMAN KOTELCHUCK: Yes.

10 MS. GOGLIOTTI: And we can certainly
11 work with you, if that makes more sense, that you
12 want it all condensed in one, something like this.
13 We can certainly provide that.

14 CHAIRMAN KOTELCHUCK: Well, it might
15 be convenient but that doesn't have to -- that's
16 an administrative matter and doesn't have to go
17 before the Board.

18 MR. KATZ: Rose, just thinking, I know
19 this isn't -- we don't have to deal with this now
20 but it actually might, since we have some Board
21 Members that actually don't have access to the BRS,
22 it might not be a bad idea anyway to create a summary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 document with excerpts from the BRS for the more
2 lengthy stuff.

3 MS. GOGLIOTTI: Okay. And actually in
4 advance of every meeting I do "PDF" everything in
5 the BRS and send it.

6 MR. KATZ: Right, that's true.

7 CHAIRMAN KOTELCHUCK: Which you've
8 done for this meeting.

9 MS. GOGLIOTTI: Yes.

10 CHAIRMAN KOTELCHUCK: And by the way,
11 thank you because this is very helpful.

12 MR. KATZ: And by the way, Dr.
13 Richardson has rejoined us.

14 CHAIRMAN KOTELCHUCK: Very good.
15 Well I was thinking actually we should go ahead to
16 Set 21 and it seems to me even though it's a little
17 early, that this is an appropriate time to take a
18 break. David, you just came back and I'm ready to
19 take a break.

20 But I was thinking of taking a ten
21 minute break and then coming back and going over
22 Sets 14 to 21. We will have few enough cases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussed or resolved that if the Board were to
2 approve these changes that we're suggesting, that
3 SC&A has proposed, that we can adhere to them, if
4 you will, or go back.

5 Just to say, the Board hasn't approved
6 us working in a new way. And I don't even know what
7 your situation is in terms of, I don't know if you
8 made something like that or is that part of or are
9 the tables that we have now part of Set 14?

10 MS. GOGLIOTTI: These tables are part
11 of that. But Dr. Melius was very clear that we are
12 not to try any new processes without Board
13 approval.

14 CHAIRMAN KOTELCHUCK: Yes, and that's
15 what I'm trying to navigate.

16 MS. GOGLIOTTI: So we'll go through
17 them the same way as normal.

18 CHAIRMAN KOTELCHUCK: Right, okay,
19 that's right. We can certainly go ahead as we
20 always have and if we adopt something then we
21 change. So, thank you. That is I think the right
22 way to go.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so it's 2:33, 2:35. Let's take a
2 break until a quarter of. I'll also change my
3 phone so I'll be, my voice will be clearer. And
4 then we'll go on to Sets 14 through 21. And you
5 actually have posted those for those of us not on
6 Live Meeting. Okay.

7 MS. GOGLIOTTI: Sounds good.

8 CHAIRMAN KOTELCHUCK: Okay. See you
9 at a quarter of.

10 (Whereupon, the above-entitled matter
11 went off the record at 2:33 p.m. and resumed at 2:48
12 p.m.)

13 CHAIRMAN KOTELCHUCK: Let's go and go
14 to Set 14. And have we, folks, can you hear me?

15 MEMBER MUNN: Yes.

16 CHAIRMAN KOTELCHUCK: Have we done any
17 on 14 yet? I thought we had started 14 a while ago
18 but only got through a few cases, a few findings.
19 Is that correct?

20 **Reviews of Cases in Set 14**

21 MS. GOGLIOTTI: That is correct, Dave.
22 There is one matrix that we have started, the Oak

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Ridge sites and the Gaseous Diffusion Plant Matrix.

2 CHAIRMAN KOTELCHUCK: Yes, good.

3 MS. GOGLIOTTI: And we've closed 35 of
4 the issues and it looks like there are eight issues
5 still open.

6 CHAIRMAN KOTELCHUCK: Very good. And
7 we are now on 391.1.

8 MS. GOGLIOTTI: Ron, are you on the
9 line?

10 DR. BUCHANAN: Yes, I am.

11 CHAIRMAN KOTELCHUCK: That's what I
12 have on the screen here.

13 MS. GOGLIOTTI: Okay, is that where you
14 want to start?

15 CHAIRMAN KOTELCHUCK: No, where do you
16 want to start?

17 MS. GOGLIOTTI: I'm talking to Ron, I'm
18 sorry.

19 CHAIRMAN KOTELCHUCK: I'm sorry, okay.

20 DR. BUCHANAN: We did complete that
21 391.1, if you page down to the next page.

22 CHAIRMAN KOTELCHUCK: Okay, good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: You see in green there
2 it says February of 2015, they did some
3 recalculations and we agree with the revisions in
4 the amended dose and that would not impact the
5 outcome of the case. So that one has been agreed
6 upon and I don't know if it was actually closed.

7 MS. GOGLIOTTI: It has not been closed
8 yet. This would be an example of one that is on
9 our Type 1 list.

10 CHAIRMAN KOTELCHUCK: Right. Then
11 let's just take a quick read, since we are now
12 formally closing it, let's just take a look at the
13 issues, the issue that came up.

14 DR. BUCHANAN: Yes, the issue was that
15 there were some gaps without information in them,
16 some monitoring, external monitoring gaps. And we
17 pointed those years out and NIOSH went back in and
18 said okay, yes, some of those we agreed with, some
19 of those they didn't.

20 And we went back and forth and said
21 okay. We see how you did it on some of them. On
22 the other ones there were actually gaps and NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 said, yes, that's correct. And they went back and
2 they filled in some of the gaps and then they
3 recalculated the PoCs and it did not impact the
4 outcome of the case.

5 And we went back to that revision,
6 looked it over. We agreed with what they said and
7 also did the PoC and found out that it didn't impact
8 the outcome of the case. So that was in February
9 of 2015.

10 MEMBER MUNN: Let me ask is someone
11 manipulating the screen that is capable of
12 correcting errors as we go along? The only reason
13 I ask is because I identified there if we can --
14 yes, great. Thank you.

15 CHAIRMAN KOTELCHUCK: Yes. Very
16 good. That's clear enough. Is there any
17 discussion, any need for discussion?

18 MEMBER MUNN: It appears clear-cut to
19 me, as long as NIOSH is going to be looking into
20 it, that, you know, we can't close it because
21 there's one more thing, one more step you have to
22 do, a response from where the gaps go.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SIEBERT: I'm sorry. This is
2 Scott Siebert. That was actually what Ron was
3 discussing was the response that we gave in
4 February of last year.

5 MEMBER MUNN: That was last year's
6 response and now we're scrolling down and we're
7 going to see the closure, right?

8 MR. SIEBERT: Right. What we just --
9 what Ron was just discussing is our response to
10 looking into the gaps.

11 MEMBER MUNN: And now he's done so and
12 we can now say that NIOSH agrees, right?

13 CHAIRMAN KOTELCHUCK: Right. And
14 therefore it will be, it is closed. It's over 50
15 percent and compensable, right.

16 MS. GOGLIOTTI: We need your approval
17 to close it.

18 MEMBER MUNN: And we can say that --

19 CHAIRMAN KOTELCHUCK: I think we
20 should, yes.

21 MEMBER MUNN: With the other members'
22 agreement we can close that case.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Right. And in
2 case -- often we'll just do this, folks on the
3 Subcommittee, a lot of times I will accept no
4 comment as approval. And it's only in disapproval
5 I expect you to jump up to the microphone and say
6 stop or whatever.

7 So we're closed, hearing no objection.
8 Let's go on to the next one.

9 MEMBER MUNN: Okay, thank you, Rose.

10 MS. GOGLIOTTI: Okay. The next one
11 looks like it is 394.1.

12 CHAIRMAN KOTELCHUCK: Right.

13 MS. GOGLIOTTI: And here an incorrect
14 dose value was used and no PFT [pulmonary function
15 test] exam for ten years is the summary. It looks
16 like we discussed this at the December 8th meeting
17 and SC&A and NIOSH were tasked to review OTIB-6 and
18 PROC 61.

19 CHAIRMAN KOTELCHUCK: Is it my
20 monitor? I'm not able to read the far right
21 column.

22 MR. CALHOUN: Yes, you can slide that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 over on the bottom.

2 CHAIRMAN KOTELCHUCK: That's what I
3 thought. There's -- here, hold it. Found it,
4 sorry, yes. Thank you.

5 DR. BUCHANAN: This was again February
6 of 2015, NIOSH agreed that Procedure 61 should be
7 updated to be consistent with OTIB-6. And so what
8 there was a difference in those two and NIOSH says
9 that they plan to remove this conflicting
10 information when they update Procedure 61.

11 And you can see there in February of
12 2015, if you scroll up a little bit, Rose, we agreed
13 that this is the proper way to handle it.

14 CHAIRMAN KOTELCHUCK: Right. So
15 this, right. So this is agreed upon and we're
16 awaiting the changes being made. But do we and
17 when the changes are made then we will be able to
18 close, right?

19 MEMBER MUNN: Correct.

20 CHAIRMAN KOTELCHUCK: Yes, okay.
21 Comments or questions.

22 MEMBER MUNN: Good job.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Okay, good.

2 MS. GOGLIOTTI: So you would like this
3 put in abeyance until that is addressed?

4 MEMBER MUNN: Correct.

5 CHAIRMAN KOTELCHUCK: Yes.

6 MS. GOGLIOTTI: Okay.

7 DR. BUCHANAN: The next one is on page
8 24.

9 CHAIRMAN KOTELCHUCK: There we are.

10 DR. BUCHANAN: Start here for next
11 meeting. And we have closed that observation so
12 we can go down another, Rose, another page. And
13 we see that, okay, and we've closed 355.1.

14 MS. GOGLIOTTI: This is not, this is
15 our recommendation column, Ron.

16 DR. BUCHANAN: Okay. Let's see.
17 Okay, so this is, okay, the observations and then
18 the next one is 355.1-C.2.1. Okay, we agreed that
19 they went back and there was a QA problem.

20 They didn't include a dose for 1980.
21 We went back and redid it to calculate it including
22 that and did the PoC. It did not change any -- it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 did not change the case outcome and so we agree that
2 the DR, it was an error and when it was corrected
3 it didn't change the outcome. And so we suggested
4 closing that.

5 CHAIRMAN KOTELCHUCK: Right. And
6 sounds like we approve unless there's some
7 objection. Good. Yes. Okay. Then we're ready
8 to move on to the next.

9 DR. BUCHANAN: Okay. The next line is
10 355.2-C.3.2. And this is a debate of when they
11 actually used a phantom and not when you used
12 exposure and when you use dose equivalent, dose
13 conversion factor.

14 And there seems to be a
15 miscommunication here in the TBD. It says NIOSH
16 says, well, TLD's were started in 1980 so that's
17 when the dose exposure factor should be used.

18 However, we find that in the TBD it says
19 there on Page 13, as we have in our column there,
20 that they actually calibrated it into air, free
21 air, no phantom until the DOE LAP procedure was
22 adopted in 1986. And so, you know, 1980 may be the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct date but it needs to be to last until date
2 of the TBD statement and so we'll let NIOSH respond
3 to that.

4 MR. SMITH: This is Matt Smith with
5 ORAU Team. The copy of the matrix I'm looking at
6 on, good grief, it's going to be Page 25 of 30,
7 you'll see a response in green.

8 MS. GOGLIOTTI: I was not provided with
9 that.

10 MR. SMITH: I'll read what the response
11 was in November of 2015. NIOSH is conducting
12 further investigations on the appropriate dates to
13 switch from roentgen to HP10 DCF recommendation.

14 Until this is complete the DR guidance
15 document and tool have been updated to state to use
16 the roentgen DCF through 1986 to be
17 claimant-favorable. Based on everything we can
18 see so far, that's likely going to be the final
19 change that we'll recommend to be made in the TBD.

20 A side-bar note: All of the gaseous
21 diffusion plant TBDs are due for some revisions due
22 to some other items. So we'll capture this change

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 when those revisions occur.

2 But right now the change has been made
3 to go with roentgen up to '86. '86 is the year
4 where DOE LAP criteria were then firmly in place
5 and things switched over to HP10, again based on
6 having the calibration done on a phantom rather
7 than in free air.

8 CHAIRMAN KOTELCHUCK: Now are we --

9 MR. SMITH: I don't know which way this
10 particular file went or didn't go.

11 CHAIRMAN KOTELCHUCK: So this is, is
12 this an observation or --

13 MS. GOGLIOTTI: No, this is a finding.

14 DR. BUCHANAN: So the case should be
15 reworked using --

16 CHAIRMAN KOTELCHUCK: I see, okay.

17 DR. BUCHANAN: -- our exposure because
18 probably it would be a higher dose.

19 CHAIRMAN KOTELCHUCK: Yes, yes. So
20 and the response was that this was not done but it
21 will be done in the future. I didn't quite catch
22 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BUCHANAN: Matt, do you want to
2 address the.. you said what the TBD and workbook
3 was going to do. But what about this particular
4 case?

5 CHAIRMAN KOTELCHUCK: Right.

6 MR. SMITH: On this particular claim
7 I'm going to have to throw it back to Scott real
8 quick. I am not sure exactly if we've reworked
9 this one or not.

10 MR. SIEBERT: Well, yes, this is Scott.
11 I don't believe we have because we need the
12 resolution as to whether it's appropriate to take
13 and do '86 or not.

14 At this point to be claimant-favorable
15 we've changed the DR guidance from this point
16 forward to be on the safe side. However, we
17 haven't looked specifically at this case because
18 we haven't determined whether that is truly the
19 accurate way to do it or not.

20 We don't know if this is something that
21 needs to be changed for this case or not yet.

22 CHAIRMAN KOTELCHUCK: Right, okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So that sounds appropriate and that you will do that
2 or bring this back to us. It's in abeyance, right,
3 until you have a chance to take a look and decide
4 what is the correct way to deal with it?

5 MS. GOGLIOTTI: Well, typically we put
6 a case in abeyance when we've agreed on a change
7 that needs to be made. But it sounds like they're
8 not sure the change that needs to be made.

9 DR. BUCHANAN: Would this be in
10 progress then?

11 MR. KATZ: Yes, in progress.

12 CHAIRMAN KOTELCHUCK: Alright. Good.
13 Questions?

14 MS. BEHLING: This is Kathy. I assume
15 then if this is adopted there would be a PER issued
16 to go back to these types of cases.

17 MR. SIEBERT: Correct, that would make
18 sense. We would have to update the TBD to reflect
19 the new information and then a PER would follow.

20 MS. BEHLING: Okay.

21 CHAIRMAN KOTELCHUCK: Good. Alright.

22 MS. GOGLIOTTI: Now it sounds like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NIOSH is working from a different version of the
2 matrix than I am. Is it possible to get that sent
3 to me just so we can get them merged for our records?

4 MEMBER MUNN: That would be a really
5 good idea.

6 MS. GOGLIOTTI: This is the last
7 matrix. So this is the last time this is going to
8 happen.

9 MR. SIEBERT: This is Scott. That was
10 actually just a note for myself at that point. We
11 hadn't put an official response in because we were
12 still investigating the actual date.

13 So that's the only change there is to
14 this matrix since February of last year.

15 MS. GOGLIOTTI: Okay, great. Thank
16 you.

17 CHAIRMAN KOTELCHUCK: Alright. Next.

18 MS. GOGLIOTTI: 395.1.

19 DR. BUCHANAN: 395.1-C.3.

20 MR. KATZ: And could you just mention
21 the cite when you go to a new case, just so that
22 for the record, it just makes a better record.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Thanks.

2 DR. BUCHANAN: Okay. I don't have
3 that note down here.

4 MR. KATZ: 395 is Paducah.

5 DR. BUCHANAN: Paducah, okay, Paducah
6 395.1-G.3, uranium chronic intake significantly
7 underestimated. NIOSH agrees that the intake rate
8 should have been entered as picocuries per day
9 instead of picocuries per year and that they are
10 -- and SC&A agrees -- that this was a DR error and
11 that they redid, NIOSH redid, the PoC and it did
12 not impact the outcome and we agreed and suggest
13 closing it.

14 CHAIRMAN KOTELCHUCK: Okay. That
15 sounds good. Any comments from Subcommittee
16 members? Then I think we're closed. We have an
17 observation.

18 DR. BUCHANAN: 396 had no findings.
19 We did have an observation and that has to do with
20 the x-ray dose assigned. And this was, and it
21 would depend on which, what reference you used.

22 And we see that if he used the newer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reference it would be a different dose than if he
2 used the older reference. However, if when the
3 dose reconstruction was performed it was, they did
4 use the right one, we just commented that there
5 would be a difference in dose if they used the later
6 revision after the dose reconstruction was
7 performed.

8 And so there was no further discussion
9 on that.

10 MEMBER MUNN: So we're good.

11 DR. BUCHANAN: It lowered the dose so
12 it wasn't, it wouldn't change the case.

13 CHAIRMAN KOTELCHUCK: Okay. Alright.
14 So that's closed.

15 DR. BUCHANAN: Okay.

16 CHAIRMAN KOTELCHUCK: Actually to be
17 honest it says closed. It's really so noted, is
18 it not?

19 MEMBER MUNN: No, that's their
20 recommendation column, I think.

21 CHAIRMAN KOTELCHUCK: Right. Well it
22 just tells you there's no more work that needs to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be done on that. But in a sense we didn't close
2 it. We noted it, right, as an observation.

3 I don't know, you have a standard way
4 of doing this and if you always use closed, okay,
5 fine.

6 MEMBER MUNN: Yes, that's our signal
7 that we don't have to address it again.

8 CHAIRMAN KOTELCHUCK: Right, right,
9 okay. Let's go on. I think I noticed 397 also no
10 findings.

11 DR. BUCHANAN: No findings on 397.
12 And again, I didn't mark down the sites on all of
13 these. They're all on Oak Ridge site of some sort.

14 CHAIRMAN KOTELCHUCK: Yes.

15 DR. BUCHANAN: Paducah, okay. And
16 this was an observation that again the
17 recommendation in Procedure 61 as compared to the
18 table and we, it depends on which reference you
19 used, we just wanted to point that out and we had
20 no further investigation into that as an
21 observation.

22 CHAIRMAN KOTELCHUCK: Okay. Alright.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That takes care of it.

2 DR. BUCHANAN: And that is
3 351.1-C.2.2. And we had here [an] incorrect
4 missed dose conversion factor -- correction
5 factor, excuse me. And this had to do with the open
6 window, closed window readings.

7 And what this resulted [in] because of
8 a difference, there was an error in the TBD table
9 and that wasn't in the worksheet apparently. And
10 so if you went by the TBD table it was getting a
11 different answer than if you used the workbook.

12 And so that's what the difference was
13 and once that was deciphered and explained then we
14 had no issue with it and it was -- the dose assigned
15 was claimant-favorable so we had no further issue
16 with that. But it was a finding resulted as a fact
17 of a difference in the workbook and the TBD.

18 CHAIRMAN KOTELCHUCK: Okay. Comments
19 anybody? If anybody wants to? Okay.

20 MS. BEHLING: This is Kathy. Has the
21 workbook been corrected?

22 MR. SIEBERT: Well, let's back up a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 second. We're talking 351.1 right?

2 CHAIRMAN KOTELCHUCK: Yes, we are.

3 MR. SIEBERT: Okay. It's not an issue
4 of the workbook versus the TBD. It's the fact that
5 OTIB-17 gives specific direction in dealing with
6 this type of case and is the governing document for
7 shallow dose.

8 The OTIB-17 directs how to assess this
9 dose not the TBD. So it's not that the tool was
10 wrong in any way. The tool implemented OTIB-17
11 methodology which is the correct methodology for
12 shallow dose.

13 MS. BEHLING: Okay. I misunderstood
14 then. But I agree, OTIB-17 does dictate the
15 shallow dose assignment.

16 MR. SIEBERT: I don't know if Grady
17 wants to chime in on this, but this is the kind that
18 it might be good for the Subcommittee putting in
19 the back of their mind as to whether you would count
20 this as a finding later on or not.

21 MR. CALHOUN: That's what I was going
22 to say, Scott. I think this is a non-finding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because there was nothing done wrong on that one.

2 DR. BUCHANAN: Well, shouldn't the TBD
3 agree with OTIB-17?

4 MEMBER MUNN: Well, that's a heavy
5 question.

6 MR. SIEBERT: So OTIB-17 is a later
7 document that controls shallow dose. I agree that
8 going back and having the TBD consistent would be
9 great. But it's not necessarily the highest
10 priority when we have a later governing document.

11 MS. GOGLIOTTI: So dose reconstructors
12 would know then that the hierarchy of data tells
13 them that they are supposed to use OTIB-17 even when
14 the TBD directs something different than that?

15 MR. SIEBERT: Correct. And the tool
16 implements it that way as well.

17 MR. CALHOUN: Maybe that's an
18 observation rather than a finding then, don't drop
19 it all together.

20 MEMBER MUNN: Can we rely on the tool
21 always being used in these cases? I'm assuming.
22 Is that an assumption we can make? If we can make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that assumption then we can close. If we can't
2 make that assumption then we have to say, well,
3 maybe.

4 MR. CALHOUN: I believe the tools are
5 always used for every case for which a tool exists,
6 I believe.

7 MEMBER MUNN: Yes, I would expect that
8 to be the case but I don't know it to be the case.

9 MR. SIEBERT: I can say from our side
10 that is the case.

11 MEMBER MUNN: Okay. I'm hearing the
12 assurance that's the case. So the question that
13 was asked earlier was referenced to the hierarchy
14 of instruction makes this a resolved issue.

15 CHAIRMAN KOTELCHUCK: Right. And
16 does it not make it an observation?

17 MS. GOGLIOTTI: The TBD is going to be,
18 is there any plans for the TBD ever to be revised?

19 MEMBER MUNN: We haven't heard anyone
20 say that one way or the other.

21 MR. KATZ: Well these TBDs all get
22 revised eventually, it seems like.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: There is not any current
2 plan to do so, nothing hanging out there with regard
3 to that.

4 MS. BEHLING: It should be put on the
5 list that if it does get revised this change be
6 made.

7 MR. KATZ: Right. But in any event, it
8 is an observation, right?

9 CHAIRMAN KOTELCHUCK: Yes.

10 MR. CALHOUN: Yes.

11 CHAIRMAN KOTELCHUCK: And so we should
12 change it and then close it.

13 MEMBER MUNN: Observation and close.

14 CHAIRMAN KOTELCHUCK: Good.

15 DR. BUCHANAN: I'll change that to an
16 observation.

17 CHAIRMAN KOTELCHUCK: And close.

18 MS. GOGLIOTTI: Now to be clear, we've
19 decided that we're not going to go back and revise
20 the actual DR reports to indicate when findings
21 change to observations. Is that correct?

22 MR. KATZ: Right. Right. You don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 need to revise the actual case report exactly.
2 Just as long as our summary, BRS and so on materials
3 all show the correct outcome.

4 MS. GOGLIOTTI: Okay. So I will
5 change this in my records and from now on this is
6 going to be an observation.

7 MR. KATZ: Great, thanks.

8 CHAIRMAN KOTELCHUCK: Okay.

9 DR. BUCHANAN: Okay. That brings us
10 to 352.1-E.1.1. And this is concerning, I don't
11 have that location. It's one of the Oak Ridge
12 facilities. Okay, lack of neutron dose
13 assignment.

14 Okay, this was kind of a fine wording
15 issue here in that the DR report said it was the
16 best estimate. So the reviewer said okay, why
17 wasn't a neutron dose assigned and if it's the best
18 estimate.

19 And NIOSH's reply was that well if it
20 was needed it would have been but they didn't need
21 to assign it because it was over 50 percent and they
22 didn't need to apply the neutron dose. And so it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actually a best-estimate underestimate in this
2 case and replied that it could have been mentioned
3 in the assessment that it was a best-estimate
4 underestimate.

5 However, SC&A reviewed that and we
6 agree that, you know, the dose reconstruction was
7 done correctly for an underestimate best-estimate
8 combination. So we had no further real comment on
9 that.

10 But as the DR report was written the
11 best estimate would have included the neutron dose
12 also.

13 CHAIRMAN KOTELCHUCK: Okay.

14 MEMBER MUNN: That's recommendation
15 and close.

16 CHAIRMAN KOTELCHUCK: I think so.

17 MR. KATZ: Can I just ask a
18 clarification? That remains a finding. Is that
19 what we're saying?

20 MEMBER MUNN: There was no discussion
21 one way or the other.

22 CHAIRMAN KOTELCHUCK: So I mean, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sounds -- I thought it was still a finding.

2 MR. KATZ: Okay.

3 MR. CALHOUN: Wait a second. You
4 moved too quick for me on that sheet. Did I hear
5 that we didn't add neutron dose because it was
6 already comped?

7 DR. BUCHANAN: That's what I
8 understand. These have been a long time ago. But
9 I think that's what it is.

10 MR. CALHOUN: Well if we added neutron
11 dose because it was already comped there's no
12 reason to add neutron dose and it wouldn't be a
13 finding.

14 DR. BUCHANAN: You said neutron dose
15 was not evaluated for this dose reconstruction
16 because based on dose it wasn't necessary for claim
17 determination.

18 MR. CALHOUN: Right. Then that's not
19 a finding.

20 DR. BUCHANAN: Therefore it was
21 omitted to underestimate the assigned external
22 dose. In light of this the dose reconstruction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 report could have been reworded slightly to mention
2 that the assessment was a combination of
3 best-estimate and underestimate approach.

4 MR. CALHOUN: Okay. So that's
5 basically just a little wording thing for
6 clarification. We could, I guess you could say,
7 that's an observation. That we could be clear. But
8 the dose reconstruction was correct and that
9 shouldn't be a finding in my opinion.

10 CHAIRMAN KOTELCHUCK: You're right,
11 Grady.

12 MEMBER CLAWSON: Well, no, wait a
13 minute. Help me understand this. So because of
14 his compensation we don't do anything else?

15 CHAIRMAN KOTELCHUCK: But what was the
16 value of doing further work?

17 MEMBER CLAWSON: I guess that's like
18 writing half a letter to the Secretary and if we've
19 got both to get done we won't finish it.

20 MR. KATZ: No, Brad, that's not -- I
21 mean NIOSH does all sorts of underestimates and
22 they're just saying that this is a partial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 underestimate. Some of it was best estimate and
2 some of it was underestimate.

3 But I mean they do as a matter of course,
4 they only do as much work as they need to to get
5 the person compensated if they're going to be
6 compensated.

7 CHAIRMAN KOTELCHUCK: The observation
8 suggests that there was some error.

9 MR. KATZ: Right.

10 CHAIRMAN KOTELCHUCK: Or something
11 improperly done, partially done, whatever. But
12 this was done, I mean there was no, it would have
13 been needless to do this just when it was already
14 comped.

15 MR. CALHOUN: Correct. And that's how
16 we always do it, you know.

17 MR. KATZ: Ron was suggesting that the
18 report might note that it's not all best estimate.
19 But I don't think claimants really care as long as
20 they're compensated, whether they have a best
21 estimate or a partial best estimate and partial
22 underestimate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. CALHOUN: Right. And that's what
2 I said if you want to put it in there as an
3 observation that we could have worded it better,
4 you know, that's okay. But just it's certainly not
5 a finding.

6 MS. BEHLING: I guess the only, this is
7 Kathy, the only question that I have is, we're
8 discussing a specific case and if we were concerned
9 that another case like this would be treated this
10 way where they wouldn't have calculated the neutron
11 dose weren't we legitimate in questioning why this
12 dose wasn't incorporated?

13 MR. CALHOUN: Absolutely. It's fine
14 to question it. No problem. It's just not a
15 finding.

16 MS. BEHLING: Okay. See, well, you
17 know, a lot of times when it's an..., you're doing
18 only a partial dose, you will keep out all of the
19 internal dose or something along those lines.

20 It's questionable for us when a portion
21 of the external isn't included and I don't know in
22 this particular case, but I think I might have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 questioned this and considered it something that
2 was worth asking and could have been a finding had
3 it been a case that wasn't compensated.

4 CHAIRMAN KOTELCHUCK: That's correct.
5 If it wasn't compensated this would be a finding
6 and a serious error. But it was compensated and
7 therefore they didn't need to go further in the
8 process.

9 MR. SIEBERT: And this is Scott.
10 Remember we did clearly state in the dose
11 reconstruction report that it wasn't evaluated
12 because additional dose was unnecessary for a
13 determination.

14 So it wasn't just accidentally left out
15 and it wouldn't even appear to be accidentally left
16 out. It was left out as an efficiency method on
17 purpose.

18 MS. BEHLING: Okay. Alright. That
19 explains it now. That makes more sense to me.

20 MR. CALHOUN: And that's not even an
21 observation.

22 MEMBER MUNN: Well, the argument is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 good one that it's not really a finding.

2 CHAIRMAN KOTELCHUCK: So we'll change
3 that.

4 MEMBER CLAWSON: Hey, Ted, this is
5 Brad. I hate to leave such an arousing discussion
6 here but I've got another commitment, so I wanted
7 to let you know.

8 CHAIRMAN KOTELCHUCK: Very good.
9 Thank you and thank you for today and have a great
10 --

11 MR. KATZ: So Brad is leaving but we
12 have, we still have John Poston and Dave Richardson
13 and Josie, right?

14 CHAIRMAN KOTELCHUCK: Right.

15 MR. KATZ: And Dave, so we're good.
16 Thank you, Brad.

17 MEMBER CLAWSON: Yes, bye.

18 CHAIRMAN KOTELCHUCK: John Poston has
19 not come back right? Is he on the line?

20 MR. KATZ: John, are you on the line?

21 MEMBER POSTON: I'm on the line.

22 MR. KATZ: Yes, he's back.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Well, good.
2 Thank you. Glad to hear.

3 MEMBER POSTON: I got out of class at
4 12:30 and I came back.

5 MR. KATZ: I thought you were there,
6 John. Thanks.

7 CHAIRMAN KOTELCHUCK: Okay, very good.
8 I'm sorry and I'm glad to hear you. So you haven't
9 spoken up much so I hadn't heard your voice, your
10 distinctive voice. Glad you're here.

11 MEMBER POSTON: Like the little boy
12 that didn't speak until he was 11, so far out
13 everything is okay.

14 MEMBER MUNN: We haven't come to the
15 gravy yet.

16 CHAIRMAN KOTELCHUCK: Okay. Alright.
17 Let's go to 352 observation.

18 MR. SIEBERT: I'm sorry. This is
19 Scott. Just for my records did we determine that
20 was not a finding at all and it will be removed or
21 it's an observation.

22 CHAIRMAN KOTELCHUCK: It is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 observation.

2 MR. KATZ: It's not an observation.
3 It's not a finding or observation because the dose
4 reconstruction report actually specifically
5 addressed the fact that they weren't adding that
6 dose, remember. That's what --

7 CHAIRMAN KOTELCHUCK: My feeling is
8 that is an observation after all. An observation
9 is not an error. It's something they wanted to
10 point out and make sure.

11 MR. KATZ: No, but an observation we
12 count observations when they're correct. But this
13 is -- it's not correct even as an observation,
14 right.

15 I mean it's not correct because the
16 assumption was that it was. The observation would
17 have been that the dose reconstruction report did
18 not address the fact that it was leaving out dose
19 and it did address it.

20 I just think it's nothing. It was, you
21 know, put forth as a finding and it's in fact
22 incorrect.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: I agree with that.

2 CHAIRMAN KOTELCHUCK: Well, I suppose
3 you're correct. I will say my sense of
4 observations is if people feel like they need to
5 make a note about the process effectively for an
6 alert. For what they were really saying I thought
7 was make sure in future cases if you're not comped
8 then you better make sure that you do this.

9 MR. KATZ: I mean, well we make
10 observations on matters such as we talked about
11 today, discrepancies between documentation.
12 Basically they're errors of some sort in the
13 process but that they don't impact the case and then
14 we call them observations.

15 MS. BEHLING: I agree based on
16 everything I've heard at this point. And this is
17 what, when we went back through for the Secretary's
18 letter when I went through line by line I withdrew
19 the SC&A finding and I think we can do that here.

20 MR. KATZ: Right. There's no finding
21 here.

22 MS. BEHLING: We will withdraw that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 finding.

2 CHAIRMAN KOTELCHUCK: Okay.

3 MR. KATZ: Exactly. It's not novel,
4 Dave, we've withdrawn, you know, many findings.

5 CHAIRMAN KOTELCHUCK: Right. I'll go
6 back to looking about what we mean by an
7 observation. To me a finding is important and
8 major.

9 MEMBER BEACH: It's not either though.
10 It's not a finding or an observation.

11 CHAIRMAN KOTELCHUCK: No, and I
12 understand that an observation was any other thing
13 people wanted to say.

14 MR. KATZ: It has to have a utility.

15 CHAIRMAN KOTELCHUCK: Well it does, it
16 does.

17 MR. KATZ: In this case it doesn't have
18 a utility because there is a process and it was
19 working.

20 CHAIRMAN KOTELCHUCK: Yes, I agree
21 reluctantly. But I agree and I understand what
22 you're saying. So we'll keep that in mind for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 future observations. Let's go to Observation 1.

2 DR. BUCHANAN: Okay. So this is 352,
3 Observation Number 1, still Portsmouth. And this
4 is an observation. This relates to something that
5 we have covered before in that the TBD for this site
6 says to apply a factor to the missed dose and we've
7 discussed this pretty much in the past and the
8 dosimeter correction factor should be right on for
9 the major dose, not the missed dose.

10 So in this case it resulted in an
11 overestimate. But the person did, NIOSH did,
12 follow the TBD as stated and so we have brought this
13 up and we decided that it shouldn't be in there.
14 It will be removed in the next Technical Basis
15 Document and NIOSH would have to address whether
16 that's been accomplished yet.

17 CHAIRMAN KOTELCHUCK: Okay.
18 Anything, anybody?

19 MEMBER MUNN: No.

20 CHAIRMAN KOTELCHUCK: Okay, let's
21 close, if I can call it that.

22 MS. GOGLIOTTI: Okay. And this is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monumental occasion because we just finished the
2 matrix, the last matrix.

3 CHAIRMAN KOTELCHUCK: Alright.

4 MEMBER MUNN: Great.

5 MS. GOGLIOTTI: Okay. Give me a
6 moment here to pull up the BRS and we can move on.
7 While I pull this up everyone should have gotten
8 instructions on how to access the BRS if you had
9 any questions on that.

10 CHAIRMAN KOTELCHUCK: Well I'm
11 watching you. Yes, you gave us some instruction
12 on that. That was very good. Also watching the
13 process in real time is helpful for at least some
14 of us.

15 MS. GOGLIOTTI: Okay. And
16 unfortunately Nicole was not able to join us this
17 afternoon. So if you don't have any objections
18 we'll start with Savannah River, which is my site
19 here.

20 CHAIRMAN KOTELCHUCK: Okay.

21 MS. GOGLIOTTI: Okay. And actually
22 this is a really timely point in time for this to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 come up. Tab 423 was the same case that we were
2 discussing earlier. That was the one case that did
3 flip as the result of our findings.

4 And so this is the Hanford case that
5 also has SRS and RFP employment. And the finding
6 was that NIOSH did not include all assigned neutron
7 dose.

8 And here NIOSH came back and said the
9 data wasn't available when they originally
10 completed this case. But when they requested it
11 the data was available.

12 CHAIRMAN KOTELCHUCK: Right.

13 MS. GOGLIOTTI: And I guess as a result
14 of this the PoC has been changed.

15 CHAIRMAN KOTELCHUCK: Right. And
16 that's, in my opinion, not a flip, right? I mean
17 that's just simply a lack of data. And when you
18 get data -- I mean just for the future as we're
19 starting to tally cases that have changed as a
20 result of, if they're in review process this really
21 is a data issue.

22 MEMBER MUNN: That's correct. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not, there was no error in the reconstruction. It
2 was just a lack of data.

3 MS. GOGLIOTTI: Well, I question
4 though whether missed dose or unmonitored dose
5 should have been assigned when there was the lack
6 of data though.

7 CHAIRMAN KOTELCHUCK: Well, that's,
8 that couldn't --

9 MR. CALHOUN: I believe dose was
10 assigned wasn't it? It's just we didn't assign as
11 much neutron dose as we typically would have if we
12 thought that they were a neutron worker.

13 MS. GOGLIOTTI: It's possible. I
14 would have to go back through the case here. But
15 we also had another question here. The EE was
16 diagnosed with a malignant neoplasm of the
17 [identifying information redacted] and then also
18 a neoplasm of uncertain behavior of the
19 [identifying information redacted].

20 And we question if the second
21 [identifying information redacted] cancer also
22 qualifies for medical benefits. It's not really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clear to us if two cancers of the same origin or
2 diagnosis and one falls under the SEC does and one
3 doesn't, what happens?

4 CHAIRMAN KOTELCHUCK: That's a medical
5 question.

6 MR. CALHOUN: Is that, this is still
7 the NDRP data? Once we send forth a dose
8 reconstruction with even one of the many cancers
9 identified as compensable, DOL, it's up to them,
10 but typically they will provide medical benefits
11 for all of those cancers. Is that what you're
12 asking?

13 MS. GOGLIOTTI: Well, it says here that
14 it was determined that the [identifying
15 information redacted] cancer was paid under the
16 SEC.

17 MR. CALHOUN: Right. And once they
18 pay that cancer, I don't know. I wonder if we did
19 the dose reconstruction. Here's the deal, if it's
20 paid under the SEC without us doing a dose
21 reconstruction --

22 MS. GOGLIOTTI: Well, a dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reconstruction was clearly done because otherwise
2 we wouldn't have reviewed it.

3 MR. CALHOUN: Okay. And if the dose
4 reconstruction was done and it was comped based on
5 our dose reconstruction then another dose
6 reconstruction won't be referred to us by Labor.
7 If in a different case the case was comped based
8 on SEC without a dose reconstruction then we will
9 get the referral from Labor for medical benefits
10 for the other non-SEC cancers.

11 But once we determine one cancer is
12 compensable with our dose reconstruction DOL
13 assumes all of them are. Does that work or am I
14 still being confused?

15 MS. GOGLIOTTI: I was just curious what
16 happened when there were two cancers of the same
17 origin and one falls under the SEC and one doesn't
18 with medical benefits.

19 MR. CALHOUN: Right, yes. That would
20 be a -- I mean a simple example of that is a lot
21 of times you have somebody with a bunch of different
22 skin cancers and we'll just do dose reconstructions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on the number of them necessary to get it comped
2 and the rest of them are considered.

3 Even though they're not SEC cancers
4 they pay medical benefits for all of them.

5 CHAIRMAN KOTELCHUCK: And that, and is
6 that not a proper way to act in a -- once you find
7 one then of course medical benefits should go to
8 all the other ones, right, medical benefits should
9 be paid for everything partial or not.

10 That's -- I would say the word is humane
11 there. So, good. So basically this should be
12 closed, right? I mean we don't have a matrix but
13 this is something --

14 MS. GOGLIOTTI: This is, we actually
15 have the ability to close it here.

16 CHAIRMAN KOTELCHUCK: Good.

17 MS. GOGLIOTTI: Now I can do these.
18 Since this is the first meeting that we've used the
19 BRS I can make these entries under myself or I can
20 make them on behalf of someone. Now it is time
21 consuming to go through and select the person that
22 I'm making them on behalf of.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: I don't see any reason why
2 you need to do that. I mean we know your role in
3 this so it's fine to do it yourself.

4 MS. GOGLIOTTI: Okay. I just wanted
5 to make it clear for the record.

6 MR. KATZ: I'm all for it being easier.
7 So, right, we know you could be saying on behalf
8 of Dr. Kotelchuck or whatever. But if it's easier
9 for you to just close it yourself we know you're
10 not closing them except when you're authorized to.

11 CHAIRMAN KOTELCHUCK: Sure. If there
12 was ever any question for a report to be done a
13 couple of years from now it might even make a note
14 in there and say this is not a case for which the
15 dose reconstruction review resulted in a change in
16 compensation.

17 We'll put it this way. You may want to
18 make a note or somehow in the future when our, what
19 do we say, not our, the folks who replace us right
20 come to it they should know this is not a so-called
21 flip.

22 MS. GOGLIOTTI: Okay. And actually I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think it might be even more time efficient if I just
2 made these changes offline and keep note of them
3 here. It takes quite a while to go through and make
4 the changes.

5 CHAIRMAN KOTELCHUCK: Sure, sure.
6 Okay.

7 MS. GOGLIOTTI: Okay. And so our next
8 finding here from the same case is NIOSH did not
9 include internal dose from all radionuclides.
10 NIOSH got back to us and said the CAD files assigned
11 Hanford coworker dose using the radionuclide mix
12 of Pu-1210 applicable to where I have noted here.

13 And, essentially, here we just came
14 back and said this was the first time that we have
15 seen that nomenclature in a dose reconstruction
16 report and we agree that it's a simplified method
17 to enter data and it reduces the chances of human
18 error. So we are completely fine with that.

19 And of course I entered the wrong one.
20 So we would recommend closure.

21 CHAIRMAN KOTELCHUCK: Right. That's
22 an observation, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: It's not a finding right, is
2 it?

3 CHAIRMAN KOTELCHUCK: It's an
4 observation, isn't it?

5 MR. CALHOUN: It's not a finding.

6 MS. GOGLIOTTI: Are we advocating that
7 we change this to an observation?

8 MR. CALHOUN: If that, yes.

9 CHAIRMAN KOTELCHUCK: Yes.

10 MS. GOGLIOTTI: Okay. We can do that.
11 Change to observation. The BRS doesn't have a
12 great way of doing that. But I can change this
13 actual heading so it will say observation or I could
14 say changed to observation.

15 CHAIRMAN KOTELCHUCK: Okay. You can
16 do the administrative part of it later after we
17 finish. You don't have to do it online and let us
18 see it before we believe you've done it. We trust.

19 MS. BEHLING: This is Kathy. Can I ask
20 a quick question on the previous one?

21 CHAIRMAN KOTELCHUCK: Yes.

22 MS. BEHLING: And perhaps all of you've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 already agreed to this. In a case like this would
2 it be appropriate for SC&A to contact NIOSH and just
3 get some resolution to or clarification on what
4 that nomenclature meant so that it wouldn't even
5 have to become a finding?

6 MR. KATZ: Yes.

7 MR. CALHOUN: That's always okay.

8 MS. BEHLING: Okay, thank you.

9 MS. GOGLIOTTI: And keep in mind these
10 were historically done. So Case 356 or 423 in this
11 case was done quite a while ago. Okay. So our
12 next one here is SRS observation from Case 356.

13 And here this is kind of a repetitive
14 finding. We've seen it many times before. ID one
15 contains two separate tables labeled Table 4-1A,
16 one on Page 38 and another on Page 39. And we just
17 pointed out that it was confusing and it's
18 repetitive. We've seen it before.

19 Here you'll see it was previous and so
20 we recommend closure.

21 CHAIRMAN KOTELCHUCK: So moved.

22 MS. GOGLIOTTI: Okay. The next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 finding here is 356.1 where an inappropriate method
2 was used for determining recorded dose. NIOSH
3 states that it was done correctly in the external
4 dose calculation workbook and, again, this is one
5 of the first times we've seen the workbook.

6 But it appears that we were using the
7 wrong workbook when they made this assumption. So
8 we would be okay with withdrawing this finding.

9 MR. CALHOUN: So that's another one
10 that goes to observation at best?

11 MEMBER MUNN: They're withdrawn.

12 MR. CALHOUN: That's what I would
13 think.

14 MEMBER MUNN: Withdrawn.

15 MS. GOGLIOTTI: Okay. We will
16 withdraw this. 356.2, an inappropriate method was
17 used for determining the number of zeros. And
18 again, this is the same issue. Essentially we were
19 using the wrong workbook and this is a change that
20 was made where they started using a different SRS
21 workbook.

22 So we were pulling up the old version.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They were using the new version. It's been
2 corrected. So we can withdraw this as well.

3 CHAIRMAN KOTELCHUCK: Yes, do.

4 MS. GOGLIOTTI: 356.3, inappropriate
5 method was used for assigning dose for 1981 and
6 1982. NIOSH responded that, based on the
7 information used in TIB-6, only positive doses are
8 recorded for '73 through '88. And based on the
9 EE's low level of doses assigned for prior years
10 when positive doses were reported, it was
11 reasonable to assume that for 1981 and '82 external
12 monitoring data was below the limit of detection.

13 And we came back and said this is a
14 professional judgment call. SC&A would have done
15 it differently by assigning missed dose which is
16 consistent with the recorded dose values
17 immediately preceding these years, but it is a
18 professional judgment issue.

19 MEMBER MUNN: So does SC&A essentially
20 accept, does --

21 MS. GOGLIOTTI: We accept that what
22 they did was reasonable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Okay. Then can we
2 therefore agree with the assessment and close?

3 MS. GOGLIOTTI: Okay. The next
4 finding here is 356.4 and the finding states that
5 an inappropriate organ dose correction factor was
6 used for a recorded dose. NIOSH responded and said
7 that, based on the EE's type of work, other
8 geometries should have been considered which will
9 be performed in an upcoming PER for ICRP 116.

10 So the impact of rotational geometry
11 was reviewed and it will be determined whether it
12 will impact this claim.

13 MEMBER MUNN: And that's the key
14 phrase, would not impact. So can SC&A accept that?

15 MS. GOGLIOTTI: We are in agreement
16 with NIOSH. It's similar to several other
17 findings we've seen and we agree with the response
18 that rotational geometry would have been
19 appropriate but wouldn't change or wouldn't result
20 in a large increase in dose.

21 The only thing we question is whether
22 or not the workbook has been corrected.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: NIOSH didn't tell us
2 that, did they?

3 MS. GOGLIOTTI: They did not.

4 MR. CALHOUN: I don't know that. I'm
5 sure Scott does and he's looking really hard right
6 now.

7 MEMBER MUNN: Okay.

8 MR. SIEBERT: I wish Scott was doing
9 that at this second. Which finding was it?

10 MS. GOGLIOTTI: This is 356.4.

11 MR. SIEBERT: Yes, the question was,
12 I'm sorry I was answering another thing as well,
13 looking ahead. I shouldn't do that.

14 MEMBER MUNN: Stay with us, Scott, stay
15 with us.

16 MR. SIEBERT: I'm sorry. I'm trying
17 to be so responsive ahead of time I just missed the
18 actual question. The question is whether we've
19 updated the tools right now to address the
20 rotational, as well, correct?

21 MS. GOGLIOTTI: Right. And SC&A would
22 like to know the revisions of that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SIEBERT: I can find the revision
2 number for you, but, yes, it has been done.

3 MS. GOGLIOTTI: Okay. And you can
4 just send that to me offline. We're just curious
5 to know when that change took effect, when we can
6 expect to start seeing that change.

7 CHAIRMAN KOTELCHUCK: Okay.

8 MEMBER MUNN: So can we close this?

9 CHAIRMAN KOTELCHUCK: It looks like
10 it.

11 MEMBER MUNN: Okay.

12 MS. GOGLIOTTI: Okay. And the next
13 finding is 356.5, an inappropriate organ dose
14 correction factor was used for missed photon doses.
15 And this is essentially the same finding that we
16 just discussed, only with missed dose.

17 MEMBER MUNN: So the result should be
18 the same?

19 MS. GOGLIOTTI: Yes, it's being
20 covered by an upcoming PER and we recommend closure
21 so we can close that.

22 CHAIRMAN KOTELCHUCK: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: And the next finding
2 356.6, inconsistent assignment of unmonitored
3 environmental tritium dose. NIOSH says that the
4 unmonitored dose was found in the unmonitored dose
5 section and it states that unmonitored doses were
6 assigned in '54 through '57, '68 and '75 through
7 '77, when the EE had no internal monitoring and was
8 assigned unmonitored dose.

9 The environmental section explains
10 that environmental tritium was assigned when the
11 EE was not monitored for tritium or unmonitored
12 dose was not assigned. The tritium section
13 explains tritium was assigned in '58 through '61
14 and '72 through '74.

15 So essentially they believe it was
16 addressed in the appropriate sections. Then we
17 responded and said that we understand the doses
18 that were assigned in each time period. But we
19 couldn't determine what information was used in the
20 DR -- DOE files triggered the use of unmonitored
21 dose rather than environmental dose.

22 This case of course has already, it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 eligible for inclusion in the SRS SEC. And so we
2 feel that we could close this issue because any
3 additional dose wouldn't impact the outcome of this
4 case.

5 MEMBER MUNN: But we don't actually
6 have an answer to your question, correct?

7 MR. KATZ: Right. I think we need to
8 resolve the question regardless of the fact that
9 it got compensated.

10 MEMBER MUNN: It shouldn't be a
11 difficult one to answer. It's just a matter of
12 maintaining it on the open file for one more cycle.
13 We can just ask for the answer to the question, can
14 we not?

15 MS. GOGLIOTTI: We can.

16 CHAIRMAN KOTELCHUCK: Okay.

17 MS. GOGLIOTTI: So we will put that in
18 as the question was asked and we'll wait for NIOSH
19 to respond to that.

20 MR. CALHOUN: Yes, I don't have that
21 answer off the top of my head, for sure.

22 CHAIRMAN KOTELCHUCK: Sure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SIEBERT: The same thing. I'll
2 have to go back.

3 CHAIRMAN KOTELCHUCK: Okay, no
4 problem.

5 MR. KATZ: It's in progress.

6 CHAIRMAN KOTELCHUCK: Yes.

7 MS. GOGLIOTTI: Okay. And the next
8 finding, 356.7, incorrect assessment of fission
9 product dose for the years 1965 through '66. NIOSH
10 came back and agreed that fission product dose
11 should have been assigned for '65 and '66.

12 And that's a dose of 13 millirem using
13 a log-normal distribution. And when they add that
14 to the IREP sheet it doesn't change the PoC. So
15 essentially SC&A and NIOSH are in agreement
16 correcting the dose doesn't change anything.

17 MEMBER MUNN: Thirteen millirem is
18 inconsequential in any case.

19 CHAIRMAN KOTELCHUCK: So we're fine
20 with that. We can close it.

21 MS. GOGLIOTTI: Okay. And moving on
22 to SRS Tab 400 and that's Observation 1. And here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we just noted that roughly half of the dosimetry
2 records in the file had a dark line drawn through
3 the EE's name and all the corresponding dose
4 records.

5 So somewhere along the line somebody
6 that was redacting information or trying to
7 highlight information crossed out all the
8 information that was valuable and it makes it very
9 difficult or illegible to read some of this. As
10 a result of this NIOSH could not use any of those
11 records and SC&A cannot verify [that] the correct
12 dosimetry values were used.

13 MEMBER MUNN: That's just an
14 observation. Nobody can do anything about that.

15 MS. GOGLIOTTI: Exactly. We did think
16 it was important to point out that had occurred.

17 CHAIRMAN KOTELCHUCK: Sure.

18 MEMBER MUNN: Worthwhile to know but
19 it's no action, closed.

20 CHAIRMAN KOTELCHUCK: Right.

21 MS. GOGLIOTTI: Okay. SRS
22 Observation 2 from Tab 400. And this observation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 states that although NIOSH correctly used or
2 assigned medical dose based on the guidance
3 available at the time, less than a month after this
4 dose reconstruction was completed the TBD was
5 revised and that revision reduces the dose
6 contribution for each organ from a PA x-ray exam.

7 And as a result the DR was revised and
8 there would be a reduction in the occupational
9 assigned medical dose. And again, that's just an
10 observation saying that if it's reworked, this
11 case, the PoC would go down. But they did use the
12 correct documentation that was available at the
13 time.

14 And we do make those an observation when
15 documentation changes that they could not use at
16 the time of the dose reconstruction but would
17 impact the case now.

18 CHAIRMAN KOTELCHUCK: And this was a
19 non-compensated case, right?

20 MS. GOGLIOTTI: I believe so.

21 MEMBER MUNN: But in any case, it's
22 nothing that can be done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. GOGLIOTTI: Correct.

2 MEMBER MUNN: Then no action required,
3 closed.

4 MS. GOGLIOTTI: Okay.

5 MR. KATZ: Rose, can I ask you a
6 question about here in -- SRS is in front of the
7 case number.

8 MS. GOGLIOTTI: Yes.

9 MR. KATZ: Is there any reason we can't
10 always have the site acronym in front of the case
11 number?

12 MS. GOGLIOTTI: No, and actually in the
13 BRS this is a change that I've implemented and I
14 hope everyone else is okay with.

15 MR. KATZ: I love it.

16 MS. GOGLIOTTI: This organizes all the
17 cases in order and they're all done alphabetically
18 and this way I know exactly which case it is instead
19 of having to hunt for it every time.

20 MR. KATZ: Yes, I think it's wonderful.
21 Thanks.

22 MEMBER MUNN: I don't even know how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 many gold stars to give you for that, but it's a
2 large number.

3 MS. GOGLIOTTI: Thank you. I'm
4 gradually changing things. But hopefully it's all
5 for the better.

6 MEMBER MUNN: Yes, so far so good.

7 CHAIRMAN KOTELCHUCK: This is.

8 MS. GOGLIOTTI: Okay. Our next one is
9 SRS Tab 400, Finding 1. And this is kind of a
10 lengthy one here. And we're trying to include more
11 information in the BRS than we used to include in
12 the matrix only because it's much easier to read
13 and this way we don't have to go back and forth
14 between documents.

15 But we don't need to go over everything
16 in full detail here. But the finding was that
17 missed photon dose was assigned rather than
18 coworker dose for the selected years. And NIOSH
19 responded that it appears in the monitoring records
20 that the EE was monitored for occupational exposure
21 when deemed appropriate by the site between '53 and
22 the first quarter of '63.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The vast majority of the badges were
2 temporary or visitor badges. So essentially they
3 don't believe that the EE was a full-time employee
4 and we do have to verify it.

5 MEMBER MUNN: That's probably true.
6 Otherwise that type of badge wouldn't have been
7 used.

8 MS. GOGLIOTTI: We responded that
9 TIB-6, Section 2 only applies to the years '73 to
10 '88. And we couldn't locate anything in the TBD
11 to suggest that the guidance that they used should
12 be extended to this time frame.

13 Also the TIB-6 recommends that the DR
14 should include a discussion of the method used for
15 missed dose and the rationale for why it was
16 included or excluded. And NIOSH actually has
17 responded to this stating that the second quarter
18 of '63 through '72, the guidance based on external
19 dose reconstruction implementation guidelines was
20 used to assign a reasonable number of zeros when
21 only quarterly reports, this is new since I've last
22 looked at it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Zero dosimeter result was applied for
2 each cycle and with the missed dose could be
3 inferred that claimant-favorable zeros were
4 assigned.

5 CHAIRMAN KOTELCHUCK: Phone out?

6 MEMBER MUNN: No. I'm still mulling
7 over that last piece.

8 CHAIRMAN KOTELCHUCK: Okay.

9 MEMBER MUNN: That response to the
10 response. Can you move down a little? That last
11 business about the zeros. Okay. That makes sense
12 to me.

13 MS. GOGLIOTTI: This case has actually
14 already been compensated through the SRS SEC and
15 that was since the time of our review. So the
16 smaller dose that this would add is inconsequential
17 to this particular case.

18 MEMBER MUNN: Yes, but the real
19 question is does Scott's most recent response
20 answer your question adequately.

21 MR. KATZ: I mean, Wanda, can you
22 summarize it? The actual transcript record is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to make any sense here because people are just
2 reading [it] and mulling over.

3 MEMBER MUNN: As I read this, the
4 question was asked by SC&A of why there were missed
5 dose as opposed to zero dose included for certain
6 years. And the response that I, as I understand
7 it, is that the dosimeter results that said zeros
8 were applied for every cycle where a missed dose
9 could have been inferred and that the
10 claimant-favorable number of zeros assigned was
11 equal to the maximum exchange frequency minus the
12 number of reported positive badge cycles.

13 And I had to think that through. But
14 that's, I think, that makes sense to me. My
15 question to SC&A is does that make sense to them.
16 Is that acceptable?

17 MS. GOGLIOTTI: I am satisfied. This
18 is a very small difference we're talking about.

19 MEMBER MUNN: So that question has been
20 answered and in any case the case was compensable
21 for other reasons and so therefore, from my
22 perspective, we accept the response SC&A has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 accepted the response from NIOSH and this can be
2 closed.

3 MR. KATZ: That was the finding and was
4 that finding an actual finding or was the TIB --

5 MS. GOGLIOTTI: Well, they did use
6 guidance that was not applicable to the scenario
7 that they applied it to. So in that case, I think
8 we are correct.

9 MR. KATZ: Findings are valid, but SC&A
10 is agreeing that it wouldn't make a big difference.
11 Is that what you're saying?

12 MS. GOGLIOTTI: Yes.

13 MR. KATZ: Okay. And DCAS isn't
14 disputing that your finding is valid?

15 MR. SIEBERT: Yes, this is Scott.
16 It's okay. We've updated the Savannah River DR
17 guidance for clarification as to how to determine
18 zeros during that time frame. Remember this claim
19 was done quite a while ago.

20 So, yes, we agree that there are better
21 methods involved.

22 MR. KATZ: Okay. Great. I just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wanted a clear record on this that's all. Thank
2 you.

3 CHAIRMAN KOTELCHUCK: Okay.

4 MEMBER MUNN: Now the question that you
5 raise, a new question to me, Ted: Is this a finding
6 or is it an observation?

7 MR. KATZ: It's a finding where it
8 entails dose. It's a finding.

9 MEMBER MUNN: Well that's what I
10 thought, too. But I didn't hear a response to that
11 question. Okay.

12 CHAIRMAN KOTELCHUCK: Yes.

13 MEMBER MUNN: So we now have accepted
14 everybody's explanation and are ready to close
15 this.

16 MS. GOGLIOTTI: Okay.

17 CHAIRMAN KOTELCHUCK: Let's go on.

18 MS. GOGLIOTTI: SRS Tab 400, Finding
19 Number 2. And the finding states that missed 1959
20 tritium dose was assigned twice. NIOSH agrees
21 that tritium dose was indeed recorded twice in the
22 year 1959.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This was a transcription or
2 cut-and-paste error, and they say that additional
3 tools have been put in place that would prevent this
4 type of error from happening in the future. We are
5 satisfied with that. And this ensures that the
6 issue at hand has been resolved for future dose
7 reconstructions.

8 CHAIRMAN KOTELCHUCK: Seems like a
9 simple closure.

10 MEMBER MUNN: It's a QA issue and, yes,
11 it can be closed.

12 MS. GOGLIOTTI: Okay. And I'll move
13 on to SRS Tab 401, Observation 1. And here we state
14 that SC&A questions if NIOSH received all the
15 bioassay records for the EE. Based on statements
16 in the CATI report the EE was monitored by bioassay
17 after a 1966 incident.

18 However, the DOE files do not contain
19 any bioassay records before June of 1966. An
20 incident report would have been generated
21 documenting this event. It does not appear that
22 NIOSH requested additional documentation on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incident.

2 If additional records exist for the EE
3 they would be beneficial for the dose
4 reconstruction. But without these records it's
5 impossible to know if the incident was accurately
6 assessed.

7 And here NIOSH comes back and states
8 that the dose reconstructor did describe the
9 incident in the DR report and concluded that the
10 assigned dose already accounted for any dose that
11 might have been received. Based on the
12 description of the incident and the absence of an
13 incident record in the file, this is probably a
14 valid and reasonable conclusion. However, no
15 internal radiation dose for uranium was applied
16 prior to 1971. Extending the missed dose period
17 to include the years of '66 through '71 increased
18 the dose assigned to the [identifying information
19 redacted] by five millirem and the dose to the
20 prostate increased by a total of one millirem.

21 MEMBER MUNN: Negligible.

22 MS. GOGLIOTTI: So essentially a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 negligible increase in dose. We are satisfied and
2 we recommend closing.

3 CHAIRMAN KOTELCHUCK: Yes, clear.

4 MS. GOGLIOTTI: Okay. Moving on to
5 SRS Tab 401.1. And the finding states that we were
6 unable to reproduce assigned neutron dose for the
7 years 1961 to '63. NIOSH states that they agree
8 that the DR report does not clearly delineate the
9 assumed workplace location for these years.

10 There is an incident exposure record on
11 the final page of the DOE records that states the
12 EE was in Area 772 and that is the area that was
13 used for '61 through '63. And we came back and said
14 that if we use that as the work location, we are
15 able to match their assigned doses.

16 So this is more of a clarification
17 because the DR report didn't specifically state
18 this information. So we weren't able to verify
19 assumptions.

20 CHAIRMAN KOTELCHUCK: This is a
21 finding?

22 MS. GOGLIOTTI: This is a finding,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct.

2 MR. KATZ: But it really shouldn't be
3 a finding, right?

4 MR. CALHOUN: It should be an
5 observation, probably.

6 MS. GOGLIOTTI: At the time this was
7 made, we were making findings if we were unable to
8 verify that. That has since changed in our
9 approach of how we address findings. So this
10 currently would be an observation.

11 But I do think it's an error that it
12 wasn't clearly stated in the DR report so it could
13 be replicated.

14 MR. KATZ: Okay, well, but I still
15 think documentation matters about observations
16 because if the dose reconstruction was done
17 correctly it's not a deficiency of the dose
18 reconstruction.

19 MS. GOGLIOTTI: It's a deficiency in
20 the dose reconstruction report.

21 MR. KATZ: I know but the report is,
22 it's a deficiency in language for you to be able

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to reproduce the dose reconstruction. But it
2 doesn't impact the claimant.

3 MS. GOGLIOTTI: Correct.

4 MR. KATZ: Yes.

5 CHAIRMAN KOTELCHUCK: Right.

6 MR. KATZ: I still think that's a
7 matter, it's an observation because it's a matter
8 of internal process, then, for you to be able to
9 reproduce. It doesn't, it's not a deficiency in
10 the product they produced for its purpose which is
11 to determine compensation.

12 MS. GOGLIOTTI: Well, you could make
13 that argument, but why put any information in the
14 DR reports if we're not going to use them to verify?

15 MR. KATZ: Well, I mean the DR reports
16 have important information for the claimants that
17 does need to be correct. But this is not a matter
18 that helps or hurts the claimant at all. It's just
19 useful for you in reproducing the dose
20 reconstruction.

21 So again, it's not a deficiency in the
22 dose reconstruction. It's a problem for you to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 able to reproduce, and it's worth observing for
2 that. But it's not a deficiency as a dose
3 reconstruction. I just, I mean I don't think it's
4 debatable, even, matter.

5 It's not, there's nothing wrong with
6 the dose reconstruction. It produced perfectly
7 good results.

8 CHAIRMAN KOTELCHUCK: Don't say it's
9 not debatable. You can debate. But I think that
10 it's correct. It's an observation, it seems to me.

11 MR. CALHOUN: I would have to agree
12 with that.

13 CHAIRMAN KOTELCHUCK: Yes. Other
14 Board members, do they want to chime in,
15 observation or --?

16 MEMBER BEACH: I think if you were to
17 do it today, it would be an observation, correct?

18 CHAIRMAN KOTELCHUCK: Yes.

19 MEMBER BEACH: Yes.

20 MS. GOGLIOTTI: Okay. We will change
21 this to an observation. And that's Tab 401.1.

22 CHAIRMAN KOTELCHUCK: Whether we in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the end call it an observation or a finding, it is
2 very good that SC&A observes lots of things which
3 can get called whatever they get called and it's
4 important for you not to miss things, and you don't.

5 So, however, it doesn't downgrade the
6 impact or importance of your findings that we say
7 a finding is now an observation. It's just that
8 we want a finding to be what impacts things that
9 impact the claimant.

10 MR. KATZ: Right, because remember, at
11 the end of the day, we have produced a Secretary's
12 Report on the quality of dose reconstructions.

13 CHAIRMAN KOTELCHUCK: Right.

14 MR. KATZ: Right.

15 CHAIRMAN KOTELCHUCK: Go ahead,
16 please.

17 MS. GOGLIOTTI: Okay.

18 CHAIRMAN KOTELCHUCK: I think it is an
19 observation and after you get that put in we'll --

20 MS. GOGLIOTTI: We'll change that to an
21 observation and actually the second Tab 401.2 is
22 an identical finding only it applies to missed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neutron dose so we will also change this to an
2 observation. Okay. 401.3, NIOSH did not adjust
3 ambient doses to a 46-hour work week.

4 Here NIOSH comes back and says that the
5 onsite ambient doses are not based on a 40-hour work
6 week but were instead adjusted to a 50-hour work
7 week. Therefore, this was accounted for, their
8 increase.

9 And I assume this was reported by the
10 EE in the CATI report. And we agree that the
11 version of the workbook was correct when the DR was
12 reviewed.

13 CHAIRMAN KOTELCHUCK: Right,
14 observation.

15 MS. GOGLIOTTI: The version of the
16 workbook already addresses this. So --

17 CHAIRMAN KOTELCHUCK: Right, so it's
18 an observation.

19 MS. GOGLIOTTI: Yes, I guess we could
20 call that an observation. Okay, 401.4 and this
21 finding states potential underestimates of missed
22 fission product dose to the prostate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Here it states that the dose
2 reconstructionist would have applied the dose to
3 the prostate based on an La-140F as opposed to a
4 Ce-144 as applied to the [identifying information
5 redacted]. But this would be an overestimate
6 since the same exposure cannot be from two
7 different materials in a best-estimate claim.

8 The dose reconstruction determined
9 that impact between three in 144 on the PoC for the
10 [identifying information redacted] was larger than
11 the impact on the prostate. Thus the DR used 144
12 for both organs of interest.

13 And here we responded that the exposure
14 in question was not actually due to either
15 radionuclide. Here NIOSH used the alternative
16 radionuclide chooser workbook which is a tool that
17 helps to estimate missed dose based on a
18 hypothetical intake of a radionuclide that their
19 MDA would yield the highest dose to a specified
20 organ.

21 And this is intended to represent all
22 missed fission product intakes. We could not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 locate any guidance for the appropriate way to
2 assign dose to multiple organs. But since this is
3 a hypothetical model meant to be
4 claimant-favorable, we believe that this would
5 have been most claimant-favorable.

6 CHAIRMAN KOTELCHUCK: Yes,
7 observation.

8 MS. GOGLIOTTI: Well, I'm not sure that
9 they even used the alternate radionuclide chooser
10 workbook at SRS anymore. I think is a historical
11 approach.

12 CHAIRMAN KOTELCHUCK: Right.

13 MR. SIEBERT: I'm sorry. Actually,
14 yes, we still do use that process at Savannah River
15 at this point.

16 MS. GOGLIOTTI: Really. It hasn't
17 appeared in any of our --

18 MR. SIEBERT: It's the only site that
19 still uses it because we're waiting for the SEC to
20 get all clarified before we update the TBD to
21 reflect updated methods.

22 MS. GOGLIOTTI: Is there guidance in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 place currently that would direct a dose
2 reconstructor to do this for multiple --

3 MR. SIEBERT: To tell you the truth, I
4 don't know if we have it documented as such like
5 that. We rely generally going back to OTIB-60,
6 which is discussing internal dose, which is when
7 you have multiple cancers you need to make things
8 consistent between the two cancers.

9 You can't assume something is one thing
10 for one and one thing for another. So that's the
11 basic thought process that's still behind it. It
12 doesn't specifically state that for chooser.
13 However, that is the process we have used
14 historically.

15 MS. GOGLIOTTI: Okay.

16 MR. SIEBERT: Now one thing I will
17 point out. We did discuss this issue back in the
18 eighth set for multiple claims and an additional
19 point that we did at that time, something to prove
20 that this was, the chooser is an overestimate.

21 We went back and looked at it as if we
22 were using the OTIB-54 methodology. In all those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cases the OTIB-54 methodology gave lower doses than
2 the chooser method. If you like, I can give you
3 the SC&A tabs for the claims we discussed that.

4 MS. GOGLIOTTI: Yes, that would be very
5 helpful.

6 MS. BEHLING: And this is Kathy.
7 That's correct. I remember that, yes, but go
8 ahead.

9 MR. SIEBERT: In 152, 153 and 155 and
10 actually Tab 153 is a multiple cancer case with
11 lung, prostate. So it falls into almost exactly
12 the same category.

13 MS. GOGLIOTTI: Okay. Well, it sounds
14 like this issue has already been addressed. So I
15 will look into that there.

16 MR. KATZ: So what's the disposition
17 here? Is this an incorrect finding?

18 CHAIRMAN KOTELCHUCK: No.

19 MR. KATZ: That's a question. That
20 was not a biased question. I don't know the answer
21 to that from this discussion.

22 MR. CALHOUN: I'm thinking.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: That was a model
2 meant to be claimant-favorable. Wait a minute,
3 okay.

4 MEMBER BEACH: This may need some
5 answer from NIOSH.

6 MR. CALHOUN: Yes. I'm looking at it
7 and I can't tell all the details here. If, you
8 know, typically the cerium dose is the highest
9 dose. But if we're looking at like for example
10 solubilities, we can't assign different
11 solubilities for different organs because that's
12 just impossible.

13 We can pick the most claimant-favorable
14 radionuclide to be exposed to, but we have to pick
15 what's aggregately the most claimant-favorable.
16 We can't pick and choose.

17 But I don't even know if that's an issue
18 in this one because I can't, it doesn't look like
19 it's that deep in the finding here.

20 CHAIRMAN KOTELCHUCK: We could leave
21 it open.

22 MR. KATZ: Yes, we can leave this in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 progress if this is still murky, what's right and
2 wrong.

3 CHAIRMAN KOTELCHUCK: And then you can
4 take a look at it and come back with a
5 recommendation and SC&A can agree or disagree, or
6 you guys can talk and come back with a joint
7 recommendation?

8 MS. GOGLIOTTI: Okay.

9 MR. SIEBERT: This is Scott. I'm just
10 making sure I understand. So who has the
11 responsibility for answering this one further?

12 MR. KATZ: I think you do.

13 MR. CALHOUN: We do unless you've got
14 a better answer than I just did.

15 CHAIRMAN KOTELCHUCK: Well SC&A has
16 proposed this to be a finding and I would say, since
17 we can't decide you should come back and either
18 agree or make a suggestion, folks. They have made
19 up their mind. They want to call it a finding.

20 MR. SIEBERT: So this is just for the
21 determination of to whether it's a finding or an
22 observation?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: Yes.

2 MR. KATZ: In other words, is there a
3 defect there or not? That's the question.

4 MR. SIEBERT: Okay. I just wanted it
5 clear. Thank you.

6 CHAIRMAN KOTELCHUCK: Yes, okay.
7 Moving right along.

8 MS. GOGLIOTTI: Okay. The next one
9 here is Tab 401.5, also for SRS. And the finding
10 states that incomplete fitted uranium dose was
11 assigned.

12 NIOSH comes back and says that it
13 appears the dose reconstructor projected the
14 referenced positive results based on the fit
15 relative to the subsequent data points. Not all
16 the negative on the same day was also two days later
17 and seven days later. They agree that it should
18 have been stated in the dose reconstruction report.

19 However, assuming an acute intake on
20 the day prior to the positive and negative results
21 the dose to the [identifying information redacted]
22 and prostate were calculated below a millirem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 therefore exclusion of the result would not result
2 in an underestimate.

3 And we do agree that the dose
4 reconstruction report would have benefitted from
5 that discussion on why the dose reconstructor
6 elected to omit the results and the inclusion of
7 the results did not result in an increase of dose.
8 So we would recommend closure.

9 CHAIRMAN KOTELCHUCK: Right, for an
10 observation, right?

11 MS. GOGLIOTTI: Well, currently this
12 is listed as a finding.

13 CHAIRMAN KOTELCHUCK: Wait a minute.
14 I'm wrong. Yes, because they left out, it should
15 have been stated and that should have been put in.
16 But it didn't have a major impact, right?

17 MS. GOGLIOTTI: Correct.

18 CHAIRMAN KOTELCHUCK: Okay, so that is
19 an observation.

20 MS. GOGLIOTTI: Okay.

21 CHAIRMAN KOTELCHUCK: Okay.

22 MS. GOGLIOTTI: The next here is from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Tab 402 also SRS and it's in Observation 1. And
2 it states that from the site TBD, it's not clear
3 what work locations had a risk of recycled uranium
4 exposure.

5 The issue is still unresolved from our
6 review that we performed in 2005 of the SRS TBD.
7 Additionally it's still an open issue under the SRS
8 SEC petition evaluation and resolution of that
9 issue does have potential to impact this case. But
10 without a resolution it's unclear if dose was
11 appropriately assigned or not.

12 In 2009, NIOSH informed us that they
13 would be included in the discussion for the revised
14 TBD, however, that revision is still unpublished.
15 And NIOSH comes back and says that it will be
16 included in the next revision of the SRS TBD.

17 Our only question is, is there a
18 timeline currently for the revision of the SRS TBD?

19 MR. CALHOUN: I think that's kind of
20 driven by the Board's discussion of some of the SEC
21 issues.

22 MEMBER MUNN: And as far as we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concerned here in Subcommittee, that just simply
2 means we're in abeyance.

3 MR. CALHOUN: But it's an observation.

4 MS. GOGLIOTTI: It's an observation
5 and they did follow, it's an observation because
6 they followed the currently available guidance.
7 But we pointed it out because it is a change that
8 could potentially impact this case.

9 However, presumably a PER will be
10 needed if it does impact this case and it would be
11 captured under that. So we have no problem closing
12 it.

13 MEMBER MUNN: Yes, I would imagine
14 that's the case.

15 CHAIRMAN KOTELCHUCK: Okay.
16 Observed.

17 MS. GOGLIOTTI: Okay. The next one
18 here is Tab 402, Observation 2. NIOSH used OTIB-18
19 to assign RU. The TIB specifically does not apply
20 to the respiratory tract and that's specified on
21 Page 8 of the document.

22 And since the lungs are part of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 respiratory tract, we feel that this document was
2 inappropriate to use for the dose reconstruction.
3 However, we did find one document that implies that
4 RU contaminants differ from what NIOSH assigned.

5 Despite the inappropriateness of the
6 document used, we believe that given the lack of
7 guidance available they had made a strong attempt
8 to accurately model uranium intake and the assigned
9 dose was reasonable given the lack of
10 documentation. And that's why that is an
11 observation.

12 Again NIOSH comes back saying they do
13 have plans to include RU contaminants in the SRS
14 TBD. And here we don't feel any response is
15 necessary.

16 CHAIRMAN KOTELCHUCK: Okay.

17 MEMBER MUNN: So the same disposition
18 there.

19 MS. GOGLIOTTI: Okay. We will close
20 that. From Tab 402 Finding 1, the finding states
21 that no photon dose is assigned in 1952 through '64.
22 Here NIOSH quotes part of the DR report and says

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, based on the work locations of 400D and H3
2 production, the absence of external and internal
3 monitoring except for tritium intakes, it was
4 assumed that the primary source of occupational
5 exposure was from ambient photon radiation during
6 the period in question.

7 And we respond saying that the lack of
8 monitoring records should not be used to justify
9 a lack of risk. This has come up many times before.
10 We find it unlikely that the EE's only external
11 exposure during '52 through '64 came from ambient
12 dose and medical dose.

13 But this of course is another instance
14 of professional judgment. But this case also
15 qualified for inclusion in an SRS SEC. And so any
16 additional dose in this case wouldn't impact the
17 case.

18 MR. CALHOUN: I don't know what to say
19 on that one really other than I don't think we would
20 do it any differently. We rely pretty heavily on,
21 you know, generally speaking, external dosimetry
22 from SRS is pretty good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I think we would probably continue
2 to do that the same way.

3 MS. GOGLIOTTI: I would have to go back
4 and double-check. But I believe this was the case
5 where there were significant monitoring records
6 after the fact and I think we had the concern that
7 the early records might not be available.

8 MEMBER MUNN: It would be illuminating
9 to know if this was in fact a part of the concern.

10 CHAIRMAN KOTELCHUCK: I'm not sure.

11 MEMBER MUNN: No, it's very difficult
12 in these cases when one, if one makes the assertion
13 that you can't rely on any of the administrative
14 controls that are put in place for these potential
15 exposure sites, then of course we have to, we're
16 faced with an impossible task.

17 I think it's unwise to make that
18 assertion. It's been made many times but
19 probably, in my personal experience, not
20 substantiated. But it seems to be what we have
21 here.

22 And it would be helpful to know what was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just said with respect to concern and contrast with
2 the letter here, our subsequent data of, would be
3 nice to know, I guess.

4 MS. GOGLIOTTI: Well I believe the
5 argument here was just whether or not missed or
6 unmonitored dose might have been appropriate.

7 CHAIRMAN KOTELCHUCK: Whether
8 unmonitored dose might be what?

9 MS. GOGLIOTTI: Missed or unmonitored
10 dose might have been appropriate in this instance.
11 But of course this is a professional judgment
12 issue. A hundred DRs could look at it and we all
13 could come up with something slightly different.

14 MR. CALHOUN: I just did a quick look
15 at my database and I don't see that we actually have
16 come across any new data for that case other than
17 what DOE provided or at least after the DR was
18 completed. So we're not looking at it from that
19 standpoint.

20 CHAIRMAN KOTELCHUCK: Do I detect a
21 lack or do I detect a certain tiredness on the part
22 of members of our Subcommittee?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: I think you could
2 potentially say that.

3 CHAIRMAN KOTELCHUCK: It's hard to
4 judge a hard case at the end of a long day.

5 MEMBER MUNN: I think that's a valid
6 observation.

7 CHAIRMAN KOTELCHUCK: Could we leave
8 it open and come back to it next time?

9 MR. KATZ: Right. And I think what
10 needs to be clarified next time is, I mean there's
11 got to be a standard for when you apply missed dose,
12 when there's sufficient basis to be applying missed
13 dose.

14 And I think that's the question. And
15 I heard Grady say that they would do it the same
16 way now, today. So either SC&A contends that their
17 standard is too low for when they applied missed
18 dose and that their methods need to change or DCAS
19 is correct and they're applying it appropriately
20 when there's reasonable basis and SC&A is
21 incorrect.

22 But I don't think this can be left open,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as it's just a matter of judgment and 50 dose
2 reconstructors would do it 50 ways because that's
3 not the way we want the program to be, inconsistent.

4 MEMBER BEACH: Yes, I agree. And this
5 one is too important.

6 CHAIRMAN KOTELCHUCK: Let me make a
7 suggestion. I think we are slowing down a bit. We
8 could do a couple more easy ones, if you will. But
9 we need to spend a few minutes talking about when
10 we meet again.

11 And maybe we should just begin. It's
12 just about 4:30. Maybe we should begin to do that
13 and close up and then come back to this the next
14 time we meet.

15 MR. KATZ: That sounds good to me.

16 CHAIRMAN KOTELCHUCK: Next time we'll
17 proceed [on this]. Now, Ted, might you -- we have
18 a Board meeting in March.

19 MR. KATZ: Right. We have a Board
20 meeting, I think it's the 23rd and 24th. Let me
21 get back to my calendar but I think that's when our
22 Board meeting is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: Yes, it is 23rd and 24th.

2 CHAIRMAN KOTELCHUCK: Right.

3 MR. KATZ: Okay. And so what's our
4 date today, the 10th. Okay. So we pretty much
5 cannot meet before the Board meeting.

6 CHAIRMAN KOTELCHUCK: That's correct.

7 MR. KATZ: But I'm just looking, my
8 schedule looks pretty open for those weeks
9 following. So why don't you --

10 CHAIRMAN KOTELCHUCK: Mine may be a
11 little tighter. But on the other hand, you also
12 have a limitation. We're meeting on the 10th.
13 You need at least six weeks or so.

14 MR. KATZ: We'll be fine after. So
15 once we've had that meeting in March, in April we're
16 fine in terms of my time for getting a public notice
17 out and so on.

18 CHAIRMAN KOTELCHUCK: Right. If we
19 wait two or three weeks after --

20 MR. KATZ: What about the week of April
21 4th? How are people's calendars for that week?

22 CHAIRMAN KOTELCHUCK: Well that's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 good idea. It's a little soon after the Board
2 meeting. It's two weeks, almost two weeks.

3 MR. KATZ: I mean it's a telephone
4 call. We're not traveling for this.

5 CHAIRMAN KOTELCHUCK: Right.

6 MEMBER MUNN: Well my calendar is
7 relatively free. But along about that time is
8 along about the time our house starts to get all
9 wound up with our friends at IRS. And I'm always
10 very hesitant to schedule anything that requires
11 more than a perfunctory nod during the first two
12 weeks of April.

13 CHAIRMAN KOTELCHUCK: Well that would
14 put us into the third week.

15 MR. KATZ: How about the week of April
16 11th?

17 CHAIRMAN KOTELCHUCK: Not the 11th, it
18 would be the 18th.

19 MR. KATZ: I mean, okay. But --

20 CHAIRMAN KOTELCHUCK: Put it this way,
21 I don't have that need, but I respect that if we
22 did do that we would have to talk about the week

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the 18th, which I have to say is not good for
2 me.

3 MR. KATZ: We have a lot of backlog.
4 So we can't over-meet.

5 MEMBER MUNN: The week of the fourth is
6 fine.

7 CHAIRMAN KOTELCHUCK: Okay. How
8 about the 6th. That is a good day, Wednesday the
9 6th?

10 MR. KATZ: So, John, are you still on
11 there too?

12 CHAIRMAN KOTELCHUCK: Give him a
13 chance to unmute.

14 MR. KATZ: Yes.

15 MEMBER POSTON: Can you hear me?

16 MR. KATZ: Yes, you're there. Good.
17 I'm just trying to get as many calendars in line
18 as possible.

19 MEMBER POSTON: Well, unfortunately
20 this semester I have a class every day. But it
21 would be the equivalent to what I did today. I have
22 a class from 11:30 to 12:30.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN KOTELCHUCK: That would be
2 fine.

3 MR. KATZ: That works out.

4 CHAIRMAN KOTELCHUCK: It worked out
5 well today.

6 MR. KATZ: Yes.

7 CHAIRMAN KOTELCHUCK: But what about
8 others?

9 MR. CALHOUN: This is Grady and it
10 works for me.

11 MR. KATZ: And, David Richardson, are
12 you still on?

13 CHAIRMAN KOTELCHUCK: Give him a
14 second to unmute also.

15 MR. KATZ: Okay, well he may not be on
16 anymore. So why don't we collect a couple dates
17 and I'll send those to David and are we missing
18 someone else?

19 MEMBER BEACH: Brad.

20 MR. KATZ: Brad, right, Brad too.

21 CHAIRMAN KOTELCHUCK: Okay.

22 MR. KATZ: So how is, for example, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Wednesday a relatively good day for people?

2 MEMBER BEACH: Wednesday or the whole
3 week; any of those days is fine for me.

4 MR. KATZ: Okay.

5 CHAIRMAN KOTELCHUCK: Right. For me
6 the 7th and 8th are not good. I have a conference.
7 So how about the 4th and the 5th?

8 MR. KATZ: Or how about the 5th and the
9 6th? Mondays --

10 CHAIRMAN KOTELCHUCK: Okay, Tuesday,
11 right. Tuesday the 5th looks good.

12 MR. KATZ: Okay. And Wednesday the
13 6th.

14 CHAIRMAN KOTELCHUCK: Right.

15 MR. KATZ: And then if we need a backup
16 because I don't know what about the 12th or the
17 13th, a week from then, in other words?

18 CHAIRMAN KOTELCHUCK: Okay. Let's
19 take a look at that. I can't, no --

20 MR. KATZ: 12, 13, 14?

21 CHAIRMAN KOTELCHUCK: I'm out of town
22 that week on vacation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: I see, okay.

2 MEMBER POSTON: I can't make it to the
3 NCRP's meeting.

4 MR. KATZ: No, that's fine. That's
5 fine. What about --

6 CHAIRMAN KOTELCHUCK: How about the
7 week after?

8 MR. KATZ: The week after. What about
9 the 19th or the 20th?

10 MEMBER BEACH: I'm booked those weeks,
11 those days already.

12 MR. KATZ: What about the 21st?

13 CHAIRMAN KOTELCHUCK: I'm not in good
14 shape. April is tough. I have family
15 obligations. So let me ask you how about the 5th
16 and the 6th. Aren't those alternatives?

17 MR. KATZ: Yes, they are. I just, if
18 David Richardson is out of town that week then
19 that's, then neither of those --

20 CHAIRMAN KOTELCHUCK: We're stuck.
21 You're right.

22 MR. KATZ: I'm trying to come up with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one optional other date.

2 CHAIRMAN KOTELCHUCK: I could work out
3 something for the week of the 18th.

4 MR. KATZ: Okay, so then is the 19th or
5 20th either of those work for everyone else, Wanda,
6 Josie?

7 MEMBER MUNN: Yes.

8 MEMBER BEACH: They don't work for me.

9 MR. KATZ: None of those days. The
10 18th is --

11 MEMBER BEACH: No, I'm already
12 committed the whole week.

13 MR. KATZ: You're gone the whole week,
14 okay.

15 CHAIRMAN KOTELCHUCK: Let's go to the
16 next week.

17 MR. KATZ: Okay. The next week, I'm
18 out of town for most of the week, but for work. My
19 only day would be the 28th. How is the 28th?

20 MEMBER BEACH: Good.

21 MR. CALHOUN: Fine for me.

22 CHAIRMAN KOTELCHUCK: Yes. Good for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 me too.

2 MR. KATZ: So we'll do the 28th as a
3 backup.

4 CHAIRMAN KOTELCHUCK: Very good.

5 MR. KATZ: Okay. Thanks for that. I
6 appreciate it and I'll get a note out to Dave and
7 Brad.

8 CHAIRMAN KOTELCHUCK: 5, 6 and 28.

9 MR. KATZ: And then I'll write you all
10 a note when we decide on the date.

11 CHAIRMAN KOTELCHUCK: That will be
12 okay. It's just a delay. It's just a long delay.
13 It will be a month after the meeting.

14 MR. KATZ: Yes, I'm just trying to turn
15 the crank as fast as I can.

16 CHAIRMAN KOTELCHUCK: Sure, no, okay.
17 I'm saying do not --

18 (Telephonic interference.)

19 CHAIRMAN KOTELCHUCK: -- if you can't
20 do those.

21 MR. KATZ: Right.

22 CHAIRMAN KOTELCHUCK: Okay, very good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Folks, I think we are finished with our business.

2 MR. SIEBERT: Before, I'm sorry, Dr.
3 Kotelchuck, before we close out, I did get one more
4 piece of information from something we discussed
5 for Rose. Way back on 356.4 we were discussing the
6 version and the date of the tool change that
7 included rotational geometry.

8 CHAIRMAN KOTELCHUCK: Right, '80 to
9 '86 you mean, yes?

10 MR. SIEBERT: Yes, getting the
11 rotational geometry in the tool. And, Rose, if
12 you're ready it was, are you ready?

13 MS. GOGLIOTTI: I'm ready.

14 MR. SIEBERT: It was Version 2.16,
15 released July 24, 2014. And that should close that
16 out for you.

17 MS. GOGLIOTTI: Okay. Wonderful.
18 Thank you so much.

19 MR. SIEBERT: Sure. Sorry it was a
20 little late.

21 CHAIRMAN KOTELCHUCK: That's okay.
22 It's there. That's very good. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER POSTON: I've got to run. I've
2 got a class coming up.

3 CHAIRMAN KOTELCHUCK: Okay. Thank
4 you very much, John. Appreciate it. Thanks,
5 folks. We'll be in touch.

6 (Whereupon, the above-entitled matter
7 went off the record at 4:35 p.m.)

8

9

10

11

12

13