## **AGENDA**

# Advisory Board on Radiation and Worker Health Dose Reconstruction Review Methods Work Group March 11, 2024

#### **Teleconference:**

Phone: +1 866-659-0537 Participant Code: 9933701

#### 11:00 a.m. (Eastern Time) Start

Welcome and Roll Call

- 1. Report on Results of 49 Blind Cases analyzed through Set 30
- 2. Review and Discussion of the Griffon Report "NIOSH DOSE RECONSTRUCTION PROGRAM," Mark Griffon (2017)

### 12:00 p.m. Break (30 minutes)

- 3. Review and Report on SC&A Memo "Consistency in Dose Reconstruction" (3/11/16)
- 4. Report on recent Procedures Review SC initiative on Consistency in Dose Reconstruction
- 5. Discussion on paths forward

#### 2:30 p.m. (Eastern Time) Adjourn