

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON SEC ISSUES

+ + + + +

WEDNESDAY
MAY 27, 2015

+ + + + +

The Work Group convened via telephone at 10:00 a.m. Eastern Time, JAMES M. MELIUS, Chairman, presiding.

PRESENT:

JAMES M. MELIUS, Chairman
JOSIE BEACH, Member
GENEVIEVE S. ROESSLER, Member
PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, SEC Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the SEC Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal Official
BOB BARTON, SC&A
MILTON GORDEN, SC&A
JENNY LIN, HHS
JOHN MAURO, SC&A
DAN MCKEEL
JIM NETON, DCAS
JOHN RAMSPOTT
JOHN STIVER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, SEC Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the SEC Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

3

A-G-E-N-D-A

Welcome and Roll Call 4

Discussion

Dow Chemical Company - Madison, Illinois
Facility Technical Basis Document (TBD-6000
Appendix C) Review 6

Public Comment 34

Status of Guideline Development for Co-Worker
Dose Models 40

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21

P-R-O-C-E-E-D-I-N-G-S

10:00 a.m.

MR. KATZ: Welcome, everyone. This is the Advisory Board on Radiation Worker Health SEC Issues Work Group.

The Work Group is dealing with two matters, or mostly one, Dow Madison Appendix C of TBD-6000 review by SC&A.

And then we're just going to catch up on status of matters with respect to coworker models at the end of this meeting.

Since we're talking about a work site let's get conflict of interest from agency staff. Let me respond to Board Members. None of the Board Members have any conflicts with Dow so we can dispatch with that.

And for the record, we have our Chair and all our Work Group Members online, present.

So, let's go -- oh, and I should mention on the website is the agenda for the meeting today. So it's on the NIOSH website under the EEOICPA part

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the website, Board section, meetings, today's
2 date.

3 And both the agenda and the SC&A review
4 of the Dow Madison TBD are posted there on the
5 website.

6 So, let's go on to attendance for staff
7 members starting with the NIOSH ORAU team.

8 (Roll call)

9 MR. KATZ: Okay, then. We have
10 attendance and I think we've covered everything.

11 Please mute your phones, everyone,
12 except for whoever might be addressing the group.
13 And press *6 if you don't have a mute button to mute
14 your phone. Press *6 again to take your phone off
15 of mute.

16 And Jim, it's your meeting.

17 CHAIRMAN MELIUS: Okay, thanks Ted.
18 And Jim Neton, it looks like we have you outnumbered
19 today. But we know you'll do well.

20 DR. NETON: I hope so.

21 CHAIRMAN MELIUS: And my understanding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from my correspondence with you is that there's no
2 written response from NIOSH or ORAU to the SC&A
3 review, but you are prepared to address the issues
4 raised by SC&A.

5 It's really up to you and SC&A how you
6 want to -- what's the easiest way to do this.

7 Jim, if you want to go through, I think
8 there's a total of two findings and five
9 observations in the SC&A review. If you want to
10 lead through them that might be the easiest way and
11 most efficient way of doing it.

12 DR. NETON: Yes, I think that makes
13 sense. I think there's only -- yes, there are five
14 observations. You're right.

15 It might make some sense if SC&A would
16 just sort of put their position for each one on the
17 table and I can respond in kind.

18 CHAIRMAN MELIUS: That would be fine
19 also. So I don't know who's speaking for SC&A?

20 DR. MAURO: This is John Mauro. I
21 worked very closely with Milton on this review so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I guess I'll kick it off.

2 CHAIRMAN MELIUS: Okay.

3 DR. MAURO: And Milton, please help me
4 out. I know that you did a lot of the heavy lifting
5 also.

6 And Bill Thurber was involved also in
7 a consultative capacity. Unfortunately I guess
8 he's not joining us today, but we'll move forward.

9 The first finding has to do with the
10 classic resuspension factor issue. And it's an
11 interesting issue. Let me explain.

12 During operations, 1957 through 1960
13 where was the uranium machining going on, the way
14 in which the internal doses were derived was the
15 classic TBD-6000 approach which are based on the
16 Adley data which gives you information on dust
17 loadings for different types of machining
18 operations.

19 And it's empirical data. In other
20 words, it's what they measured.

21 So in one strange respect we said, geez,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I wonder why they put in resuspension factors. You
2 do that when you're modeling it. But when you
3 actually have real data, in this case the Adley
4 data, the TBD-6000 data, that in effect reflects
5 anything that's in the air, whether it's from
6 direct airborne from machining or from
7 resuspension.

8 So, our first reaction was you really
9 don't need to do that, that is add in the
10 resuspension portion because it's effectively
11 already there from the empirical data.

12 But then on closer inspection maybe it
13 was okay to do that, and stay with me for a minute
14 on this.

15 During the operations period, '57
16 through '60, you really could break it up into two
17 time periods.

18 There was the 1957-58 where they were
19 doing one type of operation. And then '59 and '60
20 where they were doing another type of operation.
21 So two different types of operations, so two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 different sets of empirical data from TBD-6000 were
2 used to reflect the two different types of
3 machining operations.

4 When you get to the second one you ask
5 yourself the question, okay, you're in the second
6 half. That might have been the extrusion part, I
7 forget. It was a different operation than in '59
8 and '60.

9 You say, okay. I go into TBD-6000. I
10 pull out the airborne dust loading, and I get a
11 concentration, and I do my inhalation dose
12 calculations.

13 Then you say well, wait a minute, hold
14 it. In '57 and '58, preceding that time period,
15 there was already residual radioactivity on the
16 ground from those first two years of operations.

17 So, in a way that would add, in other
18 words it would add to the contribution to airborne
19 dust loading that occurs a little bit later in '59
20 and '60.

21 So, in sort of a circuitous way we went

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 through a process saying I wonder why they put that
2 in.

3 And then it dawned on us well, maybe
4 that was a good idea, and certainly it's
5 claimant-favorable.

6 That being the case -- I'm sorry for the
7 long story, but I want you to understand
8 conceptually.

9 That being the case our first finding
10 is well, if you're going to do that, wouldn't you
11 want to use a resuspension factor of ten to the
12 minus five? Because it's sort of like an active
13 environment. So that was our first finding.
14 Wouldn't it have been better to use ten to the minus
15 five and not ten to the minus six per meter. So
16 that's finding number one.

17 And I guess it's good at this point to
18 sort of hand it over to Jim and see what his thoughts
19 are.

20 DR. NETON: Okay, thanks John. I
21 think the situation here is actually a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 simpler than you think.

2 The nature of this operation was that
3 it only occurred for 21 days out of 250 work days.

4 So, the resuspension that we're using
5 is actually resuspension for the additional work
6 days that were there after the 21 days out of 250.

7 DR. MAURO: Ah okay, okay.

8 DR. NETON: So you don't have a
9 continuous operation here. You've got the 21
10 days' worth of project work and then regular work
11 going on. And clearly there could have been some
12 resuspension from the 21 days of operation.

13 DR. MAURO: So there are these windows
14 at each campaign so to speak.

15 DR. NETON: Exactly.

16 DR. MAURO: I've got you. Okay, good,
17 thank you. Got that clarified.

18 DR. NETON: And we treated those
19 separately.

20 And in fact, what we did was we assumed
21 that the deposition occurred on the first day

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 instantaneously of each two-year period. So it's
2 fairly claimant-favorable in that respect. We
3 didn't bother to integrate it over time.

4 And on top of that I think the thinking
5 behind using ten to the minus six versus ten to the
6 minus five.

7 And actually, there's a -- this is in
8 TIB-70. TIB-70 is talking about the residual
9 period. This is actually during the operational
10 period.

11 DR. MAURO: Yes.

12 DR. NETON: But the ten to the minus six
13 has been the default. And then we would need to
14 justify why that wouldn't be appropriate.

15 And in this situation, as I said, there
16 was 21 days' worth of work. What they did, and if
17 you look there's a contract out there on the Site
18 Research Database, number 10273 which is the
19 contract between Mallinckrodt and Dow.

20 This work was done on behalf of
21 Mallinckrodt under contract. It was written in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 1957.

2 And they defined in that contract a
3 28-hour work cycle. And the work cycle was such
4 that at each work cycle there would be 6 hours of
5 setup time, 16 hours of extrusion, and then 6 hours
6 of cleanup time.

7 So, after every single operation there
8 was 6 hours of cleanup. So we felt that after they
9 cleaned up the operations sure there could have
10 been some residual much as it probably fixed, and
11 the resuspension factor of course only applies to
12 loose contamination. Therefore, I think the ten
13 to the minus six is appropriate here.

14 DR. MAURO: Jim, I have to agree with
15 you given that you have this record of cleanup after
16 each campaign.

17 DR. NETON: So then after that the
18 place is essentially -- I wouldn't say it's clean
19 clean, but it's definitely been cleaned to the
20 point where they removed all the loose material.

21 DR. MAURO: It's not an unreasonable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assumption.

2 DR. NETON: And in fact, I think as you
3 pointed out, even so, the resuspension is a fairly
4 minor, minor component of the intakes that are
5 being assigned from the extrusion operation which
6 in -- well, the extrusion operation in 1957 and '58
7 I think the air concentration was 553 dpm per cubic
8 meter, but it has a GSD of 5 on it like we do with
9 all these TBD-6000.

10 So the upper end of that distribution
11 is almost 8,000 dpm per cubic meter versus some
12 trivial amount of resuspension.

13 DR. MAURO: Yes, it varied the
14 resuspension contribution. I understand.

15 DR. NETON: Right. Okay.

16 DR. MAURO: This is John. And from my
17 perspective Jim has thoroughly answered the
18 question to my satisfaction.

19 CHAIRMAN MELIUS: Any Board Members
20 have questions on that issue?

21 MEMBER ZIEMER: This is Ziemer. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think that's very helpful. Jim's explanation
2 clarified that to me. That was very helpful.

3 CHAIRMAN MELIUS: Great. Josie or
4 Gen, anything to add?

5 MEMBER ROESSLER: No, that explains it
6 for me.

7 MEMBER BEACH: For me too. I don't
8 have any questions.

9 CHAIRMAN MELIUS: Okay, thank you.

10 DR. MCKEEL: Dr. Melius, this is Dan
11 McKeel.

12 CHAIRMAN MELIUS: I'm sorry, it's not
13 public comment period. We'll give you time at the
14 end. So I'd ask you not to interrupt, please.

15 DR. MCKEEL: Thank you.

16 CHAIRMAN MELIUS: Okay, Jim, I don't
17 know what -- how you want to do this, in what order,
18 but Jim Neton, do you want to go on?

19 DR. NETON: Yes. I think SC&A maybe
20 could discuss the nature of finding 2 and then I'm
21 prepared to discuss that as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Okay.

2 DR. MAURO: I'm going to pass this one
3 onto Milton. It has to do with some tabulated
4 material.

5 Milton, are you in a position where you
6 could address this particular issue? Because I
7 don't recall my bringing this particular one up.

8 MR. GORDEN: Okay. This has to do with
9 a calculation performed in TBD-6000 in Section
10 7.1.5 of TBD-6000.

11 There's a calculation in regards to the
12 surface contamination concentration.

13 In TBD-6000 they calculate using 7,000
14 dpm per cubic meter. They calculate a surface
15 contamination of -- I'm sorry -- yes, surface
16 contamination of 1.47 times ten to the eight
17 picocuries per square meter.

18 And in order to duplicate it what I did
19 was I converted the 7,000 dpm per cubic meter
20 multiplying it by the deposition factor of 7.5
21 times ten to the minus four meters per second. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then converted from 30 days down to the second.

2 And in my report on page 13 is kind of
3 where I summarize that. And I come up with a value
4 of 6.1 times ten to the 6 dpm per square meter.

5 Now, I realize the units are different.
6 I calculated dpm per square meter and in TBD-6000
7 it's picocuries per square meter.

8 I just thought it was very interesting
9 that the difference was almost a factor of -- or
10 exactly a factor of 24.

11 And so I didn't know whether there were
12 some conversion issues going on there.

13 But, be as it may, if I keep apples to
14 apples and compare picocurie per square meter to
15 picocurie per square meter I still come up with a
16 difference.

17 I come up with a 1.36 times ten to the
18 seven picocuries per square meter as compared to
19 the 1.47 times ten to the eight picocuries per
20 square meter, which is a factor of between 10 and
21 11.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so I didn't know if there was -- I
2 could be miscalculating, but I didn't know if
3 there's an error in TBD-6000 that would then affect
4 Appendix C numbers.

5 And that's -- I guess are there any
6 questions?

7 CHAIRMAN MELIUS: Jim Neton?

8 DR. NETON: Yes, I can comment on that.

9 SC&A is absolutely correct. There is
10 an error in that calculation in TBD-6000. And in
11 fact, I think 24 hours per day was entered into the
12 calculation twice inadvertently.

13 But that calculation in Section 7.1.5
14 of TBD-6000 was an example that assumed that one
15 had 100 MAC or 7,000 dpm per cubic meter air. Sort
16 of indicating if you didn't know anything else, 100
17 MAC air, use it and that's what you would get.

18 I'm not even aware that that number has
19 been used in any calculation. But it's certainly
20 not used in Dow Appendix C. So even though the air
21 is -- we acknowledge there is an error in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 number it wasn't used in the Appendix that's been
2 reviewed.

3 I'm not sure how to handle that. We do
4 need to fix that, but it was not an Appendix C issue.

5 CHAIRMAN MELIUS: I guess we refer it
6 back to Dr. Ziemer.

7 MEMBER BEACH: That's what I was going
8 to say too.

9 MEMBER ZIEMER: Well, if you're not
10 using it in the Appendix. So it's just an error.

11 This is basically, Jim, that you were
12 using as 100 MAC, was it not?

13 DR. NETON: That's correct.

14 MEMBER ZIEMER: So, we have this
15 information in the record here. I guess I would
16 defer to Ted in terms of administratively how you
17 handle that.

18 MR. KATZ: I think we can just, since
19 we have this finding it's sort of independent of
20 this review in a sense.

21 But we can get this put in the BRS and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then just follow up to make sure there's closure
2 at the end.

3 But I guess the folks at NIOSH need to
4 figure out if this calculation isn't used for any
5 site then I'm not sure why it's even -- whether it
6 matters at all, and whether we need to close it.

7 DR. NETON: Right. I mean, we may have
8 to close it by just removing that example
9 calculation.

10 MR. KATZ: I think the TBD-6000 Work
11 Group anyway can just -- that is the right place
12 to just drop this.

13 And at whatever point NIOSH figures out
14 whether they're going to remove it or whatever they
15 can report back and then they can close that
16 finding.

17 DR. NETON: Well, I think I'm going to
18 pass this over to Lori Marion-Moss on our side.
19 And she's the keeper of that database for us. And
20 see how she wants to enter it in there and notify
21 Wanda that it's been entered.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay.

2 DR. NETON: I think that's the best
3 way. That seems to me the easiest way to go.

4 MR. KATZ: Sure.

5 DR. NETON: Okay.

6 CHAIRMAN MELIUS: How do you want to
7 handle the observations, Jim?

8 DR. NETON: Oh, I'm sorry, I was on
9 mute. I can probably just go over them. Then if
10 SC&A has any questions on my response. Because
11 they tend to be a little easier than the others do.

12 CHAIRMAN MELIUS: Okay.

13 DR. NETON: Observation 1 is actually
14 related to finding 1 which says separate
15 resuspension values in the operational period are
16 not necessarily what the air sampling data would
17 account for.

18 I think we discussed that and the
19 rationale behind why we thought we needed them.

20 DR. MAURO: This is John and I agree.

21 DR. NETON: Yes. So I think that one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was simply dealt with.

2 This observation 2 is a little
3 trickier, but it has to do with the assumptions that
4 were made to assign resuspension during the
5 residual period which begins in 1961.

6 And the gist of the finding was that the
7 table group seemed to follow the laborer category.
8 It's supposed to be 50 percent of the worker
9 category and it's not.

10 And the reason for that is because the
11 resuspension factor was actually sort of a combined
12 average of the two periods, 1957 and '8, period 1,
13 and 1959 and '60 as period 2.

14 And so in 1959 they pulled the data out
15 of Table C.2. I think it's Table C.2. Bear with
16 me here. I have six documents open on my table
17 here.

18 It wasn't Table C.2. It was Table 7.2
19 out of TBD-6000.

20 So, Table 7.2 of TBD-6000 talked about
21 the air sampling data for facilities that extrude

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uranium rod which is what occurred in 1957 and '58.

2 And the general labor category is what
3 we would use for labor. And if you look on Table
4 7.2 the value assigned there is 147 dpm per cubic
5 meter. That's what we used for the first two
6 years.

7 In the second two years we used the data
8 from Table 7.7. And the data there indicates --
9 the general labor daily weighted average was 845
10 dpm per cubic meter.

11 So, if you calculate the total value
12 that's resuspended based on most air
13 concentrations you end up with the value that we
14 have for the general laborer in the column.

15 But it's a hybrid of those two data
16 points, not one table or the other that SC&A seems
17 to be assuming.

18 I don't know if there's any questions
19 on that. I've done the math, it works out. I
20 think the number is correct.

21 DR. MAURO: This is John. I think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 explains it to our satisfaction.

2 DR. NETON: It's a hybrid of the two
3 tables. And you have to pull the laborer data out
4 of each table separately and calculate the total
5 amount that would be on the ground and then inhaled
6 in 1961.

7 DR. MAURO: Yes, we didn't do that, and
8 that explains it. Thank you.

9 DR. NETON: All right. And then
10 observation 3 is the header for Table C.5 which does
11 have an error. We acknowledge that and we'll fix
12 it.

13 The table refers to inhalation and it
14 should be listed as ingestion.

15 The main table itself, the header is
16 correct. If you look at the table, the C.5 says
17 ingestion intake for uranium. But then if you look
18 on the table itself where it gives dpm per day it
19 refers to inhalation and that's clearly a cut and
20 paste error. That should say ingestion.

21 And we will certainly fix that. That's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on us to fix.

2 And then observation 4 has to do with
3 the thoron concentration. And that's really more
4 of an explanatory issue.

5 The values in the table are actually
6 correct, but what we say in the document, in the
7 Appendix C is that the values were based on those
8 in Addendum 2 to the Evaluation Report.

9 And in fact, if you look at the
10 Evaluation Report Addendum 2, the 95th percentile
11 value, the geometric mean is correct and the
12 geometric standard deviation is correct, but the
13 calculation of the 95th percentile is incorrect.

14 And that was identified earlier on by
15 SC&A in the review of the addendum. So we've used
16 the correct value here -- calculated the correct
17 95th percentile value. So it doesn't match the
18 95th percentile in Addendum 2, but that value is
19 actually incorrect.

20 So, I don't think there's anything to
21 fix here other than maybe -- it didn't seem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appropriate to go into a discussion in the Appendix
2 as to why the 95th percentile in Addendum 2 was
3 actually incorrect. I guess that's a judgment
4 call.

5 And that's an observation anyway. We
6 can add some language if need be to support that.
7 Or maybe just put the calculation in there to show
8 how it was done.

9 MR. GORDEN: This is Milton from SC&A.
10 Yes, I think it would just -- I was looking at it
11 more as just a referencing issue.

12 DR. NETON: Right.

13 MR. GORDEN: I don't know if you need
14 your report so you can reference to the SC&A review
15 report or not. So that I guess would be one option
16 to fix it.

17 DR. NETON: It might be cleaner I think
18 if we just said that the geometric mean and
19 geometric standard deviation is this which is
20 what's correct in that table.

21 And then if you calculate the 95th

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percentile which is the GSD to the 1.645 power times
2 the geometric mean, show that calculation, you come
3 up with the right number.

4 That's what doesn't track in the
5 Addendum. They're sort of separate.

6 But that to me is something that we can
7 fix. It doesn't have to be fixed right away
8 because the number itself is correct. It doesn't
9 change any of the calculations.

10 DR. MAURO: This is just a housekeeping
11 issue. I don't think how it's resolved, whatever
12 is most expedient.

13 DR. NETON: I think when we go in to
14 change the header. We're probably not going to
15 reopen the whole document right now, but the next
16 time we change it we'll change that one header and
17 maybe put in that equation to make it clearer how
18 that 95th percentile value was generated.

19 I don't think we're going to issue a
20 revision just for that reason at this point.

21 And if that's okay then observation 5

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 talks about Section 250 to be updated correctly for
2 Table C.7, 8 and 9.

3 We agree. It's a typographical error
4 so we can correct it in the next revision.

5 The observations I think were pretty
6 straightforward. So that's all I have to comment
7 on. If there's any discussion I'd be happy to
8 answer any questions.

9 CHAIRMAN MELIUS: Any Board Members
10 have any comments or questions for Jim?

11 MEMBER ROESSLER: This is Gen. Am I
12 off of mute?

13 CHAIRMAN MELIUS: Yes, you are. We
14 can hear you.

15 MEMBER ROESSLER: Good. I forget
16 whether I'm on or off often.

17 I would like to -- on finding 2, all the
18 others SC&A said okay, we agree.

19 And on finding 2 I guess I'd just like
20 to have a verbal statement from John or someone that
21 there was an error. However, it's not important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because it's not used in Appendix C so everything
2 is okay on that one.

3 DR. MAURO: I'm going to have to defer
4 to Milton because I didn't personally check this
5 number.

6 And the explanation certainly sounded
7 reasonable. Milton, are you comfortable with that
8 explanation?

9 MR. GORDEN: Yes, yes, I'm comfortable
10 with that.

11 MEMBER ROESSLER: Okay, good.

12 MR. GORDEN: I did have a question on
13 -- going back to Table C.4. I'm just thinking off
14 the top of my head here.

15 Being the approach that you take in
16 Table C.4 in determining the labor inhalation rate,
17 would that change the approach taken in Table C.5
18 for the ingestion?

19 Because in ingestion the labor is 50
20 percent of the operator. So I don't know if it
21 should really mirror the ratio that's used for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inhalation too.

2 DR. NETON: Good question. I haven't
3 looked at that table.

4 MR. GORDEN: C.5 does explicitly rely,
5 I believe, on I think it's C.1.

6 DR. NETON: 1961. The ingestion is
7 based on this 20 percent of the observed air
8 concentration.

9 MR. GORDEN: Right.

10 DR. NETON: So, no, that number would
11 be correct because ingestion is not based on the
12 daily weighted average of the -- it's 20 percent
13 of the actual air concentration in the plant.
14 Right? The 0.2.

15 I'd have to go back and look at that.
16 I don't know. I didn't look at that in any detail.

17 MR. GORDEN: Okay. Well, you're
18 probably right, I just, just off the top of my head
19 when you were giving the explanation for the
20 inhalation in C.4 I didn't know whether you're
21 ingesting -- I guess I have to think about it too

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because it's been awhile since I looked at a couple
2 of these tables.

3 DR. NETON: I didn't look at the
4 ingestion path because it didn't come up.

5 But certainly, I think C.4 for
6 inhalation is fine.

7 Ingestion is calculated somewhat
8 differently, but I'd have to go back and refresh
9 my memory as to how those categories are
10 apportioned. So I can't answer that question
11 right now.

12 DR. MAURO: Jim, this is John. In a
13 related matter when I was rereading this document
14 this morning one of the thoughts that came to me
15 was the ingestion during the residual period.

16 As you may recall, we ran into the
17 circumstance before where you really can't use the
18 0.2 approach, the OTIB-009 I believe it is approach
19 for the residual period.

20 You have to go to what I call the Charlie
21 Yu approach, the hand to mouth approach for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ingestion.

2 Just a question, really. This is not
3 a finding or a comment or anything.

4 But when you did the residual period
5 ingestion did you use the 0.2 approach? Because
6 I thought we've already discussed that and that
7 would have been problematic. I don't know if
8 you're following.

9 DR. NETON: No, the 0.2 approach is
10 okay here, John, because you've got a source
11 generator that's depositing material, you know, we
12 have an airborne concentration that's based on a
13 source generation -- generating a source, source
14 term.

15 DR. MAURO: Okay.

16 DR. NETON: Where that falls apart in
17 the 0.2 is if you're getting an airborne based on
18 resuspension.

19 The resuspension here is based on the
20 airborne that deposited the material in the first
21 place. I think it's okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MAURO: Okay. I have to admit I'm
2 having a little trouble making the distinction, but
3 I'll take another look at that.

4 Like I said, this was something that
5 came to mind while I was reading it. And I thought
6 we may have had the same circumstance.

7 So you're saying there's a nuanced
8 difference between the other case where we
9 encountered this problem in this case.

10 DR. NETON: Yes.

11 DR. MAURO: Okay.

12 CHAIRMAN MELIUS: Any other questions
13 or comments?

14 MEMBER ZIEMER: Just a question. This
15 is Ziemer. What is the resolution going to be on
16 this question that was raised, that Gordon raised?
17 Is Jim going to go back and look at something?

18 DR. NETON: Yes, I think I need to go
19 back and look at Table C.5 and verify that the
20 laborer intake calculation was done properly.

21 MEMBER ZIEMER: Well, I'm wondering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 since this isn't a finding maybe at some point Jim
2 can just let the Work Group know what the finding
3 is, and maybe get a confirmation from Gordon that
4 SC&A is comfortable with that.

5 CHAIRMAN MELIUS: Yes, I was about to
6 suggest the same thing, Paul. I think that makes
7 sense to do that.

8 Okay with that? So when you have the
9 opportunity, Jim, if you could do that.

10 DR. NETON: That shouldn't take too
11 long.

12 CHAIRMAN MELIUS: Yes, fine. If no
13 one else has comments I believe, Dan McKeel, you
14 wanted to say something?

15 DR. MCKEEL: Dr. Melius, yes, thank
16 you. I just have a couple of comments.

17 The one is about finding number 1. And
18 the discussion this morning concerns the fact of
19 the lower ten to the minus six resuspension factor
20 being appropriate because there's a cleanup the
21 various extrusion and rod-straightening

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 campaigns.

2 Well, the rod straightening probably
3 leads to very little dust accumulation and
4 resuspension.

5 But I think there is abundant evidence
6 on the record for Dow Madison that it's a completely
7 different story for the extrusions.

8 To do the extrusions for both uranium
9 and thorium of course they had to be heated to very
10 high temperatures.

11 Then it went through the extrusion
12 presses which there were numerous -- nine I think
13 at the plant.

14 The very key factor here is that, unlike
15 lots of other plants, there were no vacuum hoods
16 installed in the extrusion building at Dow Madison
17 to collect the fumes, the gases, and so forth.

18 And so if one postulates that all of the
19 dust from the extrusions was cleaned up there is
20 an unchallengeable fact that shows that that's
21 simply not true.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And that is that there was a FUSRAP
2 cleanup of that site, particularly confined,
3 really, to the extrusion building.

4 And a finding -- that was in the year
5 2000, now. So decades later they're cleaning up
6 this building and they find mixed -- admixed
7 thorium and uranium residues in the rafters up
8 above the extrusions, way up above the extrusion
9 presses.

10 And many operators of those extrusion
11 presses gave testimony that there was a tremendous
12 amount of dust and fumes being kicked up during
13 those operations.

14 So, the idea that some cleanup
15 operation, I think the cleanup operation that
16 they're talking about was picking up the extrusion
17 fragments and scraps off the floor, probably
18 scraping them into a wastebasket or something.

19 But I don't think there was any cleanup
20 of the dust hosed down and things like that, and
21 there certainly weren't any vacuum hoods.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I just think that's an incorrect --
2 all the assumptions that you all mentioned this
3 morning are belied by the fact that there was
4 significant dust in the rafters that led to the
5 FUSRAP cleanup. That's the whole point of the
6 cleanup.

7 Now, what's interesting in the cleanup
8 is, of course, they cleaned up the uranium, but they
9 felt like the thorium was all from commercial
10 operations and therefore the FUSRAP team did not
11 touch the contaminating thorium which was still in
12 the rafters in 2006 when Pangea Group came and
13 finally cleaned up some of that other contaminating
14 material.

15 So, I think for finding 1 I believe that
16 10 to the minus fifth should be used.

17 And since extrusion of various metals
18 went on after 1960, you know, there was still a lot
19 of dust going on. And I understand that that would
20 not be uranium, presumably.

21 The other point I wanted to point out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is my problem with Appendix C Rev 1 and the NIOSH
2 comments about it today, and the SC&A review of it
3 back in April of 2014 is that there were a lot of
4 -- if you look on page 1 of Appendix C Rev 1 in that
5 record of issue revisions you'll see there, and I'm
6 going to read this. It's very short, but it's very
7 important.

8 And it says that the characteristics of
9 Rev 1 were it was revised to incorporate changes
10 made during the revision to the base document
11 TBD-6000.

12 And one of those -- there really weren't
13 mentioned this morning exactly what was included.

14 But the revisions include changes to
15 inhalation values during uranium operations,
16 increased photon dose from contamination based on
17 30-day deposition, and added beta dose values based
18 on contamination.

19 Residual period uranium inhalation
20 values increased. The OTIB-70 technique was used
21 during the residual period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And finally, it says the basis for
2 residual period ingestion values changed to use
3 operational period airborne value and TIB-009 for
4 the first year.

5 Now, my opinion is that it was SC&A's
6 job to review all of those touted changes that
7 characterized Appendix C Rev 1 and I don't think
8 that was really done.

9 I don't think it was done in the written
10 review. I don't think it was done today in this
11 discussion.

12 So, I certainly think the record is
13 really incomplete on this Appendix where the
14 overview if you will says that there are a number
15 of changes made that might increase the dose, and
16 yet we all know that when the PER-058 was issued
17 for this Appendix C Rev 1 it reviewed 80 cases from
18 Dow and none of the PoCs changed to be equal to or
19 greater than 50 percent.

20 So I think to be fair to those workers
21 whose compensation was at stake, that the SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 review should include all those factors.

2 In other words, they ought to go through
3 those changes that I've mentioned and
4 systematically state yes, we agree with NIOSH that
5 these changes were appropriate and that the
6 calculations are done correctly and so forth.

7 And to me, what they actually came up
8 with was one finding which I think is based on
9 incorrect assumptions as being closed and okay to
10 stand as is at ten to the minus six instead of ten
11 to the minus fifth.

12 I think ten to the minus fourth could
13 be considered when you realize that there was so
14 much uranium left in the year 2000 on the rafters
15 above those extrusion presses.

16 Regardless of how many days it was used
17 that physical amount of uranium was still all over
18 the roof beams of that plant.

19 So I guess that's where I would leave
20 it, and I thank you very much for letting me chime
21 in.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Okay. Thank you,
2 Dan. Any further follow-up on the Appendix C
3 review and the SC&A review?

4 If not, the second item on our agenda
5 is a quick update here on the guidelines for
6 coworker dose models.

7 Jim and I emailed to each other a few
8 weeks ago just to update. I don't know, Jim, if
9 you want to just sort of repeat what you said?

10 You were reviewing comments that came
11 in on the guidelines, and then were thinking about
12 -- remember we had decided that we would -- before
13 finalizing the guidelines sort of take an example
14 coworker model to review using the guidelines.
15 Sort of to fine-tune those.

16 So, Jim, do you want to give us an update
17 on where that stands?

18 DR. NETON: Yes. I can do that. I
19 presented the most recent revision of course at the
20 last Board meeting and the Board was asked to
21 comment by April 30 on that revision.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I didn't receive any comments from the
2 Board by April 30. But I did on May 7 receive a
3 fairly detailed commentary from Knut Ringen who is
4 the senior science advisor for the Center for
5 Construction Research and Training.

6 He provides very thoughtful comments,
7 some editorial, and a number of very specific
8 comments, six pages in total, that I believe I will
9 respond to.

10 It's going to take some time because of
11 the specific nature of the comments.

12 I'm going to do that. I'm not sure
13 exactly whether to share this broadly. I don't
14 know, Dr. Melius. I was going to ask your opinion
15 on this.

16 CHAIRMAN MELIUS: Well, I don't think
17 it's any problem sharing it broadly.

18 DR. NETON: Yes. Because it did come
19 in. Well, I can share that along with my
20 responses.

21 I think it will result in some changes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the document, but nothing I don't think that
2 would substantively change the approach that's
3 been outlined.

4 Given that, some of the comments
5 provided by Dr. Ringen were more -- asking for more
6 specificity which I really don't, you know, we
7 talked about. Really didn't think it needed to be
8 in there.

9 And some of them were clarifications of
10 usage of terms which I'm happy to give.

11 But anyway, given that and nothing
12 substantively changes we are going forward with
13 trying to implement it on a trial basis, or a pilot
14 basis I guess is a better word at two sites, the
15 Idaho National Laboratory and Savannah River Site.

16 So we are moving forward with that and
17 we've received from the DSHEFS, another division
18 that has done research at Idaho, their entire staff
19 data file which includes a very cleaned up copy of
20 the database for the bioassay and the external
21 dosimetry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We're going to use that as part of our
2 data quality approach for INL.

3 And we started to look at Savannah River
4 Site. And in fact, we've already discovered that
5 there's at least one set of building trade workers
6 who probably need to be segregated from the main
7 data set because of their incident-based sampling
8 campaign.

9 And we're working trying to figure out
10 how to deal with that, whether or not the data
11 collected on them is sufficient for a coworker
12 model or not.

13 So we're moving forward to that end.
14 It's a major project. It's not going to happen in
15 a couple of weeks, but we are working towards that
16 end.

17 Hopefully I can share some of the
18 progress we've made at the upcoming Board meeting
19 at the end of July.

20 CHAIRMAN MELIUS: Good.

21 DR. NETON: That's all I have.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Thank you. Just to
2 let you know, Jim, I concur with your assessment
3 of Dr. Ringen's comments.

4 I don't think they'll -- there's some
5 things that might help to clarify. I don't think
6 they substantially change the basic guidelines.

7 And I agree with you on -- getting more
8 specific is very hard given the diversity within
9 the sites that we're looking at and situations.
10 It's very difficult to generalize into specific
11 kind of criteria.

12 DR. NETON: Right.

13 CHAIRMAN MELIUS: Any questions on
14 that?

15 MEMBER ZIEMER: Jim, this is Ziemer.
16 I don't remember seeing Dr. Ringen's comments.
17 Were those distributed?

18 CHAIRMAN MELIUS: No, they weren't.
19 I'll get a set to Ted to circulate.

20 MEMBER ZIEMER: Okay, thank you.

21 CHAIRMAN MELIUS: Yes, they only came

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in relatively recently.

2 DR. NETON: May 7th, I think, or around
3 that timeframe.

4 I wasn't sure whether to circulate
5 them, but I think it's a good idea. They're public
6 comment on a document.

7 And I do intend to respond to the
8 comment. I'm not just going to let it sit because
9 he put a lot of thought into it and it deserves a
10 thoughtful response.

11 MEMBER BEACH: Yes, and I felt -- this
12 is Josie -- at a disadvantage. I mean, I knew the
13 comments had gone in, but not having seen them it's
14 hard to understand what the discussion is.

15 CHAIRMAN MELIUS: We have time for
16 further discussion, so at a later point. Okay.
17 Any other questions?

18 MR. BARTON: Yes, this is Bob Barton.

19 Jim, I think at the last meeting we had
20 sort of a lengthy discussion about how you treat
21 -- in an internal program how you treat those values

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that may be less than one-half the MDA.

2 And I think what we sort of left it at
3 that point was that SC&A and NIOSH sort of had
4 agreed to disagree at that point, but that I think
5 there was maybe one reference that we wanted to
6 point to that maybe might sway or change your mind
7 a little bit.

8 At this point, I mean where does NIOSH
9 stand on that particular issue?

10 DR. NETON: Well, we haven't changed
11 our opinion on that issue, but to be honest I have
12 not -- that is an open finding from the review of
13 -- I can't remember the document now -- TIB 73 or
14 whatever the number is.

15 And that one required response from us.
16 I believe that SC&A, Joyce in particular I think
17 cited an NCRP review that said you shouldn't do
18 that.

19 And it's on us to respond. And we
20 haven't done that. There's been other competing
21 things going on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But we do need to take that up and
2 address it.

3 MR. BARTON: Okay. I just wanted to
4 see if there was any new information. Thank you.

5 DR. NETON: Nothing new on that front,
6 unfortunately.

7 CHAIRMAN MELIUS: Okay. If no further
8 comments I believe we can adjourn.

9 MR. KATZ: Yes, thank you, everybody.

10 CHAIRMAN MELIUS: Thanks, everybody,
11 and we'll I guess talk to you in, what, a couple
12 of weeks now is our next Board call.

13 Okay, thank you.

14 (Whereupon, the above-entitled matter
15 went off the record at 10:51 a.m.)

16

17

18

19

20