

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

DAY ONE

ABRWH WORKING GROUP MEETING

The verbatim transcript of the Working Group Meeting of the Advisory Board on Radiation and Worker Health held at the Logan Airport Marriott, Boston, Massachusetts, on February 27, 2006.

C O N T E N T S

February 27, 2006

| | |
|---|-----|
| WELCOME AND OPENING COMMENTS DR. LEW WADE, EXECUTIVE SECRETARY | 6 |
| ROCKY FLATS DISCUSSION | |
| ISSUE ONE: MDA VALUES | 15 |
| ISSUE TWO: SUPER-S | 17 |
| ISSUE NINE: DATA INTEGRITY | 63 |
| | |
| COURT REPORTER'S CERTIFICATE | 120 |

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSEXECUTIVE SECRETARY

WADE, Lewis, Ph.D.

Senior Science Advisor

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

MEMBERSHIP

GIBSON, Michael H.

President

Paper, Allied-Industrial, Chemical, and Energy Union

Local 5-4200

Miamisburg, Ohio

GRIFFON, Mark A.

President

Creative Pollution Solutions, Inc.

Salem, New Hampshire

MUNN, Wanda I.

Senior Nuclear Engineer (Retired)

Richland, Washington

PRESLEY, Robert W.

Special Projects Engineer

BWXT Y12 National Security Complex

Clinton, Tennessee

PARTICIPANTS

ALBERG, JEANETTE, SEN. ALLARD
BEHLING, HANS, SC&A
BOLLOR, CAROLYN, CONG. UDALL
BUCHANAN, RON, SC&A
BURNS, JOHN, ORAU
DEMAIORI, TONY, USW
FALK, ROGER, ORAU
FITZGERALD, JOSEPH, SC&A
GLOVER, SAM, NIOSH
HILLER, DAVID, SEN. SALAZAR
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
JESSEN, KARIN, ORAU
KATZ, TED, NIOSH
KOTSCH, JEFF, DOL
LANGSTED, JIM, ROCKY FLATS
LIPSZTEIN, JOYCE, SC&A
LITTLE, CRAIG, MFG, INC.
LOPEZ, TERESA, ORAU
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MCDOWELL-BOYER, LAURA, ORAU
MEYER, BOB, MFG, INC.
NETON, JIM, NIOSH
ROBINSON, AL, ORAU
RUTHERFORD, LAVON, OCAS
SHARFI, MUTTY, MJW CORP.
STEMPFLEY, DAN, ORAU
SUNDIN, DAVE, NIOSH
THOMPSON, JENNIFER, USW
ULSH, BRANT, NIOSH
WORDER, AMY, CONG. BEAUPREZ

P R O C E E D I N G S

(1:45 p.m.)

WELCOME AND OPENING COMMENTSDR. LEWIS WADE

1 **MR. GRIFFON:** Lew, we're ready to begin if you wanted to
2 say a few words first.

3 **DR. WADE (by telephone):** Yes, thank you. We're just
4 resuming a working group call. This is a working group
5 of the Advisory Board on Radiation and Worker Health. My
6 name is Lew Wade, and I generally serve as the Designated
7 Federal Official for the Advisory Board. As I'm not able
8 to be in Boston for this meeting of the working group,
9 I've asked Liz Homoki-Titus to take on the roles and
10 responsibilities of the designated federal official, and
11 she's graciously agreed.

12 Just to set the tone this is a working group that looks
13 at issues related to site profile reviews as well as
14 individual dose reconstruction reviews and procedures
15 reviews. Today, the working group is addressing itself
16 to two site profile reviews. This morning they worked
17 very hard, and I think made a great deal of progress
18 concerning the Y-12 site profile and its review, and this
19 afternoon is devoted to the Rocky Flats site profile and
20 its review.

21 What makes these two discussions of site profiles

1 particularly important and timely is in both cases we're
2 looking at SEC petitions that are active concerning the
3 sites. It's the Board's desire to see issues raised in
4 the site profiles resolved to the degree possible before
5 the Board has to take up and vote on an SEC petition.
6 It is certainly NIOSH's intention to present the Rocky
7 Flats SEC petition recommendation to the Board prior to
8 its April 25th, 26th and 27th face-to-face Board meeting
9 scheduled for Denver, Colorado. The Board has also
10 scheduled a call of the full Board for 10:00 a.m. to 5:00
11 p.m. on March 14th. So this working group that normally
12 looks at site profile issues is continuing to do that but
13 with special emphasis on those issues that can be
14 identified as being particularly pertinent to the Board's
15 deliberations on the SEC petition.

16 There's been work done already towards this end, and I'll
17 turn it over to Liz to make any comments she might and
18 then to the able Chair of the working group, Mark
19 Griffon. But that's what we're here to do. The working
20 group will continue tomorrow, but tomorrow starting at
21 9:00 o'clock it will be dealing with issues related to
22 individual dose reconstruction reviews and possibly
23 procedures reviews. So just to set the stage, Liz,
24 anything you would like to say?

25 **MS. HOMOKI-TITUS:** I just want to remind everyone again

1 that if you have legal questions, they should be
2 addressed to Emily as I'm here in the position of DFO;
3 otherwise, I'll turn it over to Mark, who I believe wants
4 to give a summary of the Y-12 discussion briefly.

5 **MR. GRIFFON:** Or try. Yeah, I'll start off and try to be
6 brief with this because I know we want to get right into
7 Rocky, but I thought it would be useful to go through Y-
8 12, sort of the action items from what we discussed this
9 morning. And if I miss any, certainly feel free to chime
10 in, those who are still here.

11 Going through this in order, with regard to the data
12 validation question, I think we had an action that
13 NIOSH/ORAU are going to pursue this question of bioassay
14 logbooks further and see as to whether they can obtain
15 any and how best to use that to check the reliability of
16 the CER database with the Y-12 database.

17 Action two is to look at the broader data view -- am I
18 using the right term here? Data view image capture -- or
19 Delta view, I'm sorry, Delta view, to look at the broader
20 set of Delta view images to consider all the uranium data
21 or to see if there's other, are there more uranium data
22 since that was a source based on other nuclides other
23 than uranium. So they were going to consider other
24 uranium data in there and to see whether it fits into the
25 current coworker model, whether the current coworker

1 model is bounding of that data.

2 Item three, action three is the 6,000 page -- and I'm not
3 sure I specifically listed this or mentioned this as an
4 action, but Mel Chew presented a spreadsheet analysis for
5 the 6,000 pages that he had put together. I think it was
6 mainly for the internal side of things. And I was
7 wondering if that could be made available to the Board or
8 SC&A or both. It seems like it, I guess with the
9 understanding that it's total draft form.

10 **DR. NETON:** Yes, it's 90 percent complete or something at
11 this point.

12 **MR. GRIFFON:** Right. And the fourth item along those
13 same lines, Mel had some documents that he used related
14 to the production history, and George said that he had
15 other, George Kerr mentioned he had other documents
16 related to the Calutron/cyclotron production history, and
17 if we can get those posted. Some of them I think might
18 be already, but if we can get sort of a listing of what
19 was used and posted on that board drive it'd be easy to
20 find.

21 **DR. NETON:** That special subdirectory, the Advisory
22 Board. If people provide them to me, I'll make sure they
23 get into the right location.

24 **MR. GRIFFON:** Action five, this is one that Mel
25 mentioned, was to confirm by looking at the names in the

1 Delta view database for lack of a better term that the Y-
2 12 people did the maintenance. I guess that's something
3 that you already have done, right?

4 **DR. NETON:** Right.

5 **MR. GRIFFON:** So that's probably not an action item,
6 sorry about that.

7 The next action item, action item five, Oak Ridge, ORAU
8 will give a production history for the Calutron/cyclotron
9 or look into filling in some of those documents to give a
10 better production history of different campaigns that
11 went on through there. I think that'd be useful for all
12 of us.

13 No specific action related to this, but I think it was
14 mentioned that this question of the U-233, the plutonium
15 and the thorium, other nuclides outside the
16 cyclotron/Calutron still needs to be addressed, but
17 that's been on the table before.

18 Also, another action, and I guess this is currently being
19 worked on, just wanted to make sure we got it on the
20 record that there's a new model being developed for
21 extrapolation of beta dose at Y-12. And I'm not sure if
22 that is an action within the site profile review or I
23 know that came up today.

24 **DR. NETON:** Yeah, this has come up before where we need
25 to have some shower-dose models, skin dose.

1 **MR. GRIFFON:** So that's -- and George seemed to think it
2 was in final draft form or close to completion so that's
3 another action.

4 Item eight, a question as to whether the highest classed
5 individuals were monitored for external radiation. And I
6 discussed with George Kerr some of the concerns or
7 questions I had about the assembly work specifically, and
8 George said that he would work with Bill Tankersley on
9 responding to that question. Some of it delves into some
10 classified questions so I didn't want to go into it too
11 far here.

12 Item nine is SC&A agreed, and I think this would also go
13 for NIOSH, to identify types of sample cases that we
14 might want to consider in our final part of this SEC
15 review process. So whether we want to look at a case
16 with a neutron exposure or a polonium exposure, what
17 types of cases do we want and let's try to outline some
18 cases so we can get some sample cases out there.

19 And that's all I had. Do other people have, did I miss
20 any actions?

21 **DR. MAKHIJANI:** Mark, the external dose sort of Delta
22 view database comparison with the CER database, if we
23 could see the compilation of that analysis, the 60 that
24 you started with.

25 **MR. GRIFFON:** Yeah, if we could see that.

1 **DR. MAKHIJANI:** It would be useful to have that.

2 **MR. GRIFFON:** Let me just get that down. I think that's
3 it. Is that everything?

4 (no response)

5 **MR. GRIFFON:** I think we're on to Rocky then, and if it
6 makes sense to work from this matrix. We're going to
7 work from a matrix that we used at the last meeting
8 actually dated December 6th, 2005. So if people have
9 access to that document, they might want to look for it
10 now. And before we start, maybe we should just go around
11 the table and have everyone introduce themselves because
12 there's new people on the phone, and there's some new
13 people around the table. So I'll start. I'm Mark
14 Griffon chairing this working group, a member of the
15 Advisory Board.

16 **MS. MUNN:** Wanda Munn, Advisory Board.

17 **MR. GIBSON:** Mike Gibson, Advisory Board.

18 **MR. FITZGERALD:** Joe Fitzgerald, SC&A, support contractor
19 to the Advisory Board.

20 **DR. MAKHIJANI:** Arjun Makijani, SC&A.

21 **MR. LITTLE:** Craig Little of the ORAU team.

22 **MR. LANGSTED:** Jim Langsted of the ORAU team.

23 **DR. FALK:** And I'm Roger Falk, and I'm part of the ORAU
24 team.

25 **MR. MEYER:** Bob Meyer also with ORAU.

1 **DR. ULSH:** I'm Brant Ulsh with NIOSH.

2 **DR. NETON:** Jim Neton with NIOSH.

3 **MS. HOMOKI-TITUS:** Liz Homoki-Titus with HHS.

4 **MR. RUTHERFORD:** LaVon Rutherford, NIOSH.

5 **MS. HOWELL:** Emily Howell with HHS.

6 **MR. SHARFI:** Mutty Sharfi, MJW.

7 **MR. GRIFFON:** And on the phone, if we could have people
8 introduce themselves. Are there members of the
9 petitioning class on the phone?

10 **MS. McDOWELL-BOYER (by telephone):** Laura McDowell-Boyer,
11 I'm with the ORAU team.

12 **MR. PRESLEY (by telephone):** This is Bob Presley with the
13 Advisory Board.

14 **MR. BURN (by telephone):** John Burn, with the ORAU team.

15 **MS. WORDER (by telephone):** Amy Worder with Congressman
16 Bob Beauprez.

17 **MS. LOPEZ (by telephone):** Teresa Lopez with the ORAU
18 team.

19 **MR. ROBINSON (by telephone):** Al Robinson with the ORAU
20 team.

21 **MS. BOLLOR (by telephone):** Carolyn Bollor with
22 Congressman Udall's office.

23 **MR. HILLER (by telephone):** David Hiller with Senator
24 Salazar's office.

25 **MS. ALBERG (by telephone):** Jeanette Alberg with Senator

1 Allard's office.

2 **MR. DeMAIORI (by telephone):** Tony DeMaiori and Jennifer
3 Thompson with United Steel Workers.

4 **MR. KOTSCH (by telephone):** Jeff Kotsch with the
5 Department of Labor.

6 **DR. WADE (by telephone):** Lew Wade with NIOSH.

7 **MR. KATZ (by telephone):** Ted Katz, NIOSH.

8 **MR. SUNDIN (by telephone):** Dave Sundin, NIOSH.

9 **MR. STEMPFLEY (by telephone):** Dan Stempfley, ORAU team.

10 **MR. BUCHANAN (by telephone):** Ron Buchanan, SC&A.

11 **DR. BEHLING:** Hans Behling, SC&A.

12 **DR. MAURO (by telephone):** John Mauro, SC&A.

13 **DR. GLOVER (by telephone):** Sam Glover, NIOSH.

14 **MS. JESSEN (by telephone):** Karin Jessen and Tim Vitcus*,
15 ORAU team.

16 **DR. LIPSZTEIN (by telephone):** Joyce Lipsztein, SC&A.

17 **MS. (unintelligible) (by telephone):** Ruth
18 (unintelligible), SC&A.

19 **MS. MUNN:** Sorry, who, I didn't hear that last one.

20 **MS. (unintelligible) (by telephone):** Ruth
21 (unintelligible).

22 **MS. MUNN:** Thank you.

23 **MR. GRIFFON:** Okay, I think that's it. We've got a lot
24 of folks in the room and a lot of folks on the phone. If
25 you could make sure on the phone that you speak up loudly

1 so our transcriber here can hear everything, and I'll try
2 to do the same, actually.

3 **ISSUE ONE: MDA VALUES**

4 And like I said, we're going to try to work from this
5 matrix from December 6th, and I guess I'll start with
6 issue number one, and this is the question of mda. And
7 I'll turn it over to Brant.

8 **DR. ULSH:** Issue number one is an important issue. It's
9 the mda issue that SC&A has raised about plutonium and
10 americium. However, this has not been presented as an
11 SEC issue so I don't know if we want to discuss it today.

12 **MR. GRIFFON:** No, we're not going to go there.

13 **DR. MAKHIJANI:** Is that of high-fired oxides?

14 **DR. ULSH:** That's issue number two.

15 **DR. NETON:** That's high-fired oxides, but the mda issue
16 in general I think we've agreed that it's --

17 **DR. MAKHIJANI:** Oh, sorry, Hans is on the phone so maybe
18 he should --

19 **MR. GRIFFON:** Hans, are you there? Can you speak to this
20 first issue?

21 **DR. BEHLING (by telephone):** Yes, we reviewed the mda
22 values and realized that the median value makes certain
23 assumptions that are somewhat unrealistic with regard to
24 certain parameter values regarding time, efficiency,
25 self-absorption, et cetera. And we felt that perhaps a

1 more appropriate value might consider at least two out of
2 the four as extreme values which would raise the MD value
3 by perhaps several fold. And I think we raised that as
4 an issue in our review, and I think we feel that that
5 NIOSH may want to re-evaluate their position on mda
6 values.

7 **MR. GRIFFON:** John Mauro, are you on there?

8 **DR. MAURO (by telephone):** Yes, I am, and perhaps I can
9 help a little bit on this.

10 **MR. GRIFFON:** Is this something you still consider an SEC
11 issue, that's the --

12 **DR. MAURO (by telephone):** Not as a standalone, but when
13 we get into the super-S issue --

14 **MR. GRIFFON:** That's where it comes in.

15 **DR. MAURO (by telephone):** -- what happens is that brings
16 in questions related to mda because the starting point
17 for the super-S issue has to do with what the mda level
18 is that you're going to start with and what the
19 implication of that is with regard to super-S and the
20 doses not only to the respiratory tract but to the other
21 organs. So I think that we can certainly move on to
22 number two but keep in mind that I think the issue of mda
23 is probably going to re-emerge as we talk about that.

24 **DR. LIPSZTEIN (by telephone):** May I comment a bit? The
25 mda is important because if you start with bioassay

1 information then the missed dose, the size of the missed
2 dose, is directly related to the mda. So the higher the
3 mda, the higher the missed dose.

4 **DR. NETON:** Right, we understand that and acknowledge
5 that. It's just that I think we all agree that it's what
6 John would call a tractable problem in the sense that
7 SC&A's position was that we would use some combination of
8 these five parameters to come up with a much higher mda.
9 Our position is it's somewhere in the middle, and then
10 it's just a matter of not being able to bound these doses
11 but where do we land on the missed dose issue.

12 **DR. MAURO (by telephone):** Yeah, Jim, I agree. Item
13 number one as a standalone item is certainly a tractable
14 issue, and I think we can move on to item number two and
15 see what happens as we move through that and the role
16 that mda may play there.

17 **ITEM TWO: SUPER-S**

18 **MR. GRIFFON:** All right, item two.

19 **DR. ULSH:** Item two is the infamous super-S issue. And
20 this has to do with forms of plutonium at Rocky Flats
21 that may be less soluble than type-S. And this came up
22 both in the SEC petition that we received from the United
23 Steel Workers and also in SC&A's review. So we are
24 prepared to talk about that today.

25 We have a TIB underway, a Technical Information Bulletin,

1 that's TIB-0049 that's being developed. It's not yet
2 been issued. Jim Neton is going to talk about the
3 approaches that we're taking there, and I think the other
4 thing to emphasize is that we are bouncing our approach
5 in TIB-0049 against actual autopsy measurements from the
6 Trans-uranium Registry, for Rocky Flats employees.

7 So I think that's about as good as the data can get. So
8 I'll turn it over to Jim and let him tell you what we're
9 going to do in this regard.

10 **DR. NETON:** Just to give a little summary, we believe
11 this is an issue, I mean, it's a major issue because if
12 material doesn't leave the lung then the current ICRP
13 models as they exist are not applicable or relevant to
14 doing dose reconstructions. There are three scenarios
15 that are affected here in our thinking.

16 One is the issue of how does one calculate a lung dose
17 given that the material clears the lung much more slowly
18 than the current ICRP model. The second issue is how
19 would one calculate a systemic organ dose, that is, once
20 it leaves a lung and gets into the bloodstream and
21 deposits in the systemic organs? And then the third
22 issue is related to how would one calculate a
23 gastrointestinal tract dose because if the material are
24 in the lungs and they clear more slowly to the GI tract,
25 then clearly the standard ICRP models might not apply.

1 We've spent a lot of time, and there's a team that Roger
2 Falk was a member of who's at the table today, looking at
3 this issue. There was a team put together with Roger
4 Falk, Don Bihl and Tom LaBone, three fairly well-known
5 internal dosimetrists who looked at what they call design
6 cases. There are ten cases that have been fairly well
7 studied. I think, Roger, nine of them were from Rocky
8 Flats?

9 **DR. FALK:** That's correct.

10 **DR. NETON:** There were nine Rocky Flats cases where there
11 were existing autopsy data and --

12 **DR. FALK:** Jim, excuse me.

13 **DR. NETON:** -- no, not autopsy data.

14 **DR. FALK:** No, all of those, most of those are currently
15 living, but they are well-documented, high-level cases
16 with lots of data.

17 **DR. NETON:** I'm sorry. I'm getting ahead of myself with
18 the autopsy data. I didn't mean to speak improperly
19 there.

20 So there are well-documented cases with multiple lung
21 counts, chest counts, to determine the slow clearance of
22 the activity in the lung. In addition, there are
23 bioassay samples available. One of the cases that was
24 looked at was also from Hanford which, I guess, Don Bihl
25 was aware of.

1 In looking at these ten cases, and this is the basis for
2 this TIB-0049 that we'll talk about, there were a number
3 of different types of clearances. One could clearly see
4 that the material left the lung with a much, much longer
5 half life than super, than class Y, type Y, and in fact,
6 exhibited these super-S clearance characteristics. Of
7 the ten cases that were reviewed, the team decided to
8 take the one that exhibited the most tenaciously retained
9 plutonium. That is, the one that had the longest
10 clearance half time, and there were two very similar.
11 This so-called Rocky Flats case 872 as well as the
12 Hanford one, also known as HAN-1. Since they were both
13 similar, they picked one, and that was the HAN-1 case
14 that was used to model this.

15 I wish I had the TIB available. Its release is imminent,
16 but I'll give you the basics.

17 **MR. GRIFFON:** Do you have a TIB number for that?

18 **DR. NETON:** TIB-0049, it's in draft form. It's in
19 internal review by NIOSH currently, so it's there. It's
20 in fairly good shape. In fact, I expect it to come
21 across my desk. I've seen draft copies. I expect the
22 final to come across my desk for signature very shortly.
23 What they've done with this design case is take the
24 available urine and bioassay data for the lung and come
25 up with its own, what they would call, a custom model.

1 It would give you the observed clearance in the lung for
2 this particular design case which had the most
3 tenaciously retained plutonium of the ten design cases.
4 And that model was used to construct, well, you have a
5 model that shows the differential clearance, and I would
6 say that -- Roger, correct me. I think it was somewhere
7 around an effective half life of around 80 years in the
8 lung. It was a pretty long half clearance time.
9 So if one takes that model and then per becquerel unit
10 intake comes up with an intake and then clears the
11 plutonium from the lung with this new custom model one
12 can develop those factors that will correct for the
13 differential dose at any time post-intake for this super-
14 S material. That's essentially the basis of this TIB.
15 It has a number of look-up tables that include scenarios
16 anywhere from food exposure one to 65 years post-intake
17 and chronic exposures in a similar timeframe.
18 We've been looking at that and we feel that it adequately
19 bounds these lung exposures. Now remember, the lung
20 exposures, most of the lung cancer cases at Rocky Flats
21 are compensable based on just purely looking at type-S
22 because if you have any bioassay or we're on a bioassay
23 and your plutonium and your urine was non-detectible, and
24 you end up with some fairly large doses. There are some
25 cases out there that were not compensable based on the

1 model, so we would propose to use this additional dose
2 factors and apply that to the super-S material. That's
3 the basis of 49.

4 But we've gone a little bit beyond just looking at
5 developing this model. We've actually put in place a
6 contract with U.S. (unintelligible) to obtain cases of
7 plutonium from a number of locations around the country
8 and look at super-S cases. We have 123 autopsy cases
9 available at our disposal to evaluate this super-S issue.
10 And what we're currently doing are taking this TIB-0049
11 and comparing it to what we're actually seeing in
12 autopsies analyses of cases from the Trans-uranic
13 Registry.

14 And what I have here are a few slides to show where we
15 are with that. And I apologize for the folks on the
16 telephone. You won't have these slides because they're
17 fairly late breaking, but I'll try to explain what's on
18 the graphs as I go, talk about what super-S is and what
19 (unintelligible) is, and where we are. We've seen a lot
20 of issues at other places like the Mayak worker where
21 we're seeing this tenaciously bound plutonium.

22 **MR. PRESLEY (by telephone):** Hey, Jim, this is Bob
23 Presley. Can you speak up just a little bit more?

24 **DR. NETON:** I'm sorry, I need to speak into the
25 microphone. I'm trying to look at the slide and talk at

1 the same time.

2 **MR. PRESLEY (by telephone):** Jim, this is Bob Presley
3 again. Do you have any type of a worker breakdown for
4 these people in job classifications?

5 **DR. NETON:** I don't think so, Bob. I think these were
6 mostly anyone who was working with plutonium who would
7 have been on the bioassay program itself.

8 We talked about how the fact that the current lung model
9 is not applicable. We need to have some additional
10 models. We developed this TIB-0049. I talked about how
11 we were using this Hanford one case to correct for the
12 activity expected to be in the lung at any time post-
13 intake as compared to what the traditional S clearance
14 factor would be.

15 Now we not only increased the lung dose, but it's also
16 applicable to the lymph node dose. What happens is you
17 come up with an intake of type-S and then increase the
18 dose to any of the current lung compartments based on
19 these factors. So here's what I'm getting to. We're
20 taking a few test cases and looking at them to see how
21 they apply. And what I'm showing here in the graph is
22 how do we identify which of these 123 trans-uranic cases
23 are actually super-S.

24 And what we believe is a great indication is looking at
25 the ratio of the lung to the liver at any time post-

1 intake, if you look at the clearance graphs I have here,
2 we mapped out what the ratio would look like for a
3 chronic type-S and type-M intakes, acute and chronic.
4 And this one shows a chronic intake of 50 years, and of
5 course, you can see the other two lines are for the
6 acute.

7 Notice that the ratio drops off fairly well, and in fact,
8 you're down to around five to one or so further out on
9 the graph. I have more of these. This is the same
10 graph. It shows what happens when you have a shorter
11 chronic intake. This would be a ten-year chronic intake.
12 My point here though is to show these are the graphs that
13 one would expect, but what you end up with is these three
14 little dots on the graph here.

15 You can't quite see, but there's two here. This case
16 here is an actual autopsy case well, well above the line
17 which would be very indicative of super-S material. In
18 other words, the amount of material in the lung relative
19 to the liver is way elevated compared to what we're
20 seeing for these other two cases. So we're using this as
21 a screening tool to pull out cases that have been exposed
22 to super-S material.

23 We managed to take one case thus far out of these and
24 compare it to the TIB-0049 model. Remember, the TIB-0049
25 model is already based on real human data. I mean, these

1 were ten folks, nine of whom had work histories at Rocky
2 Flats working with the same material we're trying to
3 reconstruct. We're just taking this one step further and
4 looking at autopsy analyses.

5 Here we have a test case one from the Trans-uranic
6 Registry whose employment began in '52 who had no
7 positive urine samples in his early career when the mda
8 was fairly high. In '65 when the mda went down, you're
9 starting to see positive urine. Had been involved in a
10 couple incidents with high air concentrations, was there
11 during the fire, but not near it necessarily, and had a
12 slight positive americium peak in his lung count after
13 the fire in 1965.

14 This slide just shows the urine data showing that there
15 were non-detectibles up until 1963 and '65. I think,
16 graphically, I've got on the next slide it shows what
17 happens here is early on these are the non-detects, and
18 then we've got the two positive samples.

19 If one were to model this with a standard-type-S model,
20 you'd actually get a pretty good fit, and then we would
21 project after that intake is over it would drop off using
22 either S or M. But we know if this were type-S, super-S,
23 that this clearance curve would not be anywhere near what
24 is shown on that graph.

25 **MR. GRIFFON:** Jim, is that red line is that

1 (unintelligible)?

2 **DR. NETON:** That looks to me like the reporting level.
3 It's .8 (unintelligible). It sounds to me like the
4 reporting level at that time period.

5 **MR. GRIFFON:** So the detection limits would have been
6 below .2?

7 **DR. NETON:** No, not below .2 in the early years. That
8 may actually even be detection limits. I'm not quite
9 clear to be honest with you.

10 **DR. FALK:** Point nine is the reporting level in the early
11 years based on what they called ten percent of the
12 tolerance limit based on some very (unintelligible)
13 models that were essentially in place at that time.

14 **DR. NETON:** So whether it's a detection limit or a
15 reporting level, we don't have, you know, this would be
16 reported as zero essentially. But anyways it's quite
17 interesting how this curve fits. But let me show you
18 what happens when we evaluate it against the super-S
19 model. Remember, this is an autopsy case. We have real
20 data.

21 Here's what the super-S curve looked like I showed on
22 that previous graph. And here's where his autopsy result
23 falls on our super-S model. Now, I'll grant you $N = 1$ is
24 not a robust statistical test, but remembering that the
25 original analyses were based on real human data, now

1 we're taking some autopsy data with real analyses of the
2 combined lung and lymph nodes, we're very close to the
3 right ballpark here with this model is the way it looks
4 to me.

5 I'm just showing you this as an, I'm going, again, we had
6 123 cases of which to screen. Not all of them, of
7 course, are going to be applicable, but we believe that
8 we can show that this model is fairly good for these
9 particular scenarios.

10 This again is just the graph on what the projected
11 difference in the doses are for using the custom model
12 for super-S versus what the type-S model would be. And
13 so what you'll see in TIB-0049 is a bunch of factors.
14 Let's say what is the difference per year in dose to the
15 lung? What factor do I apply as I go out recognizing
16 that this material clears with a very long half life on
17 the order of 80 years.

18 That's what we've done, so I think this takes care of the
19 lung, I think this is a great step towards resolving the
20 dose to the lung recognizing that most of the lung
21 cancers are already (unintelligible), we're going to
22 apply these other factors to make sure we're not
23 underestimating the lung doses to the super insoluble
24 material.

25 **MS. MUNN:** It should be an adequate overdose for anyone.

1 **DR. NETON:** They're fairly hefty doses. You can get some
2 pretty good adjustment factors because even though S is
3 insoluble, super-S, again, is not going very far.

4 **DR. MAURO (by telephone):** Jim, did I hear you correct?
5 That is, the long component for clearance from the lung
6 under your super-S was an 80 year half life?

7 **DR. NETON:** Well, you know, you've got to be careful. I
8 say that. It looks as if it's in that ballpark, but the
9 ICRP 66 model is much more complicated than that. It's
10 not, you can't put a time on any of the compartments like
11 you could in the old 30 model. It's just much more
12 complicated than that, but let's suffice it to say if you
13 plot out these data points, it looks like the lung counts
14 over time are clearing on average with a somewhere in the
15 vicinity of an 80 year half life.

16 **DR. MAURO (by telephone):** Now that being the case, and
17 if you want to just look at it very simply from the point
18 of view from the dose to the lung, couldn't you simply
19 say that, well, let me see, if you're looking that data,
20 you're saying that you're looking at what's in the urine,
21 and you're looking at what was measured in the urine.
22 And I'm not looking at the graph, of course. And then
23 you're looking at what the autopsy data show is in the
24 lung.

25 So you're getting a relationship between, I guess,

1 activity in the lung as compared to, you could almost say
2 becquerels(unintelligible) in the lung or becquerel per
3 day excreted in the urine. In other words, some kind of
4 simple relationship. I'm not quite sure, you know,
5 what's the relationship that you're establishing here?
6 Is it some factor that gives you --

7 **DR. NETON:** Yes, it's a dose factor, the difference in
8 the dose to the lung if it were type super-S versus if it
9 were type-S. So these are dose factors that are applied
10 to each case.

11 **DR. MAURO (by telephone):** And you're seeing factors that
12 are how much larger than that when you're --

13 **DR. NETON:** It varies well over time but in some years I
14 think it's approaching -- I don't recall -- around 100
15 maybe.

16 **DR. MAURO (by telephone):** About a hundred-fold higher,
17 okay.

18 **DR. NETON:** In some years. If you could see the graph,
19 25 years out it's peaking at around 100. It climbs
20 fairly rapidly from fairly close in the early years up
21 through to about 100 and then it drops off to where 30
22 years out or so you're maybe a factor of, it could be a
23 factor of five to ten. But there's a factor of 100 in
24 the tables. I'm not sure exactly what...

25 **DR. FALK:** What the tables are is the ratio of the lung

1 deposition for the cases HAN-1 and also Rocky Flats 872
2 relative to the deposition calculated from using the
3 assumption of the regular type S for the same intake. So
4 it's basically ratios of the observed very avid
5 retentions for our design cases versus standard type-S
6 per calendar year. Now the main reason why the ratios go
7 up over time is the fact that there is very little
8 retention predicted by the type-S at the long-term
9 basically, even though the actual deposition may be flat
10 over 30 to 40 or 50 years.

11 **DR. NETON:** This is a fairly complex analysis, and I'm
12 probably not doing it justice by talking about it. I
13 think if we can get this TIB-0049 in front of you in the
14 next week maybe. I don't want to promise that but it's
15 about ready to go, then you can certainly have a go at it
16 and take a look at the appendix and see where there's
17 actually the design of the model and what it looks like.
18 But that's what we're proposing that we're going to have
19 these adjustment factors based on real data to account
20 for the fact that site-type super-S clears more slowly.
21 I think that, and when you're going to take a look at it,
22 we'd appreciate any comments you might have.

23 **DR. MAURO (by telephone):** Jim, this is John. I think we
24 also are convinced that at the NDL, this is where the NDL
25 comes in, at the NDL whatever you pick for one-half the

1 NDL whatever you pick, the doses to the lung, whether
2 it's S or super-S, whatever kinetics you assume for
3 super-S, even for S, the dose to the lung, I guess, and
4 the lymph nodes are going to be off the charts. That is,
5 you're going to have POC that's greater than 50 percent.
6 The dose is going to be very large.

7 And I think that we're fairly, I mean, we've done enough
8 calculations ourselves to convince ourselves of that.
9 The place that we start to run into a little bit of
10 trouble, that we're struggling with also is other organs
11 like the liver and the bone.

12 **DR. NETON:** That's what I'm going to get into next.

13 **DR. MAURO (by telephone):** Yeah, how to come to grips
14 with that.

15 **DR. NETON:** I would put a caveat on the, their all going
16 to be off the charts, because you've got latency issues
17 here --

18 **DR. MAURO (by telephone):** Yes, yes, I agree. I agree
19 completely.

20 **DR. NETON:** -- where if a person develops lung cancer
21 within one year, you can give them very large doses and
22 it won't get the 50 percent.

23 **DR. BEHLING (by telephone):** Jim, this is Hans. Can I
24 just interrupt for a second? The issue that you just
25 discussed with John we agree, but there is an issue now

1 that comes into play when we talk about perhaps and
2 exposure that is less than the 250-day requirement to be
3 eligible to submit a claim. If you do have super-S and
4 the doses to the lung are excessively high, a person who
5 may be employed for a period of less than 250 days may,
6 under the old system, be denied a chance to submit a
7 claim when in fact for super-type-S the lung dose for
8 even a very brief exposure may be such where the exposure
9 may result in a POC greater than 50 percent.

10 **DR. NETON:** I'm not following you, Hans.

11 **DR. BEHLING (by telephone):** The question that I have is
12 I agree with everything you said about chronic exposures
13 in excess of 250 days if, in fact, it is super-type-S or
14 F either one would inevitably result in a POC value
15 greater than 50 percent. But given the much higher lung
16 dose for super-S perhaps an exposure that well below the
17 250-day requirement may nevertheless result in a dose to
18 the lung that far exceeds 50 percent, and yet we would
19 say you haven't worked long enough to even qualify for
20 submitting a claim.

21 **DR. ULSH:** So the logical extension of what you're
22 saying, Hans, I think is that if we adopt this new
23 procedure that we're talking about for super-S, there are
24 certain lung cancer cases that may be better off under
25 this procedure than under SEC for which they may not

1 qualify because of their 250 days. Is that what you're
2 saying?

3 **DR. BEHLING (by telephone):** Yes.

4 **MR. GRIFFON:** Or the question of that definitely is going
5 to help (unintelligible).

6 **DR. NETON:** But we're not really discussing here whether
7 or not it's more or better a merit to be SEC or not.
8 We're trying to discuss technically can we do these dose
9 reconstructions. And I don't disagree with what Hans
10 said, but I'm not sure that's for this working group to
11 discuss.

12 **MS. THOMPSON (be telephone):** This is Jennifer Thompson
13 with the Steel Workers. I'm wondering if we are going to
14 have an opportunity to ask questions during this or if we
15 need to submit our questions via a different avenue.

16 **MS. HOMOKI-TITUS:** If it's a petitioner, they can ask
17 questions.

18 **MR. GRIFFON:** This is Mark Griffon. You're welcome to
19 ask questions during the presentations like we're all
20 doing so do you have something now?

21 **MS. THOMPSON (by telephone):** Great, yeah, we have a few.
22 We're wondering under the new technical direction how
23 will you know which form of plutonium a worker was
24 exposed to? So how will you know which model to apply?
25 Are you going to use the super-S for all Rocky Flats

1 workers?

2 **DR. NETON:** The standard answer for that usually is that
3 we'll do whatever we believe to be the case for the
4 worker, and when we don't know, we would pick the most
5 favorable for the worker.

6 **MS. THOMPSON (by telephone):** So you would base that then
7 on where the worker worked? If they worked in the
8 building with the potential for high-fired oxides
9 exposure then you would use the super-S model?

10 **DR. NETON:** Yes.

11 **DR. ULSH:** Well, there's a caveat there. Using super-S
12 is not always going to be claimant favorable. It depends
13 on where the cancer is located. Certainly, if it's lung
14 cancer or respiratory tract cancer it's claimant
15 favorable to apply super-S. If it's a systematic, I'm
16 sorry, a systemic, cancer in a systemic organ, it would
17 not be claimant favorable to apply super-S. And then
18 there's a point that we need to discuss further is GI.

19 **DR. NETON:** Right, but we would play through all those
20 scenarios or work through those scenarios and if we
21 didn't know, if we truly didn't know what the chemical
22 form was, we would pick the one that gave the highest
23 dose.

24 **MS. THOMPSON (by telephone):** Okay. And then I'm
25 wondering, when you're looking at these like Rocky Flats-

1 872 and Hanford one, how are you knowing how much
2 plutonium is in their lungs?

3 **DR. NETON:** The Hanford-872 or the Hanford one and the
4 Rocky 872 had a lot of lung counting data, chest
5 measurements, to determine the actual quantity that was
6 in the lung itself at any given time. And then many of
7 these were followed up thousands of days after exposures.

8 **MS. THOMPSON (by telephone):** And how did that data take
9 into account the ceramified particles from high-fired
10 oxides?

11 **DR. NETON:** Well, what it shows is if you sequentially
12 measure these people over a long period of time, it will
13 show that -- sorry, Roger has his hand waving here.

14 **DR. FALK:** Let me answer that because case 872 was a case
15 the 1965 plutonium high-fired oxide so his case does
16 actually represent the more, does represent the most
17 extreme situation, and in fact, six of the nine Rocky
18 Flats cases were cases from the 1965 plutonium fire.

19 **MS. THOMPSON (by telephone):** Right, and that's great,
20 but my point is I believe I understood that case number
21 872 is still alive, and so my question --

22 **DR. FALK:** I don't think case 872 is, but case 872 did
23 not participate in the Trans-uranic Registry Program; and
24 therefore, we do not have autopsy data.

25 **MS. THOMPSON (by telephone):** Right, and so this gets

1 back to my question of how would you know how much
2 plutonium was in that person's lungs based on the fact
3 that plutonium particles from high-fired oxides are
4 ceramified and have self-shielding properties that lead
5 to a less than accurate depiction in lung count in terms
6 of the quantity of plutonium present in the lungs?

7 **DR. ULSH:** If I could just jump in before Roger gives you
8 the technical answer. Jennifer, I think you're referring
9 to one of the questions that was raised in the SEC
10 petition. This is actually in one of the seven bases
11 where the assertion is made that these high-fired
12 plutonium oxide particles exhibit some kind of self-
13 shielding so that it would not be, it would be under-
14 detected in a lung count. Am I correct in that?

15 **MS. THOMPSON (by telephone):** Correct.

16 **DR. ULSH:** Okay, now I'll let Roger talk about that if he
17 would like to.

18 **DR. FALK:** Yes. The measurement of the plutonium
19 deposition in lungs and based on the measurement of the
20 60 keV gamma from the americium. And the americium is a
21 reasonably penetrating-type of a gamma photon. And we
22 are dealing with reasonably small particles; therefore,
23 there should be very minimal self absorption of the 60
24 keV gamma by the particle itself. That is more of an
25 issue for the alpha radiation which is actually what

1 gives the dose to the lungs. So that is not a big issue.
2 It is not an issue at all with regard to lung
3 (unintelligible) for 60 keV gamma.

4 **DR. ULSH:** And if you take this question to its logical
5 conclusion, if there's self-shielding by the particle so
6 that you can't detect it in a lung counter, it's also not
7 going to irradiating a lung. It's not going to be
8 delivering dose to the lung.

9 **MS. THOMPSON (by telephone):** That's not necessarily
10 true. The lung counter is much further away from the
11 lung than the lung itself from the particles so you can't
12 make that direct assumption. And there is a case, and in
13 talking to Dr. Bob Fieswine* in the one specific case at
14 Rocky Flats where a worker's exposure went undetected by
15 lung count. And then decades after this person left the
16 site, all of a sudden his urinalysis started showing
17 spikes of plutonium. So that's what we're basing in part
18 that assertion on. And so that would still support the
19 idea that lung count in instances of high-fired oxides
20 might not be the most accurate way to determine the
21 content of plutonium in somebody's lungs.

22 **DR. FALK:** I think there is basic misunderstanding about
23 the case that Dr. Feiswine* has mentioned.

24 **MR. GRIFFON:** Is there a case number for that case by the
25 way? That one that she's referencing?

1 **DR. FALK:** I'm trying to actually recall it. I can't
2 give you the actual case number. But this was a case for
3 a worker who actually did not receive a lung count at
4 Rocky Flats, and then when he came back in 1994 under
5 Bob's program for the medical monitoring program, we did
6 lung counts and did urine sampling, and yes indeed, we
7 did detect high levels of the americium count in the
8 lungs at that time as well as elevated plutonium count in
9 this person's urine sample. So that was the issue that,
10 yes indeed, we did measure americium in his lungs at a
11 fairly significant level about 40 years after his actual
12 exposure which was in the mid-'50s.

13 **MS. THOMPSON (by telephone):** And was that a case of --
14 I'm unsure whether we're talking about the same
15 individual so we'd have to follow up on that.

16 **MR. GRIFFON:** Well, at least we have this issue on the
17 table. Is there a third question you had and then maybe
18 we can, because I think that's going to come up again the
19 in vivo detection limits and things like that we're going
20 to discuss further.

21 **MS. THOMPSON (by telephone):** Right, and I might like to
22 get an e-mail address to submit some of these other
23 questions because I only asked one that I think is of
24 high significance just in the interest of time, but I
25 have several others.

1 **MR. GRIFFON:** Sure, I'm sure we can make arrangements
2 through NIOSH so that any questions you have can be --

3 **DR. NETON:** Any questions you have you can send to OCAS,
4 O-C-A-S at C-D-C.gov. We have an e-mail address, and
5 we'll receive it. We'll try to answer these questions
6 within a day or --

7 **MS. THOMPSON (by telephone):** Would you repeat that,
8 please?

9 **DR. NETON:** It's OCAS, O-C-A-S. That stands for Office
10 of Compensation, Analysis and Support. OCAS@C-D-C.G-O-V.

11 **MR. GRIFFON:** Should they put Rocky SEC Petition or
12 something?

13 **DR. NETON:** Yeah, Rocky SEC Petition in the subject or
14 something to that effect, but we do monitor --

15 **MS. THOMPSON (by telephone):** The last one for right now.

16 I want to know how many case studies or autopsy data
17 you're using that are from D&D workers. Workers who
18 worked at the site during the last ten years because D&D
19 work in buildings where high-fired oxides are present
20 from (unintelligible) fires and high temperature
21 processes are substantially different than past
22 production work in that the work was done in known
23 airborne contamination environments.

24 And we know from the Building 771 incident that some
25 exposures can go undetected by workplace monitoring

1 equipment and may not show up in bioassay right away and
2 then show up later. And that was the conclusion of the
3 771 investigation. So I want to know how that's being
4 accounted for in this process.

5 **DR. NETON:** I don't know if any of the D&D workers are in
6 these studies. Since they're fairly contemporaneous
7 exposures, I don't imagine they are and they're fairly
8 young folks. But I think our position here would be
9 though that the behavior of these super-type-S materials
10 would not be different in a D&D environment than they
11 would be, for instance, in the fire. Of the ten cases
12 we've looked at, we took the tenaciously retained type-S
13 material and modeled it. And I don't see why the D&D
14 environment would lead to more insoluble plutonium than
15 what we've observed in these cases. I'm not aware of any
16 physical mechanism that would make the D&D environment
17 plutonium more insoluble than, say, the plutonium that
18 was generated during the fire.

19 **MR. GRIFFON:** I think there's some of us that we really
20 need to see TIB-0049 to sort of gel all this stuff
21 together. I mean, I myself have some questions about how
22 you used the autopsy data in the modeling from that
23 because I think you had to rely not only on autopsy data,
24 but on intakes estimated by the sites, so we're going to
25 back to --

1 **DR. NETON:** Well, no, the model, TIB-0049 is based on
2 bioassay data from the lung counter themselves and the
3 urinalysis data. To that extent we do need to rely on
4 the site's bioassay data.

5 **MR. GRIFFON:** Then you're using the autopsy to confirm?

6 **DR. NETON:** The autopsy is confirmatory only. You won't
7 see any of these autopsy samples in TIB-0049.

8 **MS. HOMOKI-TITUS:** When the people on the phone start
9 speaking, please identify yourself for the court
10 reporter.

11 **MR. GRIFFON:** And just one other thing before you move
12 on, Jim. As the petitioner just raised some questions, I
13 realized when, I think, the Rocky Petition actually got
14 amended after we got the initial copy of the petition --
15 and I'm not sure all of the Board has the full petition.
16 I understand it's a very large document. I just wanted
17 to make sure that if it wasn't sent to us originally, if
18 we can get the entire thing provided to the Board and
19 SC&A. I think that's pretty important.

20 **DR. NETON:** Do you think that the petition was amended
21 and you don't have the amended language?

22 **MR. GRIFFON:** I don't think we got the amended portions.

23 **DR. NETON:** We can check into that and make sure that
24 everyone has the most current.

25 **MR. FITZGERALD:** Does the petition include the request

1 for additional information (unintelligible) TIB process
2 of information being collected for petitioners being
3 added to the (unintelligible) as clarifying information?
4 I'm just trying (unintelligible).

5 **DR. NETON:** Well, that's certainly part of the entire
6 petition package. Now I guess what you're asking is that
7 all on our website?

8 **MR. SUNDIN (by telephone):** This is Dave Sundin with
9 NIOSH. We did send both portions of the petition out to
10 all Board members. There was an initial petition and
11 then a supplemental, fairly large petition to deal with
12 questions that were raised during our development.

13 **MR. GRIFFON:** Okay, I just wanted to check on that
14 because I haven't opened the supplemental yet, so I just
15 wanted to make sure we got, before the next meeting we
16 had all of it.

17 **DR. ULSH:** If I can ask you to maybe take a look at what
18 you got and make sure, and if you still have questions
19 let us know, and we'll get it to you.

20 **MS. MUNN:** I'm unfamiliar with what the 7-7-7 report is.
21 What was that?

22 **MR. GRIFFON:** Seven-seven-one.

23 **MS. MUNN:** Seven-seven-one?

24 **MR. GRIFFON:** Building 771 investigation report, was that
25 -- Roger, you can probably --

1 **DR. FALK:** All I know is what I read in the petition, and
2 it was in the (unintelligible), probably in the last
3 three or four years where there were some workers that
4 had positive fecal samples. But I was not privy to that
5 investigation, and so I don't know the details of it.

6 **MS. MUNN:** But it was recent?

7 **DR. FALK:** Yeah.

8 **MS. MUNN:** We're talking about recent workers?

9 **DR. FALK:** Yeah, it was since 1995 and probably since
10 2000.

11 **MR. DeMAIORI (by telephone):** This is Tony DeMaiori with
12 the Steel Workers. The 771 incident was in I believe
13 2000, and we had 11 workers that came up high in bioassay
14 sampling that the body counters didn't detect with no
15 known incidents. And so there was a complete
16 investigation done by the employer, Kaiser-Hill.

17 **DR. NETON:** These were fecal samples?

18 **MR. DeMAIORI (by telephone):** No, they were urinalysis,
19 and then they followed up with fecal, but the initial
20 catching of it was the urinalysis.

21 **DR. NETON:** I've got two other conditions to talk about
22 if we're ready to move forward. I don't want to rush
23 anyone, but my sense is we've concluded that part of the
24 plot.

25 The second issue I'd like to talk about is the systemic

1 organ dose issue. And that's related to what happens to
2 the organs such as the liver and the kidneys and those
3 organs that are connected to the blood system after the
4 material that is deposited in the lung leaves there and
5 becomes systemic. You know, one sort of (unintelligible)
6 we can think about this and say, well, if the material's
7 staying in the lungs with an effective half life of 80
8 years, there's not much getting into the system and so
9 those doses are low. That doesn't necessarily give us an
10 answer to what the doses are.

11 So in looking at this we believe that if we apply our
12 normal chronic exposure models, that is, these workers
13 are monitored and we have bioassay data for these workers
14 over time, the integration of the amount of plutonium
15 that is in the urine is a very good indicator of the
16 total systemic deposition because it can only get to the
17 organs in the system if it's in the blood and gets there
18 in a certain fraction, known fraction, of material that's
19 in the blood comes out in the urine. So the urine is a
20 good integrator over time of the amount of plutonium
21 that's become systemic.

22 We believe that to be the case. We've looked at this
23 with some of these autopsy cases, and what I have on the
24 graph here is one of the Trans-uranic Registry cases
25 where this is what one would predict is the amount in the

1 liver if it were type-S or type-M and the dot well below
2 the line shows that the autopsy liver result is well
3 below what would predict to be the liver based on either
4 S or M.

5 So in this sense, we believe that if we use type-S or M
6 clearance parameters from the lung and model the doses
7 for this person, and indeed any of the claimants, we will
8 be assigning systemic organ doses that are above what one
9 would have experienced if this were truly super-S.

10 **MR. GRIFFON:** See, this is where my question comes in on
11 the autopsy data because somehow you have a measurement
12 in the liver in your autopsy.

13 **DR. NETON:** In 1980, started employment in, and this
14 would assume a chronic exposure scenario.

15 **MR. GRIFFON:** And you assume some intake though, right?

16 **DR. NETON:** Yeah.

17 **MR. GRIFFON:** So the intake came from data, I mean --

18 **DR. NETON:** I don't know whether this would be, I'm not
19 quite clear on this. This is late breaking, but let's
20 say a person had no positive bioassay results in their
21 entire employment history. They've had an annual urine
22 sample from 1950 through here. We would use that mda as
23 an indication of what their chronic intake was for the
24 history of their work and come up with a value of how
25 much was being deposited in the liver systemically. And

1 what this shows is if we do something like that, we end
2 up with an overestimate of what was actually observed in
3 autopsy cases.

4 Now this is $N = 1$ (unintelligible), I'll grant you that
5 again, but I think this is going to hold for many of
6 these cases.

7 **DR. GLOVER (by telephone):** Jim Neton, this is Sam
8 Glover. That is the same case, Jim. That is the first,
9 that is the same case you're looking at for the lung
10 data. This is his systemic components for the same
11 inhalation. You saw the urinalysis results before and
12 his lung counting results, so this is what they found in
13 his liver.

14 **DR. NETON:** Okay, so this is this same case. It's
15 (unintelligible) saying that he was all mda, and so if we
16 model this as a type-S base on a fit to the data, and
17 then we go down and look at where his liver result is, is
18 well below what we would have projected based on the
19 model S or M.

20 **MR. GRIFFON:** So that's one person. I thought that was a
21 hypothetical autopsy.

22 **DR. NETON:** No, this is actually a person. This is that
23 case that we had just shown. So it gives us some comfort
24 that what we're saying is true. I mean, you don't see a
25 lot of plutonium in the liver which is what you'd

1 intuitively expect if it's hanging out in the lungs so
2 that maybe you're at half life. It just can't be in two
3 places at once.

4 **DR. MAKHIJANI:** How frequently was this person
5 bioassayed?

6 **DR. NETON:** It looks like he was sampled on an annual
7 basis or so down in (unintelligible) '55 to '60-ish. Got
8 a couple samples here. So our best reconstructed dose,
9 this would be a traditional dose reconstruction if there
10 were no super-S, we would have predicted this person had
11 a type-S intake and given him this dose. Now we showed
12 earlier the lung dose is going to be way up in here, but
13 the liver dose, as we show with that autopsy sample, is
14 down in here somewhere.

15 **DR. MAKHIJANI:** Now how do we know that the liver
16 accumulation wasn't due to a type-M or some more soluble
17 form of uranium because it could be a mixture of intakes
18 and that you kind of mixed?

19 **DR. NETON:** But it could only be lower then. It's never
20 going to be higher than F or S. The more soluble it is,
21 the higher the liver value's going to be over time with a
22 chronic exposure. It just has to be. It's leaving the
23 lung, becoming systemic, depositing in the liver. The
24 liver has a very long clearance time so the liver is a
25 very good integrator of what your systemic burden had

1 been.

2 So I don't see any physical mechanism where, as the
3 material becomes more soluble, that this value could
4 actually go up higher. The more tenaciously it's
5 retained in the lung, the less is going to get to the
6 liver, and that's what this autopsy point shows. So if
7 we model it as an S or an M, we're going to end up with
8 an overestimate of the dose.

9 And if there were S or M, we're okay. We've done the
10 right thing because it's at least that. If it's super-S,
11 it's going to be lower than that. So that's our position
12 on this. I think Sam Glover who's on the phone is
13 looking through these Trans-uranic Registry cases to find
14 more examples that fits this analysis.

15 **MR. GRIFFON:** I think that, for me anyway, some things
16 are a little hard to do in real time. I'm looking at
17 that graph and saying, all those non-detects, where is
18 that detection, I mean, I agree with you completely, but
19 I like to do the graphs.

20 **DR. NETON:** Over time, if you're giving a person the mda
21 and it's inconsistent constantly, the only way it can get
22 to the liver is from the bloodstream. So whatever's
23 coming out in the urine is a good indicator of what's in
24 the bloodstream. That's all I'm really saying here.

25 **DR. MAKHIJANI:** But the dose conversion factor for liver

1 for type-M is bigger than the dose conversion factor for
2 lung type-S.

3 **DR. NETON:** It's the same. Once it gets in the liver it
4 doesn't matter whether it was S, M.

5 **DR. MAKHIJANI:** Don't you have re-circulation between the
6 organs?

7 **DR. NETON:** But once it becomes systemic, they all behave
8 identically. The metabolic model is independent of the
9 lung model. If it becomes in the bloodstream, it doesn't
10 matter how it got there, it behaves the same. Once it's
11 in solution in the blood there is no chemical difference
12 in the body. It's how it dribbles into the bloodstream
13 that's important, but once it becomes systemic it's
14 irrelevant.

15 **DR. MAURO (by telephone):** Jim, are you saying that if we
16 have, if we can establish a relationship between the
17 integrated total amount of becquerels excreted in the
18 urine, let's say over a 30-year period, and the dose to
19 any organ?

20 **DR. NETON:** Yes.

21 **DR. MAURO (by telephone):** That's the key, so we could
22 bypass the whole IMBA concept of models and simply have a
23 relationship whether the IMBA-based or empirically-based,
24 I guess I'm not quite sure, but you feel that the
25 integrated release excretion in the urine is directly

1 proportional to the dose of any organ other than the
2 lung? And I guess, and the lung and the lymph nodes, but
3 if you are concerned about a dose to the liver, let's
4 say, bone, kidney you could actually develop such a
5 relationship?

6 **DR. NETON:** We don't really have to though, John.
7 Because all you have to do is feed the system, and if you
8 can't make it type M, and you're feeding the system over
9 a period of ten years, it's a reservoir that's feeding
10 into the bloodstream, and let's say they're all below the
11 mda, and you just have to feed enough material in there
12 to be at the mda over time, and IMBA will calculate a
13 dose for it. I mean, it really -- Roger has something --

14 **DR. FALK:** It probably is fairly important to think about
15 the probability of the causation rather than total dose
16 because for the optimum probability of causation you want
17 to get the plutonium into the organ quickly and basically
18 type-M does that, whereas, type-S and type-SS dribbles in
19 there slowly. Therefore, even though you may have the
20 same organ dose, the probability of the causation depends
21 upon when prior to the onset of the cancer. So that is
22 something we really to be thinking about also.

23 **DR. NETON:** I think probably type-M would be the better
24 solution where you would, it would clear the lung fairly
25 rapidly, maintain the systemic burden or if you have a

1 chronic scenario over time, you're systemic burden is at
2 a constant level being fed by this chronic inhalation.
3 So then you're just taking the material from the lung,
4 transferring it to the systemic compartment, and you're
5 excreting a certain portion which is what you'd be using
6 to base your dose on in the urine, but there's a known
7 partitioning between the blood and the organ.

8 **DR. MAKHIJANI:** Sorry, I mean, I'm doing real-time
9 questioning here. We obviously need to look at TIB-0049.

10 **DR. NETON:** Well, this is not in TIB-0049 by the way.

11 **DR. MAKHIJANI:** Okay, maybe slides. If you go backward,
12 and Hans has raised this question before and Joyce is on
13 so maybe they can correct me or amplify or whatever. I
14 just want to put it on the table and maybe let the others
15 take it up.

16 **DR. LIPSZTEIN (by telephone):** Arjun, I can't hear you.

17 **DR. MAKHIJANI:** If you have type-S and are starting from
18 bioassay or super-S and are starting from bioassay,
19 you're inferred lung burden is going to be very, very
20 high compared to if you assume it was type-M. And then
21 with that much, much higher lung burden and a slower rate
22 of leakage from the lung, first of all, you won't get a
23 steady state elsewhere because other organs are all the
24 time accumulating this especially bone, for instance.
25 And so you will never get to a steady state in any organ

1 in the body. And so the whole idea of modeling it as a
2 steady state would appear to be not right. And you may
3 get a higher dose because of the higher lung burden.

4 **DR. NETON:** I disagree with you.

5 **DR. MAKHIJANI:** Is that right, Joyce?

6 **DR. LIPSZTEIN (by telephone):** Yes, that's right,
7 absolutely.

8 **DR. NETON:** Joyce, would you agree with me that up until
9 the last bioassay sample the person leaves though, it
10 doesn't matter.

11 **DR. LIPSZTEIN (by telephone):** I'm sorry; can you repeat
12 again?

13 **DR. NETON:** If you have bioassay sample for, let's say
14 every year, as long as that person's leaving bioassay
15 samples, you have bounded the amount of plutonium in the
16 system. The bioassay samples are directly correlated to
17 how much is in the bloodstream. (unintelligible) is
18 irrelevant. You would exceed the mda at some point,
19 yeah, I'll grant you that. But as long as you have ---

20 **DR. LIPSZTEIN (by telephone):** No, no, no, no. It's not
21 directly correlated, the urine samples are not directly
22 correlated to what is in the systemic.

23 **DR. NETON:** Why not?

24 **DR. LIPSZTEIN (by telephone):** That's the problem. The
25 urine, going out in the urine is not directly correlated

1 to what is in the systemic. There is some correlation,
2 but it's not perfect.

3 **DR. NETON:** What I'm saying is that -- you have to
4 believe, Joyce. I can't believe you just made that
5 statement that with the amount that's in the urine is not
6 correlated to how much is in the bloodstream. All the
7 ICRP models are based on that basic premise that there is
8 a certain amount in the blood that is excreted through
9 the urine.

10 **DR. LIPSZTEIN (by telephone):** No, what happens is
11 because you have values (unintelligible) blood then it's
12 very difficult to -- let me rephrase it. What you see in
13 the urine is correlated to what is in the systemic
14 organs, but it's not a direct, well, it's direct, but
15 it's not to be a perfect correlation coefficient. So
16 depending on the day you measure the samples, the
17 correlation coefficient will be different. That's one of
18 the problems that we have with urine samples.

19 **DR. NETON:** Joyce, what I'm saying though is that in
20 worse case, let's say that all of the amount measured in
21 the blood is what came from the feeder compartment of the
22 lung going into the organs. What you're saying is, yes,
23 there's recycling between the organs, but that would just
24 make the dose lower. If we assume --

25 **DR. LIPSZTEIN (by telephone):** The telephone is terrible.

1 I can't hear you well. Hello?

2 **DR. NETON:** What I'm saying is if we assume that all of
3 the material that's in the systemic compartment, in the
4 system, is coming from the lung and remembering that
5 fraction in the urine, then that will be an overly
6 conservative estimate of what's going into the organs. I
7 know what you're saying. You're saying that there is a
8 certain amount in the blood that's related to recycling
9 from the systemic organs.

10 **DR. LIPSZTEIN (by telephone):** Exactly, yes.

11 **DR. NETON:** We're assuming that it's all coming directly
12 from the lung and depositing into the organs.

13 **MR. GRIFFON:** So recycling, only lower.

14 **DR. NETON:** Recycling, only lower the dose.

15 **DR. LIPSZTEIN (by telephone):** Recycling will -- no,
16 because it (unintelligible) back to the organs again.

17 **MR. GRIFFON:** I think what Jim's saying is his assumption
18 would be bounding.

19 **DR. NETON:** Yeah, it's bounding. Whatever's coming out
20 there's a certain fraction that's leaving the blood, and
21 we're inferring how much is in the system at that time,
22 time X. How much is available to be deposited in the
23 systemic organs at some time post-intake. That's all
24 we're saying.

25 **DR. BEHLING (by telephone):** But Jim, recycling will not

1 lower the organ dose, in fact, it raises it. Consider
2 the option that nothing in from the blood to the urine
3 and it's totally recycled. You wouldn't obviously
4 maximize your doses to non-metabolic organ or metabolic
5 organs.

6 **DR. NETON:** There is a known excretion fraction coming
7 into the urine at all times post-intake for plutonium.
8 The systemic compartment clears for the urine. That's
9 how you can do bioassay --

10 **DR. LIPSZTEIN (by telephone):** Yeah, the compartments
11 they clear to the urine, but they clear to the blood
12 also. (unintelligible) again to the blood will come back
13 to the organs --

14 **DR. NETON:** I understand that.

15 **DR. LIPSZTEIN (by telephone):** And a (unintelligible) to
16 the urine also.

17 **DR. NETON:** Let's put our arguments on paper. We're not
18 obviously going to get past this.

19 **MR. GRIFFON:** Yeah, I think we need to see TIB-0049 and
20 the supporting documents we've got here, and then maybe
21 we can move the ball after that, but we can't do it this
22 way.

23 **DR. LIPSZTEIN (by telephone):** I think the only bottom
24 line that I would like to see is that when you, what I
25 agree with you is that when you calculate the lung dose

1 if you take the (unintelligible) retained from time,
2 that's the best thing. But when you were talking about
3 systemic this is not the case, and I think you said that,
4 right?

5 **DR. NETON:** Well, I'm not sure.

6 **DR. LIPSZTEIN (by telephone):** So for example, if you are
7 calculating the dose to the bone, for example, and you
8 are, let's say, coming back from urine, okay? You have
9 bioassay results from urine. If you use those 18 years
10 that you were talking about for calculating the dose to
11 the lungs, the dose to the lung for the 80 years half
12 life is much higher than if you calculated with
13 (unintelligible). But if you are calculating the dose to
14 the bone, for example, or to the liver, if you take type-
15 S coming back from urine samples, you will find a higher
16 dose than if you used these 18 years.

17 **DR. NETON:** Well, I find that incredible to believe, but
18 we'll talk about it, Joyce. I mean, you can't have a
19 higher dose in a lung and a higher dose in the systemic
20 organs at the same time. It's virtually impossible.

21 **DR. LIPSZTEIN (by telephone):** Yes, that's what I'm
22 talking about. That's exactly what I'm saying.

23 **DR. MAURO (by telephone):** Jim, what I think I heard was
24 type-S for systemic organs is more limiting than super-S.

25 **DR. NETON:** That's all we're saying. That's what I

1 started off with my conversation saying.

2 **MR. GRIFFON:** Yeah, we're in agreement there, I think.

3 **DR. LIPSZTEIN (by telephone):** We're in agreement, yes.

4 **DR. MAURO (by telephone):** But there is one --

5 **DR. NETON:** And there's even more (unintelligible) and
6 that's all I was saying the whole time. I think we're in
7 agreement here.

8 **MR. GRIFFON:** On that one, on that part anyway, yeah.

9 **DR. NETON:** Well, but that's our basis. Our basis is
10 that S is more favorable to the claimant than S for
11 systemic organs. That's all I said originally.

12 **MR. GRIFFON:** M is more favorable.

13 **DR. NETON:** And M is more favorable than S because it
14 clears much more quickly. You know, you've got that lead
15 time. There's a reservoir (unintelligible) the lung and
16 clearing.

17 **MS. MUNN:** Can NIOSH and SC&A resolve single issue on a
18 single phone call elsewhere?

19 **MR. GRIFFON:** We will. There was some documents to
20 provide us. We'll go from there. I think we need to
21 move on. Anything else on this topic because this calls
22 for a break, too, so --

23 **DR. MAURO (by telephone):** Mark, before we break --

24 **MR. GRIFFON:** Hold on, John. Arjun's got a point and
25 then you.

1 **DR. MAKHIJANI:** Jim's obviously put not only a lot of
2 thought but done a lot of numbers with real bioassay
3 data, and if we could just have these calculations which
4 are not part of TIB-0049, but if we could just see the
5 cases and the underlying data, I think this discussion
6 will be just simplified.

7 **DR. NETON:** I think possibly we could schedule on of
8 these technical conference calls among ourselves where
9 were could take minutes and notes --

10 **MR. GRIFFON:** Is that data available? Do you know where
11 that data would be, that kind of data?

12 **DR. NETON:** Autopsy case data would be available.

13 **DR. MAKHIJANI:** I mean, a conference call would really be
14 productive. If we could see this in advance though, we
15 need to see the numbers in advance.

16 **MR. GRIFFON:** Yeah, okay.

17 And John, you wanted to comment?

18 **DR. MAURO (by telephone):** Yeah, that's what I was going
19 to say. It sounds like we need a subdivision of the
20 working group because we've got a hot item here, and
21 we're going to need a very, very tight, we're going to
22 have to sit down and really roll up our sleeves and zero
23 right in on this one.

24 **DR. NETON:** It sounds like we have a basic agreement.
25 We're just coming at it from two different perspectives

1 so I think we can deal with that.

2 **MR. DeMAIORI (by telephone):** The steelworkers have a
3 question. Tony DeMaiori. How many years does it take to
4 refine a model before you can have confidence in its
5 ability to accurately predict?

6 **DR. NETON:** That's a really good question. I think that
7 the time required to refine the model is directly related
8 to how close your subjects are to the data at hand. And
9 we're using Rocky Flats workers for this model. Now
10 we've had nine cases at Rocky Flats. We have 123 cases
11 of plutonium autopsy data.

12 I think we can refine the model, and let me say what we
13 do is we create the model, but then since we don't have
14 time to refine it to the nth degree, we end up picking
15 the most conservative case among the design cases to
16 apply. So you end up being very conservative in your
17 models. That is, pick the model that gives you the
18 highest dose.

19 **MR. DeMAIORI (by telephone):** So what are we looking at,
20 one year, five years, ten years to refine this model?

21 **DR. NETON:** TIB-0049 model is basically done. It's ready
22 to go.

23 **MR. GRIFFON:** But, Tony, I think the other -- this is
24 Mark Griffon, the other part of maybe the answer to your
25 question is part of what we consider in this petition

1 process is the feasibility. And NIOSH is looking at that
2 and the Board is also looking at that feasibility. It
3 has a time element. So we will be considering that as we
4 look as we go along here certainly.

5 **MR. DeMAIORI (by telephone):** Great, thank you.

6 **DR. LIPSZTEIN (by telephone):** And there's one more
7 thing. We're doing a whole model it's just one parameter
8 or two in the (unintelligible) sometime in the lung.

9 **DR. NETON:** Right, we're not developing an entire new
10 lung model, Joyce, we're modifying a few parameters based
11 on the data that we have, and that's clearly called out
12 in the ICRP that allows you to evaluate case-specific
13 data and develop a custom (unintelligible). It depends
14 on how far you take that is where the litmus test is.
15 The last thing I want to talk about and move on --

16 **MR. GRIFFON:** We're being called to a break so I don't
17 know if this is --

18 **DR. NETON:** This will take just five minutes.

19 Just this concept of the GI tract dose. That's a
20 separate issue because if you think about it, we have,
21 now you have a bolus of super insoluble material in the
22 lung. It's not clearing very fast. Why it's not
23 clearing is sort of a mystery almost because there are
24 some people arguing that the chemical solubility is
25 driving it to be slow or it's not as chemically soluble.

1 Some argue, and there's some good data to support this,
2 that there is mechanical issues, mechanical clearance
3 isn't there. This has been the case for workers like at
4 Mayak where you have large lung doses, develop scarring
5 of parenchymal tissue and it's just not, it's lodged in
6 there. It's not moving out.

7 So again, one could sort of intuitively figure that any
8 material that's deposited will clear more slowly in the
9 GI tract and give a small dose, but the question remains
10 what is the lung burden. We propose to use the urine
11 data, the ratio of what's in the urine data for these
12 test cases to the lung burden data and figure out,
13 develop the additional amount of intake that is there
14 based on urine data that we've seen from design cases,
15 estimate that intake for super-S -- and then clear it
16 with the standard ICRP clearance rate.

17 Right now, the ICRP model has no differential clearance
18 for mechanical purposes. If it's D or S, W or whatever,
19 it all mechanically clears it the same way. We propose
20 to mechanically clear it with the standard default model
21 which is probably an overestimate but we don't know how
22 much to reduce it. We'll just leave it at the standard
23 default. So we'll increase the intake based on the urine
24 and then clear it with the standard mechanical clearance
25 of the ICRP model. And then that will deliver --

1 **MR. GRIFFON:** The results are conservative you said.

2 **DR. NETON:** -- which is also conservative. So we believe
3 we can do that. We don't have TIB on this
4 (unintelligible) document, but that's our conceptual
5 model.

6 **MR. GRIFFON:** Are you going to provide --

7 **DR. NETON:** Yeah, we'll provide documentation on all of
8 this.

9 **MR. FITZGERALD:** Did you actually do the same kind of
10 comparison using some fecal data of (unintelligible)
11 Rocky to get to the same kind of --

12 **DR. NETON:** We need to do that. We haven't done that.
13 We need to caucus with our internal dosimetry expert to
14 see what fecal data may be available to do that. To my
15 knowledge that has not been done for this project.

16 **MR. FITZGERALD:** I think what you're saying is that you
17 feel the ICRP model, the default model, will be bounding.

18 **DR. NETON:** In the sense that the ICRP mechanical
19 clearance model is independent solubility type.
20 Mechanical clearance is mechanical clearance. It just
21 clears like it does. The only difference in the ICRP
22 model is that the chemical dissolution of the material is
23 different. So we're going to use the default clearance
24 model, the independent solubility type, to develop the
25 (unintelligible). It's a reasonable approach. I don't

1 know any other way we can do it. We could slow down
2 clearance. In fact, I think that the custom model, the
3 mechanical clearance had to be slowed down to a certain
4 degree to account for the long-term retention in the
5 lung. But now knowing exactly how that works, we'd just
6 be more comfortable (unintelligible).

7 **MR. GRIFFON:** Let's take a ten-minute break. And keep it
8 short because we're going to try to adjourn 4:00, 4:30-
9 ish, a short ten minutes.

10 (Whereupon a break was taken from 3:10 p.m. until 3:20
11 p.m.)

12 **ISSUE NINE: DATA INTEGRITY**

13 **MR. GRIFFON:** At this point I wanted to skip to, in the
14 matrix, skip ahead to number nine, I'm being told. This
15 is the Chair's prerogative. Is that what you're supposed
16 to say? Because I have to leave a little early at four
17 o'clock, and I want to cover nine which involves data
18 integrity issues and ask NIOSH if maybe they can give us
19 a report back on that to start.

20 **DR. ULSH:** Sure, we made a lot of progress on most of
21 these issues. Comment number nine actually consists of
22 about six -- the way I count them -- six separate issues
23 and Joe Fitzgerald presented a slide at the I think it
24 was the Oak Ridge meeting that laid out I think quite a
25 few bullets, five or six or so, of programmatic issues

1 that they had questions about the reliability of the
2 data. And those are laid out here in our comment
3 responses. I might ask some of the ORAU team to go
4 through and talk about what we've found so far on these
5 issues.

6 Just to give you a feel for what kinds of questions are
7 being asked after 1964 I believe it was dosimetry badges
8 were incorporated with the security badges. And so there
9 were questions about why there should be blanks or zeros
10 in the record after that time if every was, in fact,
11 monitored. Those are some issues that we're prepared to
12 talk about. And there were a few other issues. So I'm
13 going to turn it over to Jim Langsted to begin walking
14 through this.

15 **MR. LANGSTED:** First of all there were a couple of
16 comments that talked about the neutron dose
17 reconstruction project needs to be documented in a tech
18 basis document. And the sequence of this was that
19 technical basis document was originally written while the
20 neutron dose reconstruction project was still in
21 progress. And since then the dose reconstruction
22 project, neutron dose reconstruction project has finished
23 up, they have published a document, and they have turned
24 data for all of the claimants over to the Department of
25 Energy that's turned it over to ORAU. So the results of

1 their work are now into the dose reconstruction project.

2 I have written some sections that will go into the
3 revision of the tech basis document that describe in
4 general what the neutron dose reconstruction project was.

5 And the dose reconstruction organization has written a
6 technical basis or an OTIB that instructs the dose
7 reconstructors how to use that data in the dose
8 reconstructions.

9 What the file formats are that they're getting; what
10 those numbers mean, and how to put that together. So
11 that whole package wraps up now to give the dose
12 reconstructors significantly more data than they had
13 before in terms of neutron detail for those individuals
14 the neutron dose reconstruction project did their work
15 for.

16 **MR. GRIFFON:** Can you refresh my memory? Who did the
17 neutron dose reconstruction?

18 **MR. LANGSTED:** The neutron dose reconstruction project
19 was done by ORAU, another arm of ORAU, (unintelligible)
20 and this guy here was the chief health physicist on that
21 project, Roger Falk.

22 **MS. MUNN:** And the OTIB number you referred to?

23 **MR. LANGSTED:** Is number 50. And it has been approved
24 and is out in, I assume it's probably in the packet
25 that's gone to these guys or they'll be reviewing.

1 **DR. NETON:** SC&A?

2 **MR. LANGSTED:** I don't know if SC&A has seen that. No,
3 this is the NDRP(unintelligible) TIB.

4 **(unintelligible):** No, we haven't seen it, but --

5 **DR. NETON:** It's out there on the standard drive. It was
6 not specifically forwarded to you guys upon signing so I
7 don't know whether you would need it or not. It's
8 available on the standard network drive.

9 **MR. GRIFFON:** SC&A should review that. That'll be an
10 action, I think.

11 **MR. BUCHANAN (by telephone):** Yes, this is Ron Buchanan.
12 Yes, SC&A has reviewed OTIB-0050.

13 **MR. GRIFFON:** I'm sorry to interrupt, one more question
14 on that. Did the supporting documents that you just
15 mentioned, are those on the O drive as well, the report
16 and all the, I mean, they're outside of OTIB-0050.

17 **MR. LANGSTED:** Yes, the neutron dose reconstruction
18 project protocol which is (unintelligible) report --

19 **DR. FALK:** Is part of the Rocky Flats site profile
20 documents.

21 **MR. GRIFFON:** Is this part of the site profile documents?

22 **DR. FALK:** Well, not the site profile, but the supporting
23 documentation for Rocky Flats (unintelligible).

24 **MR. GRIFFON:** So it would, I just want to be able to find
25 it.

1 **MR. BUCHANAN (by telephone):** This is Ron Buchanan again,
2 yes, it was posted on the O drive February 7th, '05 is
3 this date.

4 **MR. GRIFFON:** Okay, thank you, sorry to interrupt.

5 **MR. LANGSTED:** Completeness of the external exposure data
6 unmonitored personnel. As we've discussed earlier I
7 believe the dosimetry at Rocky Flats was not a hundred or
8 not all employees were monitored initially at Rocky
9 Flats. They did only monitor those that they felt would
10 exceed ten percent of the radiological protection guide
11 at the time. So that does create a challenge for the
12 dose reconstructors to go back and reconstruct the dose
13 for those individuals that weren't monitored.
14 But they have put together a program that does a bunch of
15 maximizing assumptions initially to see if a person
16 reaches the compensation limit or not. And then
17 conversely, they've got some minimizing assumptions that
18 they use to see if a compensatable person falls below
19 the line. And if neither one of those, well, if they do
20 fall above or below, thank you very much, they're either
21 compensated or they're not. And if they're in the
22 middle, then they go to a more rigorous and exacting dose
23 reconstruction. It does require that they use the
24 neutron or use coworker data and a neutron-to-gamma
25 ratios in some cases.

1 One of the things that, the byproducts that came out of
2 this neutron dose reconstruction project is a carefully
3 evaluated set of neutron-to-gamma ratios for the early
4 years, and the years through which the neutron dose
5 reconstruction project analyzed data, another tool that's
6 of value to the dose reconstructors.

7 **MR. GRIFFON:** Let's return to one for a second. It
8 mentions in there the Ruttenberg data. Did NIOSH
9 consider the Ruttenberg at all?

10 **DR. ULSH:** We're still attempting to get that.

11 **MR. GRIFFON:** He hasn't released that.
12 Sorry once again. Go ahead.

13 **MR. LANGSTED:** Let's see, there was a question about
14 missed extremity dose. The neutron dose reconstruction
15 project recognized that there were a group of workers,
16 well, there have been a number of workers at or lots of
17 workers at Rocky Flats that were not monitored for
18 extremity dose. And again, the plant did not recognize
19 or did not -- or not recognize, but these individuals
20 were not likely to get significantly greater dose than to
21 the extremities than to the rest of the body and so they
22 did not put extremity monitoring on them. However, there
23 were many individuals that were monitored for extremity
24 dose if they were hands-on workers. So there is a good
25 set of coworker data that can be used to estimate the

1 dose for those individuals, extremity dose to those
2 individuals where it's needed.

3 And this frankly, is not, does not happen in a lot of
4 cases because there's only a few cases where there are,
5 and actually the dose reconstructors can tell you this,
6 but there's only a few cases where there are really
7 extremity cancers that need to be, where the extremity
8 dose needs to be evaluated differently than the body
9 dose.

10 Another issue that was recognized was the missing
11 quarterly results. Even though Rocky Flats monitored
12 everyone on plant site from 1964 through about 1991, the
13 records show missing dose in some quarters of
14 individuals' files. My experience at Rocky Flats, and I
15 was there from '77 through '90, was that it was very
16 unusual, in fact, it was an oddity to see someone on
17 plant site that did not have a dosimetry badge on.
18 Now I was in the dosimetry business so I probably paid
19 more attention to this than most people did. But I'll
20 tell you what, if you didn't have a security badge on you
21 weren't going to get very far on plant site, and the
22 dosimetry badge was an integral part along with the
23 security badge. So it was very unlikely that somebody
24 walked around without a dosimeter on.

25 What happened, Rocky Flats did not have an extremely

1 tight exchange enforcement program. In other words, if a
2 person was asked to exchange their badge on the badge
3 board this following week, and they did not do that,
4 Rocky Flats often did not follow up on that. Now workers
5 that were monitored on a biweekly or a monthly basis
6 their management was much tighter on that than
7 individuals that wore a badge for a quarter. In fact,
8 many of the people who wore badges on a quarterly basis
9 in today's day and age would not need to be monitored and
10 would not have a badge on.

11 However, what would happen then is the individual would
12 end up wearing the badge for two exchange periods and so
13 when the badges were processed and that individual's
14 badge was not on the badge board, actually their new
15 badge was still hanging on the badge board, did they
16 recognize that, that badge would not get processed, and
17 either a zero or a blank would go into that individual's
18 file for that month or that period.

19 Then the next period when they exchanged the badge, the
20 badge would now have two quarters worth of dose on it.

21 What they would do is read out the badge, and all of that
22 dose would be credited to that second exchange period. I
23 never saw an example where they tried to prorate the dose
24 and put it into the two exchange periods, obviously
25 because you don't know for sure how that's done.

1 **DR. ULSH:** And if I could interrupt just briefly. If you
2 think about what would happen in terms of how we would
3 handle the dose reconstruction methods. It would
4 actually, if they missed an exchange cycle and all of
5 their dose that they accumulated over those two cycles
6 were piled into one, we would then assign in addition to
7 that, missed dose for that other exchange cycle. So it
8 would actually be higher than if they had exchanged their
9 badges.

10 **MR. FITZGERALD:** So you could (unintelligible) if it were
11 a legitimate dose for that one period.

12 **MR. LANGSTED:** Exactly right.

13 **MS. MUNN:** That's such a problem. That's a real problem.

14 **MR. LANGSTED:** Now this was back in the days at Rocky
15 Flats where people took their badges home every night.
16 One thing when you connect the security badge with the
17 dosimetry badge, they would check people to make sure
18 they had their security badge as they left the plant
19 site. They ended up having to take their dosimeter home
20 with them. So there was not, when you went to exchange
21 the badges, if a person was sick, if a person was working
22 in a different building or something like that, it was
23 tougher to do the exchange. So all of that added up to a
24 lot of doses.

25 And we have looked at a number of cases specifically when

1 we were looking at this, and you'd see, I mean, for
2 example, you'd see a worker that had a badge on a month,
3 month, month, month, month, month, month basis. And then
4 all of a sudden you'd see them with a quarter badge and a
5 one-quarter badge and a couple of blanks, then a quarter
6 badge then a blank, then a couple of quarter badges.
7 That was looked like the case where the individual was a
8 production worker originally on a monthly badge.
9 Then they went to a management position where they, or a
10 planner or something where they were not working the
11 production areas, went on to a quarterly badge and then
12 they just kind of fell from the routine of changing their
13 badges from every exchange. So it created some holes.
14 But we did have a continuous badging situation and we do
15 have a continuous badge record if the data or when the
16 data was recorded as it was.
17 Okay, zeros versus blanks. This depends on the period
18 that you are looking at. When computer databases first
19 came into being, a field would be designated as a numeric
20 field and dang it, you had to have a number in that
21 field. So if they had a non-exchange situation, a zero
22 would get placed in that field for an individual. Later
23 on it was recognized that there's a difference between a
24 zero and a blank so let's put a blank in that field in
25 place of a zero and the databases were updated to be able

1 to do that.

2 And that's where the genesis of the term no current data
3 available came from. If a blank was available or a blank
4 was in that field, we then go to run out a report for
5 that individual, they recognize that rather than putting
6 a zero there, they put a term like no current data
7 available. So workers would see that and go, hey, what's
8 the situation.

9 In fact, I had some situations where they'd come up and
10 they'd say, wait a minute. And we'd go back and look in
11 the records lo and behold, you didn't exchange your badge
12 last period. Is that correct? Well, maybe. Let's see
13 the badge you're wearing. Oh, it has last month's tag on
14 it. So --

15 **MR. DeMAIORI (by telephone):** This is Tony DeMaiori with
16 the steelworkers.

17 **MR. GRIFFON:** Yes, sir.

18 **MR. DeMAIORI (by telephone):** We had several criminal
19 investigations at Rocky Flats over exceedingly high doses
20 that were ended up reported no current data available.
21 I'm making a statement of fact here. Sort of tell the
22 Board that always a missed badge with no current data
23 available and that's where it came from. I don't believe
24 that's correct.

25 **MR. LANGSTED:** What was the time period this occurred?

1 **MR. DeMAIORI (by telephone):** Oh, this time period
2 occurred from the early '80s we were getting no current
3 data available. When I worked in Building 771, the time
4 period during the Gable lawsuit when what they had wasn't
5 considered an extremity.

6 **MR. LANGSTED:** I'm sorry, how does the had not considered
7 an extremity --

8 **MR. DeMAIORI (by telephone):** Let's stick to the no
9 current data available. There were several
10 investigations at Rocky Flats as to why individuals' dose
11 for the quarter or for the month exceeded their
12 coworkers. And when I mean investigations, I mean
13 criminal investigations that's for the internal dosimetry
14 department to produce a no current data available. So to
15 say that that was used strictly for a badge that wasn't
16 turned in during a monthly or quarterly I believe is very
17 inaccurate. I believe that those investigations will
18 prove that to be true.

19 **DR. MAKHIJANI:** Could I ask a question about that? Is
20 the paperwork from these investigations available?

21 **MR. DeMAIORI (by telephone):** Not to us.

22 **DR. MAKHIJANI:** Who would have it? If there were
23 criminal investigations, presumably there's some
24 paperwork to go with it.

25 **MR. DeMAIORI (by telephone):** Absolutely, and I'm sure

1 that you have a better ability to obtain than we do.

2 **MS. MUNN:** Who's the plaintiff?

3 **MR. DeMAIORI (by telephone):** There were several
4 individuals who were investigated onsite for having too
5 high of doses.

6 **MS. MUNN:** Who investigated them?

7 **MR. DeMAIORI (by telephone):** Internal security out at
8 Rocky Flats, those would be Security records.

9 **MS. MUNN:** And the legal proceeding was brought as a
10 result?

11 **MR. DeMAIORI (by telephone):** I don't know if a legal
12 proceeding was brought, but the investigations were
13 conducted.

14 **DR. MAKHIJANI:** Could you provide us with the names of
15 the workers who were investigated? Because obviously a
16 very important point of difference that's necessary to
17 resolve.

18 **MS. HOMOKI-TITUS:** Don't provide those on the transcript,
19 please.

20 **DR. MAKHIJANI:** Okay, yes.

21 **MR. GRIFFON:** We should follow up on this issue offline
22 but and see when this investigation was and try to get
23 some details out of this because it's, is that okay,
24 Tony?

25 **MR. DeMAIORI (by telephone):** Yeah, that's okay.

1 **MS. THOMPSON (by telephone):** Another point of
2 clarification, and you guys probably know this, and it
3 might not be clear on the phone, but --

4 **MS. MUNN:** Who's speaking please?

5 **MS. HOMOKI-TITUS:** I'm sorry, you need to identify
6 yourself before you start speaking.

7 **MS. THOMPSON (by telephone):** Jennifer Thompson. And you
8 keep saying that the security badges and dosimetry badge
9 were one. I'm assuming that you know that was only for a
10 certain specified period of time because at least in the
11 last 15 years that wasn't the case.

12 **MR. LANGSTED:** You're absolutely correct. In about 1991,
13 the badges were separated and dosimetry for those that
14 were not expected to exceed 100 millirem per year were
15 discontinued.

16 **MS. THOMPSON (by telephone):** Right, and that's how you
17 ran into those problems with the (unintelligible) workers
18 in 371 and some of the other buildings where people
19 actually got dose above the 100 millirem and did not have
20 dosimeters including a pregnant person in Building 371,
21 and there was an investigation into that because in the
22 later years not everybody had a dosimeter.

23 **MR. LANGSTED:** That's correct.

24 **MR. FITZGERALD:** So from at least '64 then to '91 is the
25 span where you had the integrated security

1 (unintelligible).

2 **MR. LANGSTED:** Well, let's see, I'm marching through my
3 list of item, issue nine. One of the issues that was
4 brought up during the review that's cited in number nine
5 is the fact that in 1968, 1967 and 1968, several workers,
6 several, 85, 86 and 87 people exceeded the five rem per
7 year number.

8 And the question was how can you use that limit then as
9 an indicator of what dose an individual might have
10 received without tripping alarms and using it as an
11 (unintelligible) estimator for a missed dose. And if you
12 look at the, back in history, 1968 is when the Atomic
13 Energy Commission implemented the five rem per year limit
14 for occupational workers. Prior to that it was three rem
15 per quarter or 12 rem per year.

16 And if you look at the Rocky Flats records, there were
17 workers, there were many workers that were above five rem
18 per year but less than 12 rem per year in the years just
19 prior to 1968. And then in 1968 when the five rem per
20 year limit was put in place, there were no workers that
21 were over five rem per year. So the plant control
22 systems were put in place then to monitor workers and
23 keep those doses down to less than five rem per year.
24 So that break is very important to recognize when you
25 look at that the table of workers or of doses for workers

1 at Rocky Flats. And in fact, the dose limits that the
2 Task Five group uses when they're doing their estimations
3 recognizes this limit dropping from 12 to five rem per
4 year. And that table is in the technical basis document,
5 the tool available for the dose reconstruction.

6 Exposures to low energy photons, neutron exposures to low
7 energy photons, a technical basis document does recognize
8 the fact that the film is relatively insensitive to
9 neutrons below about 800 keV. And a bias correction
10 factor is developed based on spectrum measurements that
11 were taken at Rocky Flats and a worker or a claimant-
12 favorable bias correction factor is identified and put
13 into the dose reconstruction process to take credit for
14 any dose that would be missed from neutrons with lower
15 energies.

16 And this is quite claimant favorable because, in fact,
17 the spectrum that were used to calibrate the dosimeters
18 back in that time period were designed to be
19 approximately the same or as close to as they could the
20 neutron spectra that they were exposed to, the plutonium
21 workers were exposed to. And taking credit for missed
22 dose is actually probably not needed, but it is claimant
23 favorable to do that.

24 **MR. BUCHANAN (by telephone):** This is Ron Buchanan. I
25 had a question before you move on on that. When the

1 NDRP(unintelligible) was finished, are they going to use
2 the table 618 in the TBD on page 34 of the original TBD,
3 are they going to use that table on top of the NDRP data
4 or will that eliminate that table 618?

5 **MR. ROBINSON (by telephone):** This is Al Robinson with
6 the ORAU team. For what we've set it up and it's talked
7 about in OTIB-0050 is that for non-compensable cases,
8 well, the both compensable and non-compensable cases, we
9 apply the 2.5 factor only to the portion of the dose that
10 is original unchanged dose. The NDRP re-read dose and
11 the notional dose do not get that 2.5 factor. So a
12 portion of the dose does get the 2.5 factor.

13 **MR. BUCHANAN (by telephone):** Okay, thank you for that.
14 Now a second question on the same line. It says it had
15 some new neutron energy measurements. Now are they still
16 talking about the P&L measurements or is this some new
17 data from real time when the processes were taking place?

18 **MR. LANGSTED:** It is the P&L data.

19 **DR. MAKHIJANI:** I have a question about the low energy
20 neutrons. I noticed in the (unintelligible) report that
21 the moderated plutonium fluoride neutron had an average
22 energy of .15 meV so that it almost the entire
23 distribution of neutron energies would be below the NTA
24 detectible. I don't understand how the dose for
25 plutonium fluoride moderated neutrons is to be assigned

1 for that period.

2 **DR. FALK:** What you would have is, when you have the
3 average energy for a neutron spectrum it's probably
4 closer to a log normal distribution; whereas, you still
5 have a significant portion of the energy carried by the
6 higher energy neutrons that are not or maybe minimally
7 moderated. So you still have a part of the neutron
8 spectrum above the threshold that's going to give a three
9 or four grain track. And then when you calibrate the
10 film to film exposed to a moderate plutonium-fluoride
11 source, which is what we did, then you have calibrated to
12 a moderated spectrum and that tends to compensate for the
13 neutrons less than the threshold which is needed to
14 generate the photon ionization track of three and two-
15 four(unintelligible) grains which is then readable.

16 **DR. MAKHIJANI:** I didn't see any distribution, I read, I
17 didn't read (unintelligible) report. I kind of scanned
18 it quickly but I did not see any distribution of neutron
19 energies that would have provided for the moderated
20 neutrons. Because (unintelligible) pretty low if you
21 take 700 keV as the detection limit, you know, that's
22 almost a factor of five above your average energy. The
23 average energy would be above the median energy, so
24 you're already, I don't know how long a tail you've got
25 there.

1 **DR. FALK:** Well, what I will share with you is that when
2 you expose neutron film to a moderated plutonium-fluoride
3 source, you find tracks, and you find a fairly
4 significant track density essentially even relative to
5 the unmoderated sources.

6 **DR. MAKHIJANI:** I trust you, what you're saying. It just
7 would be useful to have (unintelligible) so that it will
8 register. Sorry, even though you're across from me. So
9 that it would be useful to see the neutron energy
10 spectrum for moderated neutrons.

11 **DR. FALK:** I'm not sure we have the spectrum, however.

12 **MR. GRIFFON:** But you have the calibration data. Maybe
13 that would be --

14 **DR. FALK:** -- but we have the calibration data.

15 **DR. MAKHIJANI:** I don't get it. If you don't have the
16 spectrum, how can you calibrate, how can you calculate --

17 **DR. NETON:** You just don't have the measured spectrum, I
18 mean, you generate the spectrum of moderated neutrons,
19 but you don't capture the exact distribution of the
20 energies of the spectrum.

21 **DR. MAKHIJANI:** I guess I have to think about this in not
22 real time.

23 **DR. NETON:** It's common practice. When you calibrate
24 something, you don't necessarily generate the spectrum.

25 **DR. FALK:** But you do know what the dose rate is.

1 **MR. BUCHANAN (by telephone):** Yeah, this is Ron Buchanan.
2 How do you know that dose? How was that dose rate
3 determined?

4 **DR. MAKHIJANI:** I think we have to look at the
5 information in more detail.

6 **DR. FALK:** The way we did it back in the '60s was that
7 the plutonium-fluoride source was calibrated down at the
8 Los Alamos graphite pile so that we knew the source for
9 (unintelligible), the neutrons per second. And then we
10 calibrated one of the neutron survey instruments which
11 was the Hankins ten-inch (unintelligible) around the
12 VF3(unintelligible) tube which is a pretty good dose rate
13 meter for that type of the neutrons. And then we used
14 that to basically calibrate (unintelligible) source
15 (unintelligible), which is calculated out through the
16 center of that VF3(unintelligible) tube. And then once
17 you had that calibrated, then you put your moderator
18 around the source and they were spherical shells that we
19 put around the actual spherical source. And then use
20 your calibrated survey meter, the Hankins
21 sphere(unintelligible) to then measure the dose rate at
22 the distance that you're going to calibrate the
23 (unintelligible). That is the method.

24 **MR. BUCHANAN (by telephone):** Okay, thank you.

25 **MR. GRIFFON:** I just put that as an action, maybe you

1 can, if there's some document describing that method or -
2 -

3 **DR. FALK:** There is a paper captured for the Rocky Flats
4 onsite data which is Mann(unintelligible) and
5 Voss(unintelligible), I believe, 1964, a Rocky Flats
6 document.

7 **MR. LANGSTED:** And that's referenced in the basis
8 document.

9 **MR. GRIFFON:** We should follow up on that. Maybe you can
10 --

11 **DR. FALK:** It shows the polyethylene shells that were
12 used to moderate the source. Actually, there was a set
13 of them going from about two and a half centimeters
14 (unintelligible) the angle of radius out to seven
15 centimeters. And then we made another one out to nine
16 and a half centimeters. So we had a complete set there.

17 **DR. MAKHIJANI:** This is obviously something we have to
18 look at.

19 **MR. LANGSTED:** Okay, a couple more items out of this
20 issue nine. In 1993, the Defense Nuclear Facility Safety
21 Board inspector was told about a potential problem with
22 the algorithm where the low energy chip in the Panasonic
23 dosimeter may not have had the correct factor applied to
24 it. We're in the process of researching that now and see
25 if we can find the managers that dealt with that.

1 This was during the time when the DOELAP accreditation
2 process was coming into play. Sites were scrambling to
3 get their systems so that they would respond correctly to
4 the DOELAP standards that had been designed. We were
5 sending Rocky Flats dosimeters up to PNL(unintelligible)
6 to be exposed to these standard exposures and then
7 reading them out. So during this process it's not
8 surprising that the algorithm was under refinement to
9 bring it in line with the DOELAP process at the time.
10 We're researching that now and don't have the
11 documentation on that at this point.

12 The final issue had to do with some House Committee on
13 Energy and Commerce testimony that was given by the GAO.
14 And the senior GAO manager that was testifying was
15 talking about a clearly convoluted discussion talking
16 about instrumentation at Rocky Flats, air sampling at
17 Rocky Flats, and dosimetry at Rocky Flats. Reading
18 through all of the testimony that was presented and the
19 written testimony that was presented with it we can't
20 make good sense out of what he was saying. It was clear
21 that he was a senior manager that had been fed data by
22 his other folks.

23 We're looking at that to see if we can make sense out of
24 it. But the question that SC&A asked -- let me look at
25 my notes here. Well, no, I'm sorry, I'm thinking about a

1 different thing, but it's not clear that the calibration
2 of the dosimeters was the issue there or if it was
3 calibration of the instruments. Again, this was about
4 the time that standards were being promulgated by the
5 national standards organizations that DOE was adopting
6 and having to do with calibrating instruments to more
7 rigorous standards than they had before. So this very
8 well may have been the issue.

9 **DR. MAKHIJANI:** I actually raised this issue having read
10 the testimony and my main question about that relates
11 actually to the pre-'64 period. I don't know, the
12 testimony itself doesn't relate to the pre-'64 period,
13 but there are zeros and blanks in the pre-'64 period that
14 we've observed in our review (unintelligible). And those
15 are obviously of a different nature than the ones you
16 described when people were wearing badges and didn't turn
17 it in for one period and the badge was read in the next
18 period where you could fill in the gap relatively easily.
19 The question of the zero entry when a badge is not turned
20 in or not worn and whether it relates to something like
21 what we discovered at Nevada Test Site where people would
22 take off their badges when they went into forward areas
23 because they didn't want to be bumped from employment or
24 overtime pay or not overtime, hazardous duty pay.
25 I don't know whether this kind of situation arose at

1 Rocky Flats or whether there's some other explanation for
2 the zeros and blanks in the pre-'64 period. So my
3 question didn't relate to the calibration side of the
4 testimony, but to the zero side. I think there was a
5 congressman who actually asked this question.

6 **DR. ULSH:** I think we might be mixing a couple of issues.
7 The one that Jim is speaking about, the testimony by the
8 GAO before the House Committee on Energy and Commerce, it
9 was actually Mr. Schaffer(unintelligible), I believe,
10 probably Bob Schaffer from the Fort Collins area, was
11 asking about calibration of air monitors and the GAO
12 person, Mr. Wells, was talking about how there were
13 problems with calibrations in those instruments.

14 And I think what Jim was saying is interchangeably he was
15 using questions about the air sampling equipment and the
16 dosimetry. So it's not clear that which was actually
17 being referred to. The issue of blanks and zeros is a
18 separate issue.

19 **DR. MAKHIJANI:** I don't have the (unintelligible)
20 testimony in front of me and it's not in the part that
21 Joe just handed to me, but this is from memory. But
22 there is a portion in the testimony when Congressman
23 Schaffer(unintelligible) and Mr. Wells are discussing the
24 question of zero being entered when badges were not
25 handed in. And so it's not clear to me that it's in the,

1 just in the post-'64 period or whether it applies to the
2 pre-'64 period. There wasn't a time discussion there,
3 but clearly since there are blanks and zeros, I think
4 more in the pre-'64 period.

5 Here's the exchange. It's on page 107 of our review.

6 Mr. Shaffer: If a dosimeter was not returned should an
7 estimate of the (unintelligible) radiation have been
8 made? What's the result of not making that? Well, we
9 think perhaps an estimate would have been included would
10 certainly have been better than to report zero exposure.
11 So that's the piece. So he was clearly saying that there
12 was zero exposure reported when badges were not being
13 turned in, and so I think clearly it's not a question
14 that delimited, at least it's not obvious that it was
15 delimited to the post-'64 period. So I don't know what
16 we do about the --

17 **MR. GRIFFON:** And it may not be. I mean, I think the way
18 you answered the question earlier was that if that, in
19 fact, happened, then the person would have still have
20 that badge, and it would have been carried through in the
21 next analysis, correct? But that's on clear Arjun's
22 saying.

23 **DR. MAKHIJANI:** It's not clear that's what happened in
24 the pre-'64 period because in the pre-'64 period the
25 badge and the security identification were not

1 integrated. And with the same problem, the reason it
2 kind of raised an eyebrow on my part was I finished the
3 NPS(unintelligible) interview. And when I talked to Mr.
4 Brady who was involved with Health Physics there for
5 decades, he had indicated that a lot of this problem of
6 taking off the badges and so on was solved to a large
7 extent in his opinion, was solved when the integrated
8 badge was introduced. And so I wondered obviously
9 whether the same problem arose at Rocky Flats when there
10 wasn't an integrated badge.

11 **MR. LANGSTED:** Well, first of all the testimony there is
12 1994 testimony so it's very likely that they were talking
13 about post-'64 activities.

14 **DR. MAKHIJANI:** Post-'90 actually. Possible.

15 **MR. GRIFFON:** But it doesn't negate his question.

16 **MR. LANGSTED:** Correct. No, the question if valid. And
17 a pre-1964 zero could be from just the, you know, as I
18 was explaining earlier, the non-exchange of the badge.
19 Or it could be from a badge that, he's implying a badge
20 that was lost.

21 **DR. FALK:** Can I jump in with two feet here?

22 **MR. LANGSTED:** You bet.

23 **DR. FALK:** As part of the neutron dose reconstruction
24 project we actually reconstructed both the neutron and
25 the gamma timelines essentially through 1989, about

1 through 1969. Nineteen seventy was not a well-behaved
2 year, and we weren't able to successfully do that. Back
3 in the pre-'70s, I did not see a practice where there
4 were zeros without having a film there to be read. And
5 they would read the density in the film areas for the
6 gamma, and they would have a neutron film. The timelines
7 that we reconstructed had blanks, and, in fact, blanks
8 were then, if they were blanks for the timeline for the
9 neutron monitoring in a plutonium-related area, we
10 actually assigned neutron doses to those blanks. And
11 there were a lot of blanks. And for the beta-gamma there
12 was never --

13 **MR. GRIFFON:** I was just going to ask there. You said
14 you assigned the neutron dose. How did you assign it,
15 just based on (unintelligible).

16 **DR. FALK:** That's based on --

17 **MR. GRIFFON:** It's in your report, right?

18 **DR. FALK:** That is in chapter 11 in the neutron dose
19 reconstruction project protocol of which you have a copy.

20 **MR. GRIFFON:** But it was based on minimum effectual limit
21 or --

22 **DR. FALK:** It was based on gamma ratios. You have to
23 have a gamma, also there's a combination method. If
24 there were monitored neutron doses, they used average
25 neutron dose per day or whatever and then they used a

1 combination method. It is stated in the protocol.

2 And also looking at the beta-gamma worksheets, about the
3 only time there was a zero was when there was a red film
4 and they had (unintelligible) readings of basically zero.
5 So that wasn't an issue back in the '50s and '60s.

6 **DR. MAKHIJANI:** So I guess you don't have the same
7 problem of employment practices at Rocky Flats that they
8 have at Nevada Test Site. I mean, when I came across
9 this at Nevada Test Site, I went back and looked at the
10 history. And they did have employment practices that
11 sort of essentially encouraged people to minimize their
12 dose by not wearing their badges, things like that.

13 **DR. FALK:** I'm not able to testify for all periods, but
14 for periods that I know about that was not done.

15 **MR. DeMAIORI (by telephone):** I would like to speak on
16 behalf of the United Steelworkers. This is Tony
17 DeMaiori. Absolutely, we had the same incentives and de-
18 incentives to us. We paid (unintelligible) pay. We paid
19 area allowance. We paid respirator pay, and if you were
20 burned out, you were moved to the south side of the plant
21 or to the waste treatment operations, and you earned less
22 money, no premium pay, overtime.

23 We saw it when we had to clean out the duct systems.

24 Chemical operators would put screwdrivers through filters
25 when the filters were plugged so that they could keep

1 operating their lines. This is something that I think's
2 pretty universal in the nuclear industry as individual's
3 doing things that weren't necessarily correct for
4 different reasons.

5 And so that's not correct. I don't believe that's a
6 correct statement at all. We saw it in the D&D
7 operations. I can't tell you how many safety items we
8 fixed that really weren't what the problem was. And the
9 money has always been an issue, absolutely, the money's
10 an issue. So that is not a correct statement.

11 **MR. GRIFFON:** Tony, to you're the best of your knowledge,
12 do you know if people have told you or in the petition
13 itself have alleged that they didn't wear their badge at
14 certain periods or put down their badge when they were
15 working on hot jobs, anything like that?

16 **MR. DeMAIORI (by telephone):** You know, I know during the
17 lawsuit with Don Gable and his family, that's when he got
18 brain cancer and died. I was a young chemical operator
19 in 771 and there was a lot of talk over is his dosimetry
20 correctly showing dose. Line one where people were being
21 burnt out sent over to Waste Treatment. I saw an
22 individual throw a badge into a salt can. Fifteen
23 minutes later pulled it out. Everybody said, hey, let's
24 see if it's going to show a higher increase and sure as
25 heck it didn't, no current data available.

1 You know, when you work on the floor and processes,
2 people do a lot of things that aren't procedurally
3 correct for their own purposes. During the D&D
4 operations a lot of short cuts were taken for financial
5 gain by the individuals on the crews. I mean, you see a
6 lot of this sort of things, and it's really hard, really,
7 really hard to keep a handle on.

8 So what you saw in Nevada you'll see at any nuclear site
9 in the country. That's absolutely the truth because of
10 the incentives, because of the rewards. Myself as a
11 chemical operator in 771, I picked up my paycheck every
12 Thursday. With that I would sign my weekly dosimetry
13 report; I would initial it.

14 And the weeks that I didn't have high dose my manager,
15 George Stapleton, would tell me, hey, Ton, you're going
16 to have to back in this office for your paycheck. And
17 I'd tell him, what do you mean, George? He say, well,
18 you didn't do nothing last week. Look at these low
19 readings. So you know, this is not correct, absolutely
20 not.

21 **DR. ULSH:** This is Brant Ulsh with NIOSH. We've actually
22 heard similar statements at a number of different sites,
23 not just at Rocky Flats, about, you know, I was getting
24 close to my limit so I left my badge in my locker, things
25 like that. We do have ways for handling situations like

1 that. Number one, if a claimant can identify when that
2 happens that's one situation. But that's not very
3 common. We're talking decades after the fact, in some
4 cases even survivors.

5 But we also have technical methods. I don't want to get
6 too far down in the weeds here, but the methods of
7 (unintelligible) where we can look at Z plots of badge
8 reads, and we can see an abnormal behavior of the dose
9 over time. And we can go back and adjust those doses
10 because that could reflect either exactly what the
11 worker's alleging, that I left my badge in my locker. Or
12 it can reflect they were getting close to the limit so
13 they were pulled out of the area. In either case we can
14 go back in and adjust those doses that are assigned in
15 situations like that.

16 **MR. DeMAIORI (by telephone):** Well, and I understand what
17 you're saying, but when we're talking about dose
18 reconstruction, we're talking about a perfect world with
19 all the facts. And so for us to say, I mean, I've got a
20 lot of things I could say. You know, we didn't certify,
21 what year did we certify our dosimetry lab?

22 **DR. ULSH:** Nineteen ninety-one.

23 **MR. DeMAIORI (by telephone):** Okay, so from '91 forward
24 we knew we had a certified lab. And then I could talk
25 about the practices at Rocky Flats when one of the female

1 workers would get pregnant. Where did we send them to
2 work? Internal dosimetry lab.

3 **MR. GRIFFON:** Tony, just for the record, we need the
4 spelling of your last name. I'm sorry.

5 **MR. DeMAIORI (by telephone):** D-E capital M-A-I-O-R-I.

6 **MR. GRIFFON:** Thank you.

7 **MR. DeMAIORI (by telephone):** But in the internal
8 dosimetry lab, that's where we dumped all the pregnant
9 workers. We gave them a two-week crash course on how to
10 do their job, and it didn't matter if they came from
11 janitorial staff. It didn't matter where they came from.
12 Didn't matter what their skill level was. They ended up
13 in the dosimetry lab. So you know, we can talk this
14 until we're blue in the face. Hello?

15 **MR. GRIFFON:** Yeah, we're still here. I just want to
16 get, I think we've touched on most of these issues now
17 anyway. I know that we haven't resolved every one of
18 them, but and the pre-'64 zeroing question, I think I'd
19 like to see your report. I don't think we've all looked
20 at it. And TIB-0050, I'm not sure. I think you have
21 looked at that, but --

22 **DR. MAKHIJANI:** Ron's looked at it.

23 **MR. GRIFFON:** But the supporting full dose neutron dose
24 reconstruction report, have you looked at that?

25 **MR. FITZGERALD:** (unintelligible) these issues were

1 matters of substantiation which just begins. We did see
2 these anecdotal references. We did get this from
3 interviews, and it wasn't the ability to take it to
4 ground the (unintelligible) we got. So I think this is
5 the beginning of the process of trying to make some sense
6 of what we're seeing, to try and establish whether this
7 is pervasive, systematic or whether, in fact, these were
8 explainable aberrations based on the operational history
9 of the plant.

10 So this is helpful. I think if we get more documentation
11 of this sort -- and Tony, I guess I would say if there's
12 documentation or substantiation to some of the issues
13 you're raising as well, that would help us understand
14 where there were investigations, where there were
15 additional corroborating pieces of information. That
16 would help take this from an anecdotal stage to one where
17 there's actually some basis.

18 **MR. GRIFFON:** Let me also ask before I have to go, which
19 is 15 minutes ago, if the, I'm trying to understand if
20 there's a coworker model for Rocky, and if, this is sort
21 of the same question that we went down with Y-12, how
22 many of the current petitioners would require coworker
23 data for their case to be reconstructed? That sort of
24 question and for internal and external, I'm talking. And
25 then the question becomes has that coworker model been,

1 you know, it's the validation question there.

2 **DR. ULSH:** It's a little different situation at Rocky
3 Flats than at some other sites like maybe Y-12 where we
4 relied to a great extent on CER data. At Rocky Flats we
5 do have CER data, but we have access to original data as
6 well. So you may not run into a lot of these validation
7 issues that popped up for the CER data.

8 **DR. NETON:** It's a similar situation, I think, because if
9 we do use the electronic Rocky Flats data, then you're in
10 an analogous situation to Y-12 which is do we --

11 **MR. LITTLE:** We have some, for example, 1965 to 1970 we
12 have PDFs of original data, handwritten data.

13 **DR. NETON:** Oh, no, I agree. I agree, but what we're
14 saying is let's say that if we go and use the Rocky Flats
15 electronic data to develop coworker models, then we still
16 would need to go back and take these handwritten sheets
17 and compare them against the electronic data to make sure
18 that we have, you know, that the data agree.

19 **MR. LANGSTED:** We have an interim step there that Kaiser-
20 Hill did for us. When Kaiser-Hill was requested by DOE
21 to provide a claimant file for the NIOSH process, they
22 would pull the paper Health Physics file for that
23 individual. And Rocky Flats was good at putting the
24 information in that paper file.

25 And then they compared that with their electronic

1 database that they had online at the time. And that
2 included data that they had brought up from previous
3 databases and one of the gentlemen that works on the
4 NIOSH project actually worked at Rocky Flats and spent
5 five or more years of his career maintaining that
6 database or improving that database. And what Kaiser-
7 Hill did was a QC check where they would put down the
8 paper file, and then they would look at the electronic
9 results.

10 And they would put those on a sheet together and score
11 those and QC them. And if they found a problem, they
12 would dig into it. You know, maybe a portion of the guys
13 file didn't come over because he was actually a
14 contractor for somebody else prior to that. And they
15 would pull that together. And they provided that QC
16 sheet along with the, usually the first couple pages in
17 the external dosimetry file for that individual. So that
18 gave us some pretty good information on the validity of
19 that information.

20 **MR. FITZGERALD:** This is a searchable database by
21 identifier. You could actually use that to corroborate
22 then your actual --

23 **MR. LANGSTED:** Correct, correct.

24 **DR. NETON:** So it sounds like we're one step closer.

25 **MR. GRIFFON:** Might be a step closer, yes.

1 **DR. NETON:** I think to have that written up somehow in
2 shape or form would probably be a good thing.

3 **MR. GRIFFON:** That'd be useful.

4 **MS. THOMPSON (by telephone):** But actually -- this is
5 Jennifer Thompson -- he brings up a very interesting
6 point in that when Rocky Flats was there, and you had
7 access to the Kaiser-Hill folks, you could that. You
8 can't get that anymore. Steve Baker was the person who
9 used to do that, and he's obviously not there anymore.
10 So for people that file after today, you're not going to
11 necessarily have that level of accuracy coming from
12 anywhere because the records have all been filed away
13 now.

14 **MR. LANGSTED:** That's not exactly true because the
15 records the records are still at the Denver federal
16 center, and there is, DOE Legacy Management has taken
17 over the records for Rocky Flats.

18 **MS. THOMPSON (by telephone):** Yup, I'm just saying that I
19 don't think you're going to get the same service you got
20 before because there's not going to be a dosimetry expert
21 interpreting those records for you and doing any kind of
22 QC on them.

23 **MR. LANGSTED:** Well, right now Legacy Management does
24 have people in place to pull the records and assemble
25 them for transfer over to Rocky Flats. Ken

1 Sabbatz(unintelligible), the guy who I was talking about
2 who did work on this before is still under contract with
3 Legacy Management to assemble that data. And so we are
4 still seeing access to all of those records, and we're
5 seeing personnel available to pull them together.

6 **MR. GRIFFON:** It's like Jim said, we might be one step
7 closer here. I think, and of course, (unintelligible) we
8 have in those kind of cases as QC was done on an
9 individual level, but I doubt that any, well, I don't
10 know whether that QC effort would have modified the
11 database at all. So if you're going to use some coworker
12 model from the database, you may have all this QC
13 information never even gets considered when you're
14 looking at the electronic CER database. So there's some
15 information out there I think.

16 **MR. SMITH (by telephone):** This is Matt Smith on the
17 line. For the record I'm the author on OTIB-0050, and
18 I'm currently working on the external.

19 **MR. GRIFFON:** We can't hear you.

20 **MR. SMITH (by telephone):** How's this? Does that sound a
21 little better?

22 **MR. GRIFFON:** Yes, much better.

23 **MR. SMITH (by telephone):** Just for the record I'm author
24 on OTIB-0050, and then I'm also working --

25 **MR. GRIFFON:** Matt Smith? Is that --

1 **MR. SMITH (by telephone):** Matthew Smith, yes, with ORAU
2 team. I'm also working on the external Rocky Flats data.
3 Just to let everyone know with the coworker datasets that
4 have already been processed through, it's been our
5 standard procedure to go ahead and cross-check any
6 (unintelligible) data against actual dosimetry records
7 that we have on hand, and that procedure will be followed
8 for this effort as well.

9 **MR. GRIFFON:** Thank you. I'm talking about coworker
10 uses, but that's great.

11 **DR. MAKHIJANI:** A week or so ago, that's the 21st of
12 February, we sent, Joe sent a memo to Jim and Brant and
13 raising, a lot of the neutron dose issues have been
14 addressed applicable to the (unintelligible) and
15 rechecking, but there was a whole set of issues related
16 to the gamma doses pre-1976. And so we are a step
17 closer, but I wondered if they probably overlap with your
18 preparation of the document you sent us a few days later.
19 So maybe there'll be a supplemental response to some of
20 those things to the extent they haven't been answered
21 for.

22 **DR. ULSH:** We do intend to cover all the issues in the
23 document that you sent over six days ago. You're right;
24 it's not reflected currently completely. We've hit a
25 couple of points in there. We haven't gone through

1 (unintelligible) point.

2 **MR. LANGSTED:** Was that the additional two issues?

3 **DR. ULSH:** Yes.

4 **MR. LANGSTED:** They're answered in the last pages of this
5 thing you handed out today.

6 **DR. MAKHIJANI:** Did we get all the issues?

7 **MR. LANGSTED:** Yeah, (unintelligible) issue one and two,
8 and there is the answer for each of them. That was an
9 attempt to answer both the issues that you brought up in
10 that memo.

11 **DR. ULSH:** We're have a change in --

12 **MS. MUNN:** I think we have all the action items that are
13 necessary on the discussion here. The only other item
14 that I have that was open for discussion was from our
15 item four on the matrix regarding the americium
16 calculations. We were going to do something about that.

17 **DR. ULSH:** Yes, for this issue we initially misunderstood
18 the comment. We interpreted it as is it really valid to
19 use americium as a surrogate for plutonium in lung
20 counting. And after discussions with SC&A we realized
21 that that wasn't really what they were asking at all. It
22 had more to do with what about situations where we were
23 dealing ultra-pure plutonium where the americium had been
24 separated from it and how would we go about bounding the
25 dose or calculating the dose in those situations. And

1 Roger Falk has provided a pretty good write up on this
2 issue so I'm going to turn it over to Roger and let him
3 explain.

4 **MS. MUNN:** So this wasn't in vivo assumptions about
5 americium calculations?

6 **DR. ULSH:** I don't think. I hesitate to speak for SC&A,
7 but I don't think you were questioning that measuring
8 americium gammas is, that's an okay thing to do to count
9 the plutonium. It was what about situations where you
10 have an inhalation of fresh plutonium.

11 **MR. FITZGERALD:** Or recycled plutonium

12 **DR. MAURO (by telephone):** This is John Mauro. You're
13 correct. A concern that we expressed the last time we
14 met and discussed this matter and in our recent write ups
15 had to do with there are, there might be classes of
16 workers where the plutonium that's inhaled may have very
17 little, if any, americium associated with it. And
18 therefore, the chest counts won't reveal the presence of
19 that plutonium.

20 **DR. ULSH:** Now that we have a handle on what you're
21 really asking, I'll let Roger maybe go into some of the
22 details.

23 **DR. MAKHIJANI:** Just to more fully explain the concern,
24 there are two levels in this concern. The one level is
25 how fresh is the plutonium. The other level is the same

1 plutonium run through Rocky Flats a number of times. So
2 each time you remove the americium, you're essentially
3 removing what used to be Plutonium-241 so when you send
4 it back out, the amount of Plutonium-241 is less than in
5 freshly made, weapons-grade plutonium where you have a
6 certain ratio.

7 You have six percent Plutonium-240 and send them out
8 Plutonium-241, but you don't, if you send it back after
9 15 years, purify it and take out the americium, you don't
10 have the same amount of Plutonium-241. It's reduced by a
11 factor of two. So the second time around you don't have
12 the same starting point for Plutonium-241. So there are
13 the two levels of concern regarding pure plutonium and
14 how much americium is generated, what the algorithm for
15 it is.

16 **DR. FALK:** This is something that we were actually aware
17 of in the real time, and so we put into place, I think
18 around 1972, a process -- well now, actually we started
19 in 1969 to measure or to have radiation monitors give a
20 representative sample of the exposure material for all
21 known possible inhalation accidents. And then that was
22 sent to a counting lab to then calculate the parts per
23 million of the americium in that sample by using the
24 ratio of the 60 keV to the L X-rays(unintelligible). And
25 also starting in 1972 if we got situation in the part of

1 the operation that we knew was essentially pure plutonium
2 and we know where that was starting at line 15 where on
3 the ion(unintelligible) exchange columns in Building 71
4 they had separated out the pure plutonium and then later
5 on separated out the americium, and then that went to
6 line one. And we heard people say that line one was a
7 very high gamma field. Yes, it was. That's where the
8 purified americium went. And then line 15 was actually
9 where they had the actual precipitation of the purified
10 plutonium. It went through the (unintelligible) lines 17
11 and then went through the (unintelligible) line 17 and
12 then went to --

13 **MS. MUNN:** Hello? This is a conference call. You were
14 spliced into our conference.

15 **UNIDENTIFIED CALLER (by telephone):** I'm sorry.

16 **MS. HOMOKI-TITUS:** Lew, are you all still there?

17 **DR. WADE (by telephone):** I can still hear you.

18 **DR. FALK:** -- and so if we had a possible exposure in the
19 operations where first of all we knew it was likely
20 purified (unintelligible) americium, we did not rely only
21 on the lung count, but we also did urine sampling, and we
22 also did fecal sampling. Also, for situations that had
23 the initial parts per million less than 200, which is
24 fairly low, we put that worker on a quarterly recount for
25 his lung count for the next four quarters, exactly one

1 year afterwards.

2 Whereas, at that point there would be americium have
3 built in that was starting to have sensitivity. So, yes,
4 there are circumstances where there were purified
5 plutonium. And then that button was then put in the
6 vaults and probably wasn't used for maybe three or four
7 months. So then you'd had some more americium built in
8 and we built in at a rate of about 20 parts per million
9 per month. So you had the (unintelligible).

10 Now the second part about the Plutonium-241 content. One
11 of the things that Rocky Flats did was to meet weapons-
12 grade specifications they blended old and new plutonium.
13 The new plutonium came from Hanford and also the Savannah
14 River but wasn't used as such. It as blended with the
15 (unintelligible) plutonium to maintain the certain spec.
16 Now also our technical basis document, we noted that
17 although the spec for the Plutonium-241 seemed to be a
18 fairly constant at around .5 percent of
19 body(unintelligible) weight, in 1976 based on the
20 environmental impact statement studies, they were down to
21 .36; and therefore, we have specified in the technical
22 basis document that from 1976 forward you should use the
23 lower number.

24 Now once we get to the end of the production in 1989,
25 then of course, see Rocky Flats Technical Basis Document

1 which was the site basically aged that from that point
2 forward. So those issues have been covered.

3 **MS. MUNN:** Happy with that? Those are the only issues
4 that we had on the table today. Are we all on the same
5 page?

6 **DR. ULSH:** Were there any other SEC issues that we're
7 missing?

8 **MR. FITZGERALD:** No, those were the four plus one at this
9 point in time. I think the key ones were clearly the
10 high-fired issues for the internal side, the data
11 integrity issues which I think we clearly need some more
12 of the documentation that was referred to, but I think
13 this takes us further along. I think we're pretty
14 satisfied with the further explanation of the americium
15 and then we didn't really spend time with the NDRP, but I
16 think there again, looking at '50 and looking at how
17 that's going to be implemented will go a long ways to
18 telling us how that neutron-photon(unintelligible) as
19 well the NDRP works.

20 I think that where it leaves us on Rocky is we've got a
21 fair amount of homework to do on the high-fired. I think
22 we've already referred to the fact we're going to look at
23 the OTIB and then have a, I think it's a real good idea
24 to have a technical conference call and just really have
25 time to hash this out. Clearly, (unintelligible)

1 internal dosimeters around stand back and see what
2 happens. Hopefully, we'll be on the same page by the
3 time --

4 **DR. NETON:** It might be better to even get a face-to-face
5 meeting of the dosimetry folks because as you can see
6 talking about graphs and figures and tables over
7 telephones --

8 **MR. FITZGERALD:** Just to put this one to bed I think it's
9 almost worth it.

10 **DR. MAURO (by telephone):** Jim, this is John. During the
11 break I was sort of thinking about trying to, what's the
12 single question I would like answered that would sort of
13 answer the question for me is on this whole internal
14 dosimetry is are there any circumstances where the
15 assumption that it's type-S would not give you the
16 highest dose to the system organs? That is, in effect
17 what you were saying, and I know I'm re-opening a little
18 bit, but I'm trying to simplify it because it got awful
19 complicated.

20 In effect what you're saying is if you assume, if a
21 person's exposed to high-fired plutonium but you assume
22 it's type-S, under all circumstances you're going to come
23 up with a higher dose to his systemic organs than if you
24 assume it was super-S. Is that, I mean, when it all
25 boils down, that's my understanding of what your position

1 is.

2 **DR. NETON:** Actually, I think I would say that if it were
3 M, you'd end up with a higher dose.

4 **DR. BEHLING (by telephone):** Does that apply to starting
5 with a urine sample though?

6 **DR. MAURO (by telephone):** Yes, I'm sorry, Hans. I
7 didn't make myself clear. Starting with a urine sample,
8 whatever the mda is. You can pick any mda you want. I
9 know that's an issue. Starting with that and then you're
10 saying whatever the organ is I want to count, you know,
11 starting with the mda, I want to come up with a dose to
12 some systemic organ, the kidneys, bone.

13 If you assume it's type-S, you get the highest dose as
14 opposed to assuming it's super-S. That's what I
15 understand your position is. And it sounds to me it's
16 very easy to determine that by running a number of IMBA
17 runs where you vary the kinetics of the super-S to see if
18 there's any circumstances where the half-time of the
19 clearance, changing that, whether or not that would give,
20 you could find the situation where, no, super-S will give
21 you a higher dose.

22 If you can't find one of those, that is, you're searching
23 for it but you can't, I think you've put the question to
24 bed.

25 **DR. NETON:** Well, I don't think you need to do that

1 though because all I'm really saying is we need to bleed
2 the amount of plutonium out of the lung into the blood to
3 get the urine sample to be at the detection limit. Let's
4 say there's never a positive uranium. All I'm saying is
5 every single sample has been at the mda. It really
6 doesn't matter whether it's S, super-S. All I'm saying
7 is it's right at the detection limit for the entire
8 history of the worker's exposure.

9 **DR. MAURO (by telephone):** Yeah, and I'm okay with that,
10 but see, one of the things I realize is that as you make
11 the clearance -- let's say you're talking super-S. As
12 you make it lower and lower and lower, what that means in
13 order to get a detectable level or just at the mda, the
14 amount that has to be inhaled starts to go up. So
15 therefore, it might be a non-linear thing, that is --

16 **DR. NETON:** No, no, John, what I think happens, and
17 that's what I was trying to get at with Joyce, is it's
18 very true up until the point of the last bioassay sample
19 that the urine is being fed by, it doesn't matter how
20 much is in the lung, it's just saturating the system and
21 you're getting at the mda levels. What happens is after
22 the last sample and the guy retired, then you start still
23 having that compartment feeding.

24 And it's true what Joyce said that the value could go up
25 after the last sample. That's where we're going to rely

1 on this autopsy data to show that that indeed has not
2 happened. We need to have another meeting I think.

3 **DR. MAURO (by telephone):** Yeah, that's for sure.

4 **DR. BEHLING (by telephone):** Jim, I'm not so sure I agree
5 with that because I've run IMBA for several cases that
6 I've audited and substituted M for S and found that that
7 S actually gives you a higher dose to a specific organ
8 than type M.

9 **DR. LIPSZTEIN (by telephone):** That's right.

10 **MS. MUNN:** Let's have another meeting and talk about it.

11 **DR. NETON:** But are you doing acute or chronic intakes,
12 Hans?

13 **DR. BEHLING (by telephone):** Well, I used whatever they
14 assumed in their particular model which sometimes
15 involved both. There were periods of chronic and then
16 there was discrete acute intakes et cetera, and I just
17 basically kept as they had assumed without knowing, and
18 they didn't know whether it was type M or S either. I
19 just made the assumptions that they used but substituted
20 S for M and I came up with a higher dose.

21 **DR. NETON:** Well, I think we're comparing apples to
22 oranges here. We need to sit down. I think we're
23 probably going to end up in the same place but --

24 **MR. FITZGERALD:** I think that's where we are, yeah.

25 **DR. NETON:** -- we need to meet.

1 **MS. MUNN:** It's pretty clear we have to have another
2 meeting. I hesitate to even talk about calendars without
3 Mark here, and he will be back tomorrow.

4 **MR. FITZGERALD:** Well, I think it's going to be
5 conditional, OTIB-0049.

6 **MS. MUNN:** Primarily it's the technical people who need
7 to agree on a date.

8 **DR. NETON:** I think what we'll do with that if it's okay
9 with the subcommittee is that we will agree to meet among
10 ourselves, and we'll make sure that everyone on the
11 subcommittee is aware of those dates and times and
12 certainly available to sit in and listen, but we'll be
13 under the pretense of a technical discussion among
14 ourselves with minutes to follow.

15 **MR. PRESLEY (by telephone):** This is Bob Presley. I
16 think that's a good idea, Jim.

17 **DR. NETON:** And that way we can work with our own
18 schedules and try to accommodate the subcommittee but --

19 **MS. MUNN:** Good, I'm sure that one or two of us can
20 probably make it.

21 **DR. WADE (by telephone):** Just for the record, it's a
22 working group not a subcommittee.

23 **DR. NETON:** I'm sorry, working group, yeah. I think I
24 would like to do this before the conference call on the
25 14th of the month.

1 **MR. FITZGERALD:** I think what you're saying is a TBD in
2 about a week or two. I think that'd be --

3 **DR. NETON:** Yeah, in a week or so or ten days maybe we
4 can have, we'll get you the documents that you need and
5 then maybe in the middle of the week before the
6 conference call.

7 **DR. MAKHIJANI:** So you'll get us the dose reconstruction
8 that you've done and the TIB-0049 in a week or so?

9 **DR. NETON:** We will try. Let's, I'll go back and confer
10 with our technical folks and make sure we can meet these
11 schedules.

12 **DR. MAURO (by telephone):** Jim, even if it's only TIB-
13 0049, that'll get us going, and if we could schedule
14 something shortly thereafter, if you could also find an
15 example, that's even better. But TIB-0049 would
16 certainly be the trigger.

17 **DR. MAKHIJANI:** Jim, it would help if TIB-0049 is
18 essentially at the stage of signature, release that in a
19 day or two and then give us these numbers. A little bit
20 down the line maybe we could have a meeting on the 13th or
21 something.

22 **DR. NETON:** And I think I might be able to come up with
23 some examples to illustrate what we've been talking about
24 here in a better form. And maybe when Hans and Joyce and
25 I and others can sit down, we'll just have a chat. I

1 mean, and I think maybe face-to-face isn't the way to go.
2 I don't know. I don't want to make people travel more
3 than necessary, but this is an important issue.

4 **DR. MAKHIJANI:** This is a very important issue. It has
5 huge implications not only for --

6 **DR. BEHLING (by telephone):** Arjun, can I ask a question?
7 This is Hans. And it seems like you may have addressed
8 this issue earlier, but you and I had talked about the
9 issue of the pre-1976 merging of deep
10 dose(unintelligible) between photons and neutrons and the
11 complexity that it might create especially also with item
12 number nine that you discussed regarding missed doses or
13 zero doses. Has that been resolved, Arjun?

14 **MR. FITZGERALD:** That's the new issue.

15 **MS. MUNN:** That's the new issue apparently as Joe says so
16 maybe you need to talk offline to Arjun and Joe about
17 that Hans because we're really rapidly winding down here.

18 **DR. MAKHIJANI:** Brant, you had a quick response to that.

19 **DR. ULSH:** Are you talking about separating gamma from
20 neutron before --

21 **DR. BEHLING (by telephone):** Yeah, and it ties into the
22 issue in comment number nine about zero or blank doses.
23 It basically focuses on the pre-1976 data was blended
24 between neutrons and photons into quarterly doses. In
25 other words they were collated if you were monitored

1 monthly or even bimonthly, then you only get a quarterly
2 dose, and of course, you would never know how many missed
3 doses there were or below mda values et cetera. And it
4 ties into comment number nine.

5 **MR. SMITH (by telephone):** This is Matthew Smith. I know
6 everybody wants to go, but I'll direct you Hans toward
7 OTIB-0050, and we have an approach for the situation
8 written up in OTIB-0050.

9 **DR. BEHLING (by telephone):** And that was my final
10 comment was in addition to TIB-0049, I guess TIB-0050
11 would also provide us with some insight and perhaps
12 answer some of those questions.

13 **MS. MUNN:** Fifty is out there.

14 **MR. FITZGERALD:** Yeah, it does. It does.

15 **MS. MUNN:** Unless there's something that we just
16 absolutely cannot wait --

17 **MR. LANGSTED:** One item and just reiterate, we just
18 covered it, this notion, since it's important from the
19 standpoint of the coworker validation, I think Mark
20 touched it just as he was going out the door, which is if
21 we can get anything to substantiate this CH2N
22 Hill(unintelligible) database and how that can basically
23 characterize the distribution, the validation of
24 distribution, that would be helpful. But I think it's
25 the only handle.

1 It does get us a step closer, but it's not clear how that
2 would be used at this point between the CER database and
3 the raw data. I think this is an interesting tool. We
4 don't it at Y-12, but certainly here we actually do. And
5 I guess the question is can that really help answer that
6 question or not. I guess we've heard some questions
7 about whether we can get to it. I think you indicated
8 you can.

9 **MR. FITZGERALD:** I'm sorry, I missed your point about the
10 CER database.

11 **MR. LANGSTED:** No, I'm just saying that the discussion we
12 had at the CH2N Hill(unintelligible) database which can
13 be used to link or certainly demonstrate validity of the
14 electronic database with the raw data. CH2N
15 Hill(unintelligible) apparently compiled this, used it.
16 They applied it on an individual basis. Is it still
17 available maybe on a database-wide-type characterization
18 not just individually? Can it be used?

19 **MR. FITZGERALD:** Yes, it's available, and it's up and
20 running and we've got --

21 **MR. LANGSTED:** If you can provide something, you know,
22 this is the first time we've heard it. Can you provide
23 anything that would give us some background or
24 understanding of that I think that would be helpful to
25 the Board, work group and (unintelligible) as well

1 because it certainly answers the question that's been
2 there which is whether you can actually do the same thing
3 we're trying to do at Y-12 which is validate the
4 electronic version of this which will be used.

5 **MS. MUNN:** I'm not going to ask if there's anything else
6 for the good of the order. The good of the order is
7 done.

8 **DR. BEHLING (by telephone):** Wanda, Wanda, Wanda, don't
9 say that to me because I need to ask you one final
10 question here. And that is tomorrow morning are we going
11 to start on doing the audits of individual dose
12 reconstructions starting first thing in the morning?

13 **MS. MUNN:** It was my understanding that we were going to
14 do procedures first, but I could be incorrect about that.

15 **DR. BEHLING (by telephone):** Okay, well, I listen to that
16 anyway. What time do we intend to start?

17 **MS. MUNN:** Nine a.m. Same time, same number, same
18 station.

19 **DR. NETON:** Well, we're certainly not going to start with
20 Y-12 or Rocky Flats tomorrow that's a done issue. Stu
21 will be here tomorrow and I don't know whether they're
22 starting with procedures or dose reconstructions,
23 wherever you guys left off in Cincinnati.

24 **DR. BEHLING (by telephone):** I won't be there, Jim, so
25 I'm going to be talking to you over the phone.

1 **DR. MAKHIJANI:** Wait a minute, Hans, you're not going to
2 be here?

3 **DR. BEHLING (by telephone):** No.

4 **DR. MAKHIJANI:** Kathy's not going to be here?

5 **DR. BEHLING (by telephone):** No.

6 **DR. MAKHIJANI:** Who's going to represent SC&A here
7 because I'm not here.

8 **DR. BEHLING (by telephone):** I'm going to be on the phone
9 talking to you.

10 **DR. MAKHIJANI:** Okay, fine.

11 **MS. MUNN:** All right that's fine. We'll talk to you
12 tomorrow at nine o'clock.

13 **MR. HILLER (by telephone):** Before we split this is David
14 Hiller, Senator Salazar's office

15 **MS. MUNN:** Yes.

16 **MR. HILLER (by telephone):** Lew, are you still on the
17 line?

18 **DR. WADE (by telephone):** Yes, I am.

19 **MR. HILLER (by telephone):** Lew, can we get just a couple
20 of minutes? Can you stay on the line so we can sort of
21 get a little de-briefing for those of us who are not
22 technical experts?

23 **DR. WADE (by telephone):** Sure.

24 **MR. HILLER (by telephone):** Thank you.

25 **MS. MUNN:** We're on our way.

1 **DR. NETON:** I don't know whether the NIOSH folks
2 (unintelligible) stay on the line or do you just want to
3 speak to Lew? Is that --

4 **MR. HILLER (by telephone):** Actually, my own personal
5 interest is just to make sure that I understand what was
6 accomplished today and what the next steps are that are
7 going to lead us toward the April meeting.

8 **MR. PRESLEY (by telephone):** This is Bob Presley. I make
9 a recommendation. Hello?

10 **MS. MUNN:** Yes?

11 **MR. PRESLEY (by telephone):** Can Lew call him back so we
12 don't have a bunch of people on listening?

13 **DR. WADE (by telephone):** Okay, if you give me your
14 number, I'll call you.

15 **MR. HILLER (by telephone):** Okay, ready?

16 **DR. NETON:** We're going to sign off here from Boston.

17 **MS. MUNN:** Lew, hold on.

18 **MS. HOMOKI-TITUS:** Lew, this is Liz. When you get done
19 with --

20 **MR. DeMAIORI (by telephone):** Hey David, this is Tony.

21 **MS. ALBERG(unintelligible) (by telephone):** This is
22 Jeannette, too.

23 **MR. DeMAIORI (by telephone):** We'd all like to hear this.

24 **DR. WADE (by telephone):** Okay, why don't we stay on the
25 line.

1 Liz, what were you going to say?

2 **MS. HOMOKI-TITUS:** Just when you get done de-briefing
3 them, if you could give me a call on a totally different
4 issue and let me give you my cell phone number.

5 **DR. WADE (by telephone):** Okay.

6 **MS. HOMOKI-TITUS:** If other people promise not to call me
7 too much on it, [information redacted].

8 **DR. WADE (by telephone):** I'll call you when I'm done.

9 **MS. MUNN:** We'll speak with most of you tomorrow morning
10 I assume at about nine o'clock. Thank you and goodbye.
11 (Whereupon, the Working Group concluded at 4:50 p.m.)
12

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of February 27, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 4th day of April, 2006.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**