

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

THIRTY-SECOND MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

VOL. II

DAY TWO

The verbatim transcript of the Meeting of the
Advisory Board on Radiation and Worker Health held
at the Westin Hotel, St. Louis, Missouri, on August
25, 2005.

C O N T E N T S

August 25, 2005

WELCOME AND OPENING COMMENTS	8
DR. PAUL ZIEMER, CHAIR	
DR. LEW WADE, EXECUTIVE SECRETARY	
REPORTS FROM SUBCOMMITTEE:	11
SELECTION OF 4 TH ROUND OF 20 DOSE RECONSTRUCTIONS	11
DISCUSSION OF CANDIDATE SITE PROFILES FOR	
REVIEW BY SC&A	41
BETHLEHEM SITE PROFILE	59
REPORT FROM SUBCOMMITTEE:	
MALLINCKRODT SITE PROFILE REVIEW	67, 130
CLOSED SESSION REPORT	127
TASK FOUR SCOPE DISCUSSION	150
GENERAL PUBLIC COMMENT	199
COURT REPORTER'S CERTIFICATE	235

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

In the following transcript "off microphone" refers to microphone malfunction or speaker's neglect to depress "on" button.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

ANDERSON, Henry A., M.D.
Chief Medical Officer
Occupational and Environmental Health
Wisconsin Division of Public Health
Madison, Wisconsin

ESPINOSA, Richard Lee
Sheet Metal Workers Union Local #49
Johnson Controls
Los Alamos National Laboratory
Española, New Mexico

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

MELIUS, James Malcom, M.D., Ph.D.
Director
New York State Laborers' Health and Safety Trust Fund
Albany, New York

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

OWENS, Charles Leon
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-550
Paducah, Kentucky

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

STAFF/VENDORS

LASHAWN SHIELDS, Committee Management Specialist, NIOSH
STEVEN RAY GREEN, Certified Merit Court Reporter

AUDIENCE PARTICIPANTS

BEHLING, HANS, SC&A
BERRY, CHARLENE, MALLINCKRODT
BLOSSER, FRED, NIOSH
BROCK, DENISE, UNWW/MALLINCKRODT
CASE, DIANE L., DEPT. OF LABOR
COFFELT, EVELYN
CURTISS, RICK & JOANN
DOWNS, DEB, TITAN
DREY, KAY
DUGAN, PAUL, MALLINCKRODT
DUISEN, MARIE
ELLISON, CHRIS, NIOSH OCAS
FITZGERALD, JOSEPH, SC&A
GROVES, KEN, VBDR
HINNEFELD, STUART, NIOSH
HOMOKI-TITUS, LIZ, HHS
HOWELL, EMILY, HHS
IRWIN, MAMIE S.
KATZ, TED, NIOSH
KIMPAN, KATE, DADE MOELLER & ASSOC.
KOENEMANN, GEORGE J.
KOENIG, PHYLLIS, MCW
LIPSZTEIN, JOYCE, SC&A
LEISAR, KEN, ST. LOUIS POST-DISPATCH
LYNCH, HELEN
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MCKEEL, DAN & LOUISE, VILLAGE IMAGE NEWS
MILLER, RICHARD, GAP
MITAS, JIM, OFFICE OF CONGRESSMAN AKIN
MITULSKI, JIM
MOSS, JOAN & GIL
NUGENT, MARY, GAO
OLSEN, DOROTHY, MALLINCKRODT
PRESLEY, LOUISE S., WIFE OF ROBERT PRESLEY
RANSPOTT, CHRISTINE
RANSPOTT, JOHN
ROSENTHAL, JAMES, MALLINCKRODT
SAMPSON, BOB, GAO
SCHWENNESEN, CLARENCE, UNWW

SCHAEFFER, D. MICHAEL, SAIC
STOPP, CARL
TENFORDE, THOMAS, NCRP
TOOHEY, RICHARD, ORAU
WILDHABER, SANDRA, MALLINCKRODT
YOUNG, OBIE
ZIEMER, MARILYN

P R O C E E D I N G S

(8:45 a.m.)

WELCOME AND OPENING COMMENTS

1
2 **DR. ZIEMER:** I'd like to call the meeting to
3 order. Welcome to the 32nd meeting of the
4 Advisory Board on Radiation Worker Health here
5 in St. Louis, a town that seems to have become
6 a second home for this Advisory Board. I would
7 like to make a couple of the normal regular
8 announcements and that is, first of all, to ask
9 you to register your attendance in the
10 registration book out in the foyer, if you've
11 not already done so. Also, those members of
12 the public who wish to address the Board in the
13 public comment session later today, please sign
14 up in the public comment book there in the
15 foyer, as well.

16 There's a table that includes many of today's
17 handouts, plus other related materials. It's
18 out in the foyer. Please avail yourselves of
19 those materials. Also for members of the
20 public, I'd like to tell you that there are
21 NIOSH personnel here to assist individuals who
22 may have specific problems with individual
23 claims. Those individuals are at one of the
24 tables out here in the foyer. They will be

1 here all day today and through noon tomorrow.
2 At our last meeting Board member Mike Gibson
3 was unable to be with us. He was here by phone
4 but was not able to be here because of serious
5 illness of his father. And I'm sorry to report
6 to the Board that subsequent to that meeting
7 Mike's father passed away. And we extend our
8 sympathy to Mike Gibson and his family.
9 For today's meeting Larry Elliott -- who heads
10 the OCAS program at NIOSH -- is unable to be
11 here due to a health problem. Roy DeHart --
12 Board member Roy DeHart is traveling overseas
13 and not able to be with us.
14 Let me add one other footnote sort of to get us
15 up-to-date on people sitting around the table
16 here. Our court reporter, Ray Green, over here
17 on my right, recently went to Vienna where he
18 competed in what I will call the world Olympics
19 of court reporting, competing with hundreds of
20 people from all over the world, actually, and
21 we're pleased to tell you that Ray came away
22 with the silver medal -- top two in the world.
23 Now Ray, if you're second, you've got to try
24 harder. But we're very pleased and proud of
25 Ray's accomplishments.

1 Now let me ask our Designated Federal Official,
2 Lew Wade, if he has any additional comments as
3 we get under way.

4 **DR. WADE:** Just some general comments. First a
5 note to Ray, just because he has the silver
6 medal, we won't pay him more in terms of his
7 services to us.

8 **MR. PRESLEY:** And where are the minutes for the
9 last meeting?

10 **DR. WADE:** But I bring you welcome -- Certainly
11 I bring you welcome from Secretary Leavitt and
12 the Director of CDC, Dr. Gerberding, and
13 particularly John Howard. I thank you for your
14 service and your coming here.

15 I bring to mind -- to your mind the fact that
16 this was sort of a special meeting we called to
17 deal with the issue of the Mallinckrodt
18 petition. We have expanded the agenda for some
19 other issues, but that really is the business
20 we've come here to do and to complete. I'll
21 speak to a little bit more about that on Friday
22 when we begin our deliberations on the SEC
23 petition.

24 But again I thank the Board members for their
25 service and I thank all of you for coming and

1 participating in the people's business.

2 **REPORTS FROM SUBCOMMITTEE**

3 **DR. ZIEMER:** The first item on today's agenda
4 deals with the outcomes of the subcommittee
5 work from yesterday, and so I'd like to turn to
6 that here initially. I'll take them a little
7 bit out of order.

8 **SELECTION OF 4TH ROUND OF 20 DOSE RECONSTRUCTIONS**

9 I want to begin with the selection of the
10 fourth round of 20 dose reconstructions. If
11 you have in your booklet today -- and many of
12 you were here for the subcommittee meeting
13 yesterday. Not all of you were, but in the tab
14 that's labeled 20 dose reconstructions there
15 are a couple of tables, the first of which is
16 the next set of 100 random cases that were
17 provided to us to select from. And then there
18 is a separate list which is a list of all
19 completed cases that involve full dose
20 estimations as opposed to overestimates or
21 underestimates that come through the efficiency
22 process. That list of all completed cases with
23 full dose estimates may in fact include some of
24 the random cases that are on the first list.
25 The subcommittee is recommending that in the

1 next 20 cases the Board predominantly weight
2 the selection in favor of cases that require
3 full dose estimates and, where possible, cases
4 where the probability of causation was in the
5 range of 45 to 50 percent. Based on that, the
6 subcommittee is recommending actually 16 cases
7 from the full dose estimate list, and I'll
8 identify those momentarily, plus four
9 additional cases from the random selection
10 list.

11 Now let me identify the cases for the Board and
12 then we'll have -- and this -- this comes as a
13 recommendation from the subcommittee so it
14 constitutes a motion before the Board, and we
15 have the opportunity either to modify the
16 recommendation or to accept it as given. Here
17 than are the recommendations of the
18 subcommittee, and I'm beginning -- to help you
19 identify these, I'm beginning on the list
20 called all completed cases with full dose
21 estimates, the first page of that. Everybody
22 have that? Okay, here are the cases. And I'll
23 use the digits on the right under the ID
24 number. All the IDs begin with 2005-08, and
25 then a number beginning from 101 on forward.

1 The first case is case 105, a probability of
2 causation listed as 44.56. It's a liver cancer
3 from Savannah River. I'm just going to pause
4 on each one here and make sure that everyone
5 has a chance to record those. If I go too
6 fast, slow me down.

7 The second case is number 108, probability of
8 causation 63.25 percent, a colon cancer from
9 Nuclear Materials and Equipment Corporation --
10 or sometimes called NUMEC.

11 The third case is 110, 48.16 percent
12 probability of causation, a colon cancer from
13 Savannah River site.

14 Next, number 130 with 19.64 percent probability
15 of causation, pancreatic cancer from Hanford.
16 Number 138, with 53.26 percent probability of
17 causation, a colon cancer from Bridgeport
18 Brass.

19 Proceeding to the second page, number 155 with
20 a probability of causation of 47.33, male
21 genitalia, case is from Savannah River.

22 Number 159, with a 29.52 percent POC, stomach
23 cancer from Chapman Valve.

24 Number 176, 50.29 POC, respiratory, West Valley
25 Demonstration Project.

1 On page three, number 201, a 50.81 POC, bladder
2 cancer from Oak Ridge National Laboratory X-10.
3 Number 204, 23.02 POC, colon cancer from Y-12,
4 Oak Ridge.

5 Number 216, 44.74 percent, thyroid cancer from
6 Hanford.

7 On page four, number 234, 19.65 POC, bladder
8 cancer from Mound.

9 Number 253, 33.80 POC, esophagus, Jessop Steel.
10 Number 256, a 50.00 POC, melanoma, skin, basal
11 cell, Hanford.

12 On page five, number 262, a 39.19 POC, acute
13 myeloid leukemia, Heppenstall Company.

14 And number 264, male genitalia, 27.85 percent,
15 from the Y-12 plant, Oak Ridge.

16 Now that should be 16 cases from that list, and
17 now going back to the straight random list, the
18 following additional four cases. On the first
19 page of the random list, number 010, 38.16 POC,
20 non-melanoma skin, squamous cell, from
21 Pinellas.

22 Number 011, 32.78, pancreas, from Feed
23 Materials Production Center.

24 Number 017, with 50.55 POC, non-melanoma skin,
25 basal cell, Nevada Test Site.

1 And finally number 035, with 26.62 POC, breast
2 cancer, Los Alamos.

3 Those then constitute the 20 cases recommended
4 by the subcommittee for dose reconstruction
5 review by our contractor as the next 20 cases.
6 Now let me ask if there's any discussion or
7 questions from members to this motion.

8 Yes, Leon Owens.

9 **MR. OWENS:** Dr. Ziemer, I was unable to attend
10 the subcommittee meeting yesterday, but I
11 notice that Lawrence Livermore -- you know, we
12 met -- the Advisory Board met in that area and
13 had very good attendance at our meetings and
14 there was a lot of interest in that area. I'm
15 just wondering, was there any thought to
16 including a dose reconstruction from that
17 facility?

18 **DR. ZIEMER:** I don't think it was intentionally
19 excluded, it just -- I'm actually looking to
20 see. We were trying to spread the things
21 around a bit. I'm looking on the full dose
22 estimation list to see if there were any -- and
23 particularly in the range of interest up in --
24 where we could, up in the 45 --

25 **DR. ANDERSON:** I don't see any.

1 **MR. GRIFFON:** Yeah, there weren't any.

2 **DR. ANDERSON:** There aren't any.

3 **DR. TOOHEY:** Dr. Ziemer, can I comment on that?

4 **DR. ZIEMER:** Yes, Rich.

5 **DR. TOOHEY:** We don't have final approval on
6 Livermore site profile yet, so the only
7 Livermore cases that have been done, as best I
8 know, are the complex-wide maximum dose
9 estimates, which they've already looked at
10 plenty of, so I think the feeling was stay away
11 from too many more of those.

12 **DR. ZIEMER:** On the random list -- I don't know
13 if any showed up even on the random list,
14 'cause there aren't that many Livermore ones
15 done yet and that -- but they will. There
16 certainly will be opportunity beyond
17 (unintelligible), it's certainly a valid
18 question and at some point as we proceed and
19 start to fill in our matrix, we have to look at
20 those that have not been sampled and pick them
21 up. So -- and Mark, you have a comment on
22 that?

23 **MR. GRIFFON:** I just wanted to say, Leon, we
24 did -- out of the first 60 we did have two
25 cases from Lawrence Livermore that were

1 overestimates, so I didn't think we wanted to -
2 - you know, that would argue to wait for the
3 best-estimate technique to review another case,
4 yeah.

5 **DR. ZIEMER:** Thank you. Any other comments,
6 questions?

7 (No responses)

8 Let me ask then if -- is the Board ready to
9 vote on this motion to affirm the
10 recommendation of the subcommittee?

11 **MS. MUNN:** Yes.

12 **DR. ZIEMER:** It appears that we're ready to
13 vote. I'll then call the question.
14 All in favor of this recommendation, say aye?

15 (Affirmative responses)

16 Any opposed?

17 (No responses)

18 Any abstentions?

19 (No responses)

20 Just for clarification, Dr. Wade, individuals
21 are not required to abstain because there are
22 individuals from the facility they're
23 associated with on this list. Is that not
24 correct?

25 **DR. WADE:** Correct, they can make the general

1 decisions. They don't need to talk about
2 specific assignment.

3 **DR. ZIEMER:** Thank you. The motion carries,
4 and thank you. That takes care of that action.
5 Then we had a discussion on future candidate
6 site profiles.

7 **DR. WADE:** Do we want to assign Board members
8 to these particular cases that we've just --

9 **DR. ZIEMER:** Oh, yes, we do have to do that.
10 But let us complete the subcommittee
11 recommendations, then we'll have to come back
12 and we'll have teams for these 20 cases. So
13 keep -- keep that before you there.

14 The next item was the discussion on candidate
15 site profiles. There is a tab in your booklet
16 called candidate site profiles, if you will
17 turn to that. And here the subcommittee is
18 making a recommendation that we identify for
19 the contractor the next group of site profiles
20 to be addressed. This presumably would be in
21 the next year, and we -- we were proceeding on
22 the basis that we would try to identify, for
23 example, the next six. It turned out that we
24 had the six, and then we added a couple of
25 additional ones that are sort of in the queue,

1 as it were. Let me identify those for you.
2 They are as follows -- well, let me also
3 indicate, just for the record, site profiles
4 that our contractor has completed. They are
5 Hanford, INEL, NTS, Rocky Flats -- or almost
6 completed. They're either completed or well
7 along, let me use -- put it in those terms.
8 Hanford, INEL, NTS, Rocky Flats, Savannah
9 River, Y-12 --

10 **DR. WADE:** Bethlehem.

11 **DR. ZIEMER:** -- Bethlehem Steel, Mallinckrodt,
12 Iowa Ammunition Plant and -- is that it?

13 **MR. GRIFFON:** Is that nine?

14 **DR. WADE:** I believe that was.

15 **DR. ZIEMER:** That's nine. Right, that's it.
16 Okay, here are the recommendations for the next
17 group. We have -- just take them in the order
18 they are on the list. This is not necessarily
19 the order in which they would be done, nor is
20 it a priority order for the Board. It's simply
21 the group. Fernald, Los Alamos National Lab --
22 LANL, Mound, X-10 Oak Ridge, Argonne West,
23 Pinellas -- hang on a minute -- let me just
24 backtrack a minute. The first four -- Fernald,
25 Los Alamos, Mound and X-10 -- are in the first

1 group of six. Also in that group was
2 Bridgeport Brass and -- I have Combustion
3 Engineering. Is that --

4 **MS. MUNN:** I have Pinellas.

5 **DR. WADE:** I think it was Pinellas.

6 **DR. ZIEMER:** Oh, it was Pinellas, yes, yes. I
7 did -- yes, Pinellas was six. Okay, my notes
8 are... Then the two additional ones, I believe
9 --

10 **DR. WADE:** Argonne West.

11 **MS. MUNN:** Argonne and LLNL.

12 **DR. ZIEMER:** Argonne West and --

13 **MS. MUNN:** Livermore.

14 **DR. WADE:** Livermore.

15 **DR. ZIEMER:** -- Lawrence Livermore. I had
16 Combustion, then crossed it out. So to make
17 sure the Chair has it correctly, Fernald, Los
18 Alamos, Mound, X-10, Bridgeport and Pinellas
19 are the six, and then the additional ones from
20 the queue are Argonne West and Lawrence
21 Livermore. That is the motion before the Board
22 then, to confirm those six. Jim.

23 **DR. MELIUS:** Yeah, I wasn't at that part of the
24 meeting. What is meant by the additional ones?
25 I guess --

1 **DR. ZIEMER:** Well, we -- we said we were going
2 to identify six. And for example, the
3 contractor, after our -- after we determined
4 what the workload is next year, there may be
5 six. If there are more, or even if there
6 aren't, the other two are sort of the next two
7 in the queue.

8 **DR. MELIUS:** Okay. I understand.

9 **DR. ZIEMER:** And in that sense, there is a
10 priority. Those first six are the first set --
11 the priority. The next two are in the queue on
12 down the road as we get to it.

13 **DR. WADE:** Right, now in the closed -- it'll be
14 a closed session today where the Board will
15 decide upon the work for the contractor next
16 year. We had asked the contractor for a
17 proposal for six, and we have that in hand.
18 You have that in your files. It's quite
19 possible when you look at the totality of that,
20 you might want to fund more or less site
21 profile work. This way we would at least have
22 an opportunity to look at another two, should
23 the Board decide to go in that direction.

24 **DR. MELIUS:** Can someone explain to me just --
25 some of these appear to still be underway at

1 ORAU, or large sections of them are, and I'm
2 trying to understand now how that affects their
3 placement on this list. For example, Pinellas
4 -- there seems to be large sections of that
5 that are still under --

6 **DR. ZIEMER:** Yeah. Well, we heard from Rich
7 yesterday that Pinellas is very close to
8 completion, I believe. Is that correct, Rich -
9 - Rich Toohey.

10 **MS. MUNN:** That's what he said.

11 **DR. TOOHEY:** Let me check my list. We have the
12 X-ray and the environmental sections approved.
13 The site description and internal and external
14 dosimetry sections are in comment resolution.
15 So yeah, it's reasonably close.

16 **DR. MELIUS:** How about LANL? Well, excuse me,
17 not LANL, Lawrence Livermore?

18 **DR. TOOHEY:** Livermore? The site description
19 is approved. X-ray, environmental, internal
20 and external dose are in comment resolution,
21 and the two asterisks mean we have provided our
22 responses to OCAS -- comments back to OCAS, so
23 they're doing the final review and approval on
24 those. And may be another round of comments,
25 which is not unusual.

1 **DR. ZIEMER:** I think our feeling, though --

2 **DR. TOOHEY:** They're close.

3 **DR. ZIEMER:** These are both pretty far along,
4 and since they are in the trailing group,
5 certainly by the time the first six were done,
6 these two would certainly be ready.

7 **DR. MELIUS:** Yeah, but some of my concern is
8 related to do we have them -- the placement in
9 the trailing group versus the first six, since
10 I didn't have the benefit of some of this
11 information. Before you sit down, Dick, could
12 you just brief me on the Bridgeport Brass and
13 Combustion Engineering? According to the
14 information I have, all of the Bridgeport Brass
15 is -- you're working on, and nothing's being
16 done on Combustion Engineering, according to
17 this table.

18 **DR. ZIEMER:** Combustion is not on the list.

19 **DR. MELIUS:** Oh, okay.

20 **DR. TOOHEY:** Bridgeport Brass, again, is in
21 final comment resolution. I think it's very
22 close. We've given a revised copy back to OCAS
23 for their approval. And yeah, you're right,
24 Combustion Engineering isn't -- isn't on the
25 current list.

1 **DR. MELIUS:** Okay. Does anybody have
2 information on the number of active -- pending
3 cases at these various facilities?

4 **DR. TOOHEY:** Unfortunately, not with me. I can
5 get it for you via Blackberry if you want --

6 **DR. MELIUS:** Did the subcommittee consider that
7 in terms of -- I mean it would seem to me that
8 -- Lawrence Livermore, since we have a lot -- a
9 lot pending. I don't know off the top of my
10 head about Pinellas.

11 **DR. WADE:** There's an attach--

12 **DR. TOOHEY:** We've got Livermore.

13 **DR. WADE:** I think your -- is -- we have that
14 material. I don't know if it's in the booklet,
15 however. Stu?

16 **DR. ZIEMER:** While they're looking for that, a
17 couple of these are on the list because of
18 concerns about getting the work done while
19 there are still people around, such as Mound.
20 One of them, Pinellas, is very different from
21 the other sites and that's the reason it shows
22 up on this list.

23 **DR. TOOHEY:** Okay, we have 537 active cases
24 from Livermore. And what were the other sites?
25 I can get them and --

1 **DR. MELIUS:** Well, Pinellas.

2 **DR. TOOHEY:** Pinellas is on the order of 300,
3 but I don't remember the exact number.

4 **DR. MELIUS:** Bridgeport?

5 **DR. TOOHEY:** Okay.

6 **DR. MELIUS:** There actually is a list of cases
7 as of the end of December of '04 that in the
8 attach-- the next tabs over under our site
9 profile -- yeah.

10 **DR. WADE:** This material is in here.

11 **DR. MELIUS:** Yeah, okay. I mean I think that
12 would reflect pretty closely -- at least
13 relatively -- where we stand, so...

14 **DR. TOOHEY:** And if you -- okay, from December
15 '04, which we were at about 18,000 cases at
16 that point, we're pretty close to 20,000 now,
17 so just --

18 **DR. MELIUS:** Yes.

19 **DR. TOOHEY:** -- I'd say roughly at ten percent.

20 **DR. ZIEMER:** Bridgeport is listed at 74 cases.

21 **DR. MELIUS:** Right.

22 **DR. ZIEMER:** Okay. Any additional questions,
23 comments, amendments?

24 **MR. MILLER:** Dr. Ziemer?

25 **DR. ZIEMER:** Yes.

1 **MR. MILLER:** I realize that your comment period
2 is going to follow after this discussion, but I
3 just wondered if I could offer one comment on
4 the AWE selection?

5 **DR. ZIEMER:** Please proceed.

6 **MR. MILLER:** This is Richard Miller. The
7 Bridgeport Brass facility, which was one of the
8 first extrusion facilities -- they had a giant
9 press there and they basically extruded uranium
10 and it's a straight natural uranium facility,
11 and I -- I just -- in the course of looking
12 over what's been approved, at least, and what's
13 in the queue in terms of volume of cases, the
14 Linde Ceramics plant, which is about 160 cases
15 pending, is a very interesting and complex
16 plant. It's not a traditional uranium
17 extrusion or rolling processing plant like
18 we've seen with Bethlehem and -- and some of
19 the others. It actually resembles more of the
20 complex issues we have at Mallinckrodt. They
21 processed the African ores, as well, there,
22 dealt with the pitchblendes. And -- and I just
23 would suggest if, in terms of complexity and
24 interest -- also 'cause there's some
25 significant interest in that part of the state

1 at this facility -- it might be worth taking a
2 look at it in lieu of Bridgeport Brass since
3 Bridgeport Brass has had -- you know, it's --
4 it's a straight natural uranium plant and --
5 enough said.

6 **DR. ZIEMER:** Thank you, Richard. Jim?

7 **DR. MELIUS:** Yeah, I would also -- I would
8 suggest that -- I mean that we move Lawrence
9 Livermore up in the queue, so to speak, and
10 move Pinellas down. Again, Lawrence Livermore
11 I think -- there's more cases there, more
12 pending cases there, more complicated facility,
13 I think would be much more helpful to have a
14 site profile review of that than -- I think
15 that the Pinellas site is much more
16 straightforward, but...

17 **DR. ZIEMER:** I think you're offering a motion
18 to amend, that we in essence exchange the order
19 of Pinellas and Lawrence Livermore, which would
20 move Lawrence up into the first six and
21 Pinellas into the next two.

22 **DR. MELIUS:** Uh-huh, yeah.

23 **DR. ZIEMER:** Is there a second to that?

24 (No responses)

25 No second?

1 **MR. OWENS:** I'll second it.

2 **DR. ZIEMER:** There is a second. Discussion on
3 that move. I don't know whether it's friendly
4 or not friendly, but just to make it. You've
5 got some questions, Wanda?

6 **MS. MUNN:** My memory from our discussion
7 yesterday is that Pinellas was chosen
8 specifically because it was one of the two
9 sites that's being rapidly closed down and will
10 have very few knowledgeable personnel --

11 **DR. ZIEMER:** That was one of the reasons that
12 Pinellas was -- that, plus it's a very
13 different site -- primarily a tritium site.

14 **MR. PRESLEY:** Right.

15 **DR. ZIEMER:** Robert Presley.

16 **MR. PRESLEY:** That's exactly right, plus the
17 fact that it's -- if we don't get it now it's
18 going to be hard to find some people down there
19 to even talk to about what went on down there.
20 Livermore --

21 **DR. ZIEMER:** I guess you're speaking against
22 the motion.

23 **MR. PRESLEY:** I'm speaking against the motion.

24 **DR. ZIEMER:** Any others?

25 **DR. MELIUS:** I would offer a -- well, let's

1 deal with this one first.

2 **DR. ZIEMER:** Any other comments? Let's vote on
3 this amendment. If you vote yes, you're voting
4 to move on Lawrence Livermore up and Pinellas
5 back into that last group of two.

6 All in favor, aye?

7 (Affirmative responses)

8 Any opposed?

9 (Negative responses)

10 I'll declare that the -- opposed, no. I'll
11 declare the no's have it and that the motion
12 fails.

13 Okay, Jim, you have another comment?

14 **DR. MELIUS:** Yeah, I would like to offer
15 another motion which is that we move the Linde
16 -- replace Bridgeport Brass with Linde site.

17 **DR. ZIEMER:** Motion to replace Bridgeport with
18 Linde. Is there a second to that?

19 **MR. GRIFFON:** Second.

20 **DR. ZIEMER:** Now discussion.

21 **DR. MELIUS:** Yeah. I would just like to point
22 out in some of the meetings we've had out in --
23 to deal with -- actually to deal with the
24 Bethlehem site, there have been representatives
25 there from Linde and there is a lot of concern

1 about the site. As Richard Miller pointed out,
2 it's a complex site and I think it's going to
3 be controversial when we deal with it and I
4 think it would be helpful to have a site
5 profile review conducted, the sooner the better
6 -- number of cases and also given the
7 complexity of the site.

8 **DR. ZIEMER:** Thank you. Other comments, pro or
9 con? Anyone wish to speak against the motion?

10 (No responses)

11 If not, we're ready to vote. Wanda?

12 **MS. MUNN:** I guess I would speak against the
13 motion simply because this was the discussion -
14 - not this specific discussion and of course we
15 didn't have the benefit of Mr. Miller's input,
16 but this was the discussion that took place in
17 our subcommittee, and I feel that if we are
18 going to make these decisions -- obviously it's
19 the full Board's prerogative to do so, but the
20 purpose in our subcommittee meeting is to try
21 to iron these things out and we did discuss
22 this matter earlier.

23 **DR. ZIEMER:** Thank you. Anyone else wish to
24 speak for the motion or against? Jim.

25 **DR. MELIUS:** Yeah, I would just point out that

1 -- again, not all of us were at the
2 subcommittee meeting and I'm trying to -- I'm
3 also looking for the rationale. And in the
4 case of Lawrence Livermore and Pinellas, you
5 know, Bob provided a rationale which I hadn't -
6 - hadn't heard before and understand and -- do
7 that. I -- again, in the case of Linde, I'm
8 somewhat -- I mean I think I would prefer -- I
9 am obviously supporting my motion, but at the
10 same time if there's a strong count reason to
11 it other than procedural, then I'm willing to
12 listen to that.

13 **DR. ZIEMER:** Mark.

14 **MR. GRIFFON:** I mean I think, having been the
15 one to offer Bridgeport in the subcommittee --
16 I mean it was really based on my -- I didn't
17 have the numbers in front of me and I was -- I
18 was under the belief that there were quite a
19 few cases there. That was part of my
20 rationale. But I'm persuaded by the additional
21 information that Linde's probably, you know,
22 just as good to do. And I don't think we
23 should skip Bridgeport Brass, but I think Linde
24 can move up in the queue. I don't have a
25 problem with it is what I'm saying.

1 **DR. ZIEMER:** I would point out that Linde has
2 more than twice as many cases as Bridgeport, if
3 that's of interest to the -- to the Board. Are
4 you ready to vote on this amendment? If you
5 vote yes you are -- you are voting to replace
6 Bridgeport on the list with Linde Ceramics.
7 All in favor, say yes.

8 (Affirmative responses)

9 Okay -- caught you off guard, didn't I? Any
10 no's?

11 (No responses)

12 Okay, the motion carries, so the amendment --
13 or the motion before us now is the original
14 list, except for the replacement of Bridgeport
15 by Linde.

16 Is there any further discussion?

17 (No responses)

18 Are you ready to vote then on the main motion?

19 It appears that we're ready to vote on the main
20 motion.

21 All in favor say aye.

22 (Affirmative responses)

23 Any opposed, no.

24 (No responses)

25 Any abstentions?

1 (No responses)

2 Motion carries. Thank you very much.

3 **DR. WADE:** Just again to put on our list of
4 things to do before we finish, we would need
5 some order to -- of these so we can get the
6 contractor working on several immediately, so
7 that's something we need to do.

8 **DR. ZIEMER:** Do we need to do that here now?

9 **DR. MELIUS:** I would think it would be better
10 to do in the session when we talk about the
11 actual contract, I think -- because the order
12 may change, depending on the scope of the...

13 **DR. ZIEMER:** Well, what I'm really asking --
14 let me rephrase it. I'm asking whether we
15 shouldn't -- it seems to me we should do it in
16 open session once we establish how many cases -
17 - is there any reason we have to do -- it seems
18 to me we're almost obligated to make that
19 selection in open session so that there's a
20 public record of why we chose different sites.

21 **DR. WADE:** We can do it after the closed
22 session, if that's your preference. We could
23 do it --

24 **DR. ZIEMER:** Or -- or we can -- we can order
25 these and then once the priority's established

1 on however many we select and the work order
2 establishes it. It doesn't seem to me it's a
3 closed-door issue.

4 **DR. MELIUS:** I agree with that part of it. I
5 would just -- I think I'd feel a little bit
6 more comfortable doing it after understood the
7 scope of our contract and -- the order might be
8 different depending on how many we could fit
9 in.

10 **DR. ZIEMER:** Right, exactly, and we can wait
11 until tomorrow to do that.

12 **DR. MELIUS:** Yeah, yeah.

13 **DR. WADE:** I just wanted to get it on the...

14 **DR. ZIEMER:** We do need to give the contractor
15 the priority order or the rank.

16 **DR. MELIUS:** And just that -- I think we also -
17 - there may be some personnel issues with the
18 contractor that would also affect the order in
19 terms of who they have available in terms of
20 skill sets and so forth.

21 **DR. ZIEMER:** Right, and they may have input for
22 us on that, as well.

23 **DR. WADE:** We might ask John Mauro to begin to
24 think about that and inform our discussion
25 possibly today or tomorrow.

1 **DR. ZIEMER:** We had a discussion on the path
2 forward on the Bethlehem site profile. I think
3 -- I know that Dr. Melius has a -- I think has
4 a phone call at 9:30 and he also has the
5 motion. Perhaps what we can do in the meantime
6 is to go ahead and we can do our team
7 assignments for the 20 cases. Now going to
8 propose that insofar as possible we maintain
9 the same teams that we had before, and we'll
10 just move in order down through these and if
11 there's any conflicts then we will just skip
12 that team and move on ahead. So we have 20
13 cases --

14 **DR. WADE:** Team assignments.

15 **DR. ZIEMER:** Yeah, we're going to do the 20
16 team assignments on the cases. Do you remember
17 who your team members are?

18 **DR. ANDERSON:** Absolutely.

19 **MR. PRESLEY:** Yes, sir.

20 **DR. ZIEMER:** Do you remember your team num--

21 **DR. ANDERSON:** This is the barbecue team.

22 **DR. ZIEMER:** -- your team number?

23 **DR. ANDERSON:** I don't know.

24 **DR. ZIEMER:** We have a team of Presley and
25 Anderson, which today will be team one. Mark,

1 who are you with?

2 **MR. GRIFFON:** With Tony.

3 **DR. ZIEMER:** Okay, we need a new person for
4 Mark. Let me get the other ones here first.
5 Okay, let's see, Mike, you and I are on a team,
6 so let's -- team two will be Gibson and Ziemer.
7 Gen Roessler?

8 **DR. ROESSLER:** And Roy DeHart.

9 **DR. ZIEMER:** Huh?

10 **DR. ROESSLER:** Roy.

11 **DR. ZIEMER:** Roy DeHart, Roessler and DeHart.
12 Okay. And Leon, you and Wanda, that'll be team
13 four, Owens and Munn.

14 Was Melius with Espinosa, do we know?

15 **DR. ROESSLER:** That should be it.

16 **DR. ZIEMER:** Melius/Espinosa. Do any of you
17 want to also be on the group with Mark? Well,
18 that's not a good way -- who is willing to
19 sacrifice?

20 **DR. ROESSLER:** I'll be with Mark.

21 **MR. OWENS:** We will.

22 **DR. ROESSLER:** Yeah.

23 **DR. ZIEMER:** Okay. Both of you?

24 **MR. OWENS:** It doesn't matter. He can be with
25 us -- our group.

1 **DR. ROESSLER:** Yes.

2 **DR. WADE:** I think -- I think Paul is just
3 thinking of a sixth team.

4 **DR. ZIEMER:** Yeah, team six. One of you -- you
5 want to do it, Gen, or Leon?

6 **DR. WADE:** This is double duty.

7 **MR. OWENS:** Yeah, I'll -- I'll do it with Mark.

8 **DR. ZIEMER:** So you'll have an extra couple of
9 cases.

10 We'll start with the full dose estimation cases
11 --

12 **DR. WADE:** Keep in mind your conflicts as we go
13 through this.

14 **DR. ZIEMER:** Now each group is going to end up
15 with three cases and one group will have an
16 extra one. Team one, Anderson and Presley.

17 **DR. ANDERSON:** Should we take the first three?

18 **MR. PRESLEY:** We'll take the first three.

19 **DR. ZIEMER:** You can take the first three?

20 **MR. PRESLEY:** I don't see any problems there.

21 **DR. ZIEMER:** If you have no problems, let's do
22 -- that's 105, 108, and 111.

23 **MR. PRESLEY:** 111 or 110?

24 **DR. ZIEMER:** I'm sorry, 110. Okay, team two,
25 Gibson and Ziemer, will be 130, 138, and 145.

1 Okay, Mike?

2 **MS. MUNN:** 155.

3 **DR. ZIEMER:** I'm sorry, 155, thank you -- 130,
4 138 and 155 for Gibson/Ziemer.

5 Okay, Roessler and DeHart, 159, 176 and 201.

6 **DR. ROESSLER:** That looks okay.

7 **DR. ZIEMER:** Okay. Owens/Munn, 204 -- I'm
8 sorry -- yes, 204 --

9 **MS. MUNN:** I can't do 216.

10 **DR. ZIEMER:** Okay, skip 216 and look at 234 and
11 253.

12 **MS. MUNN:** Fine.

13 **DR. ZIEMER:** Then Melius/Espinosa will pick up
14 216, 256 and 262.

15 Griffon/Owens --

16 **MS. MUNN:** 264.

17 **DR. ZIEMER:** -- 264. I would like to have Mark
18 involved in a full slate of these. Can a
19 couple of you offer to -- I'm going to ask team
20 one, will you lend Mark one of yours?

21 **DR. ANDERSON:** Sure.

22 **DR. ZIEMER:** Okay, I'm going to move 110 over
23 and we'll pick up another one.

24 **MS. MUNN:** Are you going on to the other four?

25 **DR. ZIEMER:** We will in a moment. I'm making -

1 - I'm just moving one here. I'm moving 110
2 from Anderson/Presley to Griffon/Owens. I want
3 to give Mark the opportunity to review three of
4 the full dose cases. And then -- I don't know,
5 how about -- let me pick up 262 from
6 Melius/Owen (sic) and move that over there, if
7 that's agreeable.

8 **MR. GRIFFON:** That's fine with me.

9 **DR. ZIEMER:** Okay. Now let's go back to the
10 other cases.

11 **MR. PRESLEY:** Henry and I have no problem with
12 110 -- or 010.

13 (Whereupon, Mr. Espinosa joined the Board at
14 the table.)

15 **DR. ZIEMER:** Okay. Then 0110 will go to
16 Anderson/Presley. We'll put -- 105 we'll give
17 to Melius/Espinosa.

18 **UNIDENTIFIED:** (Off microphone) 0105?

19 **DR. ZIEMER:** It's just -- I'm sorry. How many
20 zeroes -- I'm looking at the wrong page here.

21 **DR. WADE:** The first one was just 10.

22 **DR. ZIEMER:** 0010. Then 011 we'll give to
23 Melius/Espinosa.

24 Now we have two additional cases. I don't want
25 to give those to Owens 'cause he's already got

1 six.

2 **DR. ROESSLER:** We'll take one. We'll take 10--
3 017.

4 **DR. ZIEMER:** Okay, Roessler will take 17 --
5 017?

6 **DR. ROESSLER:** Uh-huh, and DeHart.

7 **DR. ZIEMER:** That's Roessler/DeHart. And then
8 --

9 **DR. ANDERSON:** We'll take the next one. I
10 think --

11 **MR. PRESLEY:** I can't take (unintelligible).

12 **DR. ZIEMER:** Okay, then Anders--

13 **DR. ANDERSON:** You can't? Okay -- no, he
14 can't.

15 **MR. PRESLEY:** No, I can't.

16 **DR. ZIEMER:** No, he can't. We have 035, maybe
17 we can give that to Munn.

18 **DR. ROESSLER:** Yeah. But then that gives it to
19 Leon, too, and he's -- he's on --

20 **DR. ZIEMER:** Oh, I'm sorry. Okay. Yeah, let's
21 -- let's give that to Mike and Ziemer,
22 Gibson/Ziemer, 035.

23 Now that covers our cases. Did you get those
24 to --

25 **DR. WADE:** I did.

1 to the recorded minutes to get the exact
2 wording, but the sense of the motion is to ask
3 NIOSH to proceed with their comments on the
4 SC&A report on the procedures review.

5 **MR. GRIFFON:** In the normal resolution process.

6 **DR. ZIEMER:** Right, and then there would be a
7 comment resolution opportunity. So that is the
8 sense of the motion, if the Board is willing to
9 take action. That comes as a motion before us.

10 **DR. WADE:** Could I add to that?

11 **DR. ZIEMER:** Yes.

12 **DR. WADE:** I do think that first there was this
13 bifurcation that you wanted to take place, and
14 that was to look at the items that were on the
15 matrix and look at those that were still
16 procedures to be used --

17 **MS. MUNN:** Yes.

18 **DR. WADE:** -- and then proceed with the
19 resolution for those procedures. For those
20 procedures that have now been superseded by
21 workbooks, I don't think you were recommending
22 that NIOSH respond to those procedures.

23 **MR. GRIFFON:** Right --

24 **DR. ZIEMER:** Well --

25 **MR. GRIFFON:** -- just to indicate that, right.

1 **DR. ZIEMER:** To indicate which of those, and
2 then we would be able to determine in fact
3 whether we wanted them to go back, because
4 there were dose reconstructions that may have
5 been done under those procedures, and insofar
6 as that might have affected previous actions,
7 the Board may wish to have something done. But
8 at least you make the determination which
9 procedures are still in effect and then do the
10 resolution on those. So that is the sense of
11 the motion.

12 Are you willing to take formal action now based
13 on the sense of that motion? Okay. Any
14 discussion?

15 Okay, then I'll call the question.

16 All in favor of that process for moving forward
17 on the procedures review process, please say
18 aye.

19 (Affirmative responses)

20 Any opposed?

21 (No responses)

22 Any abstentions?

23 (No responses)

24 The motion carries.

25 **DR. WADE:** I would like to just have a brief

1 clarifying discussion so we're sure that our
2 instructions are clear to NIOSH. So my
3 understanding is NIOSH is to take the entire
4 array of items that have been raised that --
5 all the items in that matrix -- and identify
6 those that are still in place and then identify
7 those that are not in place. For those that
8 are in place, to proceed with their comments.
9 For those not in place, to bring that back to
10 the Board and the Board will then decide how to
11 proceed.

12 **DR. ZIEMER:** Yeah.

13 **MR. GRIFFON:** Yeah, that's exactly it except
14 for one -- first step I think is that SC&A has
15 to complete the matrix. What we've been
16 provided, as I said, was a partial -- they
17 didn't quite get through the whole --

18 **DR. ZIEMER:** Yeah, that (unintelligible) --

19 **MR. GRIFFON:** They're basically --

20 **DR. ZIEMER:** -- (unintelligible) the motion.
21 It was just understood that SC&A will give the
22 full matrix to NIOSH to work with.

23 **MR. GRIFFON:** Right.

24 **DR. ZIEMER:** That's almost done, I think. We
25 had most of the items on the matrix already.

1 **MR. GRIFFON:** And the matrix represents -- I
2 mean it's going to represent all the findings
3 in the binder -- task three that we got.
4 They're just trying to slow it down to make it
5 easier for discussion.

6 **DR. WADE:** Okay, could we have a brief
7 discussion of time and timing, just so that we
8 -- we -- we have expectations and we can see
9 the people meet those expectations. So I guess
10 the first step is SC&A's completion of the
11 elements.

12 **DR. MAURO:** We're probably about two weeks away
13 of having the complete matrix in your hands for
14 you to work with. Bear in mind the matrix will
15 have a walk-back to the report, so it really
16 represents a tool to facilitate the process,
17 notwithstanding reading the report itself. The
18 same information is there, except in an
19 abbreviated form in the matrix.

20 **DR. ZIEMER:** Yeah, the matrix is just a tool to
21 help track the issues.

22 **DR. WADE:** Okay, and then once that's done and
23 in NIOSH's hands, then NIOSH needs to undertake
24 its activity, which is to identify those that
25 are still in place and used, identify those are

1 not in place, and then respond for those that
2 are in place. Dr. Hinnefeld, what say you?

3 **MR. HINNEFELD:** Dr. Hinnefeld is my brother and
4 my sister. I'm Stu. Probably some four weeks'
5 worth of effort, I would think, to provide some
6 response to all this, given the fact that we
7 don't have to wait two weeks to start. You
8 know, we have --

9 **DR. ZIEMER:** Right, you have the report --

10 **MR. HINNEFELD:** -- a pretty long list --

11 **DR. ZIEMER:** Right.

12 **MR. HINNEFELD:** We have the report. We have a
13 pretty long list of findings already nicely
14 summarized. The matrix is very helpful.

15 **DR. ZIEMER:** It may be just a matter of
16 plugging it into the matrix.

17 **MR. HINNEFELD:** Right. I would suspect that it
18 may be on the order of about four weeks.

19 **DR. WADE:** Four weeks from now.

20 **MR. HINNEFELD:** Yes.

21 **DR. ZIEMER:** Okay. Which puts us in the
22 situation to have this brought to our next
23 Board meeting.

24 **MR. HINNEFELD:** Now we won't have gone through
25 -- I don't think we'll be through that six-step

1 process at that point. Our normal -- normal
2 process is for us to provide our initial
3 response back in writing, and then to have
4 these convergence meetings in terms of making
5 sure we both are understanding what the other
6 one is saying. And then our response might be
7 changed in some fashion based on -- on that
8 discussion. So if we're talking about being at
9 a sort of a convergent -- you know, having a
10 convergent opinion of responding to a -- a full
11 understanding of what the comment was, I think
12 we're going to go some period beyond that. And
13 then that will depend upon our respective
14 schedules and being able to -- to get together
15 and either talk in person or a conference call
16 or whatever.

17 **DR. WADE:** I'd like to get the sense of the
18 Board as to -- I mean we're looking at a
19 meeting in mid-October, which is six weeks from
20 now. And you've heard the two weeks and the
21 four weeks. So what would be your pleasure?

22 **DR. ZIEMER:** Mark?

23 **MR. GRIFFON:** I mean I think that we should --
24 I think we have another workgroup item that we
25 talked about yesterday, potentially, and I

1 think we should try to schedule a workgroup
2 meeting in four or four and a half or five
3 weeks, you know, to have them bring it back to
4 that. It's much easier to go through this
5 laundry list of findings in a workgroup setting
6 and iron out the details, and then come back to
7 the full Board meeting in October. I'd propose
8 that we do that.

9 **DR. WADE:** Okay, so the expectation would be
10 SC&A completes its matrix in two weeks. In
11 four weeks from now NIOSH has made its first
12 response. Soon after that there'll be a
13 workgroup meeting --

14 **DR. ZIEMER:** It'll have to be a workgroup with
15 the two -- with NIOSH and SC&A to resolve
16 issues.

17 **DR. WADE:** And then do you have expectations of
18 what the full Board would see in mid-October
19 and when they would see it before that meeting,
20 or do you just want to leave that to the
21 workgroup process at this point?

22 **DR. ZIEMER:** Appears to me it's going to depend
23 on where the workgroup ends up at that meeting.
24 They may or may not have --

25 **MR. GRIFFON:** Yeah.

1 **DR. ZIEMER:** I don't -- I don't think we want
2 to end up with something that's sort of half-
3 baked that the Board can't deal with, so if
4 we're not pretty much at closure, then we need
5 to extend it.

6 **MR. GRIFFON:** Yeah, it might -- you know, if we
7 come to resolution on everything, we might have
8 a full report back, but I kind of doubt it. It
9 might just be an update -- a progress update
10 and -- and we'll (unintelligible) --

11 **DR. WADE:** So we're looking at a progress
12 update at the next Board meeting -- or more,
13 depending upon the workgroup action, that
14 workgroup action likely to take place in early
15 October. The only thing left now is who is the
16 workgroup or who are the workgroup members.

17 **DR. ZIEMER:** And one thing about the -- this
18 process is little different from the others in
19 that it's pretty much internal -- that is, as
20 we come to closure on -- item by item. For
21 example, if -- let's say NIOSH says yeah, that
22 was a good comment; we need to change this
23 procedure. They can do that. We don't -- even
24 without the Board saying anything. Or the
25 Board may take specific action, but it's --

1 it's not like a site profile where we are
2 trying to meet some deadline in terms of
3 particular cases. We -- procedures are going
4 to continue to be developed and modified and so
5 on. And as we look forward, we have a similar
6 process as we come to the workbooks that
7 probably need to be reviewed. John, you have
8 additional comments?

9 **DR. MAURO:** Yes. As we recall, when we went
10 through this closeout process on the task four
11 cases, which was a very effective process, the
12 relationsh-- the exchange between NIOSH and
13 SC&A was very mature, to the point where -- as
14 you recall -- it was really a matter of
15 assigning a number, and this -- but that was an
16 important point. In other words, it became
17 clear that it's not just yes/no,
18 resolved/unresolved. There are a lot of
19 different categories that we placed each
20 finding into. It may have been -- I think
21 numbers one through seven --

22 **DR. ZIEMER:** Yes.

23 **DR. MAURO:** -- on that order, which was very
24 well tailored to the audits of the cases. Now
25 we're dealing with the procedures, which is a

1 different frame of reference, so to speak. I
2 believe I would -- I would suggest that as we
3 work through the comment resolution process we
4 take advantage of that process to also begin to
5 construct a scorecard. In other words, a
6 closeout assignment number that will serve the
7 purpose of the Board so that when we do come
8 before the full Board to go through the
9 closeout session, we'll have a clear vision of
10 what -- the scoring system that we'll use for
11 (unintelligible).

12 **DR. ZIEMER:** Right, and this may be similar to
13 the one we had before, you know, where NIOSH
14 agrees and has made this change; SC&A agrees
15 and withdraws the comment; you agree to
16 disagree -- whatever it may be, there would be
17 a number of those. That's a good point. Thank
18 you. Any other --

19 **DR. WADE:** At some point I'll need to know who
20 the workgroup members are, and --

21 **DR. ZIEMER:** I think we can go ahead and
22 appoint a workgroup right away. One thing is
23 if we have the same workgroup every time, it
24 starts no longer looking like a workgroup. It
25 looks more like a permanent subcommittee. But

1 nonetheless, the Chair is willing to ask for
2 volunteers for this workgroup. I would like to
3 have four individuals involved --

4 **MR. GRIFFON:** Well, I --

5 **DR. ZIEMER:** -- and Mark, if you're agreeable,
6 I'd like you to chair it.

7 **MR. GRIFFON:** I wonder should be a
8 subcommittee, though. That's another question.

9 **DR. ZIEMER:** It may be that we would have it
10 become a more permanent subcommittee with this
11 specified membership. And in fact, frankly,
12 I'm finding the current subcommittee structure
13 a little bit awkward where everybody is a
14 member of the subcommittee.

15 **DR. WADE:** Just considerations, if it's a
16 subcommittee, then it's a public meeting.
17 We'll need a task and a charter for the
18 subcommittee.

19 **MS. MUNN:** It was a public meeting the last
20 time.

21 **MR. GRIFFON:** Yeah, it was public, and the
22 workgroup meetings are --

23 **DR. ZIEMER:** The workgroup is ad hoc to do a
24 specific job at a specific time and that's it.
25 And what I'm saying is if it's always the same

1 group of people it starts to look like it's a
2 permanent group, so -- but nonetheless, the
3 Chair -- Mark, if you're willing to chair it, I
4 would like to ask you to be chair and I'd like
5 three other volunteers.

6 **MS. MUNN:** I'd like to follow up, as long as
7 they don't get --

8 **DR. ZIEMER:** Wanda, Bob Presley and Mike. And
9 an alternate, Rich Espinosa. Is that
10 agreeable? Any objections to that? The Chair
11 has the prerogative but certainly if others
12 object, I'd be willing to change that. Okay,
13 that will constitute the workgroup then.
14 Probably will meet in Cincinnati in
15 approximately a month, but the date will be
16 worked out between the staff as they reach the
17 point of being able to handle -- or the point
18 where the comment materials are ready.

19 **DR. WADE:** Right. Now again, by FACA rule, a
20 workgroup does not have to be a public meeting.
21 The last time we decided to hold the workgroup
22 as a public meeting because of the interest
23 surrounding the Mallinckrodt issue. Does the
24 Board have instruction for me as to whether or
25 not they'd like to see this workgroup meeting

1 be a public meeting or follow the more
2 traditional approach which would be it would
3 not be a public meeting?

4 **DR. ZIEMER:** Any Board members have comments?
5 Yes, Wanda.

6 **MS. MUNN:** I guess my sense is that may vary
7 depending upon the topics that are being
8 addressed. These topics seemed to me to be
9 primarily internal procedural issues and highly
10 technical and would not be, it seems to me, of
11 the same kind of interest as the previous
12 working group might have been.

13 **MR. GRIFFON:** Yeah, I -- I agree with that. I
14 think it's on an item by item basis. But for
15 this one -- I think that for all of them we
16 should transcribe them still and I think that
17 would be the intent. But I don't think this
18 one would -- I think we would be more
19 successful getting the work done --

20 **DR. ZIEMER:** Leon?

21 **MR. GRIFFON:** -- to keep it small and not
22 public.

23 **MR. OWENS:** Dr. Ziemer, I appreciate the
24 comments that were made, but I think that
25 having the meetings open would continue to

1 allow the public, those that are interested, to
2 have access and also ensure that our overall
3 process is transparent.

4 **DR. ZIEMER:** It is also possible to allow the
5 meeting to be open without having the formal
6 subcommittee structure, that -- that in fact
7 the meeting is open; anyone is welcome to
8 attend. And we could make it -- the
9 information available to sort of the
10 constituents. There is sort of a master list,
11 I guess, of people we notify -- sort of aside
12 from the *Federal Register* process, those that
13 we know have ongoing interest, but that --
14 Richard, you have a comment?

15 **MR. ESPINOSA:** Kind of, just (unintelligible)
16 all of what you're saying. Does -- if it's
17 going to be public does the same structure have
18 to be done, notifications and what-not?

19 **DR. WADE:** Well, technically if it's a working
20 group meeting we could go about three ways of
21 letting people know. We could do a *Federal*
22 *Register* notice, which is required of
23 subcommittee and Board meetings, not of working
24 group meetings. We could post it on the NIOSH
25 web site. We could send a notice to that

1 mailing list we have of concerned and
2 interested people. We could do any combination
3 of those.

4 **MR. ESPINOSA:** I would like to see the meeting
5 go public. And if it does, I don't see the --
6 why (unintelligible) should go into a
7 subcommittee rather than a working group if
8 it's going to be public, but -- split hairs one
9 way or another.

10 **DR. ZIEMER:** One of the sort of practical
11 issues with doing formal subcommittee is that
12 we do have to develop and approve a charter.
13 That has to go up through the NIOSH and HHS
14 process and it gets a little extended. We can
15 have a workgroup and still have it fully open.
16 And Leon, in terms of your suggestion, I know,
17 don't know if -- are you thinking *Federal*
18 *Register* notice or that -- just the less
19 extensive but probably as effective list of
20 notifications?

21 **MR. OWENS:** I'm thinking the less extensive but
22 probably just as effective list, and possibly a
23 note on the NIOSH web page. I think that would
24 suffice.

25 **MS. MUNN:** I think so, too.

1 **DR. ZIEMER:** Do you agree with that, Rich?

2 Rich says he agrees with that.

3 So Board members, if that's agreeable, we will
4 identify a meeting as being open to any
5 interested parties, even though it is not a
6 regular *Federal Register* type of subcommittee.
7 Okay? And --

8 **DR. ANDERSON:** Would that -- would that mean
9 you'd have a dial-in that people would be able
10 to call in to listen, or not?

11 **DR. ZIEMER:** This is not a full meeting of the
12 Board at all.

13 **DR. WADE:** It is not. I wouldn't do that
14 normally.

15 **DR. ANDERSON:** No, I was just thinking that
16 from the public perspective to say they can
17 attend in person --

18 **MR. GRIFFON:** Right.

19 **DR. ANDERSON:** -- the likelihood of people
20 coming much less than if somebody's curious and
21 interested, to sit on a phone call. If you're
22 not going to have it --

23 **DR. ZIEMER:** That's very difficult, though, in
24 a workgroup where you --

25 **DR. ANDERSON:** No, I wasn't -- the workgroup

1 would be there. I'm just raising the question
2 --

3 **DR. ZIEMER:** It's very difficult for somebody
4 to track along -- 'cause they'll have papers
5 and so on that they're working from.

6 Robert?

7 **MR. PRESLEY:** Like to speak against a phone
8 call, and the reason being if you all remember
9 the last two or three that we've had, we've had
10 problems on the telephone calls with people
11 with a -- I hate to say it -- with babies and
12 dogs and flushing commodes and stuff like that,
13 and it's really hard to get any work done when
14 you've got stuff like that going on.

15 **DR. ZIEMER:** Leon?

16 **MR. OWENS:** Dr. Ziemer and Dr. Wade, I would
17 ask, could the minutes -- or could the meeting
18 have minutes that were transcribed and also be
19 available at our upcoming Board meetings?

20 **DR. WADE:** Could I repeat what I think my
21 instructions are?

22 **DR. ZIEMER:** Yes.

23 **DR. WADE:** We'll have a workgroup meeting. The
24 work group will be chaired by Mark, consisting
25 of Wanda, Mike, Robert, with Richard as an

1 alternate. We're aiming for early in October.
2 The purpose of the workgroup is to look at the
3 process of comment resolution and closure
4 between NIOSH and SC&A on the procedures
5 review, the task three report. The workgroup
6 meeting will be open to the public. It will be
7 noticed on the NIOSH web site. We will send
8 out information about the meeting to our
9 mailing list of interested people. We will
10 keep a transcript of the meeting. We will not
11 have a public comment period at the meeting,
12 but the public will be invited. That's the
13 model we followed before. Is that the sense of
14 the Board?

15 **MS. MUNN:** Uh-huh, yes.

16 **DR. ZIEMER:** Is that -- everybody agree? Thank
17 you very much.

18 Okay, we're going to take a brief recess. It's
19 close to 10:00 o'clock. Take a 15-minute
20 recess and then we'll reconvene and we can
21 continue our discussions.

22 (Whereupon, a recess was taken from 9:55 a.m.
23 to 10:20 a.m.)

24 **BETHLEHEM SITE PROFILE**

25 **DR. ZIEMER:** We're ready to proceed. We have

1 one additional item that comes out of the
2 subcommittee work from yesterday, and that item
3 has to do with the Bethlehem Steel profile.
4 The Bethlehem Steel site profile was acted on
5 by this Board in February. There has been a
6 revision and we have a motion dealing with the
7 action to be taken by our contractor relative
8 to the revision and to the issues that were
9 outstanding. Jim Melius made the motion at the
10 subcommittee meeting. And since I don't have
11 the wording here, I would ask Jim if he could
12 somewhat reproduce the words of his motion.
13 They may not be exactly as they appear on the
14 transcript, but they will at least be the
15 motion in principle. So Jim, if you can, give
16 us today's version of yesterday's motion.

17 **DR. MELIUS:** Okay. The motion would be that we
18 ask our subcontractor -- excuse me, our
19 contractor, SC&A, to review the draft revised
20 site profile from -- that NIOSH has recently
21 produced, paying particular attention to the
22 items that we had highlighted in our original
23 comments to NIOSH based on SC&A's review of the
24 earlier site profile.

25 **DR. ZIEMER:** Okay. That is the motion, and the

1 intent would be that there would be a report
2 back to us from SC&A on their findings --

3 **DR. MELIUS:** At our next meeting, correct.

4 **DR. ZIEMER:** -- at our next meeting.

5 **MR. ESPINOSA:** Second the motion.

6 **DR. ZIEMER:** And the motion actually doesn't
7 require a second, although the record can show
8 that. It does come as a recommendation from
9 the subcommittee.

10 Let me ask if there are any comments now, or
11 questions on that.

12 (No responses)

13 Okay. You're ready to vote then?

14 **MS. MUNN:** Uh-huh.

15 **DR. ZIEMER:** All in favor of the motion, say
16 aye?

17 (Affirmative responses)

18 Are there any opposed?

19 (No responses)

20 Are there any abstentions?

21 (No responses)

22 Okay, the motion carries and we will proceed
23 then to have the revision of the Bethlehem site
24 profile reviewed by SC&A and a subsequent
25 report will come to us on that.

1 Again, we need to get some information on
2 timing. What is the expectation -- John, can
3 you comment as to what you think might be the
4 timing on that?

5 **DR. MAURO:** We will start immediately, and I
6 expect we will have a letter report in your
7 hands and NIOSH's hands probably three weeks
8 from now.

9 **DR. ZIEMER:** In possibly three weeks we'll have
10 comments. If there are issues -- I don't know
11 if the motion included proceeding with the
12 resolution process. Was that part of the
13 intent of the motion?

14 **DR. MELIUS:** I -- yes, it was, if necessary.

15 **DR. ZIEMER:** If necessary -- if there were
16 issues raised where we needed to get feedback
17 from NIOSH, we would feed that -- those
18 comments to them and might proceed with the
19 resolution process. The only thing promised at
20 this point is the report.

21 **DR. MAURO:** Yeah, and right now the way I look
22 at it is in three weeks you all will have our
23 letter report, and then the extended review
24 process would begin from there, which I
25 suspect, given the limited number of issues

1 that are on the table, would be something that
2 would be able to be accomplished relatively
3 quickly. And we will either be in agreement or
4 agree to disagree.

5 **DR. ZIEMER:** Yes.

6 **DR. MAURO:** And we will be at that point very
7 quickly.

8 **DR. ZIEMER:** So there's a good chance that we
9 would have something to act on at the next
10 Board meeting.

11 **DR. MAURO:** Correct.

12 **DR. WADE:** Could I just explore a little bit
13 what happens after three weeks, just so we have
14 the wherewithal to do what we need to do. So
15 if there are issues then that need to be
16 discussed between SC&A and NIOSH after we
17 receive the letter report, is it the sense of
18 the Board that there would be discussions that
19 would take place between the parties, notifying
20 Board members of those discussions, allowing
21 Board members to participate in those
22 discussions? How do we want to see that next
23 possible step take place?

24 **DR. ZIEMER:** It would be my understanding that
25 that is exactly what would happen. If there

1 are -- particularly substantive issues, that
2 they would have a resolution process similar to
3 what we used before. Although it didn't appear
4 that there was much -- many of the big issues
5 on Bethlehem have already been resolved, and
6 those were enumerated and identified in our
7 February action where we -- we had the five
8 specific motions where we dealt with those. So
9 -- but in the event that there are major issues
10 or differences, we would have to have that
11 resolution process.

12 **DR. WADE:** I think the way we worded it before,
13 there could be discussion between the two
14 parties clarifying issues. But if there was
15 anything substantive to be discussed, then we
16 would notify Board members -- Board members
17 could participate.

18 **DR. ZIEMER:** Jim.

19 **DR. MELIUS:** And could we also have a process
20 similar that we had with Mallinckrodt where we
21 also notify some of the key interested
22 citizens, particularly Mr. Walker and his
23 group? I think that would sort of facilitate
24 communications rather than have them find out
25 about it all at -- you know, at a later point

1 in time.

2 **DR. WADE:** So as I understand the discussion,
3 if there -- if there were to be simply
4 clarifying discussions between the parties
5 after we receive the letter report from SC&A,
6 we would allow those to take place between
7 NIOSH and SC&A. Anything substantial that
8 needs to be discussed would be discussed in a
9 call where we would notify the Board members
10 and notify and allow participation of Mr.
11 Walker and others or --

12 **DR. ZIEMER:** That would be analogous to what we
13 did with Mallinckrodt --

14 **DR. MELIUS:** Yeah, yeah.

15 **DR. ZIEMER:** -- so it seems to -- it seems to
16 me it would be fair to keep Mr. Walker --

17 **DR. MELIUS:** Yeah.

18 **DR. ZIEMER:** -- apprised and he kind of heads
19 up that group, I think.

20 **DR. MELIUS:** Yeah.

21 **DR. WADE:** We would contact him, and that would
22 be --

23 **DR. MELIUS:** I think contact him -- now if he
24 wants another individual be on the call, I
25 don't think that's an issue. Again, those are

1 not -- we don't need casts of hundreds, but...

2 **DR. ZIEMER:** Yeah. John?

3 **DR. MAURO:** One additional question. During
4 the three-week period as we read through --
5 it's not a large document, I think it's about
6 37 pages -- we may have certain clarification
7 points where we're going to need a little help
8 to understand exactly what NIOSH's position is
9 on a given matter. To what degree are we free
10 to call Jim and get clarification on do we
11 properly understand this?

12 **DR. ZIEMER:** I would suggest this, and Board
13 members can comment, that you do something
14 similar to what was done with Jim and Arjun
15 where -- keep us abreast of any exchanges. You
16 can keep me abreast. I will pass any of that
17 along to the Board so if -- if there's an
18 ongoing commentary, let's say by e-mail, that
19 we're simply kept apprised of that. Would that
20 be agreeable, Board members?

21 **MS. MUNN:** Yes.

22 **DR. MELIUS:** Yeah.

23 **DR. WADE:** And if there are substantial issues
24 to be addressed on a call, we'll transcribe
25 that call and make the materials available.

1 that discussion yet this morning. Is there any
2 objection to proceeding with that?

3 **DR. WADE:** I mean I have no objection to that,
4 but I would like to again put on our agenda to
5 follow up -- that flowing out of our
6 subcommittee discussions there were issues
7 regarding, for example, the timing of the
8 review of the Savannah River site profile. All
9 of that leads to the need for this Board to
10 start to look at sequencing and scheduling of
11 things, and I think we need to reserve some
12 time to talk about that. It doesn't have to be
13 now.

14 **DR. ZIEMER:** Right. And as far as scheduling
15 and prioritizing the site profiles, I think
16 there was expressed a desire to do that after
17 we have looked at -- with the contractor in
18 closed session, looked at the workload for the
19 upcoming year.

20 **DR. MELIUS:** Yeah, just to that point, I think
21 I understood you, Paul, that we would -- that
22 would -- that following our closed work session
23 today might be a -- sort of timely to talk
24 about sort of the scheduling --

25 **DR. ZIEMER:** Prioritizing --

1 DR. MELIUS: -- and priority issues --

2 DR. ZIEMER: -- for example --

3 DR. MELIUS: -- and so forth.

4 DR. ZIEMER: -- what's the next site profile
5 that we want to deal with --

6 DR. MELIUS: Deal with, right.

7 DR. ZIEMER: -- in terms of resolution of
8 issues and so on.

9 DR. MELIUS: Yeah, so for 3:00 o'clock and --

10 DR. WADE: I just want to say on the record
11 that that discussion will flow out -- flowed
12 from the subcommittee discussions.

13 DR. MELIUS: Right.

14 DR. ZIEMER: Yes.

15 DR. MELIUS: Yeah.

16 DR. ZIEMER: So we -- we had an informal
17 presentation yesterday by both Jim Neton and by
18 Arjun Makhijani on the six priority items
19 relating to the site profile. Now -- and
20 basically there was no specific recommendation
21 that came out of that. There was one motion
22 dealing with the Mallinckrodt-related issues,
23 but that motion did not pass, so we had no
24 specific recommendation, but we do need to now
25 address the six items and hear from both NIOSH

1 and SC&A. So let us begin with NIOSH and, Dr.
2 Neton, if you're prepared to present your
3 material, we will proceed.

4 Yes, and --

5 **MS. BROCK:** Hi, I'm sorry --

6 **DR. ZIEMER:** Denise Brock, you have a comment?

7 **MS. BROCK:** I do, I have a question. I'm so
8 sorry to interrupt. I was just curious. I
9 know that we -- that you vote tomorrow on the
10 SEC -- excuse me, I have a Tic Tac in my mouth
11 -- you vote on the approval or not of the SEC
12 petition, and I'm wondering if I could somehow
13 get clarification from the Department of Labor
14 in reference to the non-SEC cancers such as
15 skin. I know at the Adams Mark I had brought
16 that up in reference to the petition going
17 through for '42 to '48, and there were some
18 skin cancers and other non-SEC cancers. I
19 wanted to make sure that they were still going
20 to be dose reconstructed, and the -- I know Dr.
21 Neton said yes, and I believe Shelby Hallmark
22 had agreed. But I wanted to make sure I got
23 clarification on that that if this is approved
24 that the non-SEC cancers will still be able to
25 have remedy, that they will be able to be dose

1 reconstructed. And I thought perhaps if
2 somebody from Labor could get ahold of whoever
3 they need to maybe to get clarification on
4 that.

5 **DR. ZIEMER:** Okay. We will try to get that
6 answered yet --

7 **MS. BROCK:** Great, thank you.

8 **DR. ZIEMER:** -- this week.

9 **DR. WADE:** Before you step back, Denise -- so
10 your question is specifically regarding this
11 petition that we're looking at, and if this
12 petition is approved, what will happen to the
13 non-SEC-covered cancers. You're not asking
14 anything to do with the earlier petition at
15 this point?

16 **MS. BROCK:** No, I know the earlier petition was
17 covered. I -- and that was okay. They are
18 going to be dose reconstructed. I just wanted
19 to make sure -- just to cover all my bases,
20 that in fact the non-SEC cancers would still be
21 able to be dose reconstructed.

22 **DR. WADE:** I can only promise that we'll raise
23 the question.

24 **MS. BROCK:** Thank you very much.

25 **DR. ZIEMER:** Okay, here's Jim Neton. Jim,

1 welcome back to the podium. And let's see,
2 does everyone have a copy of these handouts?
3 These were not in the book, right, Jim? Didn't
4 we distribute these yesterday?

5 **DR. NETON:** That's correct, these were --

6 **DR. ZIEMER:** I'll make sure the Board members
7 who were not here yesterday have a copy.

8 **DR. MELIUS:** I don't believe I got a copy.

9 **DR. ZIEMER:** We'll get copies here.

10 **DR. NETON:** I'm sorry, I thought they had been
11 distributed. Apparently --

12 **DR. ZIEMER:** Yeah, I think they were yesterday,
13 but not everybody was here yesterday.

14 **DR. NETON:** Yeah. There were plenty of copies
15 so there shouldn't be a problem.

16 I wasn't quite sure where this presentation was
17 going to fit into the meeting. I didn't know
18 whether it was today or tomorrow's session, but
19 I'm always willing and able to go whenever
20 asked to -- to present.

21 We were asked to remind everyone -- NIOSH was
22 asked at the last meeting to evaluate what was
23 called high -- or not high priority, but six
24 priority issues that were a result of SC&A's
25 review -- I think it's the third supplemental

1 review, I forget where we're at now, of the
2 Mallinckrodt site profile. So I've got a few
3 slides here that -- I've attempted to summarize
4 where I believe we're at. Of course you've all
5 been on the distribution list of the large
6 volume of documents that have been generated in
7 the last month or so, trying to come to some
8 resolution on these issues. And honestly, it's
9 been a very technically interesting and
10 rewarding process. I think it's just -- it's a
11 very good, transparent scientific process, and
12 I think SC&A would agree as well that we've all
13 learned things going through this.
14 Just -- just to -- the first priority issue was
15 the handling of raffinates. That sort of
16 surfaced as possibly the key issue that was
17 looming on the horizon for how we would do
18 these dose reconstructions.
19 And just to remind everyone what we mean by the
20 raffinate ratios, this is reproduced from one
21 of the reports that we've generated. It's a
22 general outline of the process, and you see
23 when you're taking pitchblende ore that is in
24 equilibrium -- presumed to be in equilibrium --
25 down the chain and you start doing a chemical

1 process on it, you end up modifying that ratio
2 to some extent to where in the very first
3 process you end up with this what's called K-65
4 residue. And that's the material that is very
5 highly enriched in radium 226.

6 The way the chemistry is, they precipitate out
7 as a sulfate and you get lead sulfate -- it's
8 called lead cake, as well, but lead sulfate,
9 radium sulfate. So that has a very large
10 concentration of radium in it, as well as other
11 daughters -- progeny, such as thorium and
12 actinium and protactinium. But by and large,
13 there's a huge concentration of radium.

14 The second step is a very similar process, the
15 barium sulfate cake, not that different than
16 the radium. It's just a sort of clean-up
17 phase. When you get down here, we have Sperry
18 cake, which is a precipitate out of the ether
19 extraction column in the aqueous phase, which
20 was known to be a very good source of
21 protactinium.

22 And then at the very end of the process you
23 have essentially the true raffinate, the junk
24 that's left over that was not usable. It's
25 known as airport cake or AM-7. This is the

1 material after the radium's been taken out that
2 is fairly enriched in thorium 230. You'll see
3 as we go through the discussion, thorium 230 is
4 somewhere around 70,000 picocuries per gram in
5 this material.

6 So you have -- what I'll be talking about is
7 radium-bearing ore and thorium-bearing ore, and
8 what I'm really referring to here is K-65,
9 which is enriched in radium, and airport cake,
10 which is highly enri-- it's not -- it's highly
11 -- it has mostly thorium 230 as the
12 consideration, so that's what I'm talking
13 about.

14 How do we reconstruct dose to workers?
15 Raffinate workers who worked in Plant 6 in the
16 chemical extraction process. Once all of this
17 stuff is gone and the airport cake is shipped
18 out and the K-65 is shipped out, then you go
19 into uranium metal fabrication, and you really
20 have none of these problems -- for example,
21 operations in Plant 4 where they're working
22 with metals.

23 So -- so what have we done here to address this
24 raffinate issue? We have developed process-
25 dependent ratios. In other words, we could not

1 always tell if a worker was working with the
2 radium end of the business or the thorium end
3 of the business, so we bifurcated the process
4 and developed a technique or a process for
5 looking at exposure to radium-bearing residues
6 and exposure to the airport cake residues.
7 And those two processes are the radon breath
8 analyses that we have can be used to determine
9 the radium intakes for those workers and then
10 assign a radium intake with appropriate ratios.
11 And for the airport cake, that technique is not
12 useful for assaying thorium, so we're going to
13 use the thorium air concentra-- or the air
14 concentration in the plants and take the 95th
15 percentile of the time-weighted average and
16 apply those to determine the intake for
17 thorium-bearing ores. We will compare the dose
18 from those two scenarios and pick the highest
19 dose -- if we don't know, if you can't tell
20 where the worker worked -- and assign that as
21 their intake.

22 Kind of going through this, the radium-bearing
23 residues are based on radon breath. We'll use
24 the actual radon breath data if it's available.
25 If not, we've developed a -- a distribution.

1 We'll pick the 95th percentile of that
2 distribution for unmonitored workers who were
3 residue workers and, like I said, use the
4 coworker distribution. And then the thorium is
5 based on the 95th percentile for residue
6 workers.

7 If they did not appear to be a residue worker,
8 we'll use the full distribution. The best
9 estimate would be the 50th percentile, but they
10 would also be assigned the uncertainty about
11 that value. And as we discussed yesterday in
12 the subcommittee meeting, this would be very --
13 we'd have to have conclusive evidence that they
14 were not residue workers in order to apply this
15 distribution. This would be a pretty -- a
16 pretty tight standard here. If we couldn't
17 tell otherwise, we're going to assign the 95th
18 percentile.

19 Okay. Here are the ratios that we proposed to
20 use, and I handed out yesterday at the
21 subcommittee -- it was available -- a little
22 write-up that describes how we arrived at these
23 ratios. So for what we call radium enriched,
24 this would be the K-65, we're proposing to use
25 the ratios that were -- that -- determined from

1 the K-65 residues that are at the -- in the
2 Fernald silos. That -- those residues came
3 from Mallinckrodt originally. We believe them
4 to be fairly representative of the ratios.
5 For the thorium enriched residues we're
6 proposing these ratios, and those are based on
7 some analyses that we've obtained. There's a
8 few -- few publications on this. We believe
9 the best for this -- I think it's the Figgins
10 reference. We're using -- there are a couple
11 of analytical results that indicate that this
12 ratio -- the thori-- the protactinium is about
13 13 percent of the alpha activity.
14 The actinium 227, there's indications that it's
15 lower than the protactinium, but we couldn't
16 conclusively determine it, so we're going to
17 assume that the actinium 227 is in equilibrium
18 with the protactinium.
19 Okay, just continuing on a little bit more with
20 the ratios, the uranium intakes are going to be
21 calculated independently of the raffinate
22 source terms now. In looking at the original
23 data and doing some dose reconstructions, it
24 became fairly obvious that workers rotated
25 through jobs. They weren't always raffinate

1 workers and uranium workers. They worked
2 around the plant. So the uranium intakes were
3 highly inflated -- not inflated, but were --
4 were not representative of raffinate intakes
5 necessarily. For example, I can show you later
6 on -- we -- we had proposed earlier, remember,
7 taking a ratio of uranium to radium and
8 multiplying it times 100 and I think we even
9 got as high as 400. If you do that, you end up
10 with some very large intakes that are
11 inconsistent with the air concentration data
12 itself. So we ended up just independently
13 calculating the uranium-- the dose to the workers
14 from the uranium intake, isolating that and
15 then using the radon breath and the air
16 concentration data for the raffinates.
17 There were some questions raised about the
18 reliability of the radon breath analyses.
19 We've looked into this a fair amount, and in
20 our opinion -- there were some missing
21 analyses. Up to about 25 percent of the data
22 were listed as either lost or not analyzed.
23 We've talked to Dr. Naomi Harley at EML, who
24 was there during this time period. She was
25 aware of no selective censoring. It was more

1 likely, in her opinion, that the missing
2 analyses, particularly where a set wasn't
3 analyzed, had more to do with the availability
4 of the analyst or the timeliness of the
5 shipping of the samples since radon has a short
6 half-life -- and Federal Express wasn't around
7 during those days. It could have sat too long
8 to be of use -- of use in the analysis. So
9 we've looked at this. We don't see there to be
10 any indication of selective censoring.
11 The distribution of the worker types appears to
12 be consistent with broad sampling of the work
13 force, as you'd expect.
14 And the analytical techniques that were used
15 are -- are, to our knowledge, prone to
16 overestimating intakes than anything. That is,
17 there were some issues with people having their
18 breath sampled in areas that had high radon in
19 the air. That would tend to over-inflate the
20 value. This postprandial effect, where after
21 eating people tend to ventilate more radon,
22 would also tend to overestimate. So there's a
23 number of areas that are prone to make these
24 values overestimates.
25 I just have a couple of graphs here looking at

1 the distribution. About 60 percent of the
2 sampled workers came out of the operations --
3 and these are all based on the job titles
4 assigned to the radon breath numbers in the
5 database -- 13 percent trades and crafts,
6 laboratory workers about 10 percent. There was
7 a laboratory right there in Plant 6, I think,
8 which was doing a lot of these analyses. Some
9 warehouse workers and some miscellaneous
10 categories -- engineering, administrative. But
11 in general it looks to be like a reasonable
12 sampling of the workers who were likely to have
13 been exposed to radium.

14 This just breaks it down a little further by
15 year to show that the sampling distribution
16 didn't appear to change substantially through
17 the years of those different categories.

18 And then finally the issue of the lost or not-
19 analyzed samples we looked at. There was a
20 period of time in August of -- I can't exactly
21 remember which year now, '53 or '54, one of the
22 years in August -- one of the months -- August
23 of one of the middle years that had a high
24 percentage of missing samples, the lost -- lost
25 samples or not analyzed. We went back and

1 said well, of the samples that were missing or
2 lost in that time period -- and there was a
3 total of 40 -- we went back and looked and said
4 did -- do we have additional data for any of
5 those samples. And the answer is, within a
6 year, we have some sample for 98 percent of
7 those people. So it's not like those samples
8 were lost -- those people were lost from the
9 database. The radon breath tends to be an
10 integrating measurement because we're assuming
11 that it's an integrating measurement of the
12 amount of radium in your skeleton. That's not
13 going anywhere very fast, so a sample within a
14 few months or a year later is not much of a
15 changing picture. So in essence, what this
16 really, I think, depicts is that even though
17 the samples weren't analyzed, we have some way
18 of looking at the intakes for those workers, as
19 well.

20 Okay, issue two, the handling of radon
21 exposures. If you remember at the -- at the
22 last Board meeting the issue was raised, does -
23 - first of all, do we have enough radon samples
24 to reconstruct radon exposures to the workers.
25 And secondly, if -- given that there are large

1 radon exposures, is there not some contribution
2 of radon inhalation to systemic organs other
3 than the lung. That was raised in the SC&A
4 report.

5 So we took a look at this and we actually
6 analyzed and fit lognormal distributions to
7 about 5,000 radon measurements that were taken
8 over the period of time between '49 and '57,
9 got some very nice fits to that, and I think
10 it's all in -- in the sheets -- in the reports
11 that were provided to the Board. So we believe
12 the radon fits a pretty good distribution. The
13 fact is that almost all the lung cancers have
14 been paid thus far, so radon dose to lungs is
15 really not an issue at this point.

16 But the dose to systemic organs still is out
17 there. What we've done there is looked at
18 SC&A's analysis and determined that -- their
19 analysis had some technical issues related to
20 this half-life of these radon progeny in the
21 lung. We've re-evaluated it using our own
22 models and came to the conclusion that there is
23 some dose. Most of the dose is due to the
24 inhalation of -- the dissolution of radon gas
25 in the body. So we needed to account for it.

1 I mean we agreed that we needed some way to
2 account for it, but when we looked at the radon
3 breath monitoring techniques -- in other words,
4 we're assuming that everyone in the process
5 area at a minimum had the 50th percentile of
6 the radon breath values -- then that analysis
7 will bound the dose from -- the systemic organ
8 dose from radon. And there's about a five or
9 six-page write-up that we provided the Board,
10 and I believe SC&A has looked at this and they
11 -- they are in agreement with that. So we
12 think that we have a way to address the radon
13 issue.

14 The external dose correction factor, we talked
15 about this yesterday some. There were -- there
16 was -- SC&A's opinion that there were certain
17 job categories where a badge worn on the lapel
18 on the upper torso would not adequately sample
19 the exposure from a worker who had what we
20 would call a near-field exposure scenario,
21 someone working near a pot of uranium or
22 milling or grinding an ingot, or cleaning up a
23 spill, so we modeled those. We have this
24 software program called Attila that does nice
25 modeling of external dose fields for us. And

1 based on those analyses, we agree that there
2 are some exposure geometries -- such as
3 pitchblende clean-up, ingot machining and
4 people working close to de-nitration pots --
5 where the film badge itself could possibly
6 underestimate the dose by about a factor of
7 two.

8 So we've written a Technical Information
9 Bulletin on this. It's in draft form. I think
10 SC&A has looked at this and they agree that
11 this value is appropriate, so we will be
12 multiplying doses for workers in these exposure
13 geometries by a factor of 2.1. It looks like,
14 based on our -- looking at the claims that we
15 have in-house right now, it will be applicable
16 to about 57 percent of the current cases, and
17 that's based on an analysis of where these
18 people were working and what they were doing.
19 Essentially what we're saying is people in the
20 administrative area and engineering areas were
21 probably not doing these type of activities, so
22 these would have to be people working directly
23 in the plants.

24 This is just a nice little picture -- I like to
25 show colored pictures -- of one of the Attila

1 runs with a de-nitration pot, and the nifty
2 color is just to sort of show the differences
3 of the exposure rates near the badge. So the
4 pot here is a higher dose. Here's the dose
5 where the badge is, and these different
6 gradations, you can see the doses down here to
7 the lower torso tend to be a little bit higher
8 than the doses that would be measured up near
9 the badge.

10 Okay, the assessment of intermittent exposures.
11 SC&A was concerned that the chronic exposure
12 model that we would normally default to when we
13 had bioassay data would not sufficiently bound
14 the exposure scenarios of these workers. And
15 we went through a number of scenarios. We've
16 developed a few graphics and discussed this at
17 some length in our face-to-face meeting that we
18 had in Cincinnati at the Hilton Hotel.

19 And I won't go through all the details of
20 these, but just to point out that -- this is an
21 actual exposure scenario for a worker from '47
22 to '58, the bioassay samples. If we fit a
23 chronic exposure model through all those data
24 points, you end up with the highest intake for
25 the worker than if you start inferring certain

1 acute intakes, whether it happened shortly
2 after employment, the first day of employment,
3 several intakes -- the bottom line here is that
4 the more refined you make your analysis, the
5 lower the intake goes. It's just the way that
6 works. And in fact at one point we just said
7 let's assume one of these values we didn't even
8 know about, you end up right in about the same
9 ball park as -- as with the chronic exposure
10 model. So it's fairly insensitive to these --
11 these perturbations. And I think SC&A has --
12 has looked at this and I think they're at least
13 convinced in general that this is true,
14 although there may be certain exposure --
15 unique incidents, exposure scenarios that we
16 need to be sensitive to and aware of and make
17 corrections as appropriate.

18 Okay, issue five, dose reconstructions for
19 unmonitored workers. The question is, you have
20 administrative workers assigned -- who have no
21 -- no exposure. What about environmental doses
22 to these folks?

23 What we ended up agreeing to is unmonitored
24 administrative workers would be assigned the
25 full distribution of the monitored workers'

1 exposures. We believe that to be appropriate.
2 We've looked at some of the environmental
3 monitoring data available, and I certainly
4 believe that, from a routine exposure scenario,
5 this is a claimant-favorable approach. So all
6 administrative workers will be assigned the
7 same dose as if they were working in the plant.
8 The issue of unmonitored workers in the Plant
9 One and Two decommissioning area and the
10 airport storage site, we're going to assign
11 those workers the 95th percentile of the
12 monitored worker exposure.

13 Okay. And then the final issue was, given what
14 you're doing, could you give us a couple of
15 examples of how this comes out? And I have
16 some examples I'm just going to step through
17 real quickly just to give you a sense of what
18 the doses look like, and I'll just have to
19 switch gears here quickly.

20 The first scenario was a residue worker where
21 we had uranium bioassay and we also had radon
22 breath. So here is someone who started
23 employment in 1951, finished in 1958. We had
24 dosimetry data. He was listed in NOCTS as a
25 chemical operator, but the dosimetry records

1 indicate that he worked in the pot room, the
2 ore room, clean-up -- a wide variety of
3 different job functions.

4 Summarize, we have external exposure data,
5 internal exposure data. We have no thorium
6 data for this person. We ended up with -- here
7 are the urine samples that we have between 1951
8 and '56, and then these are the radon breath
9 samples, which are percent of tolerance. That
10 is, one picocurie per liter was the tolerance
11 level in those days, so something indicated as
12 20 percent of tolerance would be really .2
13 picocuries per liter radon breath.

14 And so the approach here was let's use the
15 uranium intake from uranium bioassay samples,
16 take the radium intake using -- estimate the
17 radium intake from the breath radon, and
18 determine what this person's internal dose was.
19 And you've got the fits here, I won't go
20 through them. But when we get to the dose,
21 you'll see here -- these are the projected
22 doses to the highest non-metabolic organ, which
23 would be indicative of the prostate gland or
24 other glands that don't concentrate uranium or
25 aren't explicitly modeled in the ICRP, and the

1 colon dose, which is the two cancers here. And
2 so you see we end up with about 21 rem and 13.6
3 rem in this exposure scenario. Projected PC's
4 in the 20 percent range.

5 Now this doesn't include external dose at all.
6 This is just -- yeah, Mark.

7 **MR. GRIFFON:** As someone who's followed this
8 pretty intimately, I'm wondering now, with the
9 addition of the AM-7 in this question about --
10 I mean in general you're saying -- and this may
11 only apply for coworkers, but the radium source
12 term versus the thorium source term, you're
13 saying you'll take the higher of the two.
14 Would that apply if an individual had their own
15 individual radon breath data, you back-
16 calculate your radium intake, would you still
17 look at the possibility of applying the thorium
18 from the other -- the thorium source term table
19 in your document?

20 **DR. NETON:** That's what we're doing.

21 **MR. GRIFFON:** So these num-- so these numbers -
22 - these doses don't apply now, or did you do
23 that already in this model?

24 **DR. NETON:** Well, this is just the one example
25 where we have radon breath. We're going to do

1 a thorium intake and then compare the two
2 calculations and pick the highest of the two
3 for assignment.

4 **MR. GRIFFON:** Okay. But you only show the
5 doses as calculated from the radon breath
6 there. You didn't --

7 **DR. NETON:** This is just -- just -- this will
8 be the first part. I've got the third one.
9 I'll be getting to that. This would be --
10 okay, so the first thing we do is say we have
11 radon breath. This is the worker's dose.
12 And then we were also asked to look at
13 alternative organs, and you can see that the
14 doses to -- you know, those were -- those are
15 non-metabolic type organs. Here's the organs
16 that have some concentration of radionuclides,
17 and you can see these doses are fairly large --
18 3,000, 21,000 rem -- these are all well over 50
19 percent for what we would call metabolic
20 organs, organs that concentrate the activity.
21 So that is the first analysis. We have radon
22 breath.

23 Now let's -- let's assume that we don't have
24 radon breath. Let's say this worker -- we just
25 didn't have those seven radon breath samples,

1 and so this would use the -- it's the same
2 case, we're just pretending we don't have the
3 radon breath, and so what we're doing here is -
4 - again, calculate the uranium intake from the
5 uranium bioassay data. The radium intake would
6 now be calculated from the 95th percentile of
7 the radon breath data. So now let's go down
8 and look what happens. You end up with higher
9 doses -- not tremendously higher because this
10 guy had some pretty positive radon breath --
11 but you end up with 40 rem for the highest non-
12 metabolic and 25 rem to the colon, and your PCs
13 are in the 30 and 40 range here -- without any
14 external dose added, remember, so that's not
15 really representative of what this case would
16 come out. Medical's not in here or external.
17 And then the --

18 **MR. GRIFFON:** But the -- the -- the fractions
19 on that one for actinium, protactinium, thorium
20 --

21 **DR. NETON:** Uh-huh.

22 **MR. GRIFFON:** -- were based on the radium
23 source term?

24 **DR. NETON:** Still. Still we're looking at --
25 we're looking at the radium portion of the

1 source term.

2 **MR. GRIFFON:** Right.

3 **DR. NETON:** This would just be assuming we had
4 no radon breath. I think that's what we were
5 instructed to look at. And then the non-
6 metabolics -- or the metabolics, same --
7 similar pattern, very high doses to the non-
8 metabolic organs, all well over 50 percent.
9 Okay, now let's take the same worker again, and
10 the analysis would be what if -- let's look at
11 -- forget the radon breath now. We're going to
12 assume that he was breathing this -- what the -
13 - the 95th percentile of the air concentration
14 was and using the ratios derived for that
15 source term, the thorium-bearing ores. Again,
16 same worker, same uranium intake -- 'cause the
17 uranium is de-coupled now from this analysis.
18 But we're going to take the uranium intake from
19 the uranium bioassay; the thorium 230, actinium
20 and protactinium are from the 95th percentile
21 of the air data, which we determined to be 607
22 dpm per cubic meter. So what happens here --
23 and apply it -- now I have to -- this -- this
24 number has changed slightly. The data that I
25 handed you yesterday indicated that this value

1 here is now I think 13.3 -- .133. It's gone up
2 a little bit, based on our most recent analysis
3 of the literature, but it's not going to be too
4 far off.

5 What you end up seeing here is the person ends
6 up with about a 1,580 picocurie per day intake
7 of thorium 230. So again, going through all
8 these calculations, same worker, you end up
9 with not that different of a dose, actually.
10 You end up with 24 rem to the non-metabolics
11 and 18 rem to the colon. And you're in the 30
12 percent range for the colon, 23 percent for the
13 highest non-metabolic. And again, as typical
14 here, the non-metabolic -- the metabolic organs
15 -- liver, bone surfaces -- are showing very,
16 very high doses.

17 So under any of these scenarios, the metabolic
18 organs are well over 50 percent. The non-
19 metabolics will depend upon the individual
20 scenarios, but they can be very large.

21 We still have this discrepancy that we're
22 trying to iron out. I've had some discussions
23 with Arjun and Joyce Lipsztein and others at
24 SC&A this morning, and we got some feedback
25 from Keith Eckerman yesterday. There's a

1 disconnect between the dose conversion factor
2 for protactinium in the literature. The ICRP
3 document we have indicates -- it's similar to -
4 - you'd end up with dose similar to this. The
5 Federal Guidance Report ends up where your
6 protactinium dose would probably end up being
7 similar to your actinium dose. So if anything,
8 these non-metabolic values are going to go --
9 go higher. And in fact, these values will
10 probably be -- will be driven higher, as well,
11 if -- once we can iron out that -- that
12 discrepancy. It's -- it's not related to our
13 program, fortunately. It's -- it's a
14 difference between the ICRP and what's in the
15 Federal Guidance Report, and we certainly need
16 to get to the bottom of that. I mean this is a
17 -- an issue that needs to be resolved. But I
18 think in general the patterns would hold where
19 your metabolics are going to be easily over 50
20 percent and non-metabolics are going to be high
21 and, depending on the individual scenarios in
22 the external dose, they can go over.
23 Okay. That -- and then the last scenario was -
24 - we were asked to look at a worker who may
25 have been in the ionium extraction area. We

1 had one bioassay sample for this person, which
2 we modeled. He started in 1949. He finished
3 in '58. He did have a break in employment in
4 1953, had various job categories but did work
5 in Plant 7-E at one point, which is where the
6 ionium extraction occurred. And as I
7 mentioned, we had -- we have uranium in urine
8 like we do for almost all these folks, some
9 radon breath, and a thorium in urine taken in --
10 - April 8th of '55, which was right during the
11 March/April processing time -- of 1.4 dpm per
12 liter. We know it was a very short processing
13 time, so we believe it's sort of in the middle
14 of that. We've modeled that with a chronic
15 intake and come up with a fairly high thorium
16 intake. Just to give you the representative
17 doses here, the dose to the pancreas ended up
18 being about 110 rem, which put the PC at over
19 50 percent without even inclusion of any
20 external dose at all. Pancreas is a non-
21 metabolic organ for these models, by the way --
22 I think. I'm pretty sure.
23 If you look at the alternative doses, of
24 course, they're huge. The bone surface is 2.3
25 times ten to the fifth rem; liver dose ten to

1 the fourth; kidneys 1,600. So again, metabolic
2 dose is extremely large, and -- and I can't --
3 you know, most of these folks with any positive
4 bioassay working in the thorium/ionium area
5 more than likely for metabolic cancers are
6 going to be well over 50 percent.

7 Okay, I think that's all the prepared remarks I
8 had.

9 **DR. ZIEMER:** Thank you very much, Jim. Now
10 I'll open the floor for questions from the
11 Board.

12 **MR. GRIFFON:** Jim, I guess what I was trying to
13 clarify was -- was the -- I -- I understand the
14 cases, and that is what we asked for. Now I'm
15 looking at the August 12th document that --
16 that sort of has the table one with the
17 fractions that you just talked about, and then
18 there's a table two that shows example intake
19 scenarios, and you have your choice of your
20 uranium source, thorium source, radium source.
21 I guess my question is now -- I thought I
22 understood this before, but now -- if an
23 individual has radon breath data -- I'm trying
24 to think of a circumstance where that would be
25 the driver for the overall intakes of thorium,

1 actinium and protactinium. It seems like
2 that's going to generally give you a lower
3 number so you're going to default to the
4 thorium source.

5 **DR. NETON:** No, I think if you look at the
6 examples I just showed, the 95th percentile of
7 the radon breath gives you -- with these
8 current dose conversion factors -- a higher
9 dose.

10 **MR. GRIFFON:** The 95th percentile --

11 **DR. NETON:** Of the radon breath.

12 **MR. GRIFFON:** I'm saying if the individual has
13 his own personal radon breath data --

14 **DR. NETON:** Right.

15 **MR. GRIFFON:** -- will that ever be the driver?
16 It doesn't -- it seems unlikely to me.

17 **DR. NETON:** Unlikely, unless you're at the 95th
18 percentile of the radon --

19 **MR. GRIFFON:** Right.

20 **DR. NETON:** -- intakes, yeah.

21 **MR. GRIFFON:** Unless you had a -- yeah, you had
22 a personal result always out there at the edge
23 of your (unintelligible).

24 **DR. NETON:** Correct.

25 **MR. GRIFFON:** Right.

1 **DR. NETON:** Right.

2 **MR. GRIFFON:** So really you're not going to --
3 I mean I don't see a circumstance where you're
4 often going to rely on an individual's bioassay
5 data. You're going to use distribution data.
6 I mean is that fair to say for most of the
7 cases? It seems like it to me.

8 **DR. NETON:** For the radon breath? It's hard --
9 it's hard to -- it's hard to unilaterally make
10 that decision. I don't know. Depends on -- I
11 think 1949 -- well --

12 **MR. GRIFFON:** Well, let me -- let me rephrase
13 the question. If a person has their individual
14 radon breath data from which you back-calculate
15 a radium intake, you apply fractions as in your
16 table one of this document --

17 **DR. NETON:** Right.

18 **MR. GRIFFON:** -- you would then still compare
19 that to that thorium source term to see which
20 one's going to result in a higher dose. Right?

21 **DR. NETON:** Right, right, right, yeah.

22 **MR. GRIFFON:** So you wouldn't -- just 'cause
23 they -- they have their own personal data,
24 they're not going to be --

25 **DR. NETON:** That's correct.

1 **MR. GRIFFON:** -- in any way --

2 **DR. NETON:** Yeah.

3 **MR. GRIFFON:** Right.

4 **DR. NETON:** Right, so -- you're right. If a
5 person's individual bioassay results in a lower
6 dose than the 95th percentile of the air
7 concentration data --

8 **MR. GRIFFON:** For the --

9 **DR. NETON:** -- source term, thorium source term
10 --

11 **MR. GRIFFON:** -- thorium model source term.

12 **DR. NETON:** -- then we're going to use the --

13 **MR. GRIFFON:** Then you go to that --

14 **DR. NETON:** -- highest value.

15 **MR. GRIFFON:** -- model anyway. Okay, it's not
16 just for coworkers that that applies.

17 **DR. NETON:** No. It's everybody. In fact --
18 you're right, mayb-- for radon breath, most
19 cases I can envision using the thorium air
20 concentration data as a bounding value if we
21 don't know where the worker --

22 **DR. ZIEMER:** But you would always check it.

23 **DR. NETON:** We're going to do both, yeah.
24 We're going to do both every single time. I
25 was trying to indicate that here. Case one,

1 case -- case one and the third one are the --

2 **MR. GRIFFON:** Right, right.

3 **DR. NETON:** -- two examples.

4 **MR. GRIFFON:** I mean this just fur-- I mean
5 just to further point out what I was mentioning
6 yesterday that -- that we have a lot of
7 individual radon breath data and -- or a fair
8 amount. I think maybe for 20 percent of the
9 claimants. Is that --

10 **DR. NETON:** Yeah, that's fair, 20, 25 maybe.

11 **MR. GRIFFON:** And we have, you know, probably
12 close to -- maybe not 100 percent, but a lot of
13 people have the uranium urinalysis data. But
14 as far as the drivers of the dose, neither one
15 of those are going to play much of a role --

16 **DR. NETON:** Well, I --

17 **MR. GRIFFON:** -- I don't think.

18 **DR. NETON:** I think they do. I think you need
19 to look at the claimant-favorable nature of
20 these calculations that we're doing.

21 **MR. GRIFFON:** Yeah.

22 **DR. NETON:** I mean we're saying -- I think
23 probably the radon breath is probably
24 reasonable, but we can't tell, so you know, in
25 our program we've always taken the policy that,

1 given two scenarios and we can't conclusively
2 determine one way or the other, we're going to
3 go with the higher driver. And if that happens
4 to be the source term that was unmonitored
5 because it was not real high, maybe, we're
6 going to do that.

7 **MR. GRIFFON:** (Off microphone) No, I
8 understand, but -- but you can't tell. As you
9 said, you can't tell, that's why your default
10 (unintelligible).

11 **DR. NETON:** We can't definitively tell, that's
12 correct.

13 **DR. ZIEMER:** Thank you. Other comments? Dr.
14 Melius.

15 **DR. MELIUS:** Yeah, I have a few questions. I'm
16 trying to mainly clarify some things that you -
17 - came up at the subcommittee meeting, and just
18 understand some of the documentation. You
19 handed out yesterday this two-page table
20 references to Sperry cake and so forth. Have
21 those references and so forth been shared with
22 SC&A, have they had opportunity to review any
23 of this information prior to the meeting?

24 **DR. NETON:** They have not.

25 **DR. MELIUS:** Okay. And then there was some

1 discussion I think yesterday about sharing some
2 of the back-up calculations with this, I think
3 with Mark and so forth. Has that taken place
4 or is that --

5 **DR. NETON:** Well, Mark has picked off our
6 computer the air monitoring data that we have
7 for the Plant 7-E, but I was not able to get
8 the spreadsheet electronically last night. My
9 computer just won't -- won't work with the CDC
10 computer here. I am having FAXed that spread--
11 a FAX -- the spreadsheet is being FAXed here,
12 hopefully as we speak, as well as some of the
13 reference materials that were used for those
14 calculations.

15 **DR. MELIUS:** Okay.

16 **DR. NETON:** I will say that SC&A has had access
17 to those documents because they're on our site
18 research database, but we're going to make them
19 available to them.

20 **MR. GRIFFON:** There -- there's no way to get
21 that spreadsheet electronically? I'm worried
22 about -- FAXed version is -- it's a lot easier
23 if I --

24 **DR. NETON:** Yeah, I understand, I -- I just
25 can't get my computer to hook up with CDC. We

1 changed systems and it's --

2 **DR. ZIEMER:** Any follow-up --

3 **DR. MELIUS:** Yeah, I have some other questions,
4 at least one more here. In regarding to the
5 issue of -- I think I asked you about it
6 yesterday -- selectively censoring the data, I
7 guess I have -- have two questions. The table
8 you showed which was related to the missing
9 August data, has there been any analysis other
10 than that --

11 **DR. NETON:** No.

12 **DR. MELIUS:** -- for -- so, okay, just --

13 **DR. NETON:** We took the section of the data
14 where we believed there was the highest -- the
15 highest percentage of missing data, and it was
16 August of that year, so we just felt --

17 **DR. MELIUS:** So it's just that -- that's the
18 only example that you've --

19 **DR. NETON:** Correct.

20 **DR. MELIUS:** -- pursued. Okay, that's fine,
21 trying to understand it -- and do that. And is
22 there any documentation -- I guess I'm -- I'm a
23 little -- as I understand it, and this may be a
24 time issue, but the site profile has only had a
25 very minor or modest change since the last

1 time, at least in terms of documentation, so --
2 which is the one paragraph of a note that Larry
3 sent us. Is that --

4 **DR. NETON:** No, there's more than that. What
5 we've done is taken out all the prescriptive
6 language that was in section six related to the
7 internal dose.

8 **DR. MELIUS:** Okay.

9 **DR. NETON:** There were some -- some statements
10 in there to say you should do this and do that.
11 We believe that these approaches that we have --
12 -- that we've evolved in -- and I -- in a sense
13 are becoming the workbook for Mallinckrodt --
14 which is what we've really done, we've created
15 the Mallinckrodt workbook here -- were not
16 necessarily consistent with some of the
17 language that was in that site profile, so you
18 know -- but the -- the actual data that are
19 tabulated in those 250 pages, by and large
20 there's nothing changed there. I mean the
21 information contained in the site profile is --
22 is essentially intact. I mean there's no --
23 we've refined it and developed more -- further
24 analyses of data, but the information in there
25 is not necessarily incorrect. It was a couple

1 of prescriptive items in there -- are
2 inconsistent with what we're proposing here.

3 **DR. MELIUS:** But -- but you've made I think
4 pretty significant procedural changes in terms
5 of how you're handling some of this data.

6 **DR. NETON:** Well, yes, I think that would have
7 evolved over time as we're doing dose
8 reconstructions. As SC&A is seeing, you have a
9 site profile that tells you a lot of
10 information. Now what you end up doing with
11 that at the end of the day when you're -- when
12 you're doing these dose reconstructions does
13 evolve over time, that's true.

14 **DR. MELIUS:** That's all I was asking. I think
15 that's all the questions I have.

16 **DR. ZIEMER:** Additional -- yes, Mark has a
17 question.

18 **MR. GRIFFON:** More of a minor point on the --
19 our question on the thorium data that you
20 provided yesterday. Was there any -- I mean
21 I'm trying to understand why they had a two-
22 month urinalysis program and then after that
23 did they -- was there -- maybe you don't have
24 documentation to support this, but why all of a
25 sudden was it air sampling and no urinalysis,

1 or is it just that you can't --

2 **DR. NETON:** I don't know.

3 **MR. GRIFFON:** That's all you could find --

4 **DR. NETON:** That's all we found, right.

5 **MR. GRIFFON:** -- (unintelligible) data, right?

6 **DR. NETON:** There may be urinalysis. If it
7 was, it might have not been done by the HASL
8 laboratory in 1956/'57. There was a sort of
9 diminution of HASL's role later on in the
10 process, so I -- I really can't speak to that
11 other than we have what we have.

12 **DR. ZIEMER:** Further questions?

13 (No responses)

14 Okay, thank you, Jim. We can -- I'm checking
15 the time here. We -- we can perhaps -- Arjun
16 Makhijani, how -- how much time do you need for
17 your presentation?

18 **DR. MAKHIJANI:** (Off microphone) I think I can
19 do it (unintelligible).

20 **DR. ZIEMER:** Okay, why don't we do that. We
21 may have to extend the question period till
22 afternoon after we reconvene, but let's proceed
23 with Dr. Makhijani's presentation from SC&A,
24 then see how far we get. We're scheduled for
25 lunch at 11:30. We might run over a little

1 bit, but we have a -- we have a closed session
2 at 1:00 o'clock dealing with our contract, so
3 we -- we need to allow enough time for folks to
4 eat lunch.

5 **DR. MELIUS:** No one will know if we don't come
6 back, though, so -- or if we're late.

7 **DR. ZIEMER:** We'll have a problem. Okay, Dr.
8 Makhijani.

9 **DR. MELIUS:** You'll keep track of me, though, I
10 know.

11 **DR. MAKHIJANI:** I prepared this -- you'll have
12 a copy of a report. The Board directed SC&A to
13 essentially review, as Jim said, in real time
14 as NIOSH was responding to these priority
15 issues. I just -- my team is formally
16 mentioned at the end of the slide, but I just
17 wanted to give you maybe a little bit more
18 detailed idea of who did what and how -- how
19 significant an effort this was.

20 I coordinated the effort, but -- and did the
21 work on the residues. John Mauro and I did the
22 work on the environmental side of this review.
23 I had Mike Thorne do the memorandum on radon
24 breath as to whether it was a suitable method.
25 And Joyce Lipsztein, who's here, and Dunstana

1 Melo, her colleague, reviewed that. Hans
2 Behling covered the external dose. Bob
3 Anigstein and Joyce Lipsztein and Dunstana Melo
4 covered the radon issues, and all of us looked
5 at the dose reconstruction. So this has been a
6 very significant effort on the part of a lot of
7 different people.

8 This is the third supplemental review of
9 Mallinckrodt, as you know. And mainly, as Jim
10 has described, the question of all of these
11 trace radionuclides that do go together with
12 uranium 238 and U-235 were seen to be
13 significant and have -- have been the focus of
14 the effort.

15 As a process matter, the Board directed us to
16 keep track of how all of this was done. And
17 really it's been a very fruitful and very open
18 collaboration with NIOSH. We kept a record of
19 the communications, including all the e-mail
20 record, which is there in Attachment 5. The
21 petitioner participated in the August 4
22 Cincinnati meeting. There's a -- there is a
23 transcript of that meeting, I understand now,
24 that is available. Jim and I cooperatively
25 prepared a summary of the conference call,

1 which is there in the report. And all of the
2 documents that were sent to SC&A, up to August
3 14th, are in the attachments from 2 through 7.
4 They should have been labeled actually NIOSH
5 documents, but they're -- they're so described
6 in the report, and they clearly are documents
7 produced by NIOSH. Jim -- Jim has shown you
8 the slides. So it was -- it's been a very,
9 very open interchange with the full
10 participation and communication with the
11 petitioner, and it's been very fruitful for us.
12 And as Jim said, we -- we certainly have also
13 learned a lot in this process.
14 Our objectives were to track the six priority
15 areas. I just -- just as a reminder, we -- our
16 emphasis was on methodology. We did not verify
17 all of the calculations. We tried to verify
18 some of the work on the ratios and the radon
19 breath and the radon dose issues which were
20 very critical. Specifically we did not re-run
21 IMBA and do all of that work. And also,
22 obviously this is not a full SEC petition
23 evaluation. This is in the context of a TBD
24 report, and an SEC petition evaluation review
25 is sort of obviously beyond the scope of what

1 we were asked to do.

2 So I won't repeat the six priority issues.

3 You've already seen that with -- with Dr.

4 Neton.

5 Our overall conclusion is that NIOSH has

6 developed an approach that can be applied to

7 estimate maximum doses with plausible worst-

8 case estimates. But there's a proviso that

9 defensible values still need to be developed

10 for certain critical parameters.

11 Our conclusions are as of August 16th. You

12 have my slides, but just now as I was sitting

13 there, some new information has come to light,

14 obviously, which Dr. Neton has described, and

15 I've added a slide as to some of that new

16 information, which I'll discuss at the end. So

17 I'll go through the critical issues that still

18 need to be completed. There is a table -- a

19 sort of a checklist table in the report which

20 goes sub-issue by sub-issue as to the status of

21 it.

22 Our specific recommendations on the major

23 issues are, in terms of the ratios associated

24 with the radon breath data -- which I haven't

25 specifically mentioned -- those -- the use of

1 the K-65 ratios for those areas, for the K-65
2 areas in Plant 6 and associated areas, seem to
3 be appropriate to us. They're well
4 established, there's some good measurements,
5 the measurements are internally consistent.
6 I've personally gone to the Fernald document
7 database and reviewed some of that information,
8 and that seems appropriate to us.
9 The question of the non-equilibrium
10 radionuclide exposures in regard to the thorium
11 230-dominated areas, the AM-7 areas in that
12 chart that Jim put up, which is also in your
13 attachments, are a little more difficult
14 because there is a much thinner volume of
15 information, at least as of August 16th. And
16 this morning I've been reviewing this new
17 information -- which is quite significant; I'll
18 talk about that -- but from a broad point of
19 view there's the question of job types. The
20 Board did say to whom does this information
21 apply. And NIOSH has proposed that general --
22 to most workers, these non-equilibrium ratios
23 which produce the high doses, high thorium,
24 high protactinium, would be applied to most
25 workers, and that equilibrium ratios which

1 produce lower doses would be applied only when
2 it is clear that it's a uranium worker in areas
3 that did not involve these raffinates and K-65
4 residues and so on. And we're in agreement
5 with that, al-- I'll -- we would like to
6 emphasize that because of the very large
7 difference in doses and potential outcome, that
8 this assumption of equilibrium exposure should
9 be very carefully made and documented because
10 it needs to be very defensible 'cause there's
11 going to be a very significant difference in
12 dose. And reviewing the radon breath data --
13 raw data, it's not -- it's not clear that it's
14 -- it's not clear-cut that certain workers in
15 certain places can be excluded from this higher
16 values.

17 Now one area of significant kind of outstanding
18 issue and detail is this 95 percentile of air
19 concentrations for -- for the high thorium
20 areas, the AM-7 areas. NIOSH has proposed to
21 compare this radon breath with air
22 concentration results for the thorium areas.
23 And the question is what air concentration
24 should be used? In the calculations that NIOSH
25 has presented, they have taken the 95

1 percentile of the daily weighted averages for
2 all of Plant 6 where the uranium processing
3 went on. And of course that doesn't appear to
4 us to be representative of the AM-7 areas.
5 It's is a 95 percentile of the daily weighted
6 averages, but -- and that is -- that has been
7 said by NIOSH, and I have not reviewed the data
8 myself, but accepting at face value that it is
9 double the daily weighted average of the
10 thorium, it doesn't tell us what is the
11 relation of the proposed number to the 95
12 percentile value of the air concentration in
13 the AM-7 areas. I just wanted to be very clear
14 about this, that -- that the air concentrations
15 in the areas where thorium was dominant need to
16 be the reference point for doing the dose
17 calculation for those areas, and the 95
18 percentile of the value of the air
19 concentration needs to be developed for that.
20 This is -- in the April report that we
21 presented to you, the first supplemental
22 report, we -- SC&A presented some calculations
23 about how one might go about this. This is a
24 non-- non-trivial issue where some work needs
25 to be done, and presumably the data are there

1 and it can be done, but this is not a resolved
2 issue of detail as yet. We believe some work
3 needs to be done here.

4 I won't dwell too much on the radon breath
5 data. I think there's been quite a lot of
6 discussion about illegible data and so on. The
7 only point I'd like to make here is that there
8 are workers with -- the way the calculations
9 are now set up, the -- the workers with radon
10 breath data may be at some disadvantage because
11 the full distribution is being used. That's in
12 the nature of the process, we understand. But
13 the measurement uncertainties, as well as how
14 to fill the gaps in the data in a claimant-
15 favorable way should -- should be assessed
16 somewhat -- somewhat differently and -- and
17 perhaps some -- some method to -- to have 95
18 percentile values for missing data points
19 should be developed to make it appropriately
20 claimant-favorable for workers, 'specially who
21 have just a few radon breath data points.
22 So that's -- that's the major recommendation we
23 have regarding completion of the dose
24 reconstruction procedure.
25 And there is the question of Plant 7-E, which

1 Jim discussed, where thorium was extracted for
2 part of '55 and '56 and '57. At the time that
3 we prepared the report it wasn't clear how much
4 bioassay data was available. We understand now
5 there's quite a bit available for the two
6 months, and at the time we wrote the report
7 there wasn't -- it wasn't clear how much -- at
8 one -- the air concentration data that was in
9 the TBD and associated documents was clearly
10 inadequate. And as an indicator -- I can point
11 out to you that the indicated annual intake
12 from the TBD-derived values and the case study
13 presented by NIOSH -- in the case study the
14 intake is about 100 times bigger than was
15 suggested as an intake in the TBD. So we're
16 talking about very significant differences.
17 And the new information that's been presented
18 would -- would help of course carry this
19 forward, but it is new information.

20 There are two external dose -- there are three
21 external dose issues. NIOSH was asked to
22 address one of them, but we did raise three
23 issues in our last review. We agree with NIOSH
24 regarding how they have handled the organ
25 geometry versus the badge geometry. And we've

1 reviewed the Attila results and are in
2 agreement with them.

3 But there are these two issues regarding dose
4 conversion factors, which Dr. Behling talked
5 about yesterday afternoon, which are the angle
6 of incidence on the badge because the shielding
7 absorbs some of the radiation, and the dose
8 conversion factors need to be corrected. These
9 are complex-wide issues, but they do need to be
10 resolved to do Mallinckrodt dose
11 reconstruction, and we did raise them in this
12 context because if there's going to be some
13 resolution to this, these are part of the
14 issues that need to be resolved. That could be
15 very critical, especially for the non-metabolic
16 organs which -- for which external dose may be
17 the most important, or at least one important
18 factor.

19 The correction factors for lower torso organs
20 could be as much as a factor of six to eight.
21 That is, you'd have to multiply the dose of
22 record by six times to eight times. And they
23 would be higher for lower photon energies and
24 lower for higher photon energies.

25 Okay, many priori-- in several priority areas

1 we arrived at agreement with NIOSH, on the
2 radon exposures. We think on the unmonitored
3 exposures NIOSH has a suitable approach. We
4 don't have any new recommendations. On the
5 incidents, generally NIOSH has devel-- has
6 convinced us that the continuous intake
7 approach is claimant favorable. However, there
8 may be unusual incidents, like when the
9 raffinates boiled over on a worker in 19-- in
10 the ionium plant. That kind of incident has to
11 be looked at particularly, but it's not a
12 Technical Basis Document issue. It's a dose
13 reconstruction issue, however.

14 The routine environmental dose approach, NIOSH
15 has developed a satisfactory approach. They've
16 not yet developed an accidental environmental
17 dose approach, but looking at the documents
18 that -- that Denise Brock gave me on August
19 4th, it appears that the basis for doing that
20 is there, but it has not yet been done.

21 So these are the critical issues, other than
22 the corrections to the radon database, just as
23 a summary. The ratios in the thorium areas
24 need to be developed -- this is as of August
25 14th; the 95 percentile air concentrations need

1 to be developed for the AM-7 areas and we're
2 not in accord that the 95 percentile that's
3 being used is the correct one. The dose
4 correction factor for external dose need to be
5 completed.

6 I'd like to say a little bit -- I'd like to go
7 to my update slide. We've had some new
8 information. I added this slide; it's not your
9 handout. I just added it. Now the -- the
10 analysis that we have of the residues I have
11 not had -- I've read it, but I have -- it
12 contains very significant new information about
13 process chemistry. It could -- it's important
14 new information. It's from complex-wide -- you
15 know, I did re-- re-visit the database, but the
16 database off NIOSH is exceedingly big, and so
17 these are -- these are data from Argonne, from
18 Mound, from -- from Oak Ridge and various parts
19 of the complex. There's very significant new
20 information. It could result in improved
21 ratios, but we have not reviewed it. So we
22 knew that NIOSH was continuing to work on this
23 when we submitted the report. NIOSH did inform
24 us, that's part of the e-mail record. But
25 we've obviously not had a chance to review the

1 information -- the air concentration data, the
2 underlying documents, the new production data -
3 - that has been presented at this meeting. I
4 have read this and I agree that it is
5 significant and important new technical
6 information.

7 Now in SC&A's -- between our submittal of the
8 report and coming to this meeting, I did try to
9 go over the IMBA calculations and cast an eye
10 on them to see if -- if everything looked okay.
11 And I picked up this discrepancy between the
12 dose conversion factors for actinium and
13 protactinium. There is some significant issue
14 that remains to be resolved as to which is the
15 appropriate one. There is a -- quite a big
16 difference, order -- about three orders of
17 magnitude, I think, between the ICRP published
18 values and the Federal Guidance Report
19 published values, and they're all supposed to
20 be based on similar documents. But I think the
21 Federal Guidance Report documents may be more
22 recent. This is important because it goes to
23 the fact as to how important protactinium is in
24 -- in the thorium areas and whether you need to
25 worry about the ratios or not.

1 This was the teams that prepared the report.
2 Thank you.

3 **DR. ZIEMER:** Thank you very much. We might
4 have time for a couple of questions before we
5 break, but it is past our break time. We will
6 have extensive time after our closed session
7 for discussion on this paper.
8 Arjun, could you clarify, though, what is the
9 discrepancy between the ICRP value and the
10 Federal Guidance -- this was on what nuclide or
11 nuclides?

12 **DR. MAKHIJANI:** Well, it was for protactinium
13 231. That's the only one that kind of leapt
14 out at me because the dose for protactinium 231
15 -- it's not in my slides, but it'll be in
16 attachment -- if you look at Attachment 3-A of
17 your report -- if I might go and actually bring
18 Attachment 3-A -- since I have a portable mike,
19 I'll just do that.

20 (Pause)

21 Attachment 3-A is NIOSH's first case study.
22 This is a slightly older version of it.
23 There's an updated version. It's on page -- it
24 starts on page 69 of the report -- of the SC&A
25 report. And let me see, if you go to page 72

1 and look at the alternative organ doses, the
2 last table on page 72 --

3 **DR. ZIEMER:** Yes.

4 **DR. MAKHIJANI:** -- and you look at the liver
5 dose, for protactinium it's 1.64 time ten to
6 the minus one rem. If you look at actinium,
7 it's 2,000 rem. That's four orders of
8 magnitude difference. And that doesn't
9 correspond with the dose conversion factors
10 that are in Federal Guidance Report 13, but it
11 does correspond with what's in ICRP. So
12 obviously the two documents are inconsistent
13 and we stumbled upon this. And Jim has some
14 recent information about it from his office,
15 which he mentioned.

16 **DR. NETON:** Well --

17 **DR. ZIEMER:** Jim?

18 **DR. NETON:** -- I'd just like to point out that,
19 first off, the Federal Guidance Report
20 documents are EPA documents that I believe only
21 provide 50-year doses -- is that correct?

22 **DR. MAKHIJANI:** No, actually the Federal
23 Guidance Report -- well --

24 **DR. NETON:** I think -- they're committed doses,
25 and --

1 **DR. MAKHIJANI:** Yes.

2 **DR. NETON:** -- and so we are using the ICRP
3 models that we have programmed to do annual
4 dose increments for our program, so that's
5 where we're at. Now this discrepancy --

6 **DR. ZIEMER:** Right, you wouldn't use a 50-year
7 dose in a given --

8 **DR. NETON:** No.

9 **DR. ZIEMER:** -- case in any event.

10 **DR. NETON:** Now it doesn't mean that there's
11 not a difference, though --

12 **DR. ZIEMER:** Right.

13 **DR. NETON:** -- but that's indicative of a
14 problem --

15 **DR. ZIEMER:** Right.

16 **DR. NETON:** -- or a disconnect.

17 **DR. ZIEMER:** 'Cause it's a dose conversion
18 factor.

19 **DR. NETON:** Right, but what we have done is
20 IMBA has programmed the most recent ICRP models
21 that are out there, and that's what we've done.
22 Now the Federal Guidance Report was issued I
23 think in 2002 time frame. They've clearly
24 taken a different tack, and we need to look at
25 this. Keith Eckerman I think was involved in

1 both, and it really comes down to which model
2 they used to -- which metabolic model they used
3 for actinium and protactinium. I think they
4 used surrogate nuclide models, like thorium for
5 one of them and americium for another, and I
6 think that's where the issue is going to lie,
7 but we can certainly -- you know, we certainly
8 need to look at this and run this to ground.

9 **DR. MAKHIJANI:** Yeah, we have no disagreement
10 here. I think Jim -- Jim and I have discussed
11 this and Jim discussed it with Joyce --

12 **DR. NETON:** Right.

13 **DR. MAKHIJANI:** -- today, I mentioned the issue
14 to her. I'm very glad she's here. And it
15 won't make much difference in terms of --
16 because the doses for liver are very big. But
17 it will make some difference to other organs.

18 **DR. NETON:** Correct.

19 **DR. MAKHIJANI:** And the one that's highlighted
20 here from the Federal Guidance Report -- maybe
21 you can't see it very well -- is breast, where
22 the dose conversion factor for Type M is 1.6
23 time ten to the minus five sieverts for
24 becquerel, and I think it's -- it's several
25 orders of magnitude less in the ICRP. Which

1 let me see if I can bring up. I have it
2 somewhere here. Well, maybe I'll get it ready
3 after lunch if you actually want to see the --
4 ah, yes, here -- I can bring it up.

5 **DR. ZIEMER:** Basically, though, the issue is
6 whether or not it's a true difference in the
7 model versus some kind of an error that's been
8 introduced into one or the other. Is that
9 correct?

10 **DR. MAKHIJANI:** Doctor?

11 **DR. NETON:** I think it's a -- I think it's
12 selection of the appropriate model. I mean we
13 committed to this program to use the current
14 ICRP models and that's what we've used.
15 Federal Guidance Report has taken a different
16 tack and clearly they have a different approach
17 to the dosimetry. And if that is the most
18 reasonable approach, then we would certainly
19 look into and adopt it.

20 **DR. MAKHIJANI:** Yeah. Yeah, it's about four
21 orders of magnitude difference -- the breast.

22 **DR. ZIEMER:** Thank you. We do need to take our
23 lunch break. We're going to reconvene at 1:00.
24 Dr. Wade, if you will give us appropriate
25 instructions and information for the public on

1 the nature of -- and limitations of that
2 particular closed session.

3 **DR. WADE:** Right, I wanted to -- for the record
4 now, and I will also do it at 1:00 o'clock --
5 state that -- let me read from the decision to
6 close the meeting.

7 (Reading) The Advisory Board on Radiation and
8 Worker Health will be meeting in closed session
9 on August 25th, 2005 from 1:00 p.m. to 3:00
10 p.m. The closed portion of the meeting will
11 involve a review and discussion of the
12 finalization of contractor cost and scope of
13 work issues for the following fiscal year.
14 Again, we're talking about SC&A issues for the
15 next fiscal year. During that discussion
16 company confidential information will be
17 discussed, particularly labor rates used by
18 SC&A in their proposals, and therefore, by
19 statute, we closed that portion of the meeting.
20 When we return at 3:00 o'clock either the Chair
21 or I will make a public statement as to any
22 action that took place, any motions or work
23 that was done during that closed session, so
24 that will go on the public record. And again
25 I'll repeat the statement when we get back

1 together.

2 **DR. ZIEMER:** There is no other business that
3 will be conducted during the closed session.
4 That should be noted.

5 Thank you. With that, we'll recess for lunch
6 and try to be back by 1:00 o'clock.

7 (Whereupon, the public meeting was in recess
8 from 11:43 a.m. to 3:30 p.m., during which a
9 closed Executive Session was held from 1:07
10 p.m. to 2:55 p.m.)

11 **CLOSED SESSION REPORT**

12 **DR. ZIEMER:** As we reconvene, I'd like to
13 report to the assembly the results of the
14 closed session. During the closed session the
15 Board approved the following, relative to our
16 contractor. We approved the scope and cost for
17 task one, site profile reviews for the upcoming
18 year, the -- and I am allowed to give you the
19 bottom line figures, we -- and I'll do that.
20 That's been approved in the amount of
21 \$1,204,948.

22 Procedures review, task three, approved in the
23 amount of \$416,224.

24 Task four has not yet been approved. There
25 will be additional discussions on the scope,

1 and we expect to have that resolved at the
2 October meeting, so task four will continue
3 through the next four to six week, roughly, on
4 existing funds.

5 Task five, Special Exposure Cohort, which is a
6 new task, has been approved for -- that is the
7 funding for the -- for the contractor to assist
8 in the reviews of the Special Exposure Cohort
9 petitions, funded in the amount of \$917,341,
10 and a new task for program management by the
11 contractor in the amount of \$217,891.

12 Issues relating to task four, the scope of task
13 four, will be taken up in open session by the
14 Board, probably at the next meeting. I expect
15 it to be on the agenda for the next meeting.
16 It has to do with the numbers of reviews of
17 basic and advanced reviews.

18 Now the other -- other quick issue I need to
19 take care of is it's been discovered that in
20 the assignment of our dose reconstruction teams
21 we have assigned to the Roessler/DeHart team an
22 X-10 Oak Ridge case where Dr. DeHart has
23 conflict of interest. So we need to reassign
24 that. It's case 201. I think an easy solution
25 for that would be just to reassign that to a

1 different group. Why don't -- why don't we
2 just move that to Owens/Munn, if that's
3 agreeable?

4 **MS. MUNN:** Sure. Which one is it?

5 **DR. ZIEMER:** That's case 201. It's an Oak
6 Ridge X-10 case. I believe that will solve the
7 issue. I'm not aware of any other conflicts in
8 --

9 **DR. MELIUS:** I'm okay.

10 **DR. ZIEMER:** Oh, and -- okay, Melius is okay.
11 He hadn't seen their list earlier. So with
12 that change, if there's no objection to that,
13 we'll make that change in the team assignments
14 for dose reconstruction reviews.
15 Prior to the lunch break we had just heard from
16 Dr. Makhijani on -- oh, I'm sorry.

17 **DR. WADE:** Could I make just one quick comment
18 on the work in the closed session, and now I've
19 lost my point -- oh, you know, we -- we've done
20 this action based upon an assumed action on the
21 part of Congress. We don't know what action
22 Congress will take in terms of, you know,
23 budgets and appropriations and -- so what we've
24 done is based upon assumed action on the part
25 of Congress. We'll adjust accordingly.

1 that are in their possession.

2 **DR. ZIEMER:** And was it correct that it appears
3 that the main issue there was where the decimal
4 points occurred on some of those, or were there
5 some other --

6 **DR. NETON:** Well, there are other issues.

7 **DR. ZIEMER:** Other issues as well?

8 **DR. NETON:** Just illegible entries, and there
9 were some entries that appeared to be fairly
10 high values, and it wasn't clear -- at least to
11 me -- whether the decimal point was missing or
12 they were actually high. We're going to, you
13 know, zero in on those entries and make sure
14 that we understand what they are.

15 **DR. ZIEMER:** The original sheets are in your
16 possession or are they at Germantown?

17 **DR. NETON:** They're in Germantown at the Office
18 of Worker Advocacy. They were originally at
19 the Health and Safety Laboratory, which was a
20 DOE laboratory, but then they have since moved
21 them to Homeland Security, so OWA assumed
22 possession of them.

23 **DR. ZIEMER:** Do we have a fair amount of
24 confidence that new copies of those will solve
25 this problem or do -- does someone need to go

1 on site and verify or will you go on site and
2 verify the numbers?

3 **DR. NETON:** We are on site. I mean we've --

4 **DR. ZIEMER:** You will --

5 **DR. NETON:** -- had a team there the last two
6 days.

7 **DR. ZIEMER:** Okay. Okay.

8 **DR. NETON:** And they are looking through every
9 image and where, if the copy is not legible
10 even under their best of circumstances, they --

11 **DR. ZIEMER:** They will so identify it.

12 **DR. NETON:** -- they will be working with a team
13 to make sure that, you know, the page that is
14 not -- can't be scanned properly is going to be
15 -- the data will be captured in some form.

16 **DR. ZIEMER:** Okay. And then you'll share these
17 with the contractor?

18 **DR. NETON:** Absolutely.

19 **MR. GRIFFON:** Just to clarify 'cause I think I
20 initiated some of this, it's not only
21 illegible. I mean I've -- and I -- you know,
22 not to dispute completely, but I -- I pulled
23 off from the raw records the ones that I could
24 clearly see with discrepancies in '54, and if -
25 - if you still are concerned about that -- I

1 mean there's -- there's three or four dates in
2 1955 where the whole day of data is missing,
3 which includes about 15 data points. So it's
4 not -- it's not simply --

5 **DR. NETON:** Well --

6 **MR. GRIFFON:** -- an issue of illegibility.

7 It's -- you know, there's some disconnect
8 between the raw data sheets and the database.

9 **DR. ZIEMER:** Thank you. Jim?

10 **DR. NETON:** And that's -- I think we tried to
11 address that this morning. When we looked at
12 those dates, and I believe they were August
13 mostly, where we actually had samples for those
14 people at a later time -- 98 percent of the
15 samples that were missing in the snapshot we
16 took, anyways, in August -- we found additional
17 data. And we need to remember that radon
18 breath samples are integrating samples. I mean
19 in the sense that you're looking at a
20 cumulative body burden of radium, so it's not
21 like you would have missed some large intake if
22 you had to wait three to six months to
23 recollect the sample.

24 **DR. ZIEMER:** So you are able to identify the
25 individuals for whom those points were --

1 **MR. GRIFFON:** Yeah, that's --

2 **DR. NETON:** The names are in the data-- on the
3 data sheets.

4 **MR. GRIFFON:** This is not -- I'm not talking
5 about lost versus not analyzed ones. I'm
6 talking about data points, and I -- 9/19/55,
7 9/12/55, I got about 16, 17 data points on each
8 of those days.

9 **DR. ZIEMER:** Those are September --

10 **MR. GRIFFON:** That were not in the CER
11 database, right. And --

12 **DR. NETON:** Right, right --

13 **MR. GRIFFON:** -- I'm not disputing that the --
14 you're -- you're correct, Jim, in that
15 assumption for an individual basis radon --
16 radium dose estimate off the -- off the radon
17 breath, but we're looking at the distribution
18 of this data and back-calculating intakes for
19 the coworker evaluation.

20 **DR. NETON:** Right, and I think -- I hope I got
21 the message -- I gave the message properly,
22 that we are going to re-code the entire 451
23 pages and not leave -- leave -- you know, not
24 just rely on the CER database. I mean this
25 will be the HASL dataset that will be coded and

1 analyzed.

2 **DR. ZIEMER:** Thank you. Okay. Other questions
3 now for Dr. Makhijani?

4 Yes, Wanda, uh-huh.

5 **MS. MUNN:** Do we have a hard copy yet of that
6 last slide of yours, Arjun, that we didn't --
7 is it possible for us to get that?

8 **DR. MAKHIJANI:** Yes, I haven't printed it out,
9 but I will -- I will go to the business center
10 -- will tomorrow be all right or should I do it
11 right away?

12 **MS. MUNN:** Just -- even an electronic version,
13 just as long as I can add it to the material we
14 already have.

15 **DR. MAKHIJANI:** Sure, I'll get -- I'll get an
16 electronic -- I'll send an electronic version
17 to the Board by e-mail tonight.

18 **DR. MELIUS:** Yes.

19 **MS. MUNN:** That -- that would be most
20 appreciated. It seemed there was some
21 pertinent information there that we hadn't had
22 before and I --

23 **DR. MAKHIJANI:** Yeah, I just -- I wrote it up
24 actually this morning, so --

25 **MS. MUNN:** I'd appreciate it. Thank you.

1 **DR. ZIEMER:** Okay. There are no other
2 questions for Dr. Makhijani? Okay, thank you
3 very much.

4 **DR. MELIUS:** Just --

5 **DR. ZIEMER:** Oh, yes, there is.

6 **DR. MELIUS:** Wanted to know -- it's a
7 logistical -- assumption is that you will be
8 around tomorrow morning, Arjun, for the --

9 **DR. MAKHIJANI:** Yes.

10 **DR. MELIUS:** Okay.

11 **DR. MAKHIJANI:** I'm scheduled to leave about --
12 I think 3:30 or 4:00 o'clock.

13 **DR. MELIUS:** Thanks.

14 **DR. ZIEMER:** Okay. Thank you. Board members,
15 do you have any additional questions or
16 comments relative to the site profile review,
17 the Mallinckrodt site profile review?

18 (No responses)

19 We will return to -- oh, Mark, are you --

20 **MR. GRIFFON:** Are these questions for Jim or
21 Arjun?

22 **DR. ZIEMER:** Jim or Arjun or just points you
23 wish to raise.

24 **DR. MELIUS:** I just have one more logistical
25 question regarding tomorrow morning. Is there

1 going to be a presentation by NIOSH relevant to
2 the Special Exposure Cohort petition evaluation
3 tomorrow?

4 **DR. ZIEMER:** My understanding is that NIOSH is
5 planning a --

6 **DR. WADE:** A very brief presentation.

7 **DR. ZIEMER:** -- presentation. The peti--

8 **DR. MELIUS:** Is there a handout or something
9 relevant to that?

10 **DR. WADE:** No.

11 **DR. MELIUS:** So -- okay.

12 **DR. WADE:** It'll just be some comments made.

13 **DR. MELIUS:** Okay.

14 **DR. ZIEMER:** Okay. And the petitioners also
15 will --

16 **DR. WADE:** The petitioners have an opportunity
17 --

18 **DR. ZIEMER:** -- have a presentation.

19 **DR. MELIUS:** No, I -- yeah, that's just -- I'm
20 trying to see if there's other -- follow up on
21 Wanda's question, was there other material that
22 it'd be nice to have tonight to look at and --

23 **DR. ZIEMER:** Right. Thank you. Mark?

24 **MR. GRIFFON:** I just was wondering if -- if Jim
25 might go in -- a little bit into the -- how the

1 air sampling data was used and how the 95th
2 percentile was established. I believe it was -
3 - this -- the full set of data was the DWA data
4 from the CER database --

5 **DR. NETON:** Correct.

6 **MR. GRIFFON:** -- as well? If you can just
7 explain -- is it --

8 **DR. NETON:** Actually --

9 **MR. GRIFFON:** -- using the same procedure as
10 the radon breath? 'Cause I -- I actually spent
11 a lot of time with the radon breath, with the
12 assumption that that was the driver, and a few
13 days ago I think that's kind of --

14 **DR. NETON:** Well --

15 **MR. GRIFFON:** -- turned on me and now I want to
16 look at the --

17 **DR. NETON:** Well, I'd like to correct -- I
18 think that was a misconception. I think at the
19 last Board meeting we clearly indicated that we
20 would use the higher of the two values, which
21 included the air sampling data. I mean the
22 only thing that's really changed here is that
23 rather than rely on the ratio of radium to
24 uranium -- or the uranium to radium values, we
25 decided to use the radon breath to bound the

1 radium intakes. And I think I indicated that
2 at the last Board meeting, but we had -- we had
3 committed, at least as of the last Board
4 meeting, that we would rely on the higher of
5 the two values. You -- in relying on air data.
6 So we are doing that.

7 Now the daily weighted averages, we do not use
8 the information that was in the CER database.
9 Those were individual daily weighted averages
10 assigned to people. What we did was we went
11 back to the dust study reports themselves. If
12 you recall, on an annual basis for a while they
13 -- they went through -- you know, during the
14 operations, and would conduct a dust study
15 campaign where -- for instance, in 1950 they
16 took -- I didn't count it exactly, but I would
17 say somewhere probably around 500 air samples
18 over a period of time -- a month or whatever it
19 was, I've forgotten the exact period -- and
20 tried to describe in some detail the air
21 concentration distribution, and then they
22 collapsed those values into individual job --
23 occupation categories, so you have a ranking of
24 occupational exposures by -- you know, by dust
25 concentration. And so we took all that data --

1 those data, analyzed them and we did look at
2 the entire plant.
3 Now the reason we did that is it was somewhat
4 difficult to decipher -- you know, it's a
5 judgment call as to which was -- you know, when
6 I say raffinate, I'm particularly speaking
7 about a thorium-bearing area. It's hard to
8 judge exactly what those are. Now keep in mind
9 that the radium-bearing ores are at least a
10 factor of ten higher in specific activity. I
11 mean the radium concentration of those ores is
12 at least ten times higher on a unit basis per
13 milligram of material. So if you use the
14 entire plant distribution, you're -- you're
15 certainly going to bias your results higher
16 than if you only looked at the raffinate areas.
17 And the samples that we could find, we did
18 determine that we're about a factor of two
19 higher using the general plant description, and
20 we just felt more comfortable that we had
21 bounded and bracketed the exposures doing that.
22 So I don't know if that --

23 **MR. GRIFFON:** And these individual -- you went
24 back to the -- the dust studies and hand-
25 entered the data again?

1 **DR. NETON:** Yes.

2 **MR. GRIFFON:** Okay. And did -- did you guys
3 look at that -- did SC&A look at that data?
4 'Cause I didn't.

5 **DR. MAKHIJANI:** No, actually one of my
6 questions for Jim that -- is how much data
7 that's clearly identifiable as AM-7 air
8 concentration data is there? Because I looked
9 at the site profile and then I did a scattered
10 search -- not systematic 'cause there wasn't
11 the time -- for AM-7-specific air concentration
12 data, and I don't have a good sense of what the
13 raw databases is like, actually, on which this
14 judgment was made that 607 dpm per cubic meter
15 is double the daily weighted average of the
16 thorium areas. I don't know what that database
17 for the thorium areas is.

18 **DR. NETON:** I don't have an exact number of
19 individual air samples, but I do know that we
20 were looking again at daily weighted averages
21 by job code, or job category. So when you
22 collapse 500 air samples into -- I'm -- I'm --
23 remembering, but something like 40 or 50 job
24 categories in the plant, we only ended up with
25 around 11 of those job categories that were, in

1 our judgment, uniquely tied to an AM-7 type
2 area. And those values were the ones that came
3 out about a factor of two lower than using the
4 entire Plant 6 distribution, which included,
5 you know, ore handlers and a lot of other areas
6 where there certainly were more -- operations
7 that were more inclined to have higher airborne
8 activities.

9 I will say we did exclude some areas that we
10 thought would be lower job categories, like in
11 the warehouse operation and that sort of thing.
12 So you know, we tried to pick what we thought
13 was a representative -- not representative, but
14 a good indication of what the range of
15 exposures were, but we did not truncate it to
16 the AM-7 areas, and I think it's fairly
17 reasonably bounding of the air concentrations.

18 **MR. GRIFFON:** I'm try-- Jim, one last on that.
19 I'm trying to understand the difference for the
20 CER database versus the dust studies in this
21 case. And I think, if I'm correct, in the dust
22 studies they did daily weighted averages for --
23 for say a maintenance mechanic in a certain
24 area --

25 **DR. NETON:** Right.

1 **MR. GRIFFON:** -- but then they might have
2 assigned that to six or seven maintenance
3 mechanics --

4 **DR. NETON:** Well, exactly, and that's why we
5 didn't -- yeah, that's right.

6 **MR. GRIFFON:** Is that correct?

7 **DR. NETON:** That's correct.

8 **MR. GRIFFON:** So you didn't want to use the CER
9 database data because that would be weighting
10 it, essentially, or --

11 **DR. NETON:** Yeah, it would weight it by -- you
12 know, if there were five -- 90 percent of the
13 samples were one -- you know, in one cate-- one
14 work category, so this is truly a
15 representation of the job category distribution
16 in the plant. So in other words, if they -- if
17 they over-sampled a lot of people that were of
18 low categories, you would end up biasing your
19 values low. But this is by occupation code,
20 the distribution, so -- and I don't recall
21 where -- it'd be interesting, I don't have this
22 off the top of my head, but what -- what job
23 category the 95th percentile ended up be-- you
24 know, approximating. But again, you know, keep
25 in mind that the K-65 areas are at least ten

1 times higher in specific activity than the AM-7
2 areas. You have huge concentrations of radium
3 in those ores. Or not in the ores, in the
4 raffinates.

5 **DR. ZIEMER:** Yeah. Thank you. Arjun, please.

6 **DR. MAKHIJANI:** Yeah, I -- you know, I think
7 that as a general matter, in terms of expecting
8 higher concentrations in certain areas, on
9 average this would be okay. The thrust of our
10 recommendation in terms of the work remaining
11 in a proper -- I mean in a technical sense, it
12 almost doesn't matter whether it's higher or
13 lower. I know it matters to the dose result,
14 but if you're doing -- if you're doing a dose
15 reconstruction based on the AM-7 area or job
16 descriptions, assuming that there's thorium-
17 dominated material there, then -- and assuming
18 that radium and so on doesn't exist, it appears
19 to us not quite technically kosher, if I might
20 say that, to use the plant-wide 95 percentile
21 of the averages. It appears to us to be more
22 technically sound to limit the air
23 concentration study to the 95 percentile of the
24 area values of the samples that were taken and
25 -- and that -- that database we haven't studied

1 or tried to evaluate. We did illustrate the
2 method to be applied, to some extent, in April.
3 And that's -- that's one of the important
4 recommendations we've made.

5 **DR. ZIEMER:** But you don't yet know the impact
6 of doing that way, is that right?

7 **DR. MAKHIJANI:** No, we do not know whether that
8 number will be bigger than 607 dpm per cubic
9 meter or not. I think it -- there's a fair
10 chance that it will be bigger, based on what
11 NIOSH has told us, that -- that the average to
12 be expected in the AM-7 areas is on the order
13 of 300, because they said it's doub-- 607 is
14 double. So --

15 **DR. NETON:** (Off microphone) (Unintelligible)

16 **DR. MAKHIJANI:** You've said that 607 is double
17 of the AM-7 are average, so I would imagine
18 then the AM-7 area average is on the order of
19 300.

20 **DR. NETON:** (Off microphone) (Unintelligible)

21 **DR. MAKHIJANI:** Right, it -- but the 90-- I
22 don't know what the 95 percentile of the AM-7
23 area would be.

24 **DR. NETON:** (Off microphone) (Unintelligible)
25 was the 95th percentile.

1 **DR. MAKHIJANI:** That has not been my
2 understanding.

3 **DR. NETON:** I'm sorry, there's a
4 misunderstanding here. The 95th percentile of
5 the AM-7 area data is a factor of two lower
6 than the 95th percentile of the K-65 area
7 samples.

8 **DR. MAKHIJANI:** (Off microphone)
9 (Unintelligible) was not what was said.

10 **DR. NETON:** Well, I mis-spoke then, if that
11 were true -- if that -- and I certainly would
12 wish to correct that.

13 **DR. ZIEMER:** Okay. While Arjun is checking
14 that, let me see if there's any other questions
15 or comments -- yes, Denise, would you like to
16 add to this?

17 **MS. BROCK:** If I could, and I think -- poor
18 Arjun, I think this one may be directed at him.
19 I was just wanting to ask -- according to
20 NIOSH, the protactinium to the thorium and the
21 actinium, the ratios of the protactinium to the
22 thorium and the actinium to the thorium are
23 approximate, and I was curious if -- if there
24 is a one percent error in the protactinium to
25 thorium ratio, what is the impact on the dose

1 to the organs, and how important is it to get
2 this correct, the ratio correct, and could you
3 give an example?

4 **DR. ZIEMER:** You're really asking about the
5 sensitivity of the -- of the results to those
6 particular ratios --

7 **MS. BROCK:** (Off microphone) The dose to the
8 (unintelligible), right.

9 **DR. ZIEMER:** -- I think is the nature of the
10 question. I don't know if -- Jim or Arjun, if
11 you can address that.

12 **DR. MAKHIJANI:** I think --

13 **DR. ZIEMER:** It's certainly a good question.

14 **DR. NETON:** Well, it depends on which organ and
15 -- and to have some clarification on the
16 discrepancy in the ICRP versus the Federal
17 Guidance Report, those conversion factors. But
18 certainly for -- for metabolic organs where
19 actinium and protactinium drive the dose right
20 now, there'd be almost a corresponding
21 difference. So you know, one percent change
22 might change the dose by one percent. But I
23 think one needs to consider that the ratio that
24 we've developed has selected protactinium
25 concentrations based on the Sperry cake, which

1 is known to have elevated concentrations, is a
2 good source of protactinium, compared to the
3 AM-7 ores. So we believe this 13.3 percent
4 value that we've -- we've assigned is -- is a -
5 - in our opinion, a conservative limit on the
6 value for protactinium.

7 **DR. ZIEMER:** Okay. And Arjun?

8 **DR. MAKHIJANI:** I agree with Jim that -- my
9 reference point -- I've been assuming that the
10 ICRP and Federal Guidance Report were the same,
11 and we seem to have stumbled upon this --

12 **DR. ZIEMER:** Right.

13 **DR. MAKHIJANI:** -- discrepancy kind of through
14 a back door or backed into it, and it will
15 depend on -- if you accept the Federal Guidance
16 Report values as being the more recent, or
17 apply those, it would make some difference. So
18 I think Jim is entirely right. We're not in
19 disagreement.

20 Well, I think -- you know, I currently, looking
21 at the state of the write-up in terms of --
22 NIOSH has found quite a lot of documentation
23 about process chemistry, about where these
24 various radionuclides went, that I have not had
25 a chance to evaluate. I do think that a lot of

1 good work has been done in terms of
2 establishing what these ratios are on the -- on
3 first reading, but I -- I cannot tell you my
4 own opinion of -- we recommended that this
5 issue should be studied further, and they have
6 studied it further, so I don't know whether --
7 you know, what my opinion would be if I
8 reviewed it.

9 **DR. NETON:** Just a brief follow-up on that
10 remark. I do have the documents here so that
11 they can be reviewed, if -- if wanted to. I
12 think one of the references -- and it's
13 important to point out, also, not only did we
14 assume protactinium was there in the
15 concentrations represented by the Sperry cake,
16 which we believe to be an overestimate, but we
17 also have assumed that the actinium -- which,
18 as you may have seen earlier today, delivers a
19 very large dose per unit intake -- is in 100
20 percent equilibrium with the protactinium. And
21 we have at least one publication that indicates
22 that that is not -- not the case, an Argonne
23 laboratory analysis indicated that it was from
24 in equilibrium, but you know, one reference
25 does not make a whole study and so we're -- you

1 know, we're willing to -- to, you know, take a
2 -- make the assumption that it is in 100
3 percent equilibrium.

4 **DR. ZIEMER:** Okay. Further comments or
5 questions on... Okay. Thank you. Then we're
6 going to leave that topic for now. We will of
7 course be returning -- well, this evening of
8 course we'll have public comment period from
9 many local folks, and then tomorrow will be
10 more of specifically addressing the petition.

11 **TASK FOUR SCOPE DISCUSSION**

12 One of the other kind of carry-forward issues
13 that emerges from our closed session is an
14 issue related to task four, and that is the
15 scope discussion for task four in terms of
16 basic and advanced reviews. And we began to
17 talk about that in our session and realized
18 that the scope discussions certainly are
19 appropriate in the open session, so we reserved
20 discussion of that to this time. And I'm going
21 to suggest, if the Board wishes to open that
22 issue now, discussion with our contractor, with
23 the Board, as to what you might wish the
24 contractor to do this next year with respect to
25 the issue of advanced dose reconstructions

1 versus basic.

2 And I might add that in the description of the
3 task, as the contractor described it to us, the
4 contractor indicated that many of -- many,
5 perhaps all, of the dose reconstructions to
6 date are probably more advanced than basic, but
7 less advanced than advanced. In other words,
8 they are somewhere in between the two.

9 In any event, we have a kind of dilemma in
10 terms of defining what next year's scope would
11 be, so I'm going to open the floor if any of
12 the Board members wish to have input on that,
13 or our contractor -- and John is prepared to
14 talk about this, too.

15 **DR. WADE:** If I might, in adding to the framing
16 of the issue I'd also like to know from the
17 Board what specifically we would like to see
18 the contractor prepare for us and submit to us
19 before the next meeting so that we could take
20 our decision in light of that information.

21 **DR. ZIEMER:** 'Cause the -- this particular item
22 -- we did not reach closure on the cost because
23 we had not -- we really have not defined what
24 we want the contractor to do in terms of scope
25 now, vis-a-vis the so-called advanced dose

1 reconstructions.

2 Okay, Wanda Munn.

3 **MS. MUNN:** Based on the discussion that we had
4 and the comments of the contracting officer
5 with respect to allowing some flexibility and
6 such -- some judgment call on the part of the
7 contractor, it might be wise for us to consider
8 looking at the upcoming 20, 22 cases --

9 **DR. ZIEMER:** Twenty.

10 **MS. MUNN:** -- that we have -- 20 that we have.

11 **DR. ZIEMER:** Oh, yes, we have 22 that are in
12 process, yeah. Yes, you're correct.

13 **MS. MUNN:** Perhaps we could identify a range of
14 percentage, from ten to 25 percent of those
15 cases, at their discretion, to be identified as
16 advanced cases since SC&A has indicated to us,
17 and I think with some validity, that sometimes
18 it's hard to identify what really should be
19 considered an advanced case till you've had an
20 opportunity to look at it.

21 **DR. ZIEMER:** And while you're pondering that,
22 Board members, I also remind you that there was
23 some concern that we sort of leave it up to the
24 judgment of the contractors as to when to do an
25 advanced case and when not, so there is that

1 issue, also -- as opposed to assigning a priori
2 certain numbers or actual cases to be advanced
3 and certain others to be unadvanced.

4 Okay, Richard, you have a comment, and then
5 Henry.

6 **MR. ESPINOSA:** I'm afraid to tie the
7 contractor's hands on this issue and would like
8 them to have the ability to decide which ones
9 are the advanced cases and which ones aren't
10 the advanced cases, although I am afraid that
11 the cost of this could also get out of hand.
12 And I'm in agreement with Wanda, if we can
13 stipulate a percentage of this, I would feel a
14 lot more comfortable with that.

15 **DR. ZIEMER:** Henry?

16 **DR. ANDERSON:** My question kind of is what --
17 what is the -- what is our purpose for doing
18 the advanced? If what we wanted to do is do a
19 sample of these and then select some to do an
20 advanced on so that it would be a mix of kinds
21 so we get a sense of is the current process
22 that they're using catching all the
23 information, we would use, you know, the review
24 of the site profile here that (unintelligible)
25 have been as much attention to the raffinates

1 if we hadn't raised that issue. I'm not sure
2 when the contractor goes through and they say
3 well, we'd like to pursue this more in depth,
4 that may capture some of that, but I'm not sure
5 that -- unless you necessarily look -- that you
6 would know whether the underlying process --
7 that we probably want to do -- we may want to
8 focus more of the in-depths on those that were
9 done comprehensively versus those that went
10 through either the overestimate or the
11 underestimate. And I think we probably want to
12 run the whole thing on some of those -- run the
13 over and under and see what it would actually
14 be. Now we may not need to do many of those,
15 but I'm not sure those'd come up in their
16 standard review if they look at it and it
17 basically seems to be following their
18 procedures if you didn't go and do the actual
19 dose reconstruction on the whole thing, we
20 wouldn't know what -- how effective it really
21 is. That's my only question, it's kind of what
22 -- what do we want from these reviews? If what
23 we want to do is let them determine that when
24 we look at it -- gee, you know, we're a little
25 worried here, this seems to be a softness or a

1 -- that's one approach to it. But that isn't
2 necessarily as systematic as I think our
3 original intent is.

4 **DR. ZIEMER:** Okay. Robert, then Jim.

5 **MR. PRESLEY:** I think our original intent was
6 to have an audit of cases. I would like to see
7 us come back or -- or do the fir-- this 20 or
8 22, whatever it is, and then let them come back
9 with us. Do it on a basic, then y'all come
10 back with us -- SC&A come back to us and say
11 okay, here's two or here's 22 that we think
12 need to be re-evaluated, and this is why we
13 need to be re-evaluating these things. To me,
14 that's the audit. And then we tell you which
15 ones we want to be re-evaluated.

16 **DR. ZIEMER:** Okay. Jim.

17 **DR. MELIUS:** If you look at the page 6 of the
18 proposal that we received from SC&A, they
19 indicate that there are two elements of the
20 advanced review that they have not pursued and
21 they basically aren't -- not intending to
22 pursue. The first one is to evaluate other
23 relevant sources of data, and it lists a bunch
24 of them, that are included in the site profile
25 database. And so I mean it -- regarding that

1 particular site. And the second one is the
2 issue of an adequate effort has been made to
3 research co-located workers and other
4 historical records to characterize the
5 individual's work history.

6 Now it seems to me that the first issue of
7 identif-- evaluating other documents and so
8 forth for a -- a site where a site profile
9 review has been done, that it is appropriate
10 that that be -- that task should be included in
11 the site profile review. I -- I agree with
12 that.

13 However, in cases where a site profile has not
14 been done or where a site profile review has
15 not been done, then I think some level of
16 effort ought to be made, in an advanced review,
17 to -- to address that particul-- particular
18 objective. I think we have to recognize, I
19 think, that in the case of a -- where the site
20 profile review is in the works or something, we
21 may want to defer on that 'cause it just -- it
22 would just be such a large scope and I think
23 would be hard to manage. But -- but I would
24 suggest we take some approach like that on that
25 particular task.

1 On the second task, evaluate whether an
2 adequate effort has been made to research co-
3 located workers and other historical records to
4 characterize the individual's work history, I
5 think that still needs to be done on advanced
6 reviews. It's a component of that, it's
7 something we wanted done. We didn't want it
8 done every time. And is there a -- I'm not
9 sure that we want to triage that too much. Did
10 -- should it only rely when there's some
11 mention in the CATI interview of -- of you
12 know, some hint that they should be looking at
13 -- well, a lot of these are, you know, survivor
14 interviews so they're not going to have that
15 information. And some level of effort I think
16 needs to be put into attempting to evaluate
17 that. It's an important part. It's something
18 we've raised concerns about. If you remember
19 when we went through the -- lot of debate in
20 this Advisory Board about the whole issue of
21 going back and interview-- re-interviewing, and
22 this was sort of the compromise proposal to
23 that. Let's see if we can approach that
24 without getting into the whole issue of re-
25 interviewing that we can evaluate that issue.

1 So I would -- I think that's still an important
2 part of advanced reviews and I would not like
3 to see them drop that. They've not done them
4 so we have no record of -- of effort to be able
5 to evaluate it. Until we have some record for
6 that, I would be reluctant to have them drop
7 it. I think we -- we -- in our discussions of
8 -- when we did the evaluation of the budgets
9 and so forth on the initial scope, I believe we
10 discussed the need to -- there needs to be some
11 practical limit to that. I mean they can't go
12 out and spend months at a -- you know, try --
13 talking to everybody at a particular site
14 trying to see -- you know, do you know anything
15 about so-and-so that might have worked there or
16 about a particular area or -- or something like
17 that. But I think there is an effort in terms
18 of looking at the -- for information that might
19 be -- be relevant and helpful to that. I think
20 to a limited extent they already -- they are
21 doing it with some of the site profile reviews
22 where they go out to the sites and -- and talk
23 to people familiar with the sites, but I don't
24 believe that that's in enough depth to
25 necessarily deal with individual cases and we

1 want to evaluate it relevant to individual
2 cases.

3 **DR. ZIEMER:** Okay. Thank you. Let me also
4 point out while we're talking about scope that
5 the contractor did identify that they, the
6 contractor, believe that they are doing dose
7 reconstructions that are -- I think the term
8 they used is comprehensive. That is perhaps
9 more thorough and at more depth than what we
10 originally defined the basic, although not at
11 the depths of an advanced. And there's some
12 question as to whether the Board wishes them to
13 continue at that level.

14 Now in a sense it -- they have kept us apprised
15 all through the process of what they're doing,
16 so although this is kind of a new term, the
17 comprehensive, we were in a sense aware of how
18 they were conducting these, and I think we
19 became aware that they probably were a bit more
20 thorough than we had originally defined a basic
21 dose reconstruction. And in essence, either by
22 tacit approval or whatever, we have continued
23 along that path, so I don't want to fault the
24 contractor for doing something that we
25 basically accepted as we -- as we proceeded.

1 But nonetheless, if we do wish the contractor
2 to do something less, we also need to define
3 that, as well. I just want to put that before
4 you. I'm not proposing that we do that, but I
5 think if the Board is uneasy about the current
6 level, which has some cost implications, then
7 that also has to be considered if you want to
8 have a sharp demarcation between these two
9 because where we're at on the comprehensive --
10 I think to some and perhaps to me -- appears to
11 be awfully close to an advanced review, with
12 perhaps a few components missing. But again, I
13 just throw that out and stimulate some
14 additional thoughts. I guess, Jim, you're next
15 and then Mark.

16 **DR. MELIUS:** Yeah, I think that's a good point,
17 Paul. I would ask the contractor or whoever's
18 familiar with this, that for -- what were we
19 expecting to be able to do next year, in terms
20 of numbers? They -- we've talked -- we're
21 talking only about comprehensive, but I think
22 we had some goals in terms of basic and
23 advanced.

24 **DR. ZIEMER:** Yeah, I think -- I think the
25 contractor was bidding on the basis of 60

1 comprehensives.

2 **DR. MELIUS:** Yeah.

3 **DR. ZIEMER:** Okay? That was what we got. We
4 got -- we got a bid of 60 comprehensive
5 reviews, and I'm simply pointing out -- we
6 don't have a category called a comprehensive
7 review, so this Board can decide that that's --
8 that's the kind of review we want, or we can go
9 back and say well, back down and do basics and
10 advanced and here's the numbers, but that's the
11 nature -- that's really what the heart of the
12 discussion is. And I think we need to help the
13 contractor on that, too, and we need to decide
14 what we need. If we like what they're doing,
15 then we say good, that's -- you know, we'll
16 continue with that and then we'll add to it
17 with something -- you know, an advanced
18 comprehensive review or whatever you wish to
19 call it, more comprehensive. Okay.

20 **DR. MELIUS:** Before -- I guess my -- my
21 question wasn't answered, which was --

22 **DR. ZIEMER:** No.

23 **DR. MELIUS:** -- was -- I know it's the 60
24 comprehensive, but originally were we thinking
25 of 40 and 20 or what -- what was --

1 **DR. WADE:** Forty basic, 20 compre-- 20.

2 **DR. MELIUS:** Okay.

3 **DR. ZIEMER:** Oh, yes. Okay, yeah, that was the
4 --

5 **DR. MELIUS:** Yeah, okay, and my second sort of
6 factual question is, of the ones that are
7 currently underway, the reviews that are
8 currently underway, what is the breakdown in
9 terms of basic and advanced? Aren't they
10 supposed to be doing advanced now?

11 **DR. WADE:** Right, these last 20 were to be
12 advanced.

13 **DR. BEHLING:** The first two sets were all
14 basics and the last, the third, which we are
15 about to finish, are supposedly advanced.

16 **DR. MELIUS:** Uh-huh.

17 **DR. BEHLING:** So that's -- that was our
18 charter.

19 **DR. ZIEMER:** Yeah. And one of the problems is,
20 they're all looking alike.

21 **DR. MELIUS:** I mean, frankly, they're not -- I
22 mean when we -- we're going to have to make a
23 judgment, but we tasked them doing advanced
24 reviews and I think they're telling us now they
25 don't intend to do them, which is a violation

1 of what we --

2 **DR. ZIEMER:** Well --

3 **DR. MELIUS:** -- we requested.

4 **DR. ZIEMER:** Yeah, yeah, I -- I --

5 **MR. GRIFFON:** (Off microphone) (Unintelligible)
6 scope items (unintelligible).

7 **DR. ZIEMER:** I'll go to bat, in a sense. I
8 think they have told us what they're doing, but
9 it does appear to be somewhat between our
10 parameters, so we need to define this and, you
11 know, help them with their task of -- Mark, go
12 ahead.

13 **MR. GRIFFON:** I actually would like to hear
14 what -- what the difference -- 'cause I
15 actually think that what they've done is basic
16 reviews, I thi-- and it doesn't mean I'm not
17 happy with the product, but I think if we look
18 down the scope items in basic reviews, I don't
19 know that they have any additional scope items
20 that they've added. I think one thing we've
21 had in this first set of 60 now is --
22 especially in the first 20 -- there was a
23 learning curve. You know, they -- a lot of the
24 first 20 cases, the work involved to assess the
25 case was going back to the hard copy procedures

1 and doing hand calculations in some cases by
2 Hans. There were workbooks sitting out there
3 that could have been used for that function and
4 we could have been -- and on the other hand,
5 when there were workbooks there that were there
6 to support calculations, I'm pretty sure that
7 we never went back and looked into the basis of
8 those workbooks. That wasn't part of this
9 basic review. So that we checked the
10 calculations, we checked the numbers on that,
11 but never said is this -- is this workbook set
12 up correctly, what's it based on, are the
13 assumptions in the workbook correct. That was
14 beyond the scope of this basic review. So I
15 don't -- I don't think we're out of line with
16 the basic, but I agree with Jim that these are
17 two critical items that we had a lot of
18 discussion about, and I would -- I would at
19 least think that we deserve to do some with
20 this scope in mind and reserve judgment until
21 we see how they come out.

22 **DR. WADE:** Right, looking at -- at the -- the
23 amount of work that scheduled for last year,
24 that first set of 62, there were to be 40
25 basic, 20 advanced, two blinds. We've received

1 -- we've received the 40 basic. They are
2 working on the next 20 -- 22. You can tell
3 them now you expect those to be advanced
4 reviews. You can talk to them about that. You
5 can imagine, based upon what you know now, what
6 you'd like to schedule for next year. All your
7 options are open to you now, and it's a matter
8 of just your deciding what you want to ask them
9 to do.

10 **DR. ZIEMER:** Yeah, and it may be that in fact
11 what you're calling comprehensive is -- is
12 really a basic -- maybe a little more thorough
13 than we had originally thought, but --

14 **MR. GRIFFON:** But clearly these steps that
15 they're indicating are not in there, so...

16 **DR. ZIEMER:** Yes. Hans and then Henry, you
17 have a comment here.

18 **DR. BEHLING:** Yes. Is this mike on?

19 **DR. ZIEMER:** Yes.

20 **DR. BEHLING:** For instance, let me give you an
21 example of the difficulty, and I'm trying to
22 accommodate Dr. Melius on the issue here, but
23 you'd mentioned the need, for instance, to deal
24 with the coworker, but coworker data is really
25 a last resort that comes into play when there's

1 an absence of primary data. If I have a case
2 where I have full dosimetry data for external
3 exposure and bioassay, why would I -- what
4 would be the point in me pursuing coworker
5 data?

6 In the last 22, which is the only advanced
7 cases that we've been asked to do, for
8 instance, as another example of difficulty, we
9 had a couple of mins -- minimum. In other
10 words, they only did a -- the most simplistic
11 of dose reconstruction because it was enough to
12 take them over 50 percent value, and it
13 involved only internal exposure at the expense
14 of ignoring ambient dose, external dose,
15 occupational medical dose, et cetera. My
16 question again, what would you ask me to do in
17 behalf of a case where even a partial dose
18 reconstruction put the guy over 50 percent
19 level?

20 **DR. MELIUS:** I think that, as with other
21 elements of your reviews, if the particular
22 element is not appropriate for that particular
23 case, then you report it as such. And that's
24 what you've bid on doing and that's what we --
25 we expect you to do. I mean I -- as before, I

1 guess I -- I'm just puzzled by why that
2 suddenly generates a change in scope for the --
3 for what we're asking to do. I think -- you
4 know, I guess...

5 **DR. WADE:** Yeah. I mean I --

6 **DR. MELIUS:** I mean we're not expecting you to
7 pursue something that can't be pursued or isn't
8 appropriate or relevant, but it doesn't mean
9 that it shouldn't be pursued in cases where,
10 you know, coworker data might have been used,
11 or something like that, that --

12 **DR. WADE:** I think --

13 **DR. MELIUS:** -- that's all.

14 **DR. WADE:** This could well be an issue of
15 semantics, and I think you need to continue to
16 pursue your discussion.

17 **DR. ZIEMER:** Mark, and then Wanda.

18 **MR. GRIFFON:** No, I was -- I'm sorry.

19 **DR. ZIEMER:** Okay. Wanda -- oh, Henry and then
20 -- well, we'll catch --

21 **DR. ANDERSON:** Go ahead.

22 **MS. MUNN:** The only item that seems to be
23 persistent that hasn't been addressed is the
24 issue of pursuing data outside of the channels
25 which we have already identified as being

1 appropriate between SC&A and NIOSH. We did
2 indicate that we needed to discuss that item.
3 If that's -- and it seems that is possibly the
4 largest hurdle to defining precisely for our
5 contractor exactly what we need to do. If
6 there is a case where it is appropriate for him
7 to be searching other records, then we have not
8 identified that for them yet. And if that's
9 going to be incorporated in our view of
10 advanced audit, then we probably need to do
11 that now.

12 **DR. ZIEMER:** Uh-huh. Thank you. Okay, Henry,
13 then Mark.

14 **DR. ANDERSON:** Yeah, I was going to say on that
15 similar thing, I think we want, to a certain
16 degree, a checklist. In other words, if
17 they're doing a comprehensive, they would say
18 it wasn't appropriate in this case to look for
19 the coworker or whatever, and then we would
20 either look for more cases -- and the other
21 issue could be if their feeling is, or our
22 feeling is, that what we've gotten to date is
23 basic -- is more than basic, it could well be
24 that now, or having been through it, that what
25 we expect for basic costs more than was

1 initially thought. And so I'm not sure, of
2 those that have been done, what we would leave
3 out of being considered a basic. And that's, I
4 guess, what I would ask them. What -- if
5 they're saying this -- what we really got was -
6 - were more than we asked for, well, what was
7 that extra that would not be part of a basic?
8 Now -- I mean I would suggest that what we got
9 that was the basic, that was very useful and
10 that's probably the level for basics we'd want.
11 We haven't yet seen -- and it may be many of
12 these -- they'll say well, your advanced wasn't
13 appropriate in this case, and -- you know, then
14 it would be still considered a basic. And when
15 it comes along that it was appropriate, I mean
16 that may be something they'd come back to us
17 and say well, this was a basic but we think
18 this is a good case to do an advanced on, and
19 then we would come back to them and say do we
20 think that's right or wrong.

21 **DR. ZIEMER:** Okay. Mark?

22 **MR. GRIFFON:** I was just going to respond to
23 Hans's example where you have a complete set of
24 individual urinalysis data and badge data, you
25 know, what -- what can you do with -- with

1 coworker data. And I mean I -- I guess I don't
2 know what a complete, comprehensive set is.
3 And -- and one thing I would do with coworker
4 data, possibly -- and it may be inconclusive,
5 too, but possibly is to look and say, you know,
6 I've looked at four people this person
7 mentioned as working with all the time, and
8 you've -- and you look at their data and you
9 find out that they've got exposures much lower
10 or much higher than the individual in question
11 in your DR review, and you say -- you say wait
12 a second, you know -- and I'm not saying that
13 coworker comparisons are the end-all, sure.
14 But that may be one thing that -- that would be
15 possible. Or that the coworkers were monitored
16 for something that the individual wasn't even
17 monitored for. They had whole body counts when
18 the individual only had urinalysis. Why is
19 that, were they doing a different job, were
20 they really coworkers, or -- or they were on a
21 monthly program and all the coworkers were
22 being monitored weekly. I mean I there's a
23 bunch of things I could think about to look at
24 with coworkers. And it may be that it just
25 supports the original argument, that that's

1 part of -- that's the randomness of it, I
2 guess, you know.

3 **DR. WADE:** I mean if -- if I could even read
4 the words -- I mean the -- the task was
5 (reading) evaluate whether, for cases involving
6 survivors, there has been an adequate effort to
7 research co-located workers and other
8 historical records to categorize the
9 individual's work history.

10 I think Hans is saying yes to that question in
11 the case that he brings before us. The
12 question is, does the Board agree with that, or
13 does the Board want the effort made, regardless
14 of whether Hans thinks the effort is
15 appropriate, to check methodology. That's
16 something you have to decide.

17 **DR. ZIEMER:** Well, and let me add to that, in
18 the case that Mark mentioned, hopefully if you
19 did what Mark described it would simply confirm
20 that in fact everything is in order. If in
21 fact you found those kind of discrepancies and
22 there was a pattern for that, then you would
23 say, you know, the dose reconstructors have to
24 add this step when they do these things because
25 we're finding too many cases where you can't

1 confirm the validity. But that I think is what
2 you're getting at. It's an audit function that
3 says yes, things do square, even if you check
4 them independently.

5 Now, Jim.

6 **DR. MELIUS:** Yeah, what I -- hopefully this
7 will -- I'd like to make a proposal that would
8 allow us to go forward with this based on some
9 -- some of this discussion, and I think it
10 would also take into account that we will have
11 an opportunity to evaluate advanced reviews and
12 then maybe come up with some better decisions.
13 As well as I think we're starting to get into
14 some of the more complicated cases that we've
15 chosen. Certainly the 20 this time I think
16 will raise new issues and so forth, and we may
17 have a better idea as we go along.

18 But I think we should ask our contractor to
19 develop a proposal -- the scoping would be 40
20 basic cases and 20 advanced cases. And then as
21 the rest of the scope would be what we asked
22 them to do this time as they relate -- the two
23 blind cases, et cetera, the issues with the --
24 the process to try to resolve any issues and
25 reports to us and so forth, as they've --

1 they've outlined -- do that. I think that they
2 should -- the advanced reviews should continue
3 all -- contain all the elements that we
4 included in our original scope, with the
5 proviso that the -- that if these other
6 relevant sources of data have already been --
7 are being addressed in the site profile review
8 that that -- they need not to be that -- step
9 need not be repeated in a individual dose
10 reconstruction review.

11 And secondly, I think hopefully maybe we've
12 clarified what we have meant by this issue of
13 researching co-located workers and obtaining
14 further information, where appropriate to that
15 particular case. But they should prepare a
16 proposal directed at that, come back to us.
17 We may decide, as we evaluate the results from
18 these next 20 advanced cases and what they will
19 prepare for us early next year, we may want to
20 modify scope or something. But I -- but I
21 think we can do that in the course of next year
22 and as we and they gain more experience with
23 this process. And if we have to then modify
24 the contract in some way, so be it, but at
25 least we can do it with -- on the basis of more

1 information.

2 **DR. ZIEMER:** Jim, I'm going to ask -- I think
3 you intend this to be a motion, and I'm going
4 to suggest -- since perhaps it was a little
5 wordy. That's not meant as criticism, but it
6 might be helpful to the Board to have it
7 delineated, and we could act on it tomorrow --

8 **DR. MELIUS:** Okay.

9 **DR. ZIEMER:** -- in our session if we could get
10 it worded. I also would raise the issue of --
11 did you intend to say anything about the scope
12 of the basic reviews, or do we wish to
13 understand the basic reviews to be what has
14 been described as comprehensive? And if that's
15 -- if that's an issue, we need to deal with
16 that I think in the same motion. If we
17 instruct them to do 40 basics and -- and 20
18 advanced, and if the basics are different from
19 what we currently are using, then we need to
20 spell that out, as well.

21 **DR. MELIUS:** Yeah, okay --

22 **DR. ZIEMER:** That's all I'm saying.

23 **DR. MELIUS:** I don't think they are, but the
24 basic are basic, and I sort of reject the use
25 of any sort of new terminology. Let's not --

1 **DR. ZIEMER:** Okay.

2 **DR. MELIUS:** Let's stay with what we've -- what
3 we've been doing so far and --

4 **DR. ZIEMER:** You're suggesting --

5 **DR. MELIUS:** -- so forth.

6 **DR. ZIEMER:** -- that you're comfortable
7 interpreting the basic as the comprehensive
8 ones.

9 **DR. MELIUS:** I'm comfortable that what they've
10 been doing so far --

11 **DR. ZIEMER:** Is basic.

12 **DR. MELIUS:** -- is basic reviews, and I think
13 that they've made an approp-- they will present
14 to us what they think is an appropriate --
15 Yeah.

16 **DR. ZIEMER:** Okay. Michael, you have a comment
17 -- is it agreeable with the group that we -- we
18 won't interpret that as a formal motion yet,
19 but the intent is to have a motion before us
20 that will clarify scope so that when we -- and
21 then the -- and then the contractor can come
22 back with a refined or revised cost estimate,
23 if necessary.

24 Yes, Michael.

25 **MR. GIBSON:** I'd just like think -- I think the

1 cases that they went over -- you know, we've --
2 regardless of how we wrote up our task, the
3 work they've done, we've all been a part of the
4 process --

5 **DR. ZIEMER:** Yes.

6 **MR. GIBSON:** -- in directing them, and you
7 know, maybe it's just a matter of wordsmithing,
8 using comprehensive. There's nothing basic
9 about -- I mean we may call it basic. There's
10 nothing basic about doing a dose reconstruction
11 when you have to try to dig into all this
12 information because let's face it, you know,
13 that's why we're here, because of the problem
14 we've had.

15 **DR. ZIEMER:** Thank you. Good comment.

16 **DR. MELIUS:** And I also should think we should
17 thank our contractor for being as specific as
18 they were in laying out their -- their scope
19 this time, and I think that was -- it's helpful
20 to the process, I just...

21 **DR. ZIEMER:** Yes, we do thank you for that.

22 **DR. WADE:** And I would remind the Board again
23 that as you looked at this issue of auditing
24 individual dose reconstructions, you've set a
25 certain goal for yourself, and I think it --

1 which is two-and-a-half percent. I think at
2 some point you need to step back and evaluate -
3 -

4 **DR. ZIEMER:** Right.

5 **DR. WADE:** -- what you're likely to do on that.

6 **DR. ZIEMER:** So the agreement is that we will
7 have a formal motion on this tomorrow to act
8 on. John, you wish to...

9 **DR. MAURO:** Yeah. As a more practical matter,
10 you know, we're in the home stretch of the last
11 22, which are -- according to our contract --
12 advanced reviews. We're in the process of
13 doing what we've been doing on the others, this
14 comprehensive review.

15 Now as a practical matter, let's -- let's say
16 for a moment -- it's a thought problem.

17 **DR. ZIEMER:** Yes.

18 **DR. MAURO:** Okay, we're in the middle of doing
19 these, and right now let's say -- Hans, so far
20 you've done about half of the 22. Let's say
21 you've got 11 of them done. Are there any in
22 there that, in your opinion, would -- where,
23 you know, I'd like to chase this one around a
24 little far or I'd like to call up the -- the
25 person who did the dose reconstruction. I'd

1 like to perhaps go to another record center.
2 I'd like to do a coworker interview. I'd like
3 to interview the claimant, because I think it
4 would add some value for this particular one.
5 These other ones are min/max or they're --
6 there really won't be -- and -- well, they're
7 all min/max -- oh, yeah -- but again, it's a
8 thought problem. How it bears out in reality
9 is another matter, but for this set of 22 right
10 now, we're in this position where we have in
11 mind what we're going to deliver, but now we
12 have in mind maybe there are some of these
13 that, in our opinion, we could -- if we went
14 that extra yard, those two items that are
15 identified in our proposal, might add some more
16 value and we get a little bit more out of our
17 understanding of the strengths or limitations
18 of a given dose reconstruction. I guess I
19 could use a little guidance from the Board now
20 whether we should be looking at this last set a
21 little bit more aggressively than we -- that we
22 go over and above what we have been doing for
23 the first set.

24 **DR. ZIEMER:** Well, as a starting point I think
25 the instruction on this last set is that they

1 are advanced reviews.

2 **DR. MELIUS:** Advanced, yeah.

3 **MR. GRIFFON:** All 22.

4 **DR. ZIEMER:** All 22.

5 **DR. MAURO:** You see, there's --

6 **DR. ZIEMER:** So if you have the issue such as
7 Hans raised, I think -- and Mark has suggested
8 how one might think about those. You might
9 think about what -- what does it mean to go
10 into depth on something that looks
11 straightforward.

12 **MR. GRIFFON:** But also I mean if -- if -- if
13 you feel these -- these coup-- these items, in
14 particular those two items, don't apply to a
15 certain case -- I mean for now, not applicable
16 and we'll bring it to the work-- we'll bring it
17 to our process, and if Board mem-- if the Board
18 team members feel like you should pursue a
19 certain thing -- you know, it's in the scope.
20 It's not like the Board members are giving new
21 scope.

22 **DR. MELIUS:** Yeah.

23 **MR. GRIFFON:** That's just -- that's just part
24 of that process, I think. So if you --

25 **DR. ZIEMER:** At least you have to consider --

1 **MR. GRIFFON:** If you feel strongly there's
2 nowhere to take it -- take it anymore on a
3 certain case, that's all you can do, yeah. You
4 put NA, I guess. You -- you're --

5 **DR. MAURO:** The change in paradigm is the idea
6 that -- when we began this process that you
7 could make your selection of 20 cases, another
8 20 cases, and say okay these we want you to do
9 an advanced review. I don't think that's the
10 reality of the situation. I think the reality
11 of the situation is here's a set of cases that
12 -- that some of them make sense to do an
13 advanced review and some of them -- you know,
14 they really don't. I mean there's no -- in
15 other words, all you could call it -- I don't
16 know what -- it's almost a semantics problem.

17 **DR. ZIEMER:** But I think what -- I think
18 conceptually we're saying that we -- we are not
19 judging a priori whether or not you can do an
20 advanced review, nor should you decide, if a
21 thing looks simple, therefore it's not subject
22 to an advanced review; that you at least think
23 about okay, in this particular case, even
24 though it looks very simple, are there some
25 other things that I should look at to confirm

1 that the data is correct -- or whatever. I
2 think that's what I -- what we're saying, that
3 don't assume --

4 **MR. GRIFFON:** I agree that the best-estimate
5 cases lend themselves better to these -- the
6 advanced reviews. But for contract reasons --
7 I mean, you know, that's the cases we had
8 available, so that -- you know. But you know,
9 I would say that -- you know, if you really
10 don't think an item is applicable, just put not
11 applicable and move on. But I think -- I think
12 you have to think about the scope. Even if it
13 looks simple, there may be something there.

14 **DR. BEHLING:** A question I raised with Dr. Wade
15 during the break is also one of what privileges
16 are we given -- for instance, I'd mentioned to
17 the Board earlier a case where a person had
18 claimed an injury to his cheek that several
19 years later turned into a melanoma. Now for me
20 to resolve that issue -- there was nothing in
21 the record that suggested he was ever injured,
22 that there was a radiological incidence that
23 was investigated by HP or anything like this.
24 Now for me to go -- take the first step and say
25 was there even such an injury on record with

1 the infirmary would require me to contact
2 someone and ask for medical records, which I'm
3 not entitled to get, any more than I may be
4 even entitled to contact the claimant himself
5 or his survivor. So at this point in time,
6 with the one month remaining for the
7 completion, for me to let's say turn all of
8 these cases into advanced cases by looking at
9 coworker data and things like that, I would
10 have to first acknowledge to the Board that
11 there are certain issues that have not been
12 addressed yet, such as who am I entitled to
13 contact.

14 **DR. ZIEMER:** And I don't know the answer to
15 that and I don't know if we can address it
16 here, but it may be that you will have to do
17 this almost on a case-by-case basis. You
18 identify the issue -- Lew, I don't know, but if
19 -- if the contractor says in order to complete
20 this case in depth, in an advanced way, we need
21 to access the following information -- or at
22 least we identify the steps to -- to achieve
23 closure on it, maybe you won't achieve closure
24 at that point, but is that -- is that the way
25 it has to be approached?

1 **DR. WADE:** That was my answer to Hans, that he
2 needs to approach me then with the particular
3 issues and -- and we'll have to deal with them
4 on a case-by-case basis.

5 **DR. ZIEMER:** Okay. And again, we can have
6 further discussion on this tomorrow when the
7 formal motion is before us, but I think you've
8 heard at least the -- sort of the sense of the
9 Board on these things, and we certainly want to
10 work together to achieve what the -- what the
11 intent here is. And it's -- it assumes that
12 it's not just the complex cases where you may
13 need to dig down. It may be a simple case that
14 looks so clear that you don't have to do any
15 more that may be the one that really needs the
16 attention. I think the nature of an audit is
17 you don't know a priori, a simple-looking thing
18 -- innocent-looking thing may in fact be a case
19 where there is something amiss.

20 Okay. Now we -- we have another issue that
21 emerged out of our -- really the scope
22 discussions. One of the -- one part of the
23 scope discussion -- and just put this in the
24 public record -- our -- our contractor has been
25 asked fairly regularly this year to meet with

1 various staffers on the Hill to review their
2 work products and that sort of thing. The
3 Chair has always objected, to some extent, on
4 the basis that this costs money and it comes
5 out of our funds for doing our job. As a
6 result, we've -- but -- but nonetheless, we
7 have instructed the contractor to proceed and
8 to make such briefings on the Hill when -- when
9 asked to do so. In some cases we've asked also
10 that Board members be present. And as a
11 minimum, for the contractor to make a record of
12 such visits and the items discussed so that we
13 have that on our record.

14 In the scope of the work product budget for
15 this year we have included, as part of the -- I
16 think it's task six, which is the program
17 management -- project management portion, we
18 have budgeted for those visits on the Hill, so
19 this request which goes to essentially the
20 Congress, if they fund that, in fact they are
21 covering the cost of doing that. It's not a
22 big part of this budget.

23 But one of the related issues are the ground
24 rules under which the contractor makes that
25 visit. The Board has -- has -- or some members

1 of the Board have expressed concern that
2 perhaps a Board member should be present at
3 such visits. The folks on the Hill don't
4 always want Board members present at such
5 visits. They may want what you might call just
6 a candid discussion with the contractor. In
7 any event, we -- we did want to get the sense
8 of the Board, what the Board would feel should
9 be the ground rules, keeping in mind that in
10 the end the folks on the Hill are going to have
11 the final say on this.

12 We may indicate, for example, the desire or the
13 -- well, let's say the desire to have a Board
14 member present when our contractor does such
15 briefings, but whether or not we can demand
16 that is certainly a question. But in any
17 event, we do have a proposed motion, I believe
18 from Wanda Munn, and I don't know if we fully
19 have time to discuss that today, but we can at
20 least get it on the floor and, if necessary, we
21 can carry it over to -- till tomorrow. I want
22 to ask, though, if -- if all the Board members
23 received -- I think by e-mail -- a proposed
24 policy on the issue of the contractor visits to
25 the Hill and the Board involvement. Is there

1 anyone on the Board that did not receive
2 Wanda's proposed motion?

3 **DR. WADE:** I would like to speak briefly to
4 that.

5 **DR. ZIEMER:** And we will give Wanda the
6 opportunity to formally make that motion, and -
7 - and Lew, do you wish to speak --

8 **DR. WADE:** Yeah, I mean I --

9 **DR. ZIEMER:** -- before the --

10 **DR. WADE:** If I might, Wanda, I think I must
11 speak before the discussion begins and -- and I
12 mean I'll choose my words carefully. And the
13 agency I work for, NIOSH, very much respects
14 the Board and looks for the Board's advice. At
15 this point the agency is not prepared to create
16 the impression that it surrenders its right to
17 make the decision as to Hill visits by a
18 government contractor. We can certainly take
19 guidance from the Board, but the agency will
20 make the final decision as to how these Hill
21 visits will take place, guided by the -- the
22 information provided by the Board. To this
23 point it's been the position of the agency that
24 the Hill would have unfettered access to this
25 contractor, and I would assume that would

1 remain the agency's position. Again, I don't
2 want to -- to stop discussion by the Board, and
3 we -- we welcome your advice, but I don't want
4 to mislead you to the fact that the agency will
5 a priori be guided by that advice.

6 **DR. ZIEMER:** Okay.

7 **MS. MUNN:** Thank you for that, Lew. The
8 concern was not quite that simple, I think.

9 **DR. WADE:** I understand.

10 **MS. MUNN:** The real concern here is not an
11 interaction with our elected officials, nor
12 even, for that matter, an interaction with the
13 press and the public or other organizations.
14 The concern is that the material being
15 discussed might still be incomplete. That was
16 the situation which triggered this concern last
17 month when our contractor was asked to provide
18 a briefing on documentation that was not yet
19 complete in that it had not been through the
20 vetting process, either through NIOSH nor
21 through this Board.

22 As a part of that request from Congressional
23 staff, the request was also made that no member
24 of this Board be present at that discussion.
25 Because the topic was an unvetted and

1 incomplete document, there was reasonable
2 concern that misunderstandings and
3 misinformation could derive. The document was
4 in draft form, was nothing that we had had an
5 opportunity to see.

6 With those thoughts in mind, this proposed
7 policy -- statement of policy was drafted, with
8 the expectation that we would anticipate any
9 elected official would want to stay abreast of
10 what was transpiring with anyone or any agency
11 that affected their district. This is to be
12 expected. The concern here is that the
13 information provided to them not be partial or
14 incomplete information, and that is the sense
15 and the spirit in which this proposed statement
16 of policy was written.

17 **DR. ZIEMER:** Okay. Thank you, Wanda. And as -
18 - although this is not formally a motion yet,
19 but let me point out that probably in a
20 majority of cases, the requests are going to
21 involve documents that are in the status that
22 you've described, in their -- they will be
23 almost always, and have been almost always,
24 draft documents. I can tell you that our
25 contractor has I believe done a good job in the

1 past in making it clear on the Hill that these
2 are draft documents. In all cases, it's my
3 understanding, that in many cases the Board has
4 not seen them yet so they're not -- they don't
5 represent the position of the Board; they are
6 working documents. I believe that's always
7 been the case.

8 And the other part of that is that the -- a
9 Board member present cannot speak for the
10 Board, so there is that issue in going to --
11 the Board member can be there and hear what
12 transpires, but is not in the -- in a position
13 to contradict, deny or other-- or say that the
14 Board doesn't agree with this or does agree
15 with this. So keep that in mind in terms of
16 the context here in what we're talking about.

17 **MS. MUNN:** In most cases it has not been vetted
18 by the Board, so the Board --

19 **DR. ZIEMER:** Right.

20 **MS. MUNN:** -- has not deliberated.

21 **DR. ZIEMER:** And even if it had, that would
22 still be the case. So this comes as a motion.
23 I would ask if there's a second, and we can get
24 it on the floor. We are running sort of time.
25 We may wish to -- if we get it on the floor,

1 you may wish to carry it over to tomorrow and
2 have a chance to cogitate on it overnight, but
3 the Chair will ask for a second on the motion.

4 **MR. PRESLEY:** I'll second.

5 **DR. ZIEMER:** And the motion's been seconded.

6 **DR. WADE:** I'm sorry -- It would be best to
7 read it.

8 **MS. MUNN:** Be glad to.

9 **DR. ZIEMER:** Yes, do we not have copies of
10 this?

11 **DR. MELIUS:** But the audience doesn't.

12 **DR. WADE:** The audience.

13 **DR. ZIEMER:** Okay, I will read it and then we
14 will make sure there are copies available for
15 tomorrow, then we won't -- we will not act on
16 it then tonight till everyone has a copy.
17 Here's the motion. (Reading) As an appointed
18 body mandated by the Energy Employees
19 Occupational Illness Compensation Program Act,
20 EEOICPA, the Advisory Board on Radiation and
21 Worker Health (the Board) works with multiple
22 Federal agencies to fulfill the requirements
23 laid down by the statute. The business of the
24 Board is conducted with full transparency under
25 the Federal Sunshine laws requiring open

1 disclosure and public access to information.
2 The Board routinely deals with matters that are
3 complex, variable, frequently technical, and
4 highly emotional.

5 It is necessary that the Board contract for
6 several technical or administrative services in
7 order to completely address discrete issues
8 within the Board's responsibilities. The
9 resulting documents require extensive review,
10 technical discussion and revision before the
11 product can be released as properly vented
12 (sic) and then authorized for distribution.

13 Although draft documents are often widely
14 distributed, they cannot be viewed as material
15 yet ready for presentation or comment.

16 Because of the incomplete and potentially
17 misleading nature of information contained in
18 draft documents, it is the policy of the Board
19 to provide briefings, interviews or other
20 informational exchanges from Board members, our
21 subcontractor, affiliates and associates only
22 when the final document has been accepted by
23 the Board. It is our further policy that at
24 least one member of the Board be present or in
25 telephone contact at the time such a discussion

1 takes place.

2 Adopted this 26th day of August, 2005; St.

3 Louis, Missouri.

4 That is the motion, and it has been seconded.

5 Now I'm suggesting -- and we don't need to

6 table this. I'm suggesting that we simply

7 defer action on this till tomorrow till we can

8 get copies available for the public and the

9 Board has a chance to think about it. We do

10 have a few minutes if you want to begin

11 discussion today, and then we can continue that

12 tomorrow during our work session. And the work

13 session would be really -- we have it on the

14 schedule toward the end of the session. It's

15 Capitol Hill's visits. Okay, Jim Melius then.

16 **DR. MELIUS:** I would -- I would point out one

17 thing is that we have dealt with this issue in

18 a slightly different form about release of

19 draft reports -- the Board after what I found

20 to be an embarrassing and difficult situation,

21 I believe with the Bethlehem site profiles when

22 it came up, and at that time the vote -- the

23 Board voted to allow the release of draft

24 reports --

25 **DR. ZIEMER:** Yes. In fact on the Bethlehem

1 Steel we did delay the release of that to --
2 even to the Congressional folks till the time
3 of our meeting, but then the Board took
4 specific action that said in the future we
5 would not withhold reports, they could be
6 circulated to whoever, so that -- the Board has
7 gone on record that draft reports are
8 releasable in any event.

9 **DR. WADE:** With a suitable disclaimer.

10 **DR. ZIEMER:** And the disclaimer that we
11 developed actually to go into the draft report.
12 It was an -- it actually was the Andrade
13 disclaimer, as modified.

14 **DR. WADE:** Okay.

15 **DR. ZIEMER:** Additional discussion today? Dr.
16 Melius.

17 **DR. MELIUS:** I would just say I would
18 vigorously oppose this motion. I think --
19 think there's major issues with the credibility
20 of this program. I think having the -- our
21 contractor go and brief Congressional staff on
22 these sites, on our activities, is very
23 helpful. They have high technical credibility,
24 they have high credibility with Congressional
25 staff, and I think it's been helpful to the

1 overall program. I think it's been helpful to
2 the work of this Advisory Board to have them
3 engage in these activities. Again, to my
4 knowledge, they've behaved appropriately in
5 terms of what they have said and how they've
6 characterized what -- their activities and the
7 status of their activities in relationship to
8 this -- to the -- to the Board and so forth.
9 And I -- I'm -- so I think we have everything
10 to gain and I think there's a lot to lose by
11 trying to prohibit this activity or limit it in
12 some way.

13 Secondly, I would remind the Board that, given
14 our record in completing some of these
15 documents, getting them from draft stage to the
16 final stage, we're talking about delaying some
17 of these meetings for years, and it only is
18 going to decrease our credibility. It's not
19 going to help the -- the process at -- at all.

20 **DR. ZIEMER:** Thank you. Mr. Presley.

21 **MR. PRESLEY:** Well, I kind of disagree. I
22 don't disagree that SC&A's doing a wonderful
23 job. I do disagree that we need some
24 participation on the Hill. Dealing with the
25 Federal government for 36 years, sometimes it's

1 -- things don't get back exactly the way they
2 do, and I think that we should have some
3 participation any time that -- that there is a
4 call for Board work on the Hill. I realize the
5 Hill's going to do what they want to do, but if
6 we do ask that we are allowed to participate in
7 that, I think that it would be to our advantage
8 to be able to -- to do that. We would have
9 some participation on the Hill then in some of
10 those discussions.

11 **DR. ZIEMER:** Thank you. Henry Anderson, then
12 Leon, then Jim. Henry.

13 **DR. ANDERSON:** I guess I think once -- or what
14 I object to in it is all draft documents. I
15 mean -- so we don't distribute this 'cause it's
16 a draft document -- I mean I think we just run
17 into a technical issue of once we've adopted
18 something, it's really too late for the public
19 to comment because it's already been adopted.
20 The only thing I would object to is I wouldn't
21 want our contractor to go and share a document
22 that's still an internal draft for them before
23 they've vetted to share with us. Once they
24 release public draft that's going to us at the
25 same time, rather than -- I wouldn't want them

1 speculating on well, were they asked, what do
2 you think about or how are you doing it, and
3 they haven't really done it yet, and they
4 wouldn't do that at this point. So internal to
5 them before it's really a draft, that I don't
6 think should be shared and I don't think
7 they're doing that. On the other hand, once we
8 have a draft comment on site profiles, I think
9 that really has to be a public document and
10 they have just as much a right to it as anybody
11 else in the process. So if you go way back,
12 early, you know, that's one issue. But once
13 it's become a draft that's circulated to us for
14 comment as part of the process, I just don't
15 see how we could -- could not have that and --
16 you know, the other thing is they -- they can
17 simply issue a subpoena for it and we don't
18 really -- we want to avoid that kind of a
19 thing. And trust me, they'll do that.

20 **DR. ZIEMER:** Leon.

21 **MR. OWENS:** Due respect to -- to Wanda, we have
22 visited this issue before and I would strongly
23 agree with Dr. Melius. The Board's credibility
24 is always on the line. I think -- once again
25 we're in St. Louis having another discussion

1 about a SEC petition for Mallinckrodt, and this
2 has been going on for -- for several months.
3 We also all realize that we serve at the
4 pleasure of the President, and we also know
5 that this is a political process. And I think
6 that the Congressional delegation -- any member
7 of that delegation or their representatives
8 have the right to request for any information
9 at any time. I think that SC&A has done a
10 outstanding job as the contractor to this
11 Board. I have all the confidence in them to
12 provide timely information when requested by
13 the Congressional members. But I do think it
14 would be very -- a tragic move on the part of
15 the Board to adopt this language. I think it
16 would send not only the incorrect message to
17 Congress, but I also think it would send a very
18 bad message to those who watch the workings of
19 the Board and keep up with it on a regular
20 basis.

21 **DR. ZIEMER:** Okay. Dr. Melius.

22 **DR. MELIUS:** Yeah. I would also -- response to
23 Bob's comment -- I think at the last meeting we
24 -- in terms of our -- we passed a motion in
25 terms of our interactions with our contractor

1 that allowed us -- all of us to be informed
2 about these Congressional visits and so forth,
3 and that actually took place for the recent one
4 with Senator Cantwell's staff. So that process
5 is in place. I would have no objection to a
6 policy that if Board members would like to
7 participate in the visit, that that should be
8 offered. However, I think we -- our policy
9 shouldn't be that they will attend, because I
10 think it is still the prerogative of the
11 Congressional office that -- who they want to
12 invite to their -- into -- into their offices.
13 I -- but in terms of allowing someone to -- you
14 know, offer. So if we had a process that was
15 in place where we would be notified about
16 these, that should people want to participate
17 that that be offered, communicated to whoever's
18 -- appropriate staff that's setting up that --
19 that meeting, and then it's up to them to
20 decide whether or not they -- they would like
21 that participation or not.

22 **DR. ZIEMER:** Okay. Thank you. Let me add as
23 an observation, and then we're -- this is the
24 last word for today -- as an observation, a
25 policy that offered the opportunity for a Board

1 member to be present would clearly meet NIOSH's
2 needs where it wasn't a requirement but an
3 offer, and it would allow the Hill the
4 prerogative to not make the offer, as well.
5 However, we are going to postpone action until
6 tomorrow, and I will declare us in recess until
7 tomorrow morning -- no, not till tomorrow
8 morning, till later this evening. We have -- a
9 brief recess for dinner. We will reconvene for
10 the public comment period at the appointed hour
11 -- I believe is 7:00, is it?

12 **DR. WADE:** Wait a minute.

13 **MS. MUNN:** 7:00.

14 **DR. ROESSLER:** 7:00.

15 **DR. WADE:** 7:00 p.m.

16 **DR. ZIEMER:** 7:00 p.m., and members of the
17 public, if you wish to address the Board and
18 you have not already signed up, please do so.
19 We are in recess.

20 (Whereupon, a recess was taken from 6:00 p.m.
21 to 7:03 p.m.)

22 **GENERAL PUBLIC COMMENT**

23 **DR. ZIEMER:** Well, good evening again,
24 everyone, and we welcome you to the public
25 comment session of the Advisory Board meeting

1 this evening. I have a number of folks that
2 have asked to speak, and I'm simply going to
3 take them in the order that they signed up.
4 And then when we complete this list, we'll have
5 another opportunity. If anyone else does wish
6 to speak and somehow didn't get signed up, why
7 we will add you at that point.

8 So let's begin tonight with John Ranspott.
9 John, are you here? Yes, please. And you can
10 use the mike right here in the front if you
11 wish, sir.

12 **MR. RANSPOTT:** I will be brief, and I certainly
13 appreciate the time to speak with you. I've
14 been at a number of your meetings and you've
15 made it very convenient. You seem to love St.
16 Louis, you've been coming back and back and you
17 surely know our airport now, so --
18 If I could, I'm going to read a statement, and
19 it's -- actually involves another site, the
20 Granite City site -- Granite City, Illinois --
21 and my comments have to deal with the Granite
22 City site which was bought -- it was originally
23 the General Steel Industries property, which is
24 also known as General Steel Industries, General
25 Steel Castings. It's located in Granite City,

1 Illinois. It is a covered facility. If you
2 look at the list of properties, it's always
3 referred to as Granite City Steel, so it's
4 proven to be a little confusing for some of the
5 people who look at the list and don't even
6 realize that it's where they worked, which was
7 actually General Steel Casting. It's just a
8 friendly suggestion -- I know a lot of these
9 sites have had different names, but it might be
10 helpful if that were looked at. We see the
11 a/k/a's, but there've been a number of people
12 who have missed that.

13 And when I found out about the site I actually
14 went over to try and contact some of my father-
15 in-law's ex-friends who worked there. There
16 was technically no one that I spoke with that
17 knew anything about this program eight months
18 ago. There are now 335 claimants. So there's
19 a lot of people who obviously found out about
20 it and it looks like it's going to possibly
21 help them.

22 What I'd really like to know and I -- is what
23 possibly happened to my father-in-law, my
24 wife's father, while he was employed at General
25 Steel Industries for over 35 years. Were he

1 and other employees in fact exposed to
2 radiation as a result of work done in defense
3 of our country? Were they properly informed of
4 the dangers due to various types and forms of
5 radiation? As of today, approximately 265
6 Granite City Steel/Granite City -- or General
7 Steel Industries claims have been filed. It
8 looks like 88 of the 89 processed so far have
9 been denied.

10 One can only wonder why. Would these employees
11 or their families been denied because of the
12 radiation doses have been seriously
13 underestimated?

14 Here's some concerns that I have. Has a site
15 profile been done? When, and how do I get a
16 copy? Are all of the radiation source terms
17 known to the dose reconstruction team? I have
18 only read about uranium being present at the
19 plant, and per employees that I've spoken with,
20 visited with, there was also cobalt, iridium
21 and General Steel Industries was also the
22 location of two, and I quote, government-owned
23 betatron particle accelerators. One was a 24
24 million volt, the other one a 25 million volt,
25 and that information comes directly from

1 employees and the FUSRAP report. So I've done
2 a little homework on particle accelerators, so
3 is that another radiation source? You know,
4 and I -- I'm asking you folks 'cause you --
5 you're the experts, you know, I'm -- we're
6 going to need your help to help identify all
7 these sources.

8 If a site profile or Technical Basis Document
9 has not been done, is it possibly on the NIOSH
10 site profile docket to start, and when can it
11 be completed? Is there -- or are there any
12 plans of this taking place? Just curious about
13 that.

14 Could the knowledge of site experts,
15 specifically former employees which are
16 available to provide input to the NIOSH
17 outreach program and/or site profile authors --
18 would that be helpful, 'cause these people are
19 available. And I've read about the site visits
20 that are available I guess through NIOSH.

21 These people would be willing to talk to NIOSH,
22 contractors, come to the Board, go where you
23 are, and I'll -- these people could use some
24 help. I've watched what you have done and I
25 really believe you're here to help, and you're

1 really trying to help some people.

2 So I appreciate your time, but I'd just like to
3 find out what happened to my father-in-law, get
4 to the bottom of it and if it did happen
5 because of something like that.

6 We'll be -- we have some handouts for you --
7 and I know you guys love e-mail and mail that
8 you get tons of, but we'll also send you a
9 packet, wherever you direct us, so you have
10 everything we have and these people definitely
11 are available to talk with you. Thank you very
12 much for the opportunity.

13 **DR. ZIEMER:** Thank you, and I think probably we
14 can get answers to some of those questions even
15 yet perhaps today from staff in terms of status
16 of any site profile information and so on. And
17 Stu or one of them will try to get some of that
18 information back to you. Other -- other issues
19 may -- we may have to have those researched.

20 Thank you, John.

21 And then Chris Ranspott. Chris, must be some
22 relationship there.

23 **MS. RANSPOTT:** My name is Christine Ranspott.
24 John's my husband. My father and grandfather
25 both worked for General Steel Industries in

1 Granite City, one of the covered facilities
2 under the Energy Employees Occupational Illness
3 Compensation Act, for a combined total of over
4 70 years. As the authorized representative of
5 my mother, a claimant, I am writing to request
6 your help -- or speaking with you, of course,
7 to request your help and expertise in solving a
8 problem we have encountered.

9 When filing the claim for my father, we
10 requested and paid for a certified copy of his
11 earnings and his place of employment. When
12 this information was received, it certified
13 that he worked for National Roll in Avonmore,
14 Pennsylvania. This is not correct.

15 In doing some investigating we were told that
16 the EIN or employer identification number which
17 was being used for National Roll was also the
18 EIN being used for General Steel Industries of
19 Granite City, Illinois. At one time National
20 Roll was a division of General Steel
21 Industries.

22 We wrote to Social Security and asked for this
23 error to be corrected in order to show the true
24 place of employment for my father. After a
25 period of approximately three months we

1 received a letter, and I have a copy attached
2 to show you, which stated -- and I quote -- The
3 Employer Identification Number was originally
4 assigned to General Steel Industries,
5 Incorporated. The company was bought out,
6 merged or changed its name to National Roll in
7 1994. As a result, Social Security changed the
8 name and address electronically and is using
9 the latest employer identification we have on
10 record. End quote.

11 The letter goes on to confirm that my father in
12 fact was employed by General Steel Industries,
13 Incorporated. Thankfully, our problem was
14 solved and the people we dealt with at Social
15 Security were most pleasant and efficient. The
16 claim for my father is proceeding through the
17 system.

18 However, my grandfather's employment records
19 also state that he worked for National Roll,
20 which he didn't. And we've recently
21 encountered three more former employees of
22 General Steel which have had the same problem.
23 This sincerely troubles me, since it's not
24 necessary to request one's own records from
25 Social Security in order to file a claim. I'm

1 concerned that many more employees may not be
2 receiving news, that their claim is denied
3 because they didn't work for General Steel
4 Industries, according to Social Security
5 records.

6 Is it possible for you to review any claims
7 which have been denied for this reason? Is it
8 possible to get this problem solved with the
9 Social Security Agency? Obviously the
10 correction of my father's records had no
11 influence in changing the way requests of this
12 sort are handled.

13 I also wonder if claimants from other covered
14 sites which have had name changes, sometimes
15 numerous, are experiencing the same
16 difficulties. My concern is that this
17 information gets passed along to the Department
18 of Labor and others who process claims, and
19 could result in many denials. Perhaps the full
20 chain of ownership of all EEOICP-approved sites
21 could be routinely researched in order to
22 verify employment status by the Department of
23 Labor prior to any claim being processed for
24 approval or denial. Perhaps all denied claims
25 should be reviewed with this information in

1 mind.

2 I thank you for your time and your efforts.

3 **DR. ZIEMER:** Thank you very much. This appears
4 to be an issue that may be occurring at the
5 front end of the process. I'm wondering if we
6 can make sure that the folks at Labor are
7 addressing this. And Stu, can somebody follow
8 up on that?

9 **MR. HINNEFELD:** We'll address it with Labor.

10 **DR. ZIEMER:** Yeah, yeah, okay. Thank you very
11 much for that input.

12 Yes, a question here?

13 **MR. PRESLEY:** Yes, what were those employment
14 dates?

15 **MS. RANSPOTT:** I'm sorry?

16 **DR. ZIEMER:** The question is what were the
17 employment dates involved?

18 **MS. RANSPOTT:** (Off microphone) My father
19 worked there from 1936 to approximately
20 (unintelligible), and we only requested records
21 from 1953 through '70.

22 **DR. ZIEMER:** Okay, thank you very much.

23 **MS. RANSPOTT:** (Off microphone) But it was
24 certified (unintelligible) everything.

25 **DR. ZIEMER:** Okay. Good, thanks. Next we have

1 Dan McKeel. Dan is a familiar face I think to
2 the Board. Dan, welcome back.

3 **DR. MCKEEL:** Good evening to the Board. I am
4 Dan McKeel. I'm a pathologist and a physician,
5 as I think you know by now, and I'd like to
6 briefly address six points about both the
7 Mallinckrodt Destrehan people and also the
8 Weldon Spring workers.

9 Point number one was at the October 2003
10 meeting of the Board in St. -- the first one in
11 St. Louis concerning Mallinckrodt, I challenged
12 Mr. (sic) Neton, head of the NIOSH dose
13 reconstruction team, to provide us with the
14 percentage of MCW workers that had complete
15 radiation monitoring data. Until the
16 transcript of the August 4th, 2005 meeting in
17 Cincinnati, I had not gotten an answer to my
18 question. But on page -- pages 24 and 25 of
19 that transcript is the following exchange,
20 which I quote. Ms. Brock -- Denise Brock says
21 (reading) I believe the claimants' thought at
22 the last meeting was that NIOSH was going to
23 use the daily weighted average, and basically
24 the proof is in the pudding. You can use the
25 daily weighted average. And then I'm wondering

1 what caused you to eliminate that. I know you
2 feel the breath radon is more reliable, but
3 isn't there only minimal amounts of claimants
4 that actually had breath radon? I mean how
5 reliable is that?

6 Dr. Neton answered (reading) Well, we estimate
7 around 20 percent of the cases that we have in
8 our possession where people worked during the
9 raffinate period have radon breath data. The
10 number for breath data is around 20 percent.
11 As I stated in October of 2003, a percentage as
12 low as ten percent would not be sufficient for
13 most scientists to accept that radiation
14 exposure data could be accurately and reliably
15 extrapolated to all workers, whereas if 90
16 percent of MCW workers had relevant data, that
17 probably would be sufficient. Thus from a
18 scientific point of view, use of data that is
19 only 20 percent complete is completely
20 unacceptable. Nor is there any evidence to
21 indicate that this small sample is a
22 statistically-representative sampling of the
23 whole at-risk worker population. Yet we see
24 early -- that earlier in the same transcript
25 NIOSH has abandoned using the daily weighted

1 average method in favor of the breath radon
2 approach. Denise Brock correctly questions
3 why.

4 Furthermore, I learned at this meeting that the
5 CER database, like the CEDR database, only
6 contains data on the 2,542 Mallinckrodt
7 Caucasian male workers, and that approximately
8 1,000 more Mallinckrodt men in minority -- I'm
9 sorry -- MCW women and minority workers were
10 excluded from the data analysis. The DuPres-
11 Ellis July 2000 *American Journal of*
12 *Epidemiology* article dealing with Mallinckrodt
13 also analyzed external exposure data only on
14 that same group of 2,542 white male MCW
15 workers.

16 Thus the 20 percent sample proposed for use by
17 NIOSH is even more inadequate and is extremely
18 biased. There is still apparently 107
19 Mallinckrodt claims still waiting for best-
20 estimate dose reconstructions by NIOSH as we
21 near a final Board decision on Ms. Brock's
22 Mallinckrodt SEC 0012.2 petition.

23 In short, the use of a limited 20 percent
24 sample of breath radon data is not good
25 science, for several compelling reasons. The

1 sample is not representative and it is far too
2 small to extrapolate to the whole risk -- whole
3 at-risk worker group.

4 The Board should reject the idea that NIOSH
5 fulfills the 42 CFR Part 83 mandate in being
6 able to accurately calculate radiation doses.
7 This argues for approving SEC status for the
8 1949-'57 Mallinckrodt cohort.

9 Point two is that the Board has a goal of
10 auditing two and a half percent of completed
11 dose reconstructions, or about 550 cases. They
12 have selected only 80 cases to be audited thus
13 far, and even the first 20 are not 100 percent
14 complete. Yesterday we learned that it would
15 take about ten years to meet the projected two
16 and a half percent audit goal. We also learned
17 that the mandated target of 40 percent of cases
18 with a probability of causation between 45
19 percent and 49.9 percent was difficult to meet
20 since most cases with complete dose
21 reconstructions were outside that range on both
22 of the completed and the randomized case lists.
23 Of all dose reconstructed cases on the
24 randomized list, most were min/max, referred to
25 as low-hanging fruit cases, and not the best-

1 estimate cases.

2 John Mauro and SC&A have stated that best-

3 estimate cases are the most important to audit.

4 I note that in the list of 100 completed best-

5 estimate cases presented yesterday, not a

6 single one was from Mallinckrodt Destrehan

7 Street. My comment is that two and a half

8 percent is not sufficient for a statistically-

9 valid quality assurance audit where usually at

10 least ten percent of a sample must be

11 reassessed. A ten percent sample was used, for

12 example, as we further learned yesterday, when

13 ORAU validated the CER database against Dr.

14 Thomas Mancuso's original DOE worker data. So

15 this latter precedent supports my point number

16 two.

17 Point number three, I believe there is an

18 inherent error in the reasoning behind the

19 concept of a bounding dose, which is the

20 standard that NIOSH states the EEOICPA law

21 mandates using and SC&A apparently accepts.

22 Bounding dose strategy allows one to calculate

23 a dose based on the highest concentration of

24 radionuclide (sic) encountered among a mixture

25 of radiation exposures. Yet from a biological

1 point of view, the total dose is the additive
2 and cumulative effect of all the separate
3 exposures. Each and every radionuclide and all
4 of their progeny can contribute to radiation
5 dose and biologically some to cause cancer.
6 The BEIR VII 2005 report underscores this
7 point, and even acknowledges the radiation risk
8 posed by harmless -- and that's in quotes --
9 diagnostic X-ray procedures. The bounding dose
10 concept, used largely for the sake of
11 expediency, in the absence of real and complete
12 and accurate exposure data, ignores these basic
13 biologic facts.

14 In accepting this principle -- that is, that
15 bounding dose is sufficient -- the EEOICPA law
16 perpetuates the myth that dose reconstruction
17 is a sound, fair and scientifically-valid
18 endeavor. It is not claimant-favorable.

19 Rather, dose reconstruction, as now implemented
20 for MCW workers by NIOSH, is decidedly
21 claimant-adversarial in ignoring the lack of
22 real data and missing data during the SEC
23 petition process.

24 The table on pages 49 and 50 of the SC&A third
25 supplemental review of the MCW site profile

1 Rev. 1 is a specific example of this point.
2 The page 49 table gives values for only eight
3 of 31 named uranium progeny, and the table on
4 page 50 for only nine of 30 K-65 residue
5 progeny. No values are given for radon 219,
6 for example, which is a biologically important
7 radionuclide in human cancer biology. And as a
8 citation, I would cite an article entitled "The
9 Radiologic Impact of 219 Radon and Its Effect
10 on 222 Radon Risk Assessment" which was in the
11 *Journal of Health Physics*, Volume 41, Pages 165
12 to 171 of 1981.

13 Thus another uncertainty is introduced into
14 NIOSH dose calculations that compounds other
15 film badge measurement and radiologic
16 uncertainties.

17 In summary, on this point I believe that the
18 EEOICPA SEC rule should be changed to a fairer
19 statement based on actual rather than virtual
20 computer model-based or assumed and bounded
21 data. And I would again urge GAO to closely
22 examine reasons for denials under this EEOICPA
23 statute.

24 Point four, I noticed yesterday that there was
25 no discussion of tasking SC&A to review the

1 completed Weldon Spring site profile number
2 053, which was approved fully on June 24th
3 through 28th of this year. As documented at
4 previous meetings of the Board, many
5 Mallinckrodt uranium division workers were
6 employed at both the MCW Destrehan Street and
7 Weldon Spring sites, and dose reconstructions
8 therefore necessarily will involve
9 determinations of radiation data from both
10 places. The Weldon Spring site profile review
11 should be placed at the top of the next to-be-
12 reviewed list, ahead of the site profile
13 reviews that were mentioned yesterday, in order
14 for the Board to provide continuity with the
15 Mallinckrodt SEC and the deliberations and TBD
16 reviews for Mallinckrodt during February
17 through August of 2005.

18 Point five is that I'd like to stress that the
19 truck drivers at MCW Destrehan and at the
20 airport site and Latty Avenue sites who ferried
21 uranium ores, including pitchblende and K-65
22 residues, should be covered in the Mallinckrodt
23 SEC and all revised Mallinckrodt TBDs. I
24 remind the Board and NIOSH that a record of
25 decision is pending by the Army Corps of

1 Engineers of the FUSRAP program on the north
2 county and Latty Avenue and related vicinity
3 properties. This rod addresses possible
4 remediation of radiation waste underneath major
5 highways, including McDonnell Boulevard and
6 Pershall Road, along which the Mallinckrodt
7 waste was transported, often without tarps
8 covering the ore in the truck beds. So much
9 waste was spilled that these roads are
10 contaminated to a depth of at least 16 feet.
11 Many of the truck drivers were not adequately
12 monitored, and their radiation exposure risk
13 was very high.

14 Point six, and my final one, is an
15 environmental justice commentary. Data on
16 uranium releases into the atmosphere around the
17 Destrehan Street facility between 1946 and '55
18 is presented in a letter from Mr. W. J. Shelley
19 of the Mallinckrodt uranium division to Mr. F.
20 N. Belcher of the Atomic Energy Commission in
21 SC&A's third supplemental report on the
22 Mallinckrodt site profile Rev. 1 on pages 133
23 and 134. Over those years, from '46 to '55,
24 the total uranium discharged through unfiltered
25 Destrehan Street stacks totaled 22,990 pounds,

1 or 11 and a half tons. EEOICPA addresses
2 compensation for former Mallinckrodt workers.
3 My comment is that there is an additional
4 compelling need to consider compensating people
5 who lived in the community surrounding the MCW
6 Destrehan facility plants.

7 As far as I am aware, there has never been a
8 community radiological survey or any health
9 status survey of residents living in the
10 vicinity of Mallinckrodt Destrehan Street,
11 which is in a densely-populated residential
12 neighborhood. There was one report about
13 psychological reactions of residents living
14 near the Federal clean-up site, which was
15 Mallinckrodt Destrehan Street.

16 Environmental justice concerns argue strongly
17 that resident compensation should be revisited,
18 even at this late date. I understand this is
19 not in the direct purview of the Advisory
20 Board, but the facts are relevant and the
21 Advisory Board deliberation has been the
22 vehicle that has brought this long-unaddressed
23 major injustice to light.

24 I once again appreciate the opportunity to
25 present the Board with my concerns. Thank you.

1 **DR. ZIEMER:** Thank you, Dan. Before you leave
2 the microphone, could I ask a question on the
3 truck drivers?

4 **DR. MCKEEL:** Yes, sir.

5 **DR. ZIEMER:** Could you clarify for me -- are
6 these commercial drivers that were not really
7 direct-- are they contractors to Mallinckrodt?

8 **DR. MCKEEL:** As I understand it, they are
9 contractors under Mallinckrodt and -- and
10 should be --

11 **DR. ZIEMER:** Are -- on the Mallinckrodt payroll
12 or not? Do --

13 **DR. MCKEEL:** Probably not on their -- well, you
14 know, I'm not sure --

15 **DR. ZIEMER:** Or Denise, do you know --

16 **DR. MCKEEL:** -- but I think not on their -- I
17 think they were --

18 **DR. ZIEMER:** I'm really asking is there --

19 **DR. MCKEEL:** -- on the payroll as
20 (unintelligible) contractors.

21 **DR. ZIEMER:** -- a group of Mallinckrodt workers
22 called truck drivers that --

23 **DR. MCKEEL:** No, I think --

24 **DR. ZIEMER:** -- that were not --

25 **DR. MCKEEL:** -- these were private contractors

1 that worked to just haul the Mallinckrodt
2 waste.

3 **DR. ZIEMER:** Okay, that's what I was --

4 **DR. MCKEEL:** Yes, sir. So --

5 **DR. ZIEMER:** Is that an identifiable group?

6 **DR. MCKEEL:** Well, in the first TBD they
7 mention this group and they said they were
8 having difficulty finding the employer of
9 record. And I think I made the suggestion back
10 in 2003 that there's a lady who is still I
11 think head of that program, the project
12 manager, named Sharon Cottner*, who I am sure
13 would be able to supply that information. And
14 -- and I really think that would be a terrific
15 thing to do.

16 **DR. ZIEMER:** Well, I'm kind of asking at this
17 point --

18 **DR. MCKEEL:** Yeah.

19 **DR. ZIEMER:** -- are these -- is this a group
20 even covered by the current law? Perhaps not.
21 Do we know that? I don't know if any --

22 **DR. MCKEEL:** Well, it -- it --

23 **MS. BROCK:** (Off microphone) (Unintelligible)
24 if I'm correct -- I'm sorry.

25 If I'm correct, I believe it covers

1 contractors, subcontractors, and we know -- I
2 think there were actual several maybe different
3 trucking areas or companies that did that.
4 There was also something called Arch Wrecking
5 that was responsible for some --

6 **DR. ZIEMER:** I see.

7 **MS. BROCK:** -- of this, too, and I've tried to
8 find them, as well. But as you well know, a
9 lot of years pass --

10 **DR. ZIEMER:** Right.

11 **MS. BROCK:** -- and sometimes these companies
12 just are gone.

13 **DR. ZIEMER:** Right.

14 **MS. BROCK:** And so that's part of a -- but it's
15 something that (unintelligible) --

16 **DR. ZIEMER:** Currently we don't know exactly
17 who they are, so it may be an issue for --

18 **DR. MCKEEL:** I believe --

19 **DR. ZIEMER:** -- the future to --

20 **DR. MCKEEL:** -- they're covered under the law.

21 **DR. ZIEMER:** Yes.

22 **DR. MCKEEL:** And I think Sharon Cottner, and
23 there's a lady there who's their information
24 officer called Jacqueline Mattingly, who's very
25 knowledgeable and probably would try to help on

1 this, to identify.

2 **DR. ZIEMER:** Thank you. Board members, if you
3 have any other questions of any of the
4 presenters, please indicate such. Okay.
5 Louise McKeel.

6 **MS. MCKEEL:** I'm the gal behind the camera.
7 I'm just going to read this and then I can hand
8 it off to you if you need.

9 (Reading) Thank you for providing some
10 opportunity for public comment during the
11 series -- this series of meetings. I'm Louise
12 McKeel, owner and editor of *Village Image News*,
13 an independent news group that specializes in
14 environmental news of concern in the
15 Mississippi River Valley and the Ozark
16 Mountains, with particular focus on the St.
17 Louis region where my family has lived for over
18 30 years.

19 I'm here representing citizens who are not
20 likely to have the individual time, training or
21 considerable personal resources it takes to
22 research, to follow and to report coherently on
23 developments of large governmental programs
24 that have vital and multi-generational effects
25 upon particular groups of workers and their

1 families in our region.

2 Point one, I learned from a question I raised
3 at a previous NIOSH meeting in St. Louis that
4 the Government Accounting Office projected or
5 scoped cost of the -- you can say it -- EEOI,
6 but I'll say Energy Employees Occupational
7 Illness Compensation Act. The entire program
8 was to be somewhere around \$2.8 billion. It is
9 also my understanding that the total amount has
10 been approved by Congress for distribution to
11 rightful claimants. I would like to have these
12 facts confirmed once again. I don't know if
13 it's an easy answer that can be given right
14 here, if everybody knows that, or if it's going
15 to take time and then -- we'll have to take
16 time, I guess.

17 **DR. ZIEMER:** I don't think the Board knows
18 those numbers, but it may be possible to get
19 them for you. I -- why don't you go ahead and
20 give us the -- or raise your questions and
21 we'll see if we can find information that...

22 **MS. MCKEEL:** And -- and then point two --

23 **DR. ZIEMER:** I'm sorry, the \$2.8 billion
24 projection was the --

25 **MS. MCKEEL:** Well, the \$2.8 billion -- that was

1 the amount that was estimated that this program
2 -- the entire program was going to cost, and it
3 was an estimate of the General Accounting
4 Office.

5 **DR. ZIEMER:** Oh, GAO, okay.

6 **MS. MCKEEL:** And -- and then that the total --
7 that that amount had been appropriated by
8 Congress for dispersion to the rightful
9 claimants. And I mean, as I stand behind the
10 camera, I really see all this from a pretty
11 different point of view because I'm more of a
12 citizen. I don't really know that. You know,
13 Dan researches and does it from a very
14 different point of view, but I come more as a -
15 - just a citizen and representing the plain
16 citizens taxpayers -- not even claimants, but
17 just taxpayers -- and I'm interested in what
18 the scale and scope of this program was, in a
19 very general but accurate way.

20 Then the second point was -- let's see, my
21 question -- that was an old concern I had, and
22 then my question today is how much has the
23 EEOICPA program cost to date? And then I say
24 as a taxpayer and an interested citizen of this
25 region, I feel a need to be able to see this

1 cost broken down by year with respect to the
2 total claims approved. And then the annual
3 budget for the -- and then I have to -- well,
4 let me read it -- for the Advisory Board on
5 Radiation and Worker Health, or you know it as
6 the ABRWH -- the annual budget for their
7 operations. This is a breakdown that I would
8 think I should be able to see, as a taxpayer.
9 The annual budget for the NIOSH dose
10 reconstruction program -- and I'll hand this to
11 you if it would save you writing it down -- the
12 annual budget for ORAU contracts, the annual
13 budget for SC&A contracts, the annual budget
14 for the Department of Labor portion of this
15 program, and the annual budget for the
16 Department of Energy portion of this program.
17 That's just point two.
18 And then point three is yesterday I heard it
19 said that if the cases on the current agenda
20 are audited it would take ten additional years.
21 I'm asking for a rejection of the costs listed
22 above for the next five years.
23 And that's all I'm going to say from officially
24 the questions. One other comment that just
25 occurred to me today. Dan and I were up

1 looking to see what the latest number of
2 claimants -- I -- let's see, I guess I need to
3 use your words, but those compensated and so
4 forth -- and let me not try to analyze that. I
5 mean to me it's not simple. It's a little --
6 little hard, but one footnote that just
7 astounds me after all I've heard is there are
8 examples of cases withdrawn -- let's see how
9 this is -- well, it says cases referred to
10 NIOSH, and then there's a category of that,
11 NIOSH star one, withdrawn from NIOSH -- and
12 then due to no dose reconstruction, apparently.
13 It says examples of reworked case. Case was
14 returned from NIOSH with a dose reconstruction,
15 but additional medical or employment evidence
16 needs to be developed. Case is then returned
17 to NIOSH. Well, I can somewhat understand
18 that. It sounds as though they're going to be
19 looking for more evidence. But I see from this
20 that it could work against the claimants quite
21 easily.

22 But the second one is the one that concerns me.
23 Examples of a case withdrawn. Case was sent to
24 NIOSH and withdrawn without dose reconstruction
25 due to claimant request, to claimant death,

1 insufficient employment evidence, or
2 confirmation of SEC status. Claimant death and
3 insufficient employment evidence, this sounds
4 like things that the --

5 **MS. RANSPOTT:** Ranspott.

6 **MS. MCKEEL:** -- Ranspotts were saying, that
7 they're just, you know, difficult statistics
8 and inexactitudes that you all are able to use
9 to just make a denial, and -- and it looks to
10 me as though there are very, very few --
11 relatively few awards -- well, we did some
12 percentages on that, but they were things like
13 11 percent of the awards -- I mean this is not
14 like 60 percent or 80 percent or anything, but
15 like 11 percent where people -- of people were
16 compensated -- of the cases that were
17 developed.

18 I'm not going to babble on through this, but it
19 just doesn't seem to me that most people are
20 getting rewarded, and that has nothing to do
21 with just the -- the cases are a func-- a
22 reduced function of the actual claims that are
23 made. And I think a lot of people who were
24 just here dropping in or citizens in general
25 think that oh, well, there've been quite a few

1 claims, and in a way it's more like ten percent
2 of the actual cases that are mentioned -- that
3 are brought are -- have been paid to date, and
4 I don't think that's a very high amount,
5 considering all the expense that this program
6 has cost so far.

7 **DR. ZIEMER:** Okay. Thank you. I think,
8 Louise, that most of the information that
9 you've asked about is public record information
10 and I -- I don't know where all of it resides,
11 but we certainly have the capability of getting
12 that, one way or the other. I can tell you
13 that the SCA part of the thing was announced
14 today. There --

15 **MS. MCKEEL:** (Off microphone) Well,
16 (unintelligible) the web site. You know, here
17 are the -- the statistics --

18 **DR. ZIEMER:** Right.

19 **MS. MCKEEL:** -- (unintelligible) you just read
20 the kind of statistics that I'm interested in,
21 it's 11 percent of those people who make claims
22 at Mallinckrodt, program statistics, 11 percent
23 were compensated. That's not very many.

24 **DR. ZIEMER:** I was referring to the budgetary
25 things that you asked about, and I think, as

1 far as I know, all of that is public record and
2 it should be available. I'm not sure how
3 readily we can get some of these numbers right
4 away. We know what the SCA number is 'cause we
5 announced that earlier today. The -- the
6 budget for this committee, we can certainly get
7 that. It's the smallest part of all of these
8 numbers, I think. But --

9 **MS. MCKEEL:** (Off microphone) (Unintelligible)
10 was curious --

11 **DR. ZIEMER:** -- but --

12 **MS. MCKEEL:** -- (unintelligible) the claimants,
13 in a way, get --

14 **DR. ZIEMER:** Right.

15 **MS. MCKEEL:** -- (unintelligible) fifty thousand
16 dollars --

17 **DR. ZIEMER:** Right, and --

18 **MS. MCKEEL:** -- (unintelligible).

19 **DR. ZIEMER:** -- and the number of claims that
20 NIOSH has processed and the number of awards
21 that have been finalized, that information is
22 available. I don't have it here, but I -- I
23 think -- and you're going to give us the
24 written version --

25 **MS. MCKEEL:** (Off microphone) I think

1 (unintelligible) --

2 **DR. ZIEMER:** -- or this -- is this it? This is
3 it, yeah.

4 **MS. MCKEEL:** (Off microphone) (Unintelligible)

5 **DR. ZIEMER:** We will try to retrieve that for
6 you, certainly can.

7 **MS. MCKEEL:** (Off microphone) I appreciate that
8 very much.

9 **DR. ZIEMER:** Okay. Next I have Mel Makara?

10 **UNIDENTIFIED:** (Off microphone) I inadvertently
11 --

12 **DR. ZIEMER:** Makara?

13 **UNIDENTIFIED:** -- wrote my name. I thought
14 that was (unintelligible).

15 **DR. ZIEMER:** Oh, okay. Thought you were --
16 thought you were registering attendance. Okay,
17 Mel, we won't force you to come up here.
18 Now let -- that -- that's the last name I have
19 on the sign-up list, but let me again present
20 the opportunity if there's any others here who
21 do wish to comment to the Board. We'd be glad
22 to have you do that at this time.

23 (No responses)

24 Are there no other individuals -- now Denise,
25 you're not twisting somebody's arm there, are

1 you?

2 **MS. BROCK:** (Off microphone) (Unintelligible)
3 to a priest.

4 **UNIDENTIFIED:** (Off microphone) I think you
5 just did.

6 **DR. ZIEMER:** It must have worked. Okay.

7 **FATHER MITULSKI:** No, I just --

8 **DR. ZIEMER:** Please identify for the record --

9 **FATHER MITULSKI:** Father Jim Mitulski.

10 **DR. ZIEMER:** Father Jim.

11 **FATHER MITULSKI:** M-i-t-u-l-s-k-i.

12 **DR. ZIEMER:** Okay.

13 **FATHER MITULSKI:** My dad is a claimant. He has
14 passed away. I was just concerned because I
15 can't seem to get -- one of the things that I
16 wrote for was a copy of his records, and I
17 haven't been able to get them. The first time
18 I wrote they said they lost the letter, and
19 they asked me to FAX another, and I FAXed it
20 and I still haven't gotten anything back. Last
21 time I was in -- the last FAX, I just looked at
22 it, was in May, so I would have thought that I
23 should have gotten back a copy of his records
24 by now.

25 **DR. ZIEMER:** Yeah. We do have some folks here

1 from NIOSH that are helping on individual
2 claims, and I don't know if you're -- if the
3 records you're referring to are --

4 **FATHER MITULSKI:** It's (unintelligible) --

5 **DR. ZIEMER:** -- Labor Department --

6 **FATHER MITULSKI:** -- (unintelligible) records,
7 yeah.

8 **DR. ZIEMER:** -- records or --

9 **FATHER MITULSKI:** Yeah.

10 **DR. ZIEMER:** If -- if they are Labor
11 Department, we can get you directed to the
12 right person, but we'll -- between Denise and
13 some of the staffers here, I think we can at
14 least figure out where help needs to come from
15 in this case.

16 **MS. BROCK:** (Off microphone) (Unintelligible)

17 **DR. ZIEMER:** So -- and who -- who's here from
18 the NIOSH staff that can assist, if necessary?
19 Okay, there's a whole crew of folks. I'll tell
20 you, when you wear a clerical collar the help
21 all comes pretty fast. Okay.

22 **FATHER MITULSKI:** And then Denise wanted me to
23 sing a song before I leave.

24 **DR. ZIEMER:** Okay. Well, our time is up.

25 Okay, Denise, you have some additional

1 comments?

2 **MS. BROCK:** I think it was probably just the
3 entire case file, and it usually works real
4 well if you just put it in writing to the
5 Department of Labor, they're usually real
6 helpful. It looks like this letter went there.
7 I can make a phone call.

8 **DR. ZIEMER:** Okay.

9 **MS. BROCK:** And I wanted to make sure because
10 when his father passed away -- it's really
11 difficult for family members to then
12 immediately send in a survivor -- you know how
13 that works.

14 **DR. ZIEMER:** Well, between Denise and the
15 staffers here, we'll make sure that there's
16 some follow-up on this.

17 **MS. BROCK:** (Off microphone) (Unintelligible)
18 did all that. We'll do that. Thank you.

19 **DR. ZIEMER:** Thank you. Any other comments --
20 yes, Richard Miller.

21 **MR. MILLER:** Very briefly, Richard Miller,
22 Government Accountability Project. I'd just
23 like to put a request on the record to NIOSH
24 that they make a redacted transcript available
25 of the closed session that was held today,

1 obviously redacted from the things that are,
2 you know, business-sensitive. I think you did
3 that once before with respect to --

4 **DR. ZIEMER:** We'll try to do that as quickly as
5 we can.

6 **MR. MILLER:** And it can (unintelligible) the
7 web site. You don't have to --

8 **DR. ZIEMER:** Right.

9 **MR. MILLER:** -- give it to me personally.

10 **DR. ZIEMER:** Right.

11 **MR. MILLER:** That'll be fine. Thank you.

12 **DR. ZIEMER:** Yeah. Okay, any other comments or
13 questions for the public session?

14 (No responses)

15 If not, I thank you again all, for being here
16 in attendance tonight, and again, we will
17 resume our session tomorrow morning at 8:30, at
18 which time we will deal directly with the
19 Mallinckrodt SEC petition. Thank you.

20 Goodnight, everyone.

21 (Whereupon, at 7:45 p.m. an adjournment was
22 taken to August 26, 2005 at 8:30 a.m.)

23

24

25

1

C E R T I F I C A T E O F C O U R T R E P O R T E R**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of August 25, 2005; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 7th day of October, 2005.

STEVEN RAY GREEN, CCR
CERTIFIED MERIT COURT REPORTER
CERTIFICATE NUMBER: A-2102