

THE U. S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

VOLUME I

The transcript of the Meeting of the
Advisory Board on Radiation and Worker Health
before Debbie G. Williams, Certified Court
Reporter and Notary Public; commencing at 8:30
a.m., Wednesday, February 5, 2003, at The
DoubleTree Guest Suites, 181 Church Street,
Charleston, South Carolina.

DEBBIE G. WILLIAMS, CCR, CVR
CERTIFIED VERBATIM REPORTER
2515 Little John Court
Cumming, Georgia 30040
(770) 886-9814

PARTICIPANTS

BOARD MEMBERSCHAIR:

PAUL L. ZIEMER, Ph.D., Professor Emeritus
School of Health Sciences
Purdue University
West Lafayette, Indiana

EXECUTIVE SECRETARY:

LARRY J. ELLIOTT
Director, Office of Compensation Analysis and Support
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Cincinnati, Ohio

MEMBERSHIP:

HENRY A. ANDERSON, M.D.
Chief Medical Officer
Occupational and Environmental Health
Wisconsin Division of Public Health
Madison, Wisconsin

ANTONIO ANDRADE, Ph.D.
Group Leader/Manager
Radiation Protection Services Group
Los Alamos National Laboratory
Los Alamos, New Mexico

ROY LYNCH DeHART, M.D., M.P.H.
Director, The Vanderbilt Center for Occupational
and Environmental Medicine
Professor of Medicine
Nashville, Tennessee

RICHARD LEE ESPINOSA
Sheet Metal Workers Union Local #49
Johnson Controls
Los Alamos National Laboratory
Española, New Mexico

MICHAEL H. GIBSON, President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

MARK A. GRIFFON, President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

JAMES MALCOLM MELIUS, M.D., Dr.P.H.
Director, New York State Laborers' Health and Safety
Trust Fund
Albany, New York

WANDA I. MUNN, Senior Nuclear Engineer (Retired)
Richland, Washington

ROBERT W. PRESLEY, Special Projects Engineer
BWXT Y-12 National Security Complex
Oak Ridge, Tennessee

GENEVIEVE S. ROESSLER, Ph.D.
Radiation Consultant
Professor Emeritus
University of Florida
Elysian, Minnesota

AGENDA SPEAKERS

MS. MARTHA DiMUZIO, NIOSH
MR. RUSH HENSHAW, NIOSH
DR. JAMES MELIUS
DR. SERGIO BUSTOS, SRSHEs Chair

STAFF/VENDORS

CORI HOMER, Committee Management Specialist, NIOSH
DR. JAMES NETON, NIOSH
TERESA ROBINSON, Writer/Editor
DEBBIE G. WILLIAMS, Certified Verbatim Court Reporter

I N D E X

VOLUME I

February 5, 2003

REGISTRATION AND WELCOME	
Dr. Paul Ziemer, Chair	Page 5
Mr. Larry Elliott, Executive Secretary....	Page 7
REVIEW AND APPROVAL OF SUMMARY OF CLOSED SESSION	
MEETING 10	Page 10
PROGRAM STATUS REPORT	
Ms. Martha DiMuzio, NIOSH.....	Page 10
Dr. Jim Neton, NIOSH	Page 25
Mr. Richard Toohey, NIOSH	Page 29
IREP LATENCY ADJUSTMENT	
Mr. Russ Henshaw, NIOSH	Page 60
IREP SCIENTIFIC ISSUES WORKGROUP REPORT	
Dr. James Melius, Chairperson	Page 95
SAVANNAH RIVER SITE HEALTH EFFECTS	
SUBCOMMITTEE ACTIVITIES	
Dr. Sergio Bustos, SRSHEs Chair	Page 132
BOARD DISCUSSION/WORKING SESSION	
Review Process of Dose	
Reconstructions.....	Page 150
PUBLIC COMMENT PERIOD	
Mr. Hans Behling	Page 225
Ms. Denise Brock	Page 231
Mr. Richard Miller.....	Page 245

P R O C E E D I N G S

8:30 a.m.

DR. ZIEMER: Good morning, everyone. This is the eleventh meeting of the Advisory Board on Radiation and Worker Health. I'm Paul Ziemer, Chairman of the Advisory Board. The Board members are seated here at the table before me, and we're not going to introduce them individually. You can identify them by the placards in front of each individual.

I would like to indicate for the record that as best we know at the moment, Mike Gibson will be unable to be with us for this meeting. It is our understanding that Henry Anderson will be -- I'm sorry, I said Mike Gibson. It's Leon, isn't it, Leon Owens will be unable. I'm sorry. I hadn't heard that Mike wouldn't be, so maybe Mike will be joining us shortly. Leon Owens will be unable to be here for this meeting. It is my understanding that Henry Anderson will be joining the Board just a little later. There was a conflict that will cause him to arrive late.

I'd like to remind all of those in attendance today, Board members, as well as staff members from the various agencies, and members of

1 the public, to register your attendance with us in
2 the registration book that's at the table near the
3 entrance. If you are a member of the general public
4 and wish to address the Board during the public
5 comment period, we ask that you sign up to do so.
6 There is a sign-up sheet for commenting during the
7 public comment period, and that sign-up sheet is
8 also on the table near the entrance.

9 There are a number of handouts on the other
10 table in the rear of the room that includes copies
11 of today's Agenda, copies of Minutes of some of the
12 past meetings, and other documents that relate to
13 the presentations that we will have today, so please
14 avail yourself of those materials on the table.

15 We will proceed with the Agenda pretty much
16 as its there. There will be some shifting on the
17 times, as needed, depending on the length of
18 presentations and the Board discussion periods, but
19 in general we will proceed with the Agenda as
20 indicated.

21 I would like to point out that originally a
22 month ago when this meeting was confirmed there had
23 been the intent that at this meeting the Board would
24 discuss the provisions of the -- what we thought was
25 the -- going to be the materials in the Code of

1 Federal Regulations dealing with the Special
2 Exposure Cohorts. That material has not yet
3 appeared in the *Federal Register* and thus, it cannot
4 be included today as part of our discussion, and the
5 Board members are already aware that that item has
6 been removed from what was the original draft
7 Agenda. The revised Agenda was, of course, on the
8 web site and was promulgated accordingly.

9 I'm going to now turn the mike, or a mike
10 over to Larry Elliott, our Executive Secretary. And
11 Larry has some additional comments before we proceed
12 in the Agenda.

13 MR. ELLIOTT: Thank you, Dr. Ziemer. I just
14 wanted to welcome the Board to Charleston. I hope
15 you find this city to be very interesting, and it is
16 a very exciting city, so I hope you have some time
17 to spend walking through the streets here and enjoy
18 it.

19 As Dr. Ziemer said, the Notice of Proposed
20 Rulemaking on additions to the Special Exposure
21 Cohort has not gone completely all through the
22 clearance process, and thus, we have not been able
23 to put it into the *Federal Register* for public
24 comment. We hope to see that very soon. And
25 tomorrow we will have to take up in the Board's

1 housekeeping items your agendas for when we can meet
2 to discuss that.

3 On your Agenda today we have a few -- a
4 different -- a couple of different people to -- for
5 you to get to know. I know you've met Martha
6 DiMuzio in the past. Dave Sundin, who traditionally
7 and regularly gives the Program Status Report to you
8 all, is back home in Cincinnati minding the store.
9 And Martha DiMuzio is here today, she'll be giving
10 that Program Status Report to you. She's also
11 critical to today's and tomorrow's discussion on the
12 procurement and -- and task order development, so
13 that's why I asked her to be here today.

14 And with that, I think I'll turn it back to
15 -- to Dr. Ziemer.

16 DR. ZIEMER: Thank you, Larry.

17 You'll notice that the next item on our
18 Agenda is the Review and Approval of Draft Minutes
19 of Meeting 10. What I propose that we do is that we
20 address only the -- what we might call the Minutes,
21 it's the summary of the closed session, which was
22 the executive session. The Minutes of those are not
23 available to be made public, but the summary of the
24 closed section -- or closed session can be made
25 public, and is in the book and we will act on that.

1 The actual Minutes for the open portion of the
2 meeting have been, or are being distributed, and
3 they're rather lengthy. In fact, let me ask: Have
4 they been distributed? Or they will be today
5 sometime, if they're not already.

6 MR. ELLIOTT: I don't see Cori here right
7 now, but I -- I know she's having the copies made.

8 DR. ZIEMER: In any event, those Minutes are
9 thirty-some pages long, and I'm not going to ask you
10 to glance on them and approve them forthwith. We
11 will delay the action on those Minutes till tomorrow
12 morning. I know you all were wanting to have
13 something to do this evening, and that will -- that
14 will occupy your time.

15 So without objection, let's simply move to
16 the summary of the closed section -- closed session.
17 It's in the tab that says: Draft Minutes/Meeting
18 10. That summary is very brief. It indicates who
19 was in attendance, what the items discussed were,
20 and when the meeting adjourned. And I have -- I
21 have approved these in the sense that I have to
22 certify that to the best of my knowledge they are
23 accurate, but I would entertain a formal motion to
24 approve these by the Board.

25 DR. ANDRADE: I would like to move that the

1 Minutes, as written, be approved.

2 MR. PRESLEY: Second.

3 WRITER/EDITOR: I'm sorry. Who seconded?

4 DR. ZIEMER: Second by, okay, Robert
5 Presley, and everybody can fight over who the
6 seconder is. The record will show that it was
7 Robert Presley.

8 All in favor of approval of the summary of
9 the summary of the closed session, say Aye.

10 BOARD MEMBERS: Aye.

11 DR. ZIEMER: Those opposed, Nay.

12 (No responses.)

13 And the Ayes have it. Thank you.

14 Let's move down immediately to the Program
15 Status Report. And Larry has already indicated that
16 Martha DiMuzio will make that presentation this
17 morning.

18 Martha, we welcome you, and please take the
19 podium.

20 MS. DiMUZIO: Good morning. I just want to
21 welcome everyone again to the Board meeting. And
22 basically what I'm going to be doing is presenting
23 the program information that Dave Sundin has
24 reported to you previously.

25 At the last meeting Dave provided

1 information which showed trends over the last five
2 quarters, and basically what we've done is we've
3 just added data for January.

4 What we have done is on January 20th, NIOSH
5 and ORAU went to a new computer system. We switched
6 over from an access data base system that was only
7 used by NIOSH to an SQL system that's being used by
8 both NIOSH and ORAU. Because of that, there have
9 been delays in entering data into the system. We
10 continue to receive information from DOE and DOL;
11 however, it is possible that not all information has
12 been contained. What we've done is we've done our
13 best efforts to make sure that the information that
14 we're providing you is as accurate as possible.

15 Again, DOL has referred over 10,000 cases to
16 NIOSH for dose reconstruction. As was previously
17 reported, we started receiving cases in October of
18 2001. If you look at the number for January, it's
19 314. We believe that number to be a little bit
20 higher, but again, as of right now that was the
21 information that we had, but we are still receiving,
22 on average, 150 to 200 cases per week from the
23 Department of Labor.

24 Again, we continue to send a letter to each
25 claimant letting them know that we've received it

1 and how the dose reconstruction will be proceeding
2 for their claim. Each case is logged into the
3 system, we scan all their documents, and create and
4 maintain a paper file for the system.

5 The majority of the claims involve employees
6 who work at DOE sites, but about 16 involve
7 employment at atomic weapons or AWE facility.

8 Each case file we receive from DOL lists the
9 verified covered sites where the energy employee
10 worked, and in some cases the energy employee worked
11 at several covered sites. We use this information
12 to direct our request for radiation exposure to the
13 appropriate DOE office. We usually are able to
14 issue the request to DOE within two weeks of receipt
15 of the case.

16 If you'll note on requests that -- responses
17 to -- responses from DOE for our requests, in the
18 month of January there is an asterisk there. In
19 December ORAU took over responsibility for receipt
20 of the DOE responses. As I mentioned earlier, with
21 switching over to the new SQL system not all of
22 those responses have been entered into the system,
23 so we didn't feel we could give you an accurate
24 enough number for January; so hopefully at the next
25 Board meeting we'll have an accurate number of the

1 responses that we received today.

2 At one of the Board meetings it was
3 requested that we provide response information from
4 the particular sites. The sites that are listed
5 here are the seven largest sites for which we've
6 requested information. And this listing represents
7 81 percent of the total requests that we have with
8 the DOE. As you can see, we've broken it down by
9 60, 90, 120, and 150 days. As you're also aware --
10 excuse me -- so for those requests that are over 150
11 days we realize the importance of finding out from
12 DOE what the status of that claim is; can you not
13 find the data, have you just not started looking.
14 So with ORAU -- excuse me, OCAS being given
15 additional staff, we will start the process of
16 contacting DOE on each of the individual claims that
17 are over 150 days so that we can get the status of
18 that DOE request.

19 Another thing is that these numbers should
20 not be used as an indication of the quality of the
21 data that we've received. In many instances, the
22 DOE operating offices that have taken the longest to
23 respond have in fact provided us the most complete
24 information for the claimants.

25 A telephone call is -- a telephone interview

1 is offered to each claimant to permit them to add
2 information which may be relevant to their case.
3 The award of our support contract has substantially
4 increased our capacity to conduct the interviews.
5 And as you can see, in January alone, we have more
6 than doubled the numbers of interviews that were
7 conducted in the first quarter of 2003. As of today
8 we have conducted interviews with 726 employees and
9 their survivors, and more than 398 interview reports
10 have been sent to the claimants for their review and
11 comment.

12 We currently have 144 dose reconstructions
13 underway. This means that we have received,
14 assembled, and reviewed and evaluated the readily
15 available information pertinent to a claim, and
16 assigned the case to a NIOSH or ORAU health
17 physicist.

18 Over the past month OCAS staff concentrated
19 their efforts on reviewing the initial 62 dose
20 reconstructions which were received from ORAU to
21 ensure compliance with established procedures and
22 The Rule. ORAU is currently updating those 62 dose
23 reconstructions to incorporate NIOSH comments, and
24 they continue to work on the additional 82 dose
25 reconstructions. ORAU is also continuing to review

1 the individual cases to determine if there is
2 sufficient data to complete a dose reconstruction.
3 As this process moves forward, more cases will be
4 forwarded for dose reconstruction.

5 This slide here shows that 16 claims have
6 been sent; however, we've actually completed 18
7 right now -- two went out yesterday -- so for 18
8 claims we have completed the draft dose
9 reconstruction report called for in The Rule, and
10 have either forwarded or received a completed OCAS-1
11 form; so then of the 18 cases, 14 have been
12 transmitted back to DOL, along with the complete
13 administrative record for final adjudication.

14 Again, we encourage the claimants to contact
15 us, and they do. The number of phone calls received
16 in OCAS has received substantially each quarter as
17 we receive more and more claims. And we are
18 receiving on average over 100 calls per day.

19 Our web site is a rich source of information
20 on the program, and is an increasing method of
21 communication to others interested in the program.
22 We received over 1100 claims-related e-mails and our
23 goal is to respond to each one of them within 24
24 hours. And as you can see, the web site is being
25 used more and more as a method of communication.

1 For our recent accomplishments, on January
2 24th letters were sent to 35 physicians appointing
3 them to the DOE Physician Panels. And we're going
4 to give those individuals approximately a week, and
5 then we're going to contact them to make sure that
6 they're still interested in participating, although
7 we don't view that as an issue since it's been so
8 recent that contact has been made with them.

9 And as you're aware, OCAS had been given an
10 additional 22 positions and we've been working very
11 hard to fill those. And as of -- as of today we
12 have one new Health Physicist on board; we have two
13 coming on board Monday; we have -- I can now update
14 this slide -- as of yesterday afternoon we have
15 three more Health Physicists coming on board March
16 10th, and which changes that two offers made there,
17 that's now been updated. And we have five Public
18 Health Advisors on board who will assist with claims
19 processing, so we think we're -- we're moving along
20 to hopefully move the claims faster through the
21 system.

22 And I thank you for your attention. If you
23 have any questions.

24 DR. ZIEMER: Thank you, Martha. Let me
25 start the questioning, and then Jim will be next. I

1 just want to ask: On the web site, is anybody
2 tracking the number of hits that the OCAS web site
3 receives overall?

4 MS. DiMUZIO: No, we're not tracking that at
5 all.

6 DR. ZIEMER: Thank you. Jim?

7 DR. MELIUS: I have a couple of questions,
8 and I don't know if Larry, you may want to jump in.
9 One is the issue of the DOE request for information.
10 Can someone clarify on the situation? There was
11 obviously two that stood out: Idaho and the
12 Savannah River. And what is the situation with
13 those two sites -- are these -- in terms of
14 receiving dose information?

15 DR. NETON: I think I can help.

16 WRITER/EDITOR: Could we get his name?

17 DR. ZIEMER: Jim Neton of NIOSH.

18 DR. NETON: Jim Neton from NIOSH. I think
19 -- let's see, Savannah River Site has -- has added
20 staff, and in fact I believe we received 100
21 additional completed responses within the last week
22 or so that aren't indicated in that slide. As
23 Martha mentioned, we're switching over our system
24 and we're -- there's a slight lag period updating
25 that data base.

1 Idaho has moved a large number of boxes from
2 their Federal Record Center in Seattle, and added
3 staff. I believe they're working two shifts. I'm
4 not sure of that, but I know they've added
5 additional personnel; are going through the boxes
6 and entering all the information in a data base, so
7 there's going to be a slight lag period while they
8 -- they do that, to pull the records out of those
9 boxes, but once they do, we expect that to pick up
10 very rapidly, so in short we're very pleased with
11 the amount of attention that's been paid at those
12 two sites to move things forward.

13 DR. MELIUS: But even -- I mean you have a
14 number of outstanding requests at Savannah River,
15 will they -- do you think the staffing -- so that
16 was a staffing issue, and do you think the staffing
17 is now adequate?

18 DR. NETON: Yes. I -- I can't say that it's
19 adequate. We see a very large increase in the
20 number coming over, like I've mentioned, 100 within
21 the last week or so. And as Martha indicated, the
22 claim responses that come from Savannah River tend
23 to be fairly complete, so that when we do get a
24 response, it -- it -- I'm not saying that a dose
25 reconstruction could be done immediately because

1 there are other sites of the profile that need to be
2 fleshed out, but in -- in relation to the monitoring
3 results that we received, they are very, very good
4 quality.

5 DR. MELIUS: And you probably explained this
6 last time in the -- yeah, don't go away -- but are
7 you -- are these completed, or initial responses? I
8 mean what if you get sort of cursory information
9 from a site?

10 DR. NETON: Yeah, that's -- that's right.
11 These are initial responses. All that Martha
12 presented was that we received an initial feedback
13 from the -- from the DOE. Prior to ORAU coming on
14 board though, we could not even -- we didn't have
15 the time to look at all of them. We did a quality
16 control spot check to make sure we were sort of
17 getting what we needed. ORAU is now going through
18 the process of looking at all of the responses and
19 -- and issuing additional requests for information.
20 We've particularly done a large number of those
21 recently at the Hanford facility that have gone out.
22 We're going to be tracking that and I think you
23 should see this metric change in the next month or
24 so to show an additional, you know, additional
25 feedback on the -- on the responses that we send

1 subsequent to the initial one.

2 DR. MELIUS: So -- so will you set up a --
3 you'll have a tracking system that will cover both
4 the second request and --

5 DR. NETON: Yeah, absolutely. In fact, all
6 of that goes in the claimant's file. If we send an
7 additional response, the letter goes in his -- in
8 the claimant's file and is tracked within our
9 system.

10 DR. MELIUS: Okay. So the -- the bigger
11 picture on that: What's the status of the MOU with
12 DOE, because that would appear to be sort of
13 critical if people are not responsive or eventually
14 not responsive.

15 DR. ZIEMER: Larry?

16 MR. ELLIOTT: Yeah, I'll respond to that
17 question. The Department of Energy's Office of
18 Worker Advocacy just put in place a new -- he's an
19 acting director right now, but he will soon have the
20 job is my understanding, Mr. Tom Rollo. I met with
21 him and explained to him some of the issues that we
22 have with some of the operating areas in the weapons
23 complex providing us information. I told him that
24 we really needed to get this MOU in place. He -- he
25 immediately told me he would go wrestle it from the

1 DOE lawyers, and the next week we got a copy of it,
2 so it had been languishing over there for, as you
3 know, a number of months. We're in the, what I
4 consider the final throes where it's with my general
5 counsel now and -- and their general counsel trying
6 to hammer out the last final details. I hope by the
7 next meeting we'll have an MOU. There's
8 considerable interest in DOE now, I believe, to see
9 this MOU signed and put in place.

10 Let me also add that these numbers that you
11 see that we give you in this program report are
12 going to start to become more and more fluid. By
13 that I mean we'll start -- you'll see the DOE/DOL
14 referrals come to us, but we're also going to start
15 subtracting those away that we finished out. We
16 have -- I've established a policy in OCAS where the
17 -- we're working on the first-come are going to be
18 the first served, so each individual claim that has
19 been sent to us from those that are in that category
20 over 150 days of age, we're going to have a very
21 detailed, specific status that when we have a phone
22 call from the claimant we can speak very
23 specifically about the status of that claim, and
24 where it's at, and what it takes to move it to the
25 next step.

1 Things are picking up speed. I assure you
2 of that. We are seeing movement with -- with our
3 ORAU contractor and in monitoring the DOE submittals
4 on the initial requests. We are going to track, as
5 Jim said, very closely the secondary requests that
6 go out and monitor those. The Department of Energy
7 understands that tracking system will either be a
8 boon or a detriment to them in showing how well they
9 are responding to our requests, so I think -- I
10 think we're moving in the right direction and we're
11 picking up steam as we go.

12 DR. MELIUS: Well, since you mentioned -- a
13 follow-up to that. One is, I think it would be
14 helpful to show similar data from the web site as
15 well as on the -- at the Board meetings on the
16 progress with the time line for the claims that are
17 pending; how many are over a certain number of days.
18 And I recognize until the contract was in place it
19 was, you know, very difficult and it probably didn't
20 make sense to do, but -- but I think that would be
21 helpful information for everybody, and it would also
22 then take into account the -- the component of that
23 that's due to whatever the delay might be, whether
24 it's the DOE getting information to you, a site
25 where it's hard to find anybody that has

1 information, and so forth, so that -- you know, I
2 think it would be very useful information in -- in
3 terms of the accountability and progress of the
4 program.

5 And I guess related to that question, it's
6 sort of been stuck around 15 or 14 for a while. And
7 I -- maybe I missed it at the last meeting, but I
8 guess I'm sort of trying to get a sense of what the
9 schedules when you're going to be starting sending
10 more information over to the Department of Labor. I
11 recognize that, you know, a lot of time has been
12 spent getting the contractor in place and up to
13 speed and so forth, but I think it's, you know, the
14 number has been the same for a while, so.

15 MR. ELLIOTT: Sure. Sure. Well, as I hope
16 you understand, we've been putting the machinery
17 together to -- and the full implementation of this
18 program. We're through that phase I think now.
19 We're into the next phase, which I -- I would
20 characterize as scaling up, you know, getting --
21 getting to the point where our through put needs to
22 be in order to reduce the backlog that we have. It
23 takes time to do these things. Why -- why we're
24 only at 14 or 15, we -- we -- as we told you, we
25 looked at the low-hanging fruit to use those claims

1 as a mechanism to test the machinery, and put the
2 machinery in place, and make sure it was
3 operational.

4 With the ORAU folks meeting our -- our
5 stated expectations of 60 draft dose reconstructions
6 by the end of December, they met that, they actually
7 came in with 62, you know, on January 2nd or so.
8 Those 62 are going to be forthcoming very shortly.
9 They -- they are going to turn those around to us,
10 in fact, you know, we -- it was a month ago we met
11 and we have, I think, seven -- seven or eight in-
12 house in our OCAS staff left. All of the new Health
13 Physicists in OCAS will be tasked with doing dose
14 reconstructions themselves as well, to make sure
15 that they understand the process, the procedures,
16 and The Rule that we have in place; show us they can
17 do a few of these as well, as they start reviewing
18 them, so we're going to -- we're going to move
19 forward on a more rapid pace, I assure you.

20 DR. NETON: Yeah, I'd just like to add a
21 couple of comments to that. I think what we -- what
22 -- Larry's correct, and what you're seeing in that
23 initial number of claims that came over were the
24 ones that the OCAS staff actually started on. Our
25 staff is three Health Physicists and we started, I

1 think, about 25, and Larry's correct, I think we
2 just finished 18, so we have a few more to finish
3 up. But we did select those based on not only low-
4 hanging fruit, but different types of claims to
5 establish the mechanism for doing them; the manner
6 in which they'd be done. And as soon as the ORAU
7 contractor took over we've been in the process of
8 transferring that approach to them, and they've
9 adopted it, and have maybe 60 or so that we feel
10 fairly closely followed, you know, the -- the way
11 that we started them, so we do expect these
12 additional 60 to be coming over fairly -- fairly
13 quickly.

14 DR. ZIEMER: Any additional questions,
15 comments?

16 Okay. Thank you, Martha.

17 While we are on this general topic, I'd like
18 to call on Jim Neton and Richard Toohey to also
19 update us on the contractor status and activities.
20 Jim, if you'll kick that off and we'll just consider
21 this part of the Program Status Report.

22 DR. NETON: Thank you, Dr. Ziemer. I just
23 have a -- I'm going to talk very briefly and then
24 turn the bulk of this short presentation over to --
25 to Dick Toohey. But what -- what we'd like to

1 address briefly is the status of claimant
2 correspondence; where we are with our -- our sending
3 information to claimant and keeping them updated.
4 I'm going to talk about what we have done within
5 NIOSH to initiate that process, and then Dick Toohey
6 is going to discuss after me what ORAU intends to do
7 to communicate their activities to the claimant, and
8 particular to address some of the issues that were
9 raised at the Board meeting last month about
10 transparency, conflict of interest, communication of
11 the claimants as the -- as to how the policy is
12 going to be implemented for particularly conflict of
13 interest.

14 Very briefly, the white boxes you see on the
15 diagram are the -- the letters that NIOSH already
16 have in place and are communicating to claimant.
17 There are five individual communications as you see.
18 These are formal correspondence, not verbal or
19 anything, these are just on formal letters that we
20 send.

21 The first one is the acknowledgment letter
22 that the claimant receives very shortly after we
23 receive the -- the referral from the Department of
24 Labor, and that tells the claimant that we received
25 their claim and in fact that we have issued a

1 request to the Department of Energy for their
2 exposure information. At that point, now we
3 transfer the claim over to ORAU for the receipt of
4 the DOE information.

5 The next step is the claimant will receive a
6 phone interview letter informing them that we have
7 an upcoming interview we'd like to conduct with
8 them. The letter contains the -- it's not exactly
9 the OMB approved script, but it's a summary of the
10 lines of inquiry that we'll be going over, so that
11 they can prepare in their responses. A summary of
12 the phone interview is subsequently mailed to the
13 claimant to allow them the opportunity to review
14 that information and either correct or provide
15 supplemental information at that time.

16 Once the dose reconstruction has been
17 assigned and complete, currently the way it operates
18 is a draft dose reconstruction is sent to the
19 claimant -- and we've done this, as Martha
20 indicated, 18 occasions now -- giving the claimant
21 the draft dose reconstruction the opportunity to
22 provide feedback, and if they concur that the dose
23 reconstruction addressed all of their comments and
24 -- and issues that were raised during the interview,
25 the person, the claimant would sign an OCAS-1 form

1 and return that back to us.

2 Once we are in receipt of the OCAS-1 form,
3 then we would issue the final dose reconstruction,
4 forward copies to the Department of Labor and the
5 claimant.

6 So that -- that's the current status. We're
7 trying to -- ORAU is trying to integrate into this
8 process, as you see, Dick is going to be addressing
9 briefly the contents -- or the proposed contents of
10 an introduction letter that tells them that ORAU is
11 going to be taking over the dose reconstruction at
12 that point. Currently our claimants, most of our
13 claimants are not aware that ORAU exists as a
14 contractor; they know NIOSH, so we -- we want to
15 flesh that out and inform them a little better as to
16 what the process is.

17 I think more importantly, the box on the
18 lower left, the ORAU Dose Reconstruction initiation
19 letter, is going to very informative to the
20 claimant. That is the point at which ORAU will send
21 a letter when they're ready to start the dose
22 reconstruction and assign a person, that the
23 claimant will receive a letter with the biographical
24 sketch, and the ability to comment on the
25 appropriateness of that person doing the dose

1 reconstruction. Dick's going to flesh that out in
2 the next few slides.

3 So I think that's all I really have to say.
4 I'll turn it over to Dick and he can discuss the
5 other two boxes.

6 DR. TOOHEY: Okay. Thanks, Jim.

7 Let me talk first about the ORAU intro-
8 letter. We like to think we're a very well known
9 organization, but we may not always be correct about
10 that, so we decided that an introductory letter goes
11 out that briefly describes the roles and
12 responsibilities of the ORAU team first making it
13 clear that we are a support contractor for NIOSH,
14 who retains responsibility for the process, and then
15 a little information about ORAU and our partners,
16 MJW Corporation and Dade Moeller & Associates. And
17 we haven't actually decided yet, but I'm thinking
18 the easiest way to do that just might be a tri-fold
19 brochure we stuff in the envelope that's kind of
20 similar to the tri-fold OCAS brochure. And really
21 the information on that about the companies would be
22 much the same that's in the disclosure statements
23 and brief corporate histories that are in the
24 Conflict of Interest Plan that's posted on the web
25 page.

1 The important thing we want to get out to
2 the claimant at this point is who should they call.
3 We will be assigning a claim manager who is a Health
4 Physicist, and a claim specialist, a support person
5 not necessarily a Health Physicist. We have four of
6 each, and we're assigning them to the four
7 Department of Labor regions and they will be the
8 principal point of contact with us for a claimant;
9 so a claimant, any question, any issue, whatever,
10 you know, this is the person to call and those
11 people will be responsible for having the updated
12 version of NOCDUS (ph) at their fingertips, know the
13 status of that claim. They will also serve as sort
14 of a technical manager just shepherding the claim
15 through the interview and dose reconstruction
16 process, and any glitches that come up, any problems
17 we may have, it's their job to be aware of those,
18 manage them, perhaps assist a dose reconstructor who
19 needs to grab another piece of information for
20 whatever to complete the dose reconstruction and so
21 on. We'll include our 800-number, which is up,
22 operational and staffed, and we're -- we're getting
23 calls. It's only about 10 or 20 per day now, it's
24 not at the NIOSH numbers, but starting to get used.

25 But also, what to expect, and just a little

1 reiteration of the process. So after this letter,
2 the next thing the claimant should expect is the
3 dose -- I'm sorry, the telephone interview letter.
4 And reiterating, you always have the chance to
5 supply more information. Anything you have you want
6 to send in, by all means, feel free to do so. It
7 will go into the administrative record. Then when
8 the telephone interview is completed and the
9 client's received and approved, or at least not
10 contested, the report of the telephone interview
11 then moves to dose reconstruction, and then they
12 will receive the draft dose reconstruction with the
13 OCAS-1 form and all that.

14 Okay. Then after the telephone interview is
15 completed and they got back, then when the claim is
16 ready to actually move into dose reconstruction,
17 we've got the DOE exposure information we're going
18 to get; the telephone interview is complete, as I
19 said, and it's ready to go, the next letter to the
20 claimant is a status report simply saying okay, your
21 claim is actually moving into the actual, physical
22 -- or -- well, yeah, it is a physical process of
23 dose reconstruction. The key point here is the
24 Health Physicist who is doing the dose
25 reconstruction, and the claimant will be invited to

1 offer an objection of any sort to this person.
2 There may well be a perceived or actual conflict of
3 interest situation which, despite our best efforts,
4 we're not aware of that the claimant may know about;
5 personal contact, whatever. And we want to give the
6 claimant that opportunity to object to this person;
7 if they do not, then the -- say within a reasonable
8 time frame, two weeks or so, and again by e-mail, by
9 the 800-number, by a phone call directly to their
10 claim manager, whatever method they want to use, we
11 don't get a request for a different Health Physicist
12 being assigned, then we will proceed with the actual
13 dose reconstruction at that point. And then the
14 paper trail goes back to NIOSH as we supply the
15 draft dose reconstruction for NIOSH for review and
16 approval. Then it gets sent -- the draft gets sent
17 to the claimant with the OCAS-1 form.

18 Okay. Let me ask, any questions at this
19 point on the proposed letters?

20 DR. ZIEMER: Rich, I'd like to ask a
21 question about the -- let's say the -- I'll call it
22 the issue of requesting a different reviewer. Have
23 you developed some parameters on which you will
24 decide whether the concern is a valid one? It seems
25 to me that one could, in some cases, exhaust every

1 possible dose reconstructor for some facetious
2 claims. How are you going to decide what will be a
3 valid objection?

4 DR. TOOHEY: Well, we want to concentrate on
5 conflict of interest issues. We certainly plan, and
6 have hoped to eliminate, you know, conflicts from
7 having worked at the same site, or -- or this, that
8 and the other, things which we're all aware of, but
9 there may be other things. I don't think the
10 claimant would necessarily have a basis for judging
11 the technical competence of this individual,
12 although they'll have -- they'll have the bio-
13 sketch, but we don't envision that as an issue. We
14 think if the claimant has a -- a valid reason or
15 concern, whatever that may be, we will try our best,
16 but you have hit a key point, even though we've got
17 a whole bunch of Health Physicists, it's conceivable
18 we could run through the whole thing. A claimant
19 could take the position that they don't want anybody
20 who ever worked for DOE in any way, shape, or form,
21 touching their dose reconstruction. And that's
22 simply not -- not feasible to accommodate that, but,
23 you know, we'll do our best to work with, and find
24 an acceptable person. It's going to be easier in
25 the early stages. As time goes on and we have all

1 our resources fully committed, we'll necessarily
2 lose a little flexibility. I think it's also fair
3 to apprise the claimant that if you do want another
4 Health Physicist assigned, well, that's going to
5 delay things another couple of weeks perhaps. Now,
6 you know, if the claim has been in for a year-and-a-
7 half maybe that's not a big deal, maybe it is. But
8 we -- to answer your question though, we do want to
9 concentrate on the conflict of interest issue.

10 DR. ZIEMER: Roy DeHart has a question.

11 MR. DeHART: Dick, if I understood
12 correctly, you'll have four teams to cover all the
13 claimants?

14 DR. TOOHEY: Correct. They're -- they're
15 very similar to the Public Health Assistants NIOSH
16 is using.

17 MR. DeHART: Has anyone modeled what the
18 potential number of phone calls are going to be as
19 you approach a thousand per team? I'm -- I'm
20 serious, because in some of the research work we've
21 done, we found people will call two and three times
22 a day.

23 DR. TOOHEY: We simply anticipate it will be
24 similar to what NIOSH is seeing now. We've got, I
25 think, two full-time 800-number operators. We're

1 splitting the shifts so one works 8:00 to 4:00, the
2 other noon to 8:00, so we -- we'll have that line
3 covered 8:00 a.m. to 8:00 p.m. Eastern time. Simple
4 questions, the phone operators may answer; something
5 more detailed, they'll transfer it to the
6 appropriate claim specialist.

7 MR. DeHART: That's my concern --

8 DR. ZIEMER: Rich -- excuse me. Rich, would
9 you move your mike up a little bit? I think people
10 in the back are having a little trouble hearing you.

11 DR. TOOHEY: I'm sorry. Is that better?

12 DR. ZIEMER: We'll see how it goes.

13 DR. TOOHEY: Okay. Thank you.

14 MR. DeHART: My concern is bombarding the
15 four -- four teams with trying to simply address
16 questions that are coming in, and without time to
17 really be doing what they're supposed to be doing.

18 DR. TOOHEY: But that is what they're
19 supposed to be doing. See, that -- that's the
20 point. In discussions with NIOSH, we found some of
21 the pressurization in the system they had was that
22 handling these phone calls and dealing with the
23 claimants was sort of an additional duty to what
24 their folks were specifically assigned to do, and we
25 said well, wait a minute, let's get people whose

1 specific job is to interact with the claimant, so
2 they don't -- they're not doing the dose
3 reconstructions; they're not doing the data
4 retrieval; their job is to be there and work with
5 that claimant.

6 MR. ELLIOTT: If I -- if I could make a
7 comment. Your point is very well taken with us,
8 Dr. Anderson -- DeHart, I'm sorry. I was thinking
9 about Henry. Our Public Health Advisors are -- are,
10 you know, we're setting them up to be the champion
11 for the claimants, and to be there as the first
12 point of contact, the NIOSH point of contact, so
13 they're going to be introduced that way to each
14 claimant. Each claimant is going to know who their
15 Public Health Advisor is at NIOSH, that's their
16 primary point of contact. The ORAU folks,
17 complimentary to our Public Health Advisors, are
18 these claims managers and claims specialists. So
19 the way I think I see this working is our Public
20 Health Advisors are going to, you know, work close
21 in hand with their counterparts in the ORAU team.
22 Once the claim -- the individual claim has
23 transgressed to the point of moving into dose
24 reconstruction, our Public Health Advisor is going
25 to know who over at ORAU knows where that's at;

1 what's the status; they're going to know who has
2 been assigned as the dose reconstructionist, and be
3 able to talk collectively about the status of that
4 -- of that claim. So we're trying to set it up so
5 that a claimant has not only a NIOSH point of
6 contact, but an ORAU point of contact. They can
7 call -- choose whichever one they want to talk to
8 about their claim at any given point in the process,
9 and whoever they speak to will be able to pull up --
10 and you've seen our -- our -- what's called NOCDUS,
11 our tracking system. Whoever they talk to, whether
12 it's me, or the Public Health Advisor, or the ORAU
13 team member, they're going to have the latest
14 information on status to speak to about that claim
15 for the claimant. So I hope this works; I think --
16 I think it will, but very concerned as you -- as you
17 point out, the case load for some of these people,
18 some of these teams. And -- and what we've seen to
19 date is we get a lot of phone calls, but it's a
20 minority, it's a vocal minority that we're dealing
21 with. The majority of the claims that we have, we
22 don't have any contact. People haven't started
23 calling us yet, that's not to say that they won't.
24 But right now that's what we see happening, and we
25 also see different trends with different District

1 Offices within the Department of Labor. The
2 Jacksonville Office and the Cleveland Office carry a
3 -- a higher caseload than the -- than the Denver and
4 the Seattle Office right now, so we're going to put
5 our resources to bear on those two offices, and
6 we'll shift as we need to as time and things change.

7 DR. ZIEMER: We have Robert next, I think,
8 then Richard, and then Tony.

9 MR. PRESLEY: Robert Presley.

10 Dr. Toohey, the -- what they will need is
11 their case number when they call the 1-800-number,
12 that's number one?

13 DR. TOOHEY: Correct. That's the key access
14 parameter, but again, we can search the data base,
15 you know, name, Social Security number, or work
16 site, whatever. We -- we -- and we're confident we
17 -- we can find the record.

18 MR. ESPINOSA: There's been complaints about
19 the summary, the letter summary not reflecting what
20 the interview was, the total interview. And I think
21 last meeting we discussed that there was not enough
22 space on the computer program. Has that been
23 addressed?

24 DR. TOOHEY: I'm not sure it's been
25 completed, but it's certainly in the process. As

1 part of the roll-out of the new NOCDUS system on the
2 SQL server there's also a new CATI, Computer
3 Assisted Telephone Interview, data base system which
4 has a lot more room and space on them, so --

5 MR. ELLIOTT: I would like to speak to that,
6 too, though.

7 I'm sorry, Jim. Go ahead.

8 MR. NETON: I was just going to say that we
9 have not fixed the program, but we are focusing on
10 the review process now and making sure that all that
11 information is there, so none, to our knowledge,
12 have gone out that have been truncated because of
13 the space issue. We take that out of the comment --
14 the response field and move it down into the
15 comments field, so it's all there. And eventually
16 it will be fixed in the program itself.

17 MR. ESPINOSA: And the letter is going to
18 reflect everything that was said on the interview?

19 MR. NETON: Well, I mean I don't know that,
20 you know, if it's a three-hour interview that we're
21 going to have -- it's not a transcript, that's not
22 the intent of it, but it will reflect everything
23 that has to bear on the dose reconstruction itself.

24 MR. ELLIOTT: When you say the letter,
25 I think what you're referring to Rich, is

1 the draft interview report. And the reason
2 why we give that back as a draft to the
3 person who was interviewed is to give them
4 an opportunity to make sure that they feel
5 that everything was there that they wanted
6 to see there, so they have the opportunity
7 at that point to write in sentences or
8 paragraphs that they want to see added that
9 -- that they feel they spoke to in the
10 interview, but didn't get captured. So
11 it's, you know, it's a -- it's a redundant
12 system; it's a -- it's a secondary attempt
13 to -- to make sure all the information is
14 captured that the claimant feels is
15 important. We -- we've taken another look,
16 another review at our interview process, and
17 as Jim says, on some of the early interviews
18 our process was for certain questions we had
19 a certain character field limitation, and
20 once you exceeded that, you were to drop
21 down into the comment field, which is an
22 unlimited space. And that was -- that was
23 happening, but we were still getting, you
24 know, some people were looking at that and
25 seeing that some sentences seemed to be

1 truncated in -- in their original responses.
2 We didn't lose the information, we just
3 didn't fully and accurately portray it back
4 in the draft report to the individual, and
5 that gave them an opportunity to respond to
6 us. So I think we've -- we've tended to
7 that issue and we've made the corrections
8 necessary.

9 DR. ZIEMER: Tony.

10 DR. ANDRADE: Okay. Moving beyond the
11 activities that might take place after an issue with
12 conflict of interest comes up and is perhaps
13 resolved, please refresh my memory, Larry, or
14 Richard, at what point does the claimant actually
15 have the final opportunity for recourse to a -- a
16 review of their dose reconstruction as -- as was put
17 into the original legislation?

18 DR. TOOHEY: Well, there's two steps as I
19 understand, although Larry Elliott may be better.
20 They get the Draft Dose Reconstruction Report and
21 the OCAS-1 form; signing the form does not mean I
22 agree with the dose reconstruction, simply I have
23 nothing more to add at this stage. And then there's
24 also the appeal process with the Department of
25 Labor, should the claim be denied.

1 DR. ANDRADE: So it --

2 MR. ELLIOTT: Does that answer your
3 question?

4 DR. ANDRADE: Once the Department of Labor
5 receives the -- is it the final?

6 MR. ELLIOTT: Once the Department of Labor
7 receives the final dose reconstruction from us and
8 the full administrative record, at that point they
9 will render a decision, a recommended decision. At
10 that point, on the recommended decision, the person
11 has a -- has an opportunity to contest that
12 decision, to appeal it.

13 DR. ANDRADE: Thank you.

14 DR. TOOHEY: Okay. If we move on --

15 DR. ZIEMER: Okay, Rich -- yeah, go ahead
16 then. You have another slide.

17 DR. TOOHEY: Well, I think it's just one
18 more. Okay. As I promised at the last meeting in
19 Cincinnati, our project web page is up. The URL is
20 www.oraucoc - Cincinnati Operational Center - .org.
21 The biographical sketches of the Health Physicists
22 performing dose reconstructions are posted on there.
23 There were two of them up yesterday morning; I'm
24 sure there are more now and we'll continue, even as
25 we speak. We're concentrating on the people who

1 have already been involved in performing dose
2 reconstructions, but eventually we'll get everybody
3 out there.

4 And incidentally, I've distributed, you
5 should have in your package, the latest measles
6 chart. I know Dr. Roessler, in San Antonio, wanted
7 to know how many Health Physicists we had working
8 and who they were. Well, you now have that chart.
9 There's 94 names on that chart with their
10 qualifications, not all are involved in dose
11 reconstructions, some are data retrievers and
12 analyzers. The claims managers are also listed on
13 there. I'm listed on there, also. I don't know if
14 I will ever actually get to do a dose reconstruction
15 myself, but I -- I still plan to someday. The --
16 there are five more people I'm aware of we'll be
17 bringing in. And just remember, that roster is a
18 fluid document, people will be coming on and -- and
19 dropping off of our roster. The -- and the majority
20 of folks on there, certainly listed under MJW
21 Corporation, are part-time dose reconstructors, and
22 will be given a file to perform the dose
23 reconstruction and sending it back in. For ORAU,
24 several consultants are listed, Peter Groer,
25 University of Tennessee; Dick Griffith, Nancy

1 Daugherty, are also part-time consultants on this
2 project, but most of the other folks listed on there
3 are full-time assigned. Only Dade Moeller &
4 Associates are full-timers, for example. So I hope
5 that satisfied that one request.

6 The disclosure forms are also being scanned
7 in and posted on the web page. We have also, we
8 will have more information about the project, and
9 again list our 800-number and the links to other
10 sites. And again, that's also a work-in-progress,
11 but it is up, or at least it was yesterday, I
12 haven't tried today.

13 Okay. I think that's all I have.

14 DR. ZIEMER: Okay. We have -- stay there,
15 Rich, for a few minutes.

16 Jim, you have a question?

17 DR. MELIUS: Actually, my question goes back
18 to the earlier presentation. I've had time to
19 scribble some numbers, and I just had some questions
20 about what was presented. Regarding the DOE
21 response and whose -- the numbers are not important
22 necessarily to answering the question, but the
23 reason I'm asking it, if I do this correctly, this
24 table that you showed with the list of the sites,
25 there's a selected number of sites, I assume it's

1 the ones with the most requests out. You cover
2 roughly 6800 -- you actually have a total of 8400
3 requests out to DOE as of the end of December for
4 information, so there's roughly 1600 that are
5 missing from this table. If the numbers are right,
6 you've received requests -- response back, about
7 4800 total, of which 4500 are left in this table,
8 again, roughly, which is a low percentage, if those
9 numbers are right and they may not be, it's roughly
10 300 out of the 1600 requests that responded to them,
11 so I guess my question is: What other sites are
12 there problems with? It would seem to me that, you
13 know, are these two the ones that stand out in terms
14 of this, and I mean are there delays at other sites?
15 I don't --

16 DR. ZIEMER: This, presumably is over 80
17 percent of the total requests to the DOE, is that
18 correct?

19 MR. ELLIOTT: That's correct.

20 DR. MELIUS: Yeah, that --

21 DR. ZIEMER: That's the DOE, but not to the
22 other contractors, right?

23 MR. ELLIOTT: That's correct.

24 DR. ZIEMER: These are the DOE sites on
25 here?

1 MR. ELLIOTT: What's not on here is like a
2 Nevada test site. They have a very good response
3 with us, but very -- not a -- not a large number of
4 claims. I don't know if Jim or Martha could help me
5 out here in the other sites, but these are the --
6 are the main sites that we have the largest numbers
7 of claims represented for.

8 DR. MELIUS: And I guess my question is not
9 even knowing which sites are involved or who's
10 responding or whatever, it's that you do have a
11 tracking system in place to deal with all the sites,
12 and then it would seem to me if we identify sites
13 that are lagging, even though they're not a large
14 number of claims out there, and look into them and
15 see what -- what's the problem, or --

16 MR. ELLIOTT: Right. And that's exactly
17 what we've done with -- with INEEL and Savannah
18 River Site. They have been traditionally our
19 poorest performers as far as responding, but when
20 they respond the quality of the information they
21 give us is very, very good, compared to some other
22 sites where they are quick to respond, but the
23 quality is not what we're seeking.

24 DR. MELIUS: And then I think over time one
25 could then sort of look at, well, the second

1 request, so what's the total time it takes to get an
2 adequate amount of information from the site. I
3 think as long as you have a system in place to do
4 that, I also think that ought to be, you know, sort
5 of a transparent system once it's up and running so
6 people know and the claimants can tell --

7 MR. ELLIOTT: Sure.

8 DR. MELIUS: -- you know, what's the average
9 amount of time, what's, you know, is their claim
10 unusual for some reason.

11 MR. ELLIOTT: As we tracked and monitored
12 these statistics and we saw INEEL and Savannah River
13 continually, you know, late in -- in responding to
14 us, that's when we went back to DOE and we said what
15 gives here, why -- why is this going on. And
16 through -- there's a -- I forget the name of this
17 group, but there's a records group that meets on a
18 weekly basis and they talk about these things, and
19 -- and it came to light that there was a
20 misunderstanding at Hanford and that was -- or at
21 INEEL, and that was causing some of the problems.
22 And so once we got them on track with what we were
23 really wanting, they started providing it. And then
24 Savannah River, we found out that they were just so
25 short staffed, and we applied some pressure, and

1 they got some more staff. So we're using these
2 statistics that way, to go back and pressure where
3 we can.

4 DR. MELIUS: Just to follow up. I mean I
5 think as this program gets more complex, and
6 particularly your working now through a contractor,
7 having this sort of a system in place and making
8 that information available, it's going to become
9 even more important. Is now a time -- I mean you
10 know internally what's going on, I'm sure, Jim, and
11 deal with it, but as it gets sort of spread out and
12 the numbers get bigger, it's going to get more.

13 DR. ZIEMER: Okay. Gen Roessler.

14 DR. ROESSLER: Thank you for this list of
15 people involved in the team, which we had asked for
16 some time ago. It does give us a chance to, at
17 least on a preliminary way, evaluate the quality of
18 this team, and I've looked through the list and I'm
19 really impressed.

20 MS. MUNN: It's impressive.

21 MS. ROESSLER: It's very impressive. I
22 think in particular, this is not the only measure,
23 but there are a high percentage of people under the
24 CHP column, which is Certified Health Physicists,
25 which speaks to the quality of the team, so I -- I

1 appreciate this.

2 DR. ZIEMER: Thank you.

3 Other comments, questions? Yeah, Larry.

4 MR. ELLIOTT: Before you step down, Dick, in
5 the -- am I right in the next couple of weeks we're
6 going to see some assignments for dose
7 reconstruction to occur? We've got a number of
8 CATI's done, a number of interviews completed, and
9 we're going to see ORAU start making assignments of
10 dose reconstruction, and that's why it's important
11 for -- for your integration letter -- introduction
12 letter to get integrated into this -- this process,
13 so.

14 DR. TOOHEY: Correct. And as you know, the
15 drafts of those letters have been going back and
16 forth between us and OCAS, and I think we're very
17 close to agreement on the final wording and those
18 will be routinely going out.

19 MR. ELLIOTT: So the Board and the public
20 understands, what's happened up to this point is for
21 the 62 that ORAU took on, and you know, to make sure
22 that -- that their folks understood the process and
23 we were using the right methods, we did not approach
24 the individual claimants with who is doing the dose
25 reconstruction, so we're going to have a two-part

1 process here; for those 62, they're going to get a
2 letter from ORAU or from us, I'm not sure which yet,
3 that says here's your draft dose reconstruction
4 report and here's who worked it up for you, your
5 dose reconstructionist was, and here is there bio-
6 sketch; if you have an issue with this, make it
7 known now. And then from, you know, in the next
8 couple of weeks as we start assigning dose
9 reconstructionists to claims, before the work starts
10 a letter will go out from ORAU introducing the dose
11 reconstructionist and seeking any objection.

12 DR. TOOHEY: Yes. If you'll recall, those
13 62 were -- I don't even call them draft dose
14 reconstructions, but rather, test dose
15 reconstructions and they were simply to be delivered
16 to NIOSH for review. Are we doing it right? And
17 generally, the answer was yes, and we've reviewed
18 the comments and responded to that, tweaked our
19 procedures a bit as needed, so we're -- we're ready
20 to start cranking on these things.

21 DR. ZIEMER: Okay. Roy, and then Jim.

22 MR. DeHART: A simple question. Once the
23 models are complete, could those be e-mailed to us
24 so that we can just have a look at them and know
25 what to expect should we get any questions?

1 DR. TOOHEY: The model letters?

2 MR. DeHART: The model letters, yes.

3 DR. TOOHEY: Sure. Yeah.

4 DR. ZIEMER: The same comment?

5 DR. MELIUS: That was the same comment.

6 DR. TOOHEY: We'll put them on the web site
7 whenever it will be. Fine.

8 DR. ZIEMER: So someone will make sure that
9 -- staff will be make sure that occurs. Thank you.

10 Other comments? Other questions for
11 Dr. Toohey?

12 DR. ZIEMER: Yes. Mark?

13 MR. GRIFFON: I'm not sure if this is
14 appropriate for now, but I was curious just the
15 status of getting your program developed, you know,
16 the procedures that are under development; check
17 bases that are under development; some that are
18 completed, whatever; and if there was a listing of
19 those things that were either in draft or finalized.

20 DR. TOOHEY: There's a listing of documents,
21 including procedures, we supply that with our
22 monthly report to NIOSH. I can certainly get you an
23 update on that. And -- and incidentally, I should
24 comment on the -- the test dose reconstructions.
25 They will not be considered final, and then sent to

1 Labor until the procedures have been finalized and
2 approved, so that, of course, I'll get a final
3 review stage to make sure that we didn't miss
4 something in accommodating those, but as they move
5 into the final dose reconstruction step, they will
6 be on that.

7 The internal dose reconstruction procedure
8 is currently with our document manager for review
9 and approval. That's pretty close to finished.
10 She's working with Grady Calhoun, who's our NIOSH
11 contact for document approval on that one. The
12 external dose reconstruction procedure, we've got a
13 draft in for review now. It may another week or two
14 before that's finalized.

15 DR. ZIEMER: So, Rich, you will have a some
16 sort of a compilation of approval procedures and --

17 DR. TOOHEY: Yeah. Well, and we --

18 DR. ZIEMER: -- perhaps that can be made
19 available --

20 DR. TOOHEY: -- we can certainly put the --

21 DR. ZIEMER: -- as well.

22 DR. TOOHEY: We can put the list on the web
23 page, and I don't see any reason not to put the
24 procedures out there if you would like that, also.

25 DR. ZIEMER: I think there is a sentiment

1 for having those made available.

2 DR. TOOHEY: Okay.

3 DR. ZIEMER: Thank you.

4 DR. TOOHEY: We've got plenty of server
5 room.

6 DR. ZIEMER: Other comments? Mike Gibson.

7 MR. GIBSON: Just one concern for the
8 record, it's not really relevant to, you know, I
9 know that you're working on the conflict of interest
10 and everything else, but just running through the
11 list, I am somewhat concerned with this one -- of
12 this shallow pool of Health Physicists and internal
13 dosimetrists, there's going to be enough left at the
14 sites to do the current work to make it accurate to
15 -- to send forward to this dose reconstruction
16 process.

17 DR. TOOHEY: Yeah.

18 MR. GIBSON: I notice here there's six to
19 eight from Mound that left the site, and went to
20 work for ORAU, or one of their subs.

21 DR. TOOHEY: And of course, that's because
22 Mound is, as you know, closing down. We've picked
23 up refugees from Fernald. We're competing with
24 NIOSH for the same people, they're adding to their
25 staff, as so are we. And -- but actually, we think

1 the solution to that is really what we've developed,
2 and it gives us a lot of flexibility, is to have the
3 majority of dose reconstructions done by part-timers
4 who are acting as independent consultants to ORAU or
5 one of our subcontractors. And after, you know,
6 we've got a huge bolus to work through on the
7 backlog, but then as things slow down after that,
8 you know, those people would be not as busy as
9 previously; but, no, I agree with you, it is an
10 issue. There's -- there's a limited pool of
11 competent dosimetrists out there.

12 DR. ZIEMER: Tony has a comment.

13 DR. ANDRADE: I'd like to respond to Mike's
14 comment by informing the Board and visitors here
15 that normally the folks that do respond, at least
16 the folks that I'm familiar with that do respond to
17 requests for raw data on doses, on situations, on
18 facility information, and so on and so forth, are
19 not necessarily Health Physicists at all. Those
20 folks are usually document specialists who have been
21 trained in handling nuclear facility documents, who
22 have also been trained on the job for the most part,
23 on some aspects of health physics, such that they
24 provide the appropriate types of dose information;
25 for example, on a yearly basis, rather than a

1 committed effective dose equivalent, which is what
2 they're interested in using for dose reconstruction,
3 so they're like ARMA (ph) members, and that sort of
4 thing.

5 DR. ZIEMER: So they are not dose
6 reconstructionists, is what you're saying?

7 DR. ANDRADE: Exactly.

8 DR. ZIEMER: And may not be competing with
9 this pool. Thank you for that comment. DR. TOOHEY:
10 Okay. Well, I --

11 DR. ZIEMER: Go ahead.

12 DR. TOOHEY: I was just going to say I
13 understood Mike's question to refer to we're
14 stealing health physicists from the operational
15 dosimetry departments at the sites to work on this
16 project, and well, if people want to vote with their
17 feet, then you know, I have no objection.

18 MR. ELLIOTT: One more comment that we've
19 received at OCAS that I would like to share with the
20 Board and the public here, and that's a comment
21 that's come to us about the need to be aware of
22 national security information as it -- as it comes
23 forward in -- in an interview process. We're very
24 concerned and very much aware of our obligation to
25 protect that kind of information. And in our

1 interview process we feel that both the person being
2 interviewed, who has held a clearance at a DOE site,
3 and understands this, and ourselves have an
4 obligation to raise that warning flag at the
5 earliest point in this process and say, I can't talk
6 over the phone about these kind of matters; we need
7 to do this in another setting. We accommodate those
8 situations as soon as they are identified. In fact,
9 we have done, I believe now, five secured
10 interviews. The interview is -- once the
11 interviewee identifies that they've got a problem of
12 this sort, we stop the interview and we reschedule
13 it in a secure location, and hold the interview with
14 a derivative classifier at the ready to make sure
15 that the notes from the interview do not breach
16 National Security, but we get the information that
17 we need to process the claim. So if there are any
18 comments or questions that come to Board members
19 about our interview process and National Security
20 information, please, you know, feel free to respond
21 that way or -- or bring them to me and we'll make
22 sure that we effectively handle and -- and deal with
23 those kinds of inquiries.

24 DR. ZIEMER: Okay. Mark has a question.

25 MR. GRIFFON: Actually, probably to Larry,

1 just to follow-up on that. I guess I would just
2 question or wonder the approach you're going to take
3 because in my own experience at some of these sites
4 is that especially the older employees tend to err
5 on the side of conservatism when it comes to
6 classified information, and they'll just assume that
7 everything that was classified in 1945, 1950,
8 remains classified today, and there may be some real
9 relevant information -- and you know this as well as
10 I do, that you could sort of squelch the interview
11 unintentionally probably, but I'm wondering -- and
12 that tends to be site-specific too, as I've learned
13 through my work, so I wonder how -- I just -- I
14 throw out that caution that I think we want to
15 encourage the interviewee to give as much about
16 their work history as they can without crossing that
17 line into National Security issues certainly, so.

18 MR. ELLIOTT: Your point is well taken, and
19 we -- we certainly recognize that some of the older,
20 former workers, you know, who have come from that
21 culture may not be aware that some of the more, you
22 know, more recent declassification of information
23 has occurred. But we -- we still don't want to see
24 them put in a situation where they feel that -- that
25 they're breaching National Security, so our approach

1 here is to stop the interview and reschedule it in a
2 secure location where they can talk to us about
3 whatever they feel that is appropriate and necessary
4 for us to hear to process their claim. I've seen it
5 work. I think it works for these five that we've
6 done. I personally have been involved in -- in
7 trying to secure classified information from certain
8 sites, and it can be done, but we want to make sure
9 that we -- we do it right.

10 DR. ZIEMER: Jim Neton has an additional
11 comment.

12 DR. NETON: Yeah, I'd just like to add a
13 little to that. We do have three more classified
14 interviews upcoming in the last couple of weeks that
15 ORAU ran across. And the approach we've taken with
16 this is if a person indicates at all that they have
17 a concern because of classification issues, we ask
18 them, because they all have a chance to review the
19 questions in advance, are your concerns at all
20 related to the lines of inquiry, the questions that
21 we are asking, and if that -- if they say yes, then
22 we -- we do not even proceed to the interview at all
23 because we feel that it may even divulge classified
24 information by knowing which questions are
25 classified kind of thing, so we'll stop it and then

1 offer them and say we will -- we will set you up
2 with someone who is familiar with classification and
3 proceed at that time, so then they will have the
4 opportunity to proceed. We don't do partial
5 interviews, I guess that's what I'm saying.

6 DR. TOOHEY: And let me also add ORAU has
7 about a dozen employees with active Q Clearances
8 available to supplement NIOSH staff as needed.

9 MR. GRIFFON: I know that one way we dealt
10 with this and I did -- I did do some classified
11 interviews at Oak Ridge on my medical surveillance
12 work that we did down there; but also, one way that
13 Oak Ridge encouraged us to do this, Gabe Marcianta,
14 I believe the security contact down there, actually
15 did a briefing and had -- I'm not proposing that,
16 but maybe site-specific write-ups on what has been
17 declassified, so it almost -- his briefing --
18 actually I was quite nervous going in having him
19 brief these people, I thought oh, boy, this is
20 really going to shut everybody up, but actually it
21 worked -- it actually worked the opposite. He said
22 to the older employees there -- the older retirees
23 there that the following things here have been
24 declassified, and feel free to divulge information
25 regarding this if -- if you feel so fit, and, you

1 know, otherwise, if you still feel the need to go to
2 a classified interview we can make arrangements to
3 do that. But we were -- we were trying to avoid
4 having a lot of classified interviews, so maybe
5 that's a possible approach to have sort of site-
6 specific write-ups from -- that could be sent with
7 questionnaires. I don't know, it's just a
8 possibility.

9 DR. NETON: We had discussed that with the
10 Office of Worker Advocacy and I -- I think it's
11 still under discussion, what you're suggesting. I
12 think it's a good idea.

13 DR. ZIEMER: Thank you. Any others? Thank
14 you, Richard, for that --

15 DR. TOOHEY: Thank you.

16 DR. ZIEMER: -- update on your activities.

17 You may recall that at a previous meeting, I
18 think it was two meetings ago actually, we talked
19 about some possible updates on the IREP program
20 relating to latency periods for leukemia and thyroid
21 and Russ Henshaw is going to give us an update on
22 that issue now. And I think in your packet there --
23 yes, there is a tab in your packet that has Russ's
24 overheads.

25 Russ.

1 MR. HENSHAW: Thank you, Dr. Ziemer. Okay.
2 Can everyone hear me okay?

3 Good morning. I do want to update the Board
4 today on where we are with this whole minimum
5 latency issue regarding thyroid cancer and leukemia.
6 And I'll also discuss some other IREP issues.

7 And Dr. Ziemer, I certainly don't mind
8 taking questions from the Board at any time.

9 And I'll start with the latency issue, and
10 again, we're using the word latency here really as a
11 shorthand term for the time between exposure and
12 diagnosis. So I'll recap briefly what we presented
13 in October, and I'll give you an update on how we
14 intend to deal with the issue now. Recall that back
15 in October, which seems hard to believe that was
16 four months ago already, but back in October we
17 presented sort of a status report on -- on the issue
18 of latency for leukemia and thyroid cancer. We were
19 concerned that NIOSH/IREP awarded no risk, no
20 probability of causation for radiation exposures
21 that occurred within two years of diagnosis for
22 leukemia, and within three years of diagnosis for
23 thyroid cancer. We asked SENES Oak Ridge,
24 Incorporated, our contractor, to come up with a --
25 an adjustment for that, a new model that did factor

1 in some non-zero risk for those short latency
2 periods; they did so, and we presented that first
3 model to you in October.

4 If you recall, our feeling at NIOSH was that
5 the science just simply did not support such a
6 severe and absolute adjustment function for these
7 two cancer models, and again, that was different
8 from all of the other cancer models at IREP; all
9 other cancers IREP awarded some probability of
10 causation at all times since exposure, these two
11 were the exceptions.

12 While we evaluated that model that SENES
13 developed, or those two models that SENES developed
14 back in the fall, one of the unanticipated -- well,
15 the unanticipated effect of the new models was that
16 they actually reduced probability of causation at
17 some time since exposure, although they did factor
18 in probability of the short latency periods. We
19 were uncomfortable with that; we didn't feel that
20 the science supported an adjustment that would in
21 effect reduce probability of causation at any time
22 since exposure. And that's pretty much where we
23 were at that time at the October Board meeting.

24 We asked SENES to pretty much go back to the
25 drawing board and look at that model again and come

1 up with a new adjustment, and we specified two
2 conditions. And we asked them specifically to
3 develop a model where -- that would not have the
4 effect of reducing probability of causation at any
5 time since exposure when compared to the current
6 model, and also still factor in some non-zero risk
7 as appropriate at all times since exposure, even if
8 you're a zero. They did that, and developed those
9 models and presented them to both NCI and to NIOSH,
10 actually just in December, just less than two months
11 ago.

12 I do have a table here of probability of
13 causation results, and I'm going to just briefly
14 explain the table if I can -- if I can do this
15 without screwing things up -- there we go. This is
16 for leukemia. This involves a set of hypothetical
17 claimant inputs: A man born in 1930, diagnosed with
18 leukemia in 1980, using the cancer model leukemia,
19 excluding Chronic Lymphocytic Leukemia, just for
20 simplicity, we used one acute exposure at 50
21 CentiSieverts; we used a constant dose, in other
22 words, no uncertainty in the dose input, and photons
23 greater than 250 keV. Then we used the default
24 sample size in IREP of 2000, and the default random
25 number seed of 99.

1 Now, just to explain the table, first of
2 all, this is the -- this is a column of results for
3 the current IREP, the one that's on our web site.
4 These are the results for the model that was
5 developed back in the fall, that first alternative
6 model that we showed in October; this is the new
7 model that was developed in December. And going
8 over to the left, the left column is the age of
9 exposure; the year of exposure; and then the times
10 since exposure in years; so this person, this
11 hypothetical claimant born in 1930, exposed in 1980,
12 would be 50 years old, same year of exposure as the
13 diagnosis, so that's zero -- zero year since
14 exposure. The current model, of course, would give
15 that zero probability of causation; the model in
16 October would have awarded just for that one
17 exposure, two percent, a probability of causation
18 equal to two percent; the new model, 3.6 percent,
19 and so on.

20 You can see that, from this hypothetical set
21 of inputs all -- the two conditions are -- are
22 satisfied by the new model. Now, to fit it onto the
23 slide, I truncated this, and you see his time since
24 exposure from zero to five years, and I skipped to
25 ten, and then intervals of five, but these

1 conditions are met also in years six through nine.
2 In fact, for leukemia you can see that by year five
3 it's pretty much identical, and stays very close on
4 throughout the series.

5 By the way, we're not too far off with our
6 hypothetical set of attributes. I looked at our
7 claims data base, and this, as of January 23rd, just
8 as an aside, for all leukemia claims excluding CLL
9 the mean age of our claimants is 19 -- or excuse me,
10 the mean year of birth is 1927; the average first
11 exposure, 1958; the average last exposure, 1977; the
12 average year of diagnosis, 1987. That's based on
13 334 claims as of January 23rd, 2002.

14 The new model, the new alternative model,
15 this far-right column uses a midpoint or the
16 S-shaped function, if you recall that -- that lingo
17 from October, the S- -- the S-shaped function is the
18 actual adjustment that reduces probability of
19 causation for short latency. The midpoint of the
20 new model is 2.25 years. That's a change from three
21 years for the -- that first model that we showed in
22 October. And to account for the uncertainty, it
23 actually -- it adjusted the midpoint from 2 to 2.5
24 years; the midpoint is 2.25, it adjusts from 2 to
25 2.5.

1 Any questions on the table before I move on?

2 DR. ZIEMER: What -- remind us again, what
3 does the curve look like at the low end? In the
4 previous one they had proposed a linear function
5 between zero and two years, was it, or not?

6 MR. HENSHAW: Well, recall that --

7 DR. ZIEMER: Well, originally, you had a
8 stepping function, but then the -- the one you
9 talked about in October between zero and two years,
10 was it linear?

11 MR. HENSHAW: Well, remember that IREP only
12 uses whole years --

13 DR. ZIEMER: Right.

14 MR. HENSHAW: -- for adjustments.

15 DR. ZIEMER: Okay.

16 MR. HENSHAW: So the --

17 DR. ZIEMER: So they were just point values?

18 MR. HENSHAW: Yeah, the graph I had in
19 October I think may have been a little confusing
20 because I had -- I had it drawn that way.

21 DR. ZIEMER: Yeah, the dots. Yeah.

22 MR. HENSHAW: Yeah.

23 Okay. To move on to the new adjustment for
24 thyroid cancer, it's the same set of hypothetical
25 inputs. With -- with thyroid cancer you can see

1 that the probability of causation for the three
2 models converge on this table of ten years. Again,
3 it's truncated, so I don't have years six through
4 nine on here, but it actually converges at about
5 eight years. From that point on, the thyroid cancer
6 that results are virtually identical. And you can
7 see that the conditions we specified are satisfied
8 here as well. For the model on the web, no
9 probability of causation years one through three,
10 that was the October model; the new model addresses
11 those other concerns and still factors in -- still
12 factors in the appropriate probability at each
13 interval. One thing I noticed, this is just by
14 chance with this hypothetical set of claimants, but
15 the new model actually would make the difference
16 between compensation and no compensation at a time
17 since exposure of five years, as you can see there,
18 47.3 versus 56.3. Of course, you know, most of the
19 claims, there are a series of exposures and this --
20 this single exposure would be just one of the dose
21 inputs into IREP. By the way, I looked at also our
22 average claimant for thyroid cancer, and again we're
23 not too far off on this hypothetical set of inputs.
24 The attributes of our average -- the average DOE
25 worker with a thyroid claim in our data base was

1 born in 1934; was first exposed to radiation in
2 1964; the last exposure, 1983; and the average year
3 of diagnosis was 1989. The thyroid S-shaped curve,
4 the -- the new model, again the model on the right,
5 has a midpoint of 5 years with a variance around the
6 midpoint ranging from, I believe it's 4.5 to 5.5.
7 I'll double check that. The old model had the same
8 -- not the old model, but the first alternative
9 model presented in October had a midpoint of 5, but
10 varied from 3 to 7 at the midpoint, so this tightens
11 that up to address the problem of not reducing
12 probability of causation at any time since exposure.
13 Any questions on -- this is pretty dry stuff, but
14 any questions on any of this before I move on to
15 other IREP issues?

16 DR. ZIEMER: Russ, one other question and
17 maybe comment. This -- this is done specifically
18 for claim issues. How -- is NCI planning to utilize
19 this model in any way?

20 MR. HENSHAW: Well, that's -- that's an
21 interesting question. Actually, back in October our
22 understanding was that NCI's intention was to adopt
23 the -- the model shown in this (indicating) column.
24 Since that time we've had some discussions with NCI,
25 and also with SENES. As you may know, SENES is also

1 the contractor for NCI, as well as NIOSH, so we've
2 king of got a three-way working relationship on
3 this. And as of about two weeks ago, or my
4 understanding is that NCI has shifted on that, and
5 now intends to adopt -- or is leaning towards
6 adopting this latest model that was presented in
7 December. I think they have some internal
8 discussions and, you know, issues to resolve there,
9 but that's -- that's what our understanding is as of
10 a week or two ago. So we'll be in harmony there.

11 DR. ZIEMER: Well, presumably the -- the
12 science itself doesn't support one versus the other
13 intrinsically. Is that a fair statement? So that
14 the real reason for doing this would be to -- for
15 us, would be to provide some degree of consistency
16 with how we handle claimants in terms of the non-
17 zero values of the other coefficients of the other
18 cancers.

19 MR. HENSHAW: Yes, I believe that is a fair
20 statement.

21 DR. ZIEMER: Scientifically, you can make
22 the case for either I guess. Is that true?

23 MR. HENSHAW: Yes, that's correct.

24 Latency --

25 DR. ZIEMER: Or you could equally not make

1 the case for either, which -- however you want to
2 look at it.

3 MR. HENSHAW: The latency is perhaps the
4 hardest aspect of the modeling to actually --
5 actually do, and the science is rather ambiguous on
6 it, especially with respect to leukemia; it'd be
7 less so for thyroid. But we felt that this -- this
8 was one of -- this was an issue that pretty clearly
9 cried out for -- for adjustment. That's, you know,
10 based on our -- our mission of using science where
11 there is science, and being claimant friendly where
12 the science fails.

13 DR. MELIUS: What is the status of NCI
14 finishing up IREP and getting reports out. I think
15 you were expecting that several months ago.

16 MR. HENSHAW: Well, I mean I wish I knew,
17 but I've heard, this is just by word of mouth, that
18 they have another draft of their working report. I
19 believe it was sent around for internal peer review
20 in NCI early in December. I don't know where it is
21 at this point or when they intend to release it
22 beyond their internal review. I have not seen it
23 myself.

24 DR. MELIUS: Go ahead.

25 MR. ELLIOTT: I think that some of the

1 changes that we have sponsored has triggered some
2 revision in their working document, and they, of
3 course, are going to have to get that explained and
4 then cleared through the department. I know that
5 the -- I think Mike Schaeffer is here from DTRA, but
6 he may feel -- he may want to speak to this, but
7 there's also between the Department of Health and
8 Human Services where NIH and NCI is located, their
9 -- this is their product to deliver to the VA for
10 the VA's use. And until the VA's Advisory Board is
11 reconstituted to review and advise the VA on the
12 NCI/IREP, it will stay in -- in somewhat a limbo of
13 draft until that is done, so -- and I don't know
14 where they're at with regard to their establishment
15 and reincarnation of their Advisory Board.

16 DR. MELIUS: What about, and this may be my
17 memory also, but the NAS review of the report, was
18 that underway also?

19 MR. ELLIOTT: The NAS review was finished,
20 and they reacted and addressed all of the National
21 Academy of Sciences comments. That was handled in
22 the -- in a early version that you all saw, and I
23 think -- I believe that part of their process is
24 concluded. I'm not absolutely certain, but I think
25 it has.

1 DR. MELIUS: I'm not sure exactly where we
2 stand because we adopted IREP -- NIOSH has adopted
3 IREP into its regulations, correct? Am I correct in
4 terms of -- what did you adopt?

5 MR. ELLIOTT: We have a NIOSH/IREP. And it
6 is what it is as it stands. It's based upon the NCI
7 work and version, and we collaborated with them. We
8 certainly, again, have made and sponsored some
9 changes that they have thought through and adopted
10 as well, but the -- you know, the NIOSH/IREP is
11 approved, it is a department commitment and it's
12 there for use, and it, you know, it was reviewed by
13 you all. It stands to be revised with substantial
14 modifications, and there's a process that -- that
15 will support that. The Advisory Board needs to
16 address substantial modifications in a review and a
17 public comment period and provide recommendation to
18 the Secretary on such modifications. We don't think
19 this is a substantial modification, we think this is
20 just a fix, and we would like to proceed with this
21 fix. We've presented it to you twice, once in
22 October and now again, with what we think is a
23 logical and appropriate claim-favorable attempt to
24 correct these two cancer risk models in IREP. We
25 have at least, I know of one leukemia claim that's

1 pending resolution of this fix.

2 DR. ZIEMER: You may recall that we had the
3 discussion in October as to what the Board's role
4 was even on this matter, it was the issue of does
5 this rise to the level of -- of being substantive or
6 not. In either case, it certainly would not be
7 inappropriate for the Board to indicate its reaction
8 if it wishes to -- if I might use the word "bless
9 this fix" or "curse this fix." We certainly have
10 that opportunity. And I think certainly the staff
11 will be quite open to hearing feedback from the
12 Board as to how you react to this particular
13 proposed adjustment to the model.

14 And Wanda, do you have a comment?

15 MS. MUNN: I guess my sense is that given
16 our -- our prior commitment to being claimant
17 friendly, that one probably can support the new
18 suggestions that are being made. I think we need to
19 make very clear what the discussion just was: That
20 the science really does not support what we are
21 saying here. I have concerns that once these types
22 of assumptions are made, are quantified, and put in
23 a table somewhere, that they end up showing up in
24 courts of law with attorneys arguing that this body
25 has found this to be true, when in point of fact, I

1 don't think what we're saying is this is true. I
2 think what we're saying is this is our attempt to
3 try to be as conservative on behalf of the claimants
4 as we possibly can. Now, I don't know quite how we
5 can differentiate that and -- and make that clear,
6 but it does bother me if we can't point directly to
7 the science and say this is what we've got.

8 DR. ZIEMER: That's certainly an appropriate
9 comment. I think we also can make the comment that
10 the science did not support the old model either, so
11 either one is equally weak in that area, so it comes
12 down to what is a reasonable approach. This seems
13 to be reasonable in light of how we're handling the
14 other risk coefficients and the other -- I'm
15 searching for the right word -- it's the latency
16 period, I guess is what we're talking about.

17 Okay, Jim.

18 DR. MELIUS: Yeah, just to follow up. I
19 agree with what you just said, Dr. Ziemer, but also,
20 this is not in response to Wanda's comment. For
21 better or worse, IREP with sort of the mathematical
22 modeling and the dealing with uncertainty serve --
23 in a lot of areas there's compromise and it ends up
24 in between what may be, you know, weighing things,
25 so I'm not sure we're really endorsing one science

1 versus another, it's a way of saying -- it's a way
2 of capturing the uncertainty that is there, or the
3 lack of data, or lack of certainty about that, and
4 to me it's an appropriate adjustment for that. I'm
5 not saying one way or the other on how this would,
6 you know, it's not a yes or a no on some things,
7 it's a way of compromising in the middle, not the
8 way we're used to doing it either, which makes it a
9 little bit more difficult.

10 DR. ZIEMER: Gen.

11 DR. ROESSLER: Well, I agree it's claimant
12 friendly, but I think there is some science to
13 looking at this new approach because things don't
14 just end or begin at two years. There's biological
15 variation, and I think there's a scientific reason
16 for doing it this way, so I don't think it's, you
17 know, I think it's a very reasonable approach, plus
18 it matches with the other cancer models. And I
19 think the whole thing's consistent and I frankly
20 think the Board has every reason to say they should
21 go with it.

22 MS. MUNN: Yeah.

23 DR. ANDRADE: I would just like to add my
24 support to the statements and to the concerns that
25 Wanda expressed. I believe that indeed there is

1 biological variation, and we're going to see cases
2 that span a distribution of latency periods;
3 however, I don't believe the science, even up to
4 BEIR VII, is such that one can make any sort of
5 definitive statement that the science is there, or
6 that the uncertainty is small enough that we feel
7 very confident in this. And I really support the
8 idea of somehow putting into the record, perhaps
9 even into any new legislation that arrives or that
10 is sponsored, or that we help support, the fact that
11 we are dealing with basically a compassionate
12 approach and that at this point in time decisions
13 made in favor, if this Board does choose to support
14 this model, are being done so with that philosophy
15 in mind, and that is all.

16 DR. ZIEMER: Larry.

17 MR. ELLIOTT: Thank you. I appreciate
18 hearing these thoughts, and I think there's one way
19 we can get at what you're asking for, Dr. Andrade,
20 and that is to add something to a paragraph or two,
21 or a section to the technical documentation for
22 IREP. You recall we have technical documentation,
23 it's on our web site. You've all been given a copy
24 of it. I think we perhaps need to go into that and
25 account for these kind of changes or these kind of

1 fixes and show where we're compassionate. We need
2 to speak about, you know, where we become claimant
3 favorable and friendly because science doesn't
4 afford any further opportunity of its use, so maybe
5 that's where we can locate this, in the technical
6 documentation.

7 DR. ZIEMER: Okay. Russ, I think you can
8 proceed. You have a couple additional slides.

9 MR. HENSHAW: On this issue I just want to
10 add that we -- we considered this from the beginning
11 a -- this particular change to fall under the
12 category of administrative policy, and not --
13 there's no pretention that we're prepping new
14 science here, so.

15 But anyway, moving on to a few other issues,
16 we'll focus on three topics for the remainder of
17 this presentation. The first, the recent revision
18 of our NIOSH/IREP User's Guide; second, brief
19 changes -- a summary of changes made to the software
20 since April of 2002, and the reason it's April 2002
21 is that's when the first NIOSH/IREP User's Guide was
22 distributed to the Department of Labor claims
23 examiners and staff; and finally, discussion of
24 scientific research issues. And I had the pleasure
25 of reading, by the way, the IREP Workgroup's slides

1 last night, and I think we're pretty much on the
2 same page there. There are a few differences, but I
3 think we're all moving in the same direction anyway.

4 But going on first to the NIOSH/IREP User's
5 Guide -- incidentally, we Fed-Exed a copy of this to
6 each Board member last Thursday. Did anyone not
7 receive the User's Guide?

8 MR. PRESLEY: I haven't gotten one.

9 MR. HENSHAW: You didn't get it?

10 MR. PRESLEY: (Shakes head negatively.)

11 MR. HENSHAW: If you -- when you get home,
12 if it's not there, would you, you know, let us know
13 and we'll get you another copy. Get another copy to
14 you.

15 I don't know if you've had a chance to look
16 this over or not, but I should mention it's designed
17 really specifically for the Department of Labor for
18 use by their claims examiners in adjudicating
19 claims, although I think it probably could be
20 helpful to other users as well. But the major
21 changes are expanded glossary, we talk about the
22 file-naming convention, and that's simply the
23 file-naming I'm referring to the Excel template
24 files that NIOSH sends to DOL, which abstract the
25 dose reconstruction and provide the inputs for IREP.

1 We go into a much greater detail on how -- how to
2 deal with multiple cancer claims, and claims
3 requiring more than what IREP run. The User's Guide
4 has some new screenshots which hopefully --
5 hopefully make it more user friendly.

6 And I might add, I'm not sure -- we talked
7 about this briefly, but Larry, are we going to post
8 this at some point on our web site, the User's
9 Guide, or provide it with some other means of making
10 it available?

11 MR. ELLIOTT: I must have been asleep at
12 that point in time. Certainly we can. We can put
13 this up there. Of course, there's, you know, the
14 diskette that we provide, that would perhaps not be
15 amenable to put on the web site, I don't know, but,
16 yeah, we can put it on the web site.

17 MR. HENSHAW: Okay, moving on. Really, just
18 about all the -- all of the changes made to the
19 software since April have fallen into the category
20 of User Interface Changes. We have a new opening
21 screen that allows the user to, you know, choose one
22 of two buttons, one goes -- one leads to a set of
23 manual inputs, the other leads to use of the Excel
24 template file. We now have a -- a random seed
25 number generator function, that's in the advanced

1 feature section. Formerly, we were expecting people
2 to use a random number table or some other generator
3 to do that, and that seemed unrealistic, so we have
4 that incorporated into the software now. And
5 incidentally, the way IREP works, on this random
6 number seed is the same random number seed for the
7 same set of inputs will always produce the same
8 probability of causation result. IREP uses an
9 algorithm that, you know, accomplishes that. I
10 think it's called a mark-all-chain, statistical
11 terminology.

12 By the way, this is an aside, this just
13 occurred to me recently. The word "algorithm" is in
14 one sense an oxymoron. I don't know if you've
15 thought about this, but think about it: Algorithm,
16 Al Gore Rithm.

17 We also have the -- we have an online
18 multiple primary cancers calculation button now, and
19 fields to enter results from the different, separate
20 primary runs. Before that, the Department of Labor
21 claims examiners had to plug results into a
22 mathematical equation. And a work in progress, it
23 should be set up hopefully within the next couple of
24 weeks, is to provide online links to the NIOSH/IREP
25 technical documentation from the software.

1 Okay. On to a more important topic, I
2 believe, the issue of scientific research and what's
3 needed. Of course, you know IREP is derived from a
4 set of radio -- excuse me, a set of tables and
5 cancer risk models and methodologies first
6 introduced in 1985. And our version of IREP was
7 created under the time restraints -- under the time
8 constraints imposed by EEOICPA and was never
9 intended to be a stationary product. It was
10 recognized from the beginning that more research is
11 needed, and that changes should be made as
12 appropriate as time moves on. I believe we're at
13 that phase of the program now, and I think the
14 beginning of that was the proposed changes for the
15 leukemia and thyroid latency, but there are a lot
16 more issues that we need to deal with and more
17 issues of more substance.

18 I have a list of research needs that should
19 not be construed as complete, nor are they in any
20 priority order. These are topics that I just
21 compiled from -- from discussions, and e-mail
22 exchanges, and from Mary Schubauer-Berigan's
23 original work over the past year. I just tried to
24 give a thumbnail sketch of some of what we feel is
25 important to -- to focus on. As I mentioned

1 earlier, I think many of these, if not most of them,
2 are also on your list and I think you have one or
3 two items that I did not include here. I did not
4 use your list, the Board, the IREP -- using the IREP
5 workgroup's list in constructing this one, but I'm
6 -- I'm pleased that they're very similar. So this
7 is really just a partial list, I guess. I think
8 everyone agrees that DOE Occupational Studies need
9 to have more of a presence in IREP risk modeling.
10 That's -- that's number one on the list. I think we
11 also need to look again at the -- our transfer model
12 as the risk coefficients of transferring the
13 Japanese cohort experience to our workforce. Age at
14 exposure is a very important issue, and that's --
15 that's a multi-faceted issue. We also, at some
16 point, whenever it's appropriate, then we need to, I
17 think, update cancer incidence rates. Smoking and
18 lung cancer is an often-raised issue, and again,
19 that's multi-faceted. Some of the things that we
20 need to consider regarding the smoking adjustment
21 are -- are smoking categories, the definitions of
22 our categories, and what constitutes a nonsmoker,
23 and at what point -- how many years must pass after
24 a person quits smoking before he or she can be
25 considered a nonsmoker, or close to a nonsmoker.

1 Right now we have a former smoker category. There's
2 a lot of work to be done with smoking and lung
3 cancer, I think. Also, the race/ethnicity issue,
4 the adjustment for skin cancer. And perhaps the
5 large -- one of the largest, if not the largest
6 sources of uncertainty in our risk modeling, the
7 DDREF adjustment. And I mention, I think on your
8 list you have CLL and other leukemias, probably so,
9 I just -- I list only Chronic Lymphocytic Leukemia
10 here because, as you know, it's the only cancer
11 that's excluded from compensation, and I think we
12 should reevaluate that.

13 The last item on this list has to do with
14 interactions with workplace exposures, chemicals. I
15 think that, frankly, will be very difficult to
16 adjust for. I don't -- I'm not sure how realistic
17 it is to do anything with that in the near future,
18 but I think we're all certainly receptive to
19 considering it anyway.

20 I might add also, NCI just within the past
21 month has begun looking at the latency reduction
22 function for bone cancer. Their thinking is that --
23 well, let me back up. Right now the IREP --
24 NCI/IREP and NIOSH/IREP use a latency reduction
25 function for bone cancer that's similar to other

1 solid tumors which provides a midpoint, and I think
2 it's 7.5 -- it's 7 or 7.5 years. Their thinking is
3 that bone cancer more closely models thyroid cancer,
4 and I -- I expect to hear that -- some announcement
5 at some point that they -- that they will be
6 changing that, so -- so we need to put that on the
7 list as well.

8 I'd certainly be happy to hear any questions
9 or comments on this, but I just want to say that we
10 really look forward to working with the Board and
11 with the IREP workgroup to come up with a design for
12 research that really addresses the needs of the
13 workforce covered by EEOICPA, so I think we have a
14 lot of work to do.

15 DR. ZIEMER: A comment or question from
16 Dr. Roessler.

17 DR. ROESSLER: I think, unless I fell
18 asleep, you skipped over the BEIR VII line in your
19 slide, and I'm wondering, it seems that BEIR VII
20 should, or will cover a number of things that you
21 have on this slide, and I'm wondering what is the
22 status, is it out officially, or have you at least
23 had a preliminary copy so you can anticipate what
24 your work might be?

25 MR. HENSHAW: The answer to those questions

1 are, I think, no, no, and no. I -- I do not have a
2 copy of it. I don't know -- I haven't heard any
3 status report on it, and I don't know, maybe Larry
4 knows something that I don't.

5 MR. ELLIOTT: I think the BEIR VII Committee
6 is still under its deliberations. They're still
7 working through. I've been trying to find out
8 whether or not they have meetings scheduled for --
9 for this upcoming year. I'm sure they do, but I've
10 been unable to determine that at this point.

11 MR. HENSHAW: To your question about whether
12 BEIR VII will address many of these issues or
13 resolve many of these issues, yeah, I think that
14 will address most of these issues, and certainly it
15 could be a starting point for our reevaluations.

16 DR. ZIEMER: My understanding is that BEIR
17 VII is basically complete except for the fact that
18 the Japanese dosimetry is being redone, and those
19 risk coefficients may change slightly, so basically
20 as soon as RERF comes out with -- or actually it's a
21 separate task group, it's a dosimetry task group,
22 comes out with their new information, which is
23 supposed to be this spring, then it's plugged and
24 chugged into a couple of tables in BEIR VII and
25 they're ready to go, is my understanding. But then

1 you realize that in the National Academy's process,
2 then there's this whole layer of review, and I know
3 on BEIR VI there was over a year between the
4 completion of the report and the getting it on the
5 street, so whatever represents a fast track for the
6 Academy is going to be something like that.

7 MR. HENSHAW: I gather also that there is
8 some controversy about how it's going to shake out
9 in terms of providing support for more claimant-
10 friendly approaches, or less claimant-friendly
11 approaches in IREP, so we'll just have to wait and
12 see.

13 I might, one thing I just thought of is the
14 comment on the smoking adjustment in IREP. One of
15 the things I hear and I think it's a misconception.
16 One of the things I hear from time to time is we
17 should just throw out the smoking adjustment. We
18 can't really do that, even if we wanted to, it would
19 not be fair to anyone because the risk model is
20 based on the Japanese cohort who were considered to
21 have been moderate smokers, thus the adjustment goes
22 -- the smoking adjustment goes both ways at IREP.
23 If we were to simply remove it, that would not be
24 fair to nonsmokers because they're in effect
25 penalized by the heavier smoking experience of the

1 Japanese cohort, so it's a very complicated issue,
2 it does not lend itself to an easy fix.

3 DR. ZIEMER: Any other questions for Russ?

4 Thank you very much, Russ.

5 We're going to take a break in a moment. I
6 do want to point out to the Board that if you do
7 wish to take any formal action relative to the fixes
8 that -- that NIOSH is intending, it certainly is not
9 inappropriate to do so; that is, you can endorse
10 them or as I said, you can bless them, curse them,
11 or ignore them. And I -- I would say from where I
12 sit it would not be inappropriate if you -- if you
13 would like to go on record to actually propose a
14 motion that would say in effect the Board is in
15 agreement with the proposed fixes and endorses them.

16 Tony.

17 DR. ANDRADE: I certainly would like to be
18 able to propose a motion; however, you know,
19 previous -- in previous discussion with Larry, he
20 mentioned that we might be able to address the quick
21 fixes insofar as our consensus as to how we feel
22 about these and -- and the fact that perhaps in some
23 cases we are being claimant friendly, or in some
24 cases we are adopting them because new science
25 points out that we should. And Larry mentioned that

1 we could include this type of information in
2 technical documentation, so I wanted to ask for
3 perhaps a little bit more clarification.

4 Larry, were you talking about technical
5 documentation such as the IREP, what do you call it,
6 Guide, or some other form of documentation?

7 MR. ELLIOTT: I was referring to the
8 technical documentation that supports the cancer
9 risk models in IREP, not this User's Guide that Russ
10 sent out to you by Fed-Ex last week, or you've seen
11 in the past. I think that we can simply put a new
12 section into that technical documentation titled
13 Administrative Policies, perhaps. And there we can
14 account for where science doesn't serve us well
15 anymore and we need to take a claimant-favorable
16 approach, and we can outline how that approach is
17 claimant favorable.

18 DR. ANDRADE: So what you're proposing is a
19 new --

20 MR. ELLIOTT: New section or -- or something
21 to the -- it's been a while since I've looked at the
22 technical documentation. I recall it being, you
23 know, it has different sections in it; it talks
24 about different cancer risk models; it talks about
25 the transfer issue from Japanese survivor experience

1 to the American workforce. I think we can add a new
2 section to that that talks about administrative
3 policies.

4 DR. NETON: Larry, if I could just add to
5 that that this is very consistent with the current
6 IREP documentation that exists where every cancer
7 model that we've adopted has a fairly detailed
8 discussion as to the science behind it and where we
9 were claimant favorable. We were very careful to
10 point that out because the science could not support
11 any other model. So I really think that this would
12 just be a modification to the leukemia discussion of
13 the risk models in the IREP documentation now, and
14 we would just be consistent with our past approach.

15 All of our models have these type of
16 discussions about whether they're based on pure
17 science or the lack of science, you know, but will
18 be claimant favorable. I think that's the
19 appropriate place to do that.

20 DR. ZIEMER: I also don't want to
21 necessarily have a precedent that every minor change
22 in IREP requires Board action. I'm simply reminding
23 you that there was some uncertainty last time as to
24 whether this particular item reached the level that
25 would require Board action, and one thing that could

1 be done that's somewhat in between would be simply
2 to go on record indicating that, for example,
3 there's no objections, or that the Board is in
4 agreement with this change, or has no problem with
5 it, something like that.

6 Roy.

7 MR. DeHART: Yes. I think the -- I would
8 like to see the Board agree that the changes that
9 are recommended for the leukemia/thyroid model is
10 consistent.

11 DR. ZIEMER: Are you making some sort of a
12 motion, or is this --

13 MR. DeHART: I can make a motion --

14 DR. ZIEMER: -- just an observation?

15 MR. DeHART: -- of that if you wish. It was
16 an observation primarily that they are making these
17 changes to be consistent to the other models that
18 they had.

19 DR. ZIEMER: Anyone else wish to comment,
20 or?

21 DR. MELIUS: Only the fact that I -- I think
22 we probably should make it a simple motion. I don't
23 disagree with what Roy just proposed, but I'm afraid
24 we can get -- we can spend a long time trying to
25 figure out the exact wording to justify this and to

1 reflect the diversity on the Board, and I would
2 think it's maybe just better if we just try to
3 something straightforward.

4 DR. ZIEMER: Well, for example, a motion
5 that said the Board is in agreement with the
6 proposed fixes in the latency adjustment for
7 leukemia and thyroid, and has no objections to their
8 being implemented.

9 MR. DeHART: (Raises hand.)

10 DR. ZIEMER: Did somebody move that?

11 MR. DeHART: I moved it.

12 DR. ZIEMER: That was what Roy was intending
13 to say. Actually, it's a very unsanitary way of
14 speaking, it's putting words into other's people's
15 mouths, but --

16 WRITER/EDITOR: The motion was made by Roy?

17 DR. DeHART: Yes, Dr. DeHart.

18 WRITER/EDITOR: Thank you.

19 DR. MELIUS: I'll second the motion.

20 DR. ZIEMER: And this is intended that this
21 be a motion of general agreement, not -- Wanda, you
22 have a comment?

23 MS. MUNN: I really would like to add to
24 that the kind of caveat that Larry just indicated,
25 that the rationale --

1 DR. ZIEMER: And the Board -- and the Board,
2 for the record, recommends that the --

3 MS. MUNN: That the --

4 DR. ZIEMER: -- staff clearly specify the
5 reasons for these adjustments --

6 MS. MUNN: Right.

7 DR. ZIEMER: -- in the documentation. That
8 was part of your original motion, was it not?

9 MR. DeHART: That was the amendment to my
10 motion.

11 MS. MUNN: Thank you for that unsanitary
12 amendment.

13 DR. ZIEMER: An extremely -- an extremely
14 friendly amendment.

15 DR. ANDRADE: I second that one.

16 DR. ZIEMER: Well, that was not a motion, it
17 was a friendly amendment we had already agreed to.
18 Now, I -- I don't want to presume that this is --
19 are there comments on -- I'm trying to develop the
20 sense of the Board here very quickly because
21 everybody is wanting a break, which is the best time
22 to have motions, actually.

23 DR. ANDRADE: Exactly. Paul, I think it's
24 extremely important and I'll reiterate that down the
25 -- down the years, in the years that follow that

1 people -- it is important for people to understand
2 that we're not endorsing the science that currently
3 exists, and that it not be used as a basis for say,
4 legislative -- legal action, and that sort of thing.
5 I think it's extremely important that we at least
6 put in the phrase that we are endorsing this as a
7 result of, or following the compassionate
8 philosophy.

9 DR. ZIEMER: So the motion would really
10 read: The Board is in agreement with the proposed
11 fixes in the latency adjustments for leukemia and
12 cancer and endorses the changes presented as a means
13 of incorporating a compassionate --

14 MR. GRIFFON: I just -- I'm reflecting back
15 on what Dr. Melius said about we can end up with a
16 complicated motion here instead of a very simple,
17 because I think I'd add --

18 DR. ZIEMER: It's going to be less and less
19 simple.

20 MR. GRIFFON: -- I think what we've heard
21 around the committee here is that it's not only the
22 compassionate, it's also the uncertainty of the
23 science, so I think that there's kind of two sides
24 going on there. And I think we're just -- I was in
25 agreement with the first motion with all this other

1 stuff understood, you know.

2 DR. ZIEMER: Okay. We'll go with the motion
3 as it was originally -- is that -- everybody
4 understands that?

5 MR. ESPINOSA: Can you repeat it?

6 DR. ZIEMER: The motion is the Board is in
7 agreement with the proposed fixes in the latency
8 adjustments for leukemia and thyroid, and endorses
9 the -- or, let's see -- and endorses the changes as
10 presented. The Board further recommends that the
11 documentation specify the reasons for the changes.

12 MR. ESPINOSA: I'm all right with that.

13 DR. ZIEMER: Okay. Are you ready to vote on
14 this? All in favor of the motion, say Aye.

15 BOARD MEMBERS: Aye.

16 DR. ZIEMER: Any opposed, say no.

17 (No response.)

18 DR. ZIEMER: Any abstaining?

19 (No response.)

20 DR. ZIEMER: The motion carries. Thank you
21 very much. We are going to have a 15-minute recess.

22 (Whereupon, a recess was taken.)

23 BY DR. ZIEMER: (Resuming)

24 You may recall that Jim Melius was the
25 Chairperson for our Working Group on IREP issues,

1 and he's going to present the report. We actually
2 distributed a draft of this report at our last
3 meeting I believe, at the end of the meeting.

4 DR. MELIUS: And the draft hasn't changed.

5 DR. ZIEMER: And the draft hasn't changed.
6 Give us an update and some additional comments, Jim.

7 DR. MELIUS: The workgroup -- which was
8 myself, Henry Anderson, and Leon -- I'm the only
9 person that made it here today, so I can now report
10 that all of our conclusions were unanimous and no
11 one will disagree -- seriously -- was charged with
12 looking at the issue of how do we set up a review
13 process for looking at dealing with IREP and other
14 scientific issues that have come up or may come up
15 in dealing with the -- this overall claims
16 processing, and dose reconstruction in particular.
17 And also to come up with a process for -- some
18 recommendations in terms of what might be some
19 priority topics, and then also related to that was
20 -- was also the issue of consistency with some of
21 the other radiation compensation programs.

22 So in doing that we sort of, you know,
23 consider what would be some of the reasons for
24 wanting to bring things up for review. And clearly,
25 it would be that there's some limitation or some

1 problem with -- of the science that was being used
2 for IREP models or some of the other models used in
3 dose reconstruction. In looking at this, most of
4 the time these limitations are usually related to,
5 not to the model itself, it's not a problem with the
6 scientific model we used, but -- but often with its
7 applicability to this particular group of workers,
8 or to this particular situation. And certainly, you
9 know, and we know that, for example, IREP is based
10 for the most part on atomic bomb survivor data, and
11 so how applicable is that. Some of the dose
12 reconstruction ICRP models are -- are based more on
13 -- on dealing with worker protection issues, and so
14 it may not have considered, or some of the
15 assumptions used may not -- may not always be
16 appropriate for certain cases that might come up in
17 -- in this program. So it's not always a question
18 necessarily of the basic model involved or models,
19 but rather, either the limitations of the
20 applicability of those or limitations due to some of
21 the assumptions, the situation being different for
22 here.

23 We also may want to review the science to
24 try to improve -- make some improvements to IREP or
25 the other model used for this application, so this

1 is the obvious issue of applicability or
2 assumptions, but rather that, look, there are issues
3 there; and again, an example being would come up
4 that we know there's limitations to that science,
5 what can -- there are now some new data out or new
6 information out that would allow us to -- to make
7 changes in this and that.

8 We also want to provide, I think, some level
9 of consistency, or at least be able to address
10 inconsistencies that might occur between the IREP
11 application and other model applications used for
12 this program compared to some of the other
13 compensation programs. And I think the smoking
14 example that came up earlier would be one example
15 that some of the other ongoing changes going on at
16 IREP that, as it's being developed for the VA
17 program may also raise some questions of
18 inconsistency, and while there's no requirement that
19 the programs be -- all be consistent, I think there
20 could be times when those inconsistencies should at
21 least be explained or addressed in some way. Now,
22 some of the inconsistencies may come out of the
23 legislation, so we can't -- can't directly address
24 that here, but.

25 Finally, there may be -- we may want to

1 bring up scientific issues because there's some sort
2 of a perceived problem. The claimants feel that the
3 model is being applied to them and their dose
4 reconstruction is not fair to them, is the
5 perception. And that -- and us, as a committee, and
6 NIOSH in trying to respond to those concerns, would
7 we want to review a certain part of the model. That
8 review may very well affirm what's being done, but
9 it would at least allow some public discussion, and
10 review of -- of what is perceived to be some
11 unfairness in either -- let's say in the model
12 itself, the basis that's used for dose
13 reconstruction that's underway.

14 I came up with a -- we came up with a list
15 of topics that were based on -- I went -- actually,
16 I went back through some of the earlier comments
17 that came in on IREP and the dose reconstruction
18 procedures, went back through some of the peer
19 review comments that had been submitted. There were
20 some issues that came up, either from the Board or
21 from the public comments as the Board was in the
22 process of reviewing IREP and reviewing the dose
23 reconstruction procedures, and a few that I believe
24 had come up in later Board -- Board meetings. So
25 it's not necessarily meant to be an exhaustive list,

1 but I think it -- I think it does at least capture
2 the ones we had already talked about, or had already
3 -- at least there was some issue about. In fact, I
4 think on some of these we, when at the time we
5 adopted the NIOSH -- NIOSH/IREP, we specifically
6 pointed out that these topics need to be discussed
7 in more detail at a later point in time, or reviewed
8 in more detail at a later point in time, so -- and
9 many of them I think were issues that NCI, NIOSH,
10 everybody sort of grappled with already, and now are
11 pretty well known and so forth, and -- but, you
12 know, as part of this program we had talked about,
13 or it had been brought up as something that might be
14 -- might be discussed. This is not a prioritized
15 list, it's not a comprehensive list, and it may
16 change over time; to some extent it's changed
17 already. Someplace on the list is leukemia, the
18 latency for leukemia and thyroid, and so I think
19 we've gone beyond that now, that list. And, as I
20 said, these are some of the same issues that Russ
21 brought up, so it's the smoking adjustment came up
22 for lung, and also could come up for other cancers;
23 this whole issue of age at exposure and survivor --
24 survivor population, incorporation of occupational
25 studies. It's not the issue of interaction

1 necessarily with the chemical or other toxic
2 exposures in the workplace, but rather the -- the --
3 the issue of how do we, or should we take into
4 account, or IREP take into account some of the
5 occupational health cohort, those issues of
6 comparison population and -- and so forth with that,
7 and -- and there's actually some, I believe, in the
8 legislation itself that actually doesn't require
9 that, but certainly promotes the idea that that --
10 the fact that these are of occupational cohort ought
11 to be taken into account. The issue of CLL and
12 other leukemias, and this is an issue both of -- I
13 think it came from legislation, CLL, but as much as
14 the fact that our classification of leukemias is
15 changing, and our understanding of leukemias is
16 changing, and how do we properly take that into
17 account in -- in this compensation process.

18 Again, this issue with the occupational
19 cohorts as well as the difference between the
20 Japanese population and -- and here in terms of
21 incorporation of background cancer risks, there's
22 some issues that came up in terms of how should some
23 of the less common types of cancers be grouped in
24 this process, and is that grouping -- current
25 grouping appropriate, need to be changed. The whole

1 issue of dose rate over the DDREF adjustment, which
2 we actually discussed at an earlier meeting was a
3 subject of some of the peer review that NIOSH had,
4 it took place for earlier in the development of the
5 regulations and so forth with that.

6 And those are, I think -- I think is a
7 fairly comprehensive list of the issues that we had
8 discussed or had been brought up -- brought up to
9 the Board at the time.

10 Now, what we talked about in terms of a --
11 of a process for doing this, a recommended process
12 for doing this is, one, we need to prioritize the
13 topics; what does it make sense to do, what's an
14 appropriate schedule for -- for dealing with -- with
15 some of these, and then some of them we may very
16 well say are things that are a few years down the
17 road, or if ever could be dealt with. Then, much as
18 they did for the thyroid and leukemia, I think the
19 NIOSH staff or contractor staff, however they want
20 to do it, would prepare a background briefing that
21 would include -- could include recommended changes,
22 could just review the science and so forth, but that
23 -- or policy options that might be considered --
24 that report would go out for some sort of outside
25 peer review or consultation, and that consultation

1 may be with agencies like NCI and so forth, the peer
2 review may be various outside scientists, so there
3 would be a record of -- of that process and so
4 forth. That review, and the NIOSH report, and any
5 changes to that report as a result of the outside
6 review would come back to the Board, be presented to
7 the Board by NIOSH with whatever consultants that do
8 it. If there's a diversity of opinions on that
9 issue, then I think -- I think it's helpful to have
10 some of those different views presented to the
11 Board, so we -- we hear about them.

12 And based on that, the Board would make a --
13 make a recommendation. Now, we really -- I didn't
14 really try to get into this -- the working group
15 didn't look at the issue of what's a significant
16 change or not because the recommendation might come
17 back that after the review of the issue we may say
18 there ought to be some insignificant modifications
19 made, or ones that wouldn't sort of cross the
20 threshold of requiring, you know, *Federal Register*
21 notice and so forth. But the Board would make a
22 recommendation to that effect, a decision as to
23 whether or not then to go forward and with a, you
24 know, the formal *Federal Register* process, invite,
25 you know, the general public to review the change

1 that's being made, if there is any change, and then
2 it would come back -- as much as we deal with other
3 regulations and so forth, come back with a final set
4 of recommendations based on what that peer review
5 show.

6 I think that -- those steps -- now, it may
7 be that the Board makes a recommendation that no
8 change should be made at all, so I think that
9 obviates the next steps. It may -- this also, I
10 think is a fairly fluid -- it would be a fairly
11 fluid process and it may be that, look, the science
12 isn't there or we need to wait and see what BEIR VII
13 does, or some other -- other particular study or
14 something that -- that -- that would come out --
15 come out and we'd address this particular issue.
16 There may be ongoing research or whatever, so -- so
17 there is some -- it's not always just, you know,
18 straightforward step wise, and as I said earlier,
19 some of these topics may require a longer period of
20 time. And I think it's also going to serve an
21 overall issue of what -- which NIOSH and Larry and
22 his staff have started with, was -- is they are
23 learning in this process and coming across
24 situations; at what point do they develop a new
25 procedure, how much is, you know, how big a change

1 is that; to what extent do they want the Board
2 involved in that review, and so there may be sort of
3 different delegations of review, but I tried to
4 sketch out what would be, I think, the -- the
5 complete one. I think the key things, we're -- you
6 know, we're not an expert committee in that we have
7 -- that we really have a formal straightforward peer
8 review process to come back to, you know, capture
9 what opinions are -- are out there with the
10 appropriate scientists, and then the Board would
11 have a chance to reflect on that in terms of a
12 change in IREP, or other -- or other procedures that
13 are underway. Let me stop there.

14 DR. ZIEMER: Thanks, Jim. Why don't you --
15 you can go ahead and return to your seat if you want
16 to handle questions from there, but let's see if
17 there's any questions first, or items that need
18 clarification. This actually is a workgroup
19 recommendation, so we will need to take some action.
20 But let's get the questions on the floor for
21 comments or clarification, or whatever. Any?

22 Okay. There's a couple possible routes of
23 proceeding on this -- there's really two things.
24 There is some recommended processes here, and then
25 there are some possible topics which, if we, in

1 essence, say that we agree or adopt the report of
2 the workgroup, which means we are in agreement that
3 we should have a process such as the one described.
4 The first part of that is taking the topics and
5 prioritizing them. There's no since prioritizing
6 the topics unless we agree that we want to do
7 something along the lines of what is described,
8 either exactly along these lines, or approximately
9 along these lines. And I -- I think it would be
10 appropriate since this is a report from the working
11 group that we can regard it as a proposed action
12 that the Board adopt this as a -- as a process for
13 dealing with IREP as we move forward. And at the
14 moment, unless I hear objections, I am going to
15 interpret this as being a motion from the working
16 group that we utilize the proposed process. Okay.

17 Now, Wanda.

18 MS. MUNN: I guess perhaps I missed the
19 introductory comments, which make it a little
20 difficult for me to be very sure exactly what we're
21 recommending here. I thought I was following the
22 effort of the workgroup and what had transpired, but
23 I'm not clear exactly what the workgroup is asking
24 us to authorize.

25 DR. ZIEMER: Let me partially answer that,

1 and then Jim can really clarify it. But this whole
2 thing arose when we said, you know, there are a
3 number of issues with IREP that may need
4 clarification. And let's take, for example, the one
5 we discussed this morning which had to do with
6 latency period.

7 MS. MUNN: I recall that.

8 DR. ZIEMER: What this process says is let's
9 identify those areas of IREP where we may have
10 ongoing concerns, or future concerns, and then if we
11 want to learn more -- we prioritize those and say
12 which are the most important ones for us to address.
13 Once we do that, we ask the staff to help us
14 identify people that can be brought in to address
15 those issues, and then based on what we hear, we
16 would say well, we should do something, or we
17 shouldn't do anything, or whatever. In other words,
18 it's -- it's -- I would see it as an ongoing effort
19 to assure ourselves that IREP remains current with
20 both the science and other related issues.

21 Now, Jim, help clarify.

22 DR. MELIUS: Yeah. And I think what --
23 we've wrestled with this as much as with a
24 scheduling issue and a procedural issue. This is,
25 you know, it's not a top priority, I think, for

1 Larry right now, or NIOSH, and I don't expect them
2 to be to put out a whole bunch of *Federal Register*
3 notices to make major changes in IREP, we're not
4 expecting that. At that same time, there's been
5 issues that have been raised that I, and I think
6 other people on the committee have requested, or the
7 Board, what should be addressed, so we're asking you
8 to in some way hear some -- some presentations on
9 those issues. It may -- may take some period of
10 time and so forth to be brought up to date of where
11 NIOSH stands with those, and so forth, and -- and so
12 part of this came out just as a scheduling issue.
13 Larry is trying to figure out how to schedule Board
14 activities and so forth; what do we think are the
15 important issues; and getting -- getting them into
16 some sort of priority, so what I think what we're
17 asking -- the working group is recommending is one,
18 we look over issues, a list of issues, we prioritize
19 them; we tell -- we recommend to NIOSH these are the
20 most important issues they ought to be working on in
21 this particular area. Larry then just has to, you
22 know, obviously, balance those versus the other
23 workload and available resources and all that.
24 Number two, that the procedure would be that for
25 NIOSH to do -- prepare a background report on that

1 issue; obtain peer review or outside consultation on
2 it; and then come back to the Board with much as
3 what really Russ has -- Russ has already done, with
4 this is the problem; this is the science; this is
5 the recommended, if any, policy change or IREP
6 change that -- that would take place, or these are
7 the options for that. The Board would then make a
8 recommendation based on that of yes, you ought to go
9 forward with that, like we -- in some ways like we
10 did today; it's not a significant change, but, you
11 know, in a sense of requiring *Federal Register*
12 notice or whatever, or it is -- it is, this would be
13 a major change, or you shouldn't make any change,
14 this issue is just -- the science isn't there, and
15 there's not enough difference in the science or
16 change in science to warrant any change.

17 DR. ZIEMER: Keep in mind also, that this
18 process will probably occur anyway. I mean the
19 staff is always looking at IREP and saying, you
20 know, where does it need tweaking or improving or
21 whatever. The point here is for us to be working in
22 harmony with that, and also be able to say what are
23 the items that we think -- telling the staff what we
24 think are important, that may or may not be the same
25 list that they have, but, you know, I think many of

1 these things would arise, but this makes it less
2 sort of random and makes it a little more focused in
3 terms of what we think are the -- the big issues
4 with IREP as we go forward.

5 But I don't -- I don't think it presumes, at
6 this point, any particular items, nor any particular
7 schedule, but as we go forward with this, as we
8 identify issues or as the staff does, they need to
9 come forward in a -- in a sort of organized and
10 prioritized manner.

11 DR. MELIUS: And if I could just add, and we
12 have to recognize that the claimants are going to in
13 some ways bring up issues that may need to be
14 addressed, and this issue of the other radiation
15 compensation programs because of inconsistencies or
16 differences in policy that -- that would -- that --
17 say the VA adopts a different policy, then we may
18 want to take a look at that cause, you know, that's
19 certainly something that claimants or other people
20 are going to bring up, so -- and always saying this
21 is a process for doing that, it's a process that's
22 based on peer review and, you know, expert
23 consultation. I guess we're sort of being central
24 to that, and then sort of a review of that by the
25 Board after that period.

1 DR. ZIEMER: Gen.

2 MS. ROESSLER: My comments change as you
3 talk because it becomes clearer. After you made
4 your presentation, I wondered how the workgroup
5 would change their approach after hearing Russ's
6 presentation this morning because it seems like your
7 list and his list are almost parallel, maybe with
8 the exception of one item. So I think what I need
9 at this point is for you to follow your
10 recommendation and make a very simple statement as
11 to -- it seems like we're doing all of this, but
12 apparently you want it more formal.

13 DR. MELIUS: No, no.

14 MS. ROESSLER: No, I -- I don't know where
15 we're going.

16 DR. MELIUS: No, I think we're begging sort
17 of one question. I think the one thing that we need
18 to do as a Board is prioritize that list in terms of
19 what needs to be worked on in the nearer future as
20 opposed to the greater future. Once we've done
21 that, then consider that list in its prioritized,
22 then we're recommending -- the workgroup is
23 recommending a procedure for dealing with that,
24 which is saying what Russ already -- some of what
25 Russ already did was, you know, with the thyroid and

1 leukemia was did a review, you know, the background
2 review; that background review is presented to the
3 Board with someone outside peer review involved.
4 The extent of that peer review, I think, is going to
5 be dependent on the extent of the change, yeah, I
6 mean I'm not faulting them for not having a more
7 formal process for the thyroid and smoking, but --
8 excuse me, thyroid and leukemia latency issue. But
9 the real work -- the real thing I think we need to
10 do is -- is -- that would be helpful is the -- is
11 the prioritization.

12 DR. ZIEMER: Larry.

13 MR. ELLIOTT: Let me remind the Board that
14 the regulation on probability to ways -- how we
15 determine probability of causation, which speaks to
16 modifications of IREP Section 81.12(b). That rule
17 allows the Board and other sources to recommend
18 revisions to NIOSH/IREP for NIOSH consideration.

19 81.12(c) requires that NIOSH implement any
20 -- that before NIOSH implements any revision of the
21 NIOSH/IREP that would substantially affect estimates
22 of probability of causation, NIOSH must obtain the
23 review of the Board and address any Board
24 recommendations arising from such review.

25 81.12(d) requires NIOSH to notify the public

1 through the relevant Board meeting notice of any
2 substantial changes as defined above that NIOSH is
3 proposing for the Board's consideration and to
4 solicit public comment on such changes.

5 That's the formal process I referred to
6 earlier where we have a substantial change that we
7 would like to make or we propose to make. What we
8 presented to you this morning and in October of last
9 year were, we didn't feel, substantial changes; they
10 were fixes to those cancer risk models to make them
11 consistent with the others. This will be the formal
12 process. Certainly we could, you know, as we
13 announce the public meeting in the *Federal Register*
14 notice, we would announce what the, you know, the
15 substantive change would be, and how people could --
16 and the public could get copies of that proposed
17 change for their review and comment.

18 And I -- I agree with Dr. Melius, what I'm
19 seeking is some insight from the Board on what the
20 Board thinks are priorities in this list. Certainly
21 in my mind, in the next meeting or two we need to
22 bring NIOSH staff from another branch of NIOSH, a
23 research branch, who's been studying the DOE
24 workforce for the last 10 to 11 years to give you a
25 status report on the research studies, and what has

1 been completed to date, and what's underway, and how
2 those research studies reflect upon the list that
3 you've prepared, the list that we've prepared, and
4 -- and that might be a good starting point to get a
5 sense of -- of where things are at with regard to
6 the DOE workforce, we may have a better sense of
7 what BEIR VII's coming out at that point in time as
8 well. So just for some background information, I
9 want you to understand our regulation on probability
10 of causation does prescribe a process here for us to
11 use in making changing to IREP.

12 DR. ZIEMER: So this -- this process simply
13 supplements that and just says --

14 DR. MELIUS: It's just the introduction.

15 DR. ZIEMER: -- what -- what are their
16 priorities.

17 DR. MELIUS: Yeah, yeah.

18 DR. ZIEMER: Tony. Comment.

19 DR. ANDRADE: I, too, see this presentation
20 as providing us with two -- two separate topics to
21 deal with; one being the prioritization of topics
22 that we would like to hear about, okay; and inherent
23 to what I said, is the fact that this prioritization
24 does not necessarily reflect any -- or necessarily
25 any major changes to IREP. These are just simple

1 scientific discussions that may or may not warrant
2 any further action, so that's number one. And I
3 feel that prioritization is -- is a good thing to
4 have, and perhaps other topics will come from NIOSH,
5 they may come from the public, as Dr. Melius alluded
6 to, etcetera.

7 The second, I view as a transparency issue.
8 The process proposed here is something that we are
9 doing already, and so to document it for the record
10 would simply provide the public especially, at least
11 an understanding of how we do review these topics,
12 and that at any point in time, we may decide okay,
13 well, this topic probably needs further attention,
14 or may warrant further investigation. But at least
15 this process will allow the public to know how it is
16 that we discuss these things. And I -- and so
17 again, I see it as a way to increase our
18 transparency.

19 DR. ZIEMER: Other comments? Wanda.

20 MS. MUNN: I believe I heard, and I think I
21 now understand, that prioritizing and establishing a
22 list of potential concerns with IREP and
23 prioritizing them would in no way constrain staff
24 from the more immediate work that they have ongoing,
25 and that would be a major concern for me; other than

1 that, I can see no reason why, with that
2 understanding, that we shouldn't proceed with the
3 prioritization and follow through with the processes
4 already established in regulation.

5 DR. ZIEMER: Larry, is that?

6 MR. ELLIOTT: (Nods head affirmatively.)

7 DR. ZIEMER: Jim.

8 DR. MELIUS: Yeah, and I would just -- I may
9 not have been clear on this, is that this is not
10 sort of a fixed process that, you know, nine topics
11 have to be dealt with in the next six months or
12 something like that. Many of these -- these are
13 issues that have been raised, they may not be
14 appropriately or should not be appropriately
15 addressed for some period of time, and it may be
16 that it's something we want to hear about at a
17 series of meetings. They're not simple issues,
18 they're not going to be resolved in one meeting or
19 one presentation, but that they would be resolved
20 over -- over a period of time, so there would be
21 some flexibility. At the same time, as Tony pointed
22 out, it would be a transparent process, so if
23 someone on the outside has questions, well, how come
24 you're not -- you haven't considered changing this,
25 or how come, you know, you're still, you haven't

1 addressed this, you know, my concern here or
2 whatever. And you say well, there is a process;
3 we're aware of that issue; there are reasons, you
4 know, it takes time to deal with it and it may be
5 reasons that's inappropriate to address that
6 particular concern.

7 DR. ZIEMER: Any other comments?

8 What I'd like to do then is consider this a
9 motion for the Board to accept the recommendation of
10 the workgroup, and the implication of that, in turn,
11 is that we would then proceed to try to prioritize
12 the proposed list here. That would be the extent of
13 it at the moment. If you vote in favor of this
14 motion, it simply is to put on the record this
15 general procedure -- I'm calling it general because
16 it's -- it's not completely prescriptive, and then
17 to proceed with making an attempt to do some early
18 prioritization. Are you ready to vote, then, on
19 this recommendation? Okay.

20 All those who favor the recommendation of
21 the working group, please say Aye.

22 BOARD MEMBERS: Aye.

23 DR. ZIEMER: Those opposed, say no.

24 (No response.)

25 DR. ZIEMER: Any abstentions?

1 (No response.)

2 DR. ZIEMER: I'll declare the motion
3 carried. It would then be appropriate, if we're
4 able to, as a result of that to attempt some
5 prioritization. We can do this -- there are eight
6 topics that -- I believe there were eight on your
7 list, Jim.

8 DR. MELIUS: Yeah. Really, seven now, cause
9 thyroid we dealt with.

10 DR. ZIEMER: And we can either try to write
11 those, or an option would be, for example, to say
12 which two or three are the top priorities, you may
13 not be able to rank them, and then, you know, high
14 priority and lesser priority, you know. We could
15 have one or two, or even three categories. Well,
16 it's got to be more than one. They're all priority,
17 aren't they?

18 But, Roy, you have a comment first?

19 MR. DeHART: Just a question. Is it
20 appropriate to introduce any other priorities that
21 the Board may have?

22 DR. ZIEMER: I would say yes. This is not
23 -- in adopting this, this is a list that's called
24 possible topics. It would be my understanding and
25 the Chair will interpret it this way that this does

1 not preclude at any time adding additional items.
2 And Jim, I think that would be the intent of the
3 workgroup, as well.

4 DR. MELIUS: Yeah.

5 DR. ZIEMER: So.

6 MR. DeHART: With that statement, I would
7 like to have the Board consider adding either now or
8 later, but I think we're all going to have to be
9 very familiar with the issue of prostate cancer
10 because that's going to be a major issue as we deal
11 with this older male population. And as you know,
12 it is a low-risk cancer for radiation, and I think
13 we're going to have to understand that and
14 understand the current science of that, and have
15 that in a form that the population at large will
16 understand.

17 DR. ZIEMER: Is there any objection to
18 adding prostate cancer issues to the list?

19 (No response.)

20 DR. ZIEMER: Without objection, that will be
21 added. Any others?

22 (No response.)

23 DR. ZIEMER: Okay. The Chair is open now to
24 having suggestions, and let's -- I'm not going to
25 ask for a specific motion -- but let's see if we

1 develop any kind of consensus what people think are
2 the top, oh, let's say three items, or your top
3 item, whichever. Let's see how it develops.

4 Wanda, do you want to start us?

5 MS. MUNN: Well, it's my understanding, I
6 think, from what Larry said that what I see is very
7 possibly the best and first item, is already
8 underway; you're already looking at the workforce
9 population studies, and we're going to be getting
10 that before very long anyway, so I would propose
11 that we accept that as our first priority since it
12 seems to be the most directly applicable to what
13 we're here to do in any case.

14 DR. ZIEMER: I believe that's the bullet
15 three, that's the incorporation of the occupational
16 studies. I think those are the DOE studies that
17 would be referred to.

18 And let's hear some reaction to that. Roy?

19 MR. DeHART: No, I would agree with that. I
20 think those epidemiological studies are hard
21 drivers.

22 DR. ZIEMER: Robert?

23 MR. PRESLEY: I couldn't agree with Wanda
24 more.

25 DR. ZIEMER: You agree with that?

1 MR. PRESLEY: I agree.

2 DR. ZIEMER: Jim. Jim? Tony?

3 DR. ANDRADE: No comment.

4 DR. ZIEMER: Others? Okay. It appears that
5 certainly that's in the high priority list then, the
6 incorporation of occupational studies. I'm not even
7 sure if that's the right set of words, but it's that
8 issue. We understand what that means.

9 MR. ELLIOTT: We would start off by giving
10 you a -- having this other research branch prepare a
11 status presentation for you, that's the starting
12 point. I think if you look at Russ's list, our
13 interest is to evaluate those finished DOE studies
14 and determine what has been learned from them that
15 is applicable to compensation practice, you know, so
16 I think that's the second step in -- in looking at.
17 We need to first get you an understanding of what
18 has transpired with those research studies, and from
19 that I think will evolve, with your help,
20 identification of which pieces do we need to look at
21 a little further and evaluate for compensation
22 practice and, you know, IREP risk cancer policy,
23 those kinds of things.

24 DR. ZIEMER: Jim.

25 DR. MELIUS: Another suggestion, not

1 disagreeing with the other one, is item number one,
2 this whole smoking issue. I think it's an issue of
3 consistency with the -- with the VA program, as well
4 as one that, as much as Roy talking about prostate
5 cancer, I think it's one that's going to come up as
6 a common concern on the part of claimants, and I
7 think we ought to be addressing that also.

8 DR. ZIEMER: It might certainly be of value
9 to know what studies are out there, and what the
10 data show on -- on smoking. There's some -- some of
11 the radon work has attempted to separate out smoking
12 and radiation exposure to the lung.

13 DR. MELIUS: And there are also some -- I
14 think that should also include some policy options
15 on how to deal with it. There's issues with the
16 classifications of smoking, as Russ brought up this
17 morning, you know, former smokers, what -- what are
18 the appropriate groups to be looking at, and what's
19 an appropriate adjustment for taking that into
20 account, so.

21 DR. ZIEMER: How do others feel on that one?
22 Wanda.

23 MS. MUNN: Yes. But is this not
24 incorporated in some way in what I see as something
25 we ought to all be keeping very close track of, and

1 that is the base-line cancer data in the general
2 population because that's -- that's one of the
3 things that's on our list, and I guess in my view,
4 the smoking issue is one that is actually a subset
5 of this cancer data in the general population. If
6 we don't look at it in that way, then we immediately
7 get into the issue of additive effects, which is
8 going to be thorny at less -- at best, and insoluble
9 at worst, and I guess I'm not arguing which should
10 come first, the chicken or the egg, it's just that I
11 see them as so closely related that the issues which
12 is --

13 DR. ZIEMER: We need to understand exactly
14 how smoking is dealt with in terms of both the
15 controls and the -- and the population, for example,
16 the Japanese data versus cancer incidence in the
17 U.S.

18 DR. MELIUS: Can I just say, and I think the
19 topics are two and five; five the background, and
20 two the survivor population issues there are both
21 sort of going to come up all the time. They're
22 going to come up also with the occupational issues
23 also; what's the appropriate comparisons, so I --
24 and I think those may be in some ways appropriate,
25 not only to -- and they have to be -- they should be

1 addressed with those, but also to serve as some of a
2 background, they will be hearing more about those
3 issues and in general, not necessarily having to
4 take action on them directly, but maybe doing so in
5 terms of smoking and occupation.

6 DR. ZIEMER: By five, you're talking about
7 incorporation of background cancer risks?

8 DR. MELIUS: Yeah, yeah.

9 DR. ZIEMER: Roy.

10 MR. DeHART: Yes, I'd like to move back with
11 the smokers. I think as we all know, lung cancer is
12 the number one cancer killer now among both male and
13 female populations, consequently we're going to see
14 a lot of lung cancer. And the population we're
15 dealing with, the estimated number of smokers, past
16 smokers, are going to be running between 40 and 50
17 percent, so when we compare that to the number of
18 lung cancers we're going to have, this is going to
19 be a major issue, and I think we really need to know
20 the science on this.

21 DR. ZIEMER: There seem to be nods of
22 approval, so we can consider that as a high priority
23 item. For the time being we're calling that maybe
24 second.

25 MR. GRIFFON: I was grouping those as one.

1 DR. ZIEMER: Priority one -- priority one.
2 I'm wondering if it wouldn't be helpful to identify
3 at least one third one and call that, you know, talk
4 about our top three as priority one items, so we
5 don't get into details on language.

6 Robert, do you have a --

7 MR. PRESLEY: Number six, miscellaneous
8 cancers.

9 WRITER/EDITOR: Use the mike, please.

10 MR. PRESLEY: Number six, miscellaneous
11 cancers. Should we not go ahead and start looking a
12 little bit more at that before it gets -- bites us
13 down the road?

14 DR. ZIEMER: Is that the one -- excuse me,
15 for clarification on the slide, is that the one that
16 is --

17 MR. PRESLEY: The rare cancers.

18 DR. ZIEMER: -- the rare cancers.

19 DR. MELIUS: There's issues of grouping, as
20 well as what's been created and so forth, and so
21 there's, I think, some sort of technical issues that
22 come up with that.

23 DR. ZIEMER: Robert, so you were suggesting
24 that that be --

25 MR. PRESLEY: Yes.

1 DR. ZIEMER: Others, comments on that? Or
2 if you have something else you think is a higher
3 priority you can say something.

4 Yeah, Richard.

5 MR. ESPINOSA: I'm not necessarily -- I'm
6 not necessarily in disagreement, but I do think age
7 at exposure probably should be within the top three
8 or four.

9 DR. ZIEMER: Okay. Thank you. Mark?

10 MR. GRIFFON: I guess I was just going to --
11 the three I have was the smoking, the incorporation
12 of the background cancer risk -- and I think Wanda
13 and --

14 DR. ZIEMER: To some extent that gets linked
15 with the smoking, so.

16 MR. GRIFFON: Right. And then the worker
17 studies, those three grouping within one level.

18 DR. ZIEMER: Any other comments? Let me
19 suggest the following to speed this up a little bit,
20 so. Kind of link smoking and incorporation of
21 background together as a kind of a combined topic,
22 so the age at -- I'm sorry, the incorporation of
23 occupational studies number one; the smoking and
24 background cancer risks are the second one in the
25 group, not necessarily in rank, but top priority;

1 and the rare or miscellaneous cancers the third one
2 in that group; and then the only other one that's
3 been mentioned is the age at exposure. Do you want
4 to include that in the top list or --

5 DR. MELIUS: Only in that I think that takes
6 some time to get briefed on and developed and so
7 forth, and I think -- I think it's important to
8 start on it. I don't think we necessarily expect to
9 resolve anything with that, whereas maybe with some
10 of these others will be.

11 DR. ZIEMER: Perhaps we can agree that maybe
12 that one would be the top of the second priority
13 of --

14 MR. ESPINOSA: That's fine.

15 DR. ZIEMER: Is it agreeable right now to
16 have first priority and second priority, and have
17 those -- those first three topics, and then we put
18 this next one at the top of the second priority?
19 I'm not sure it's useful for us to try to rank
20 things in any more detail beyond that. We have the
21 list and we can always revisit it at some point if
22 something rises to the top, we just identify that
23 and say let's go ahead and look at this. There's no
24 real value spending much more time on it.

25 DR. MELIUS: Bob just made a good point on

1 the BEIR VII like at the age of exposure, the
2 survival -- all the -- I think BEIR VII may address
3 that issue to some extent. We certainly will be
4 waiting for BEIR VII before we address this.

5 DR. ZIEMER: Right. Roy.

6 MR. DeHART: Yes, I'd like to suggest if
7 we're doing a second priority that we put prostate
8 in there because --

9 DR. ZIEMER: Oh, I'm sorry.

10 MR. DeHART: -- I don't want it to wait too
11 far down the line.

12 DR. ZIEMER: Right.

13 DR. ANDRADE: Paul?

14 DR. ZIEMER: Yeah.

15 DR. ANDRADE: I was going to suggest that we
16 include prostate cancer as part of the miscellaneous
17 cancer group. That goes up in the first priority.

18 DR. ZIEMER: Yeah, because the rare types of
19 cancer --

20 DR. MELIUS: That's --

21 DR. ZIEMER: -- no, for radiation, what's
22 considered for radiation on that perspective. So
23 we'll agree that prostate is in that category.
24 Thank you.

25 Is there -- Wanda, please.

1 MS. MUNN: We might keep in mind that with
2 the current emphasis on understanding and treating
3 prostate cancer in the general population, we
4 probably will get a great deal of basic information
5 on that when we get base-line data as well.

6 DR. ZIEMER: And these are not all mutually
7 exclusive --

8 MS. MUNN: No.

9 DR. ZIEMER: -- and there will be overlap,
10 I'm sure, in any event. So can we pretty much agree
11 on these without a formal vote that these will be
12 our priorities for the moment?

13 MS. MUNN: Yeah.

14 DR. ZIEMER: I think we came to agreement on
15 that. Thank you, Jim, and our working group for --
16 for your work on that particular item.

17 We're going to break in just a few moments.

18 MR. ELLIOTT: At the risk of belaboring
19 this, I just want to make sure that you take a look
20 at the research needs that Russ presented and make
21 sure that if there's something there that you want
22 to put in one of your two priorities, you tell us
23 now. I think there's several, you know, hits here,
24 duplications, if you will, from one list to the
25 other, but there are some things here that doesn't

1 appear on both.

2 DR. ZIEMER: Jim, did you -- did you cross-
3 calibrate those and see what --

4 DR. MELIUS: No, these were independently
5 developed.

6 DR. ZIEMER: All right. I'm looking for the
7 -- are there some that jump out from his list
8 that --

9 MR. ELLIOTT: Well, the risk of transfer
10 from the Japanese cohort, I don't think was on
11 Dr. Melius' list.

12 DR. ROESSLER: That's -- that's the one the
13 public brings up all the time. I think it needs to
14 come up before this Board in a public forum to
15 address it, although we might have to wait for BEIR
16 VII for it.

17 DR. MELIUS: That was, I think sort of
18 generally, this whole issue of applying the
19 Japanese, how it's applied, so the dose -- dose
20 issue, a whole number of issues have come up there
21 and they're included in the sort of subtopics. And
22 again, I think BEIR VII may preclude us from doing
23 much now. The last item on Russ's list, Interaction
24 with other workplace exposures, to some extent is
25 outside our purview now, though I think it will come

1 up sort of dealing with the occupational workplace
2 situation because as we get it presented by NIOSH,
3 their studies have to address that issue also.

4 MR. ELLIOTT: So is it fair to say that you
5 would put that in -- into the second level priority?
6 And what about the skin cancer bullet, or do you see
7 that? Second level?

8 DR. ZIEMER: Can we -- can we agree that we
9 would include Russ's topics into our list?

10 MS. MUNN: Yes. Uh-huh (affirmative).

11 DR. MELIUS: Yeah.

12 MR. ELLIOTT: And I don't know if Russ had a
13 comment, or --

14 DR. ZIEMER: By not naming them here doesn't
15 mean there's no interest.

16 Okay. Russ.

17 MR. HENSHAW: Yes, thank you. I just want
18 to mention that regarding the item on my list, I
19 think it's on your list too, on DDREF we have
20 authorized David Coker, who is under contract with
21 SENES to continue working on that issue, and they
22 are in fact working towards submitting that for
23 publication, and seeking peer review, and we've
24 asked and funded SENES to respond to whatever
25 criticisms arise from the peer review process.

1 DR. ZIEMER: Thank you. And I think we
2 should make it clear that even if something may not
3 have risen to the top of our list right now, that
4 doesn't preclude the staff bringing it forward as --
5 as information becomes available.

6 MR. HENSHAW: Dr. Ziemer, let me just
7 clarify. Primarily focusing on the radiation
8 effectiveness factor is the paper that Dr. Coker
9 presented to the Board.

10 DR. ZIEMER: Right.

11 MR. ELLIOTT: I appreciate this. This helps
12 me understand what your interests are so that I can
13 marshall the resources to put it together for you,
14 so we will balance that all out.

15 DR. ZIEMER: Thank you. Before the lunch
16 break I just want to let the Board members know, and
17 the members of the public as well, that I've been
18 given a list of recommended dining. I think these
19 are -- these are all restaurants in the near
20 vicinity. I'm not sure who is recommending them. I
21 don't know if this is Robert Presley's
22 recommendation, or if this is the local -- local
23 Chamber of Commerce, or these are the local
24 restaurants who anteed up to get on a list or what,
25 but anyway there is a list of restaurants, but it

1 doesn't tell where they're at.

2 The lunch break goes till 1:30, so we'll see
3 you all back here then.

4 (Whereupon, a luncheon recess was taken.)

5 ///
6

BY DR. ZIEMER: (Resuming)

7 Well, we'll come back into session even
8 though not everyone is here yet, but we want to move
9 along.

10 We're pleased to have Dr. Sergio Bustos here
11 this afternoon. He is Professor Emeritus at the
12 Medical College of Georgia in Augusta. Dr. Bustos
13 came to the U. S. originally as a Fulbright Fellow,
14 and he's a graduate of the University of Chile in
15 Santiago, and also was a graduate at one of the
16 programs at the University of Rochester as well.
17 Dr. Bustos is former Professor of Physiology at the
18 University of Concepcion in Chile; he was also a
19 Professor of Bio-Chemistry at the Medical College of
20 Georgia. He has served as a consultant to the World
21 Health Organization, and he's recently, since '95
22 really, been Chairman of the Savannah River Site
23 Health Effects Subcommittee. And we're pleased to
24 have Dr. Bustos here this afternoon to tell us about
25 the Savannah River Site Health Effects Program.

1 DR. BUSTOS: Thank you very much. Can you
2 hear me?

3 DR. ZIEMER: There's a little on/off switch
4 on that. Make sure that that's.

5 DR. BUSTOS: I think now it's on.

6 Thank you very much for the invitation to
7 attend this meeting on the Advisory Board on
8 Radiation and Worker Health, and to tell you
9 something about what we do at the Savannah River
10 Site Health Effects Subcommittee. Through your web
11 site I already got acquainted with your mission and
12 your activities.

13 I became interested in the effects of
14 radiation working precisely with radiation. I spent
15 a large fraction of my academic life working with
16 Potassium, Beryllium, Calcium, Iodine 131, S35, C14,
17 Tritium, etcetera, so I think I qualify as a worker;
18 so this is what qualifies me to appear before you
19 here.

20 In addition, my specific area of research
21 and teaching was nucleic acids and proteins, which
22 are the prime targets for radiation. In 1995, the
23 Savannah River Site Health Effects Subcommittee was
24 established for the purpose stated here: To
25 identify the needs of exposed and potentially

1 exposed populations around the Savannah River Site.
2 And one of the functions is to make recommendation
3 to CDC, and acts in an advisory capacity to NCEH,
4 NIOSH, and ATSDR, and also evaluates the research
5 and public health activities at the sites.

6 The SRSHES Membership, it's a very
7 heterogeneous group; it consists of engineers,
8 scientists, physicians, workers, nurses, housewives,
9 it covers the whole spectrum. And these are, of
10 course, individuals that are selected by the federal
11 agencies, and what they bring is their experience or
12 their -- and their scientific knowledge. And in a
13 way it reflects the demographics of the area.

14 The -- the mission of the Savannah River
15 Site was to study the potential health effects that,
16 of course, are due to the releases of radioactive
17 and hazardous material, radionuclides or chemicals
18 from the Savannah River Site, and their site
19 election would be the offsite population and the SRS
20 workers. The -- we've had since 1995 -- I just
21 can't believe that I have been the Chairman since
22 1995. The other day I went to CDC and I introduced
23 myself to people saying I'm the Chairman for life of
24 the SRSHES. But I think of this as this may be one
25 of my last appearances.

1 Among the activities that we have undertaken
2 are presentations; summaries; proposals; projects by
3 the agencies; have recommended changes in the peer
4 review protocols used by agencies; advised the --
5 the firm, the organization that conducted the dose
6 reconstruction on the Savannah River Site, that's
7 the RAC on Phase I procedures of the dose
8 reconstruction procedures. We developed a brochure
9 where the mission of the committee was spelled out;
10 the functions, the compositions, the aims. At one
11 point we instituted a toll-free line to -- for the
12 people outside to have access to us and tell us what
13 their concerns were; provided input to the Advisory
14 Committee for Energy-related Epidemiological
15 Research, ACERER. As a matter of fact, two of our
16 committee members attended the meetings of ACERER;
17 participated in Phase I and II of the Reconstruction
18 Project, and by this, I mean participation, actual
19 participation. We reviewed, or we went to the
20 place, to the vaults of where the archives, where
21 all the boxes, I think there were 50,000 in all,
22 that were kept in the vaults of the Savannah River
23 Site, and we were given special clearance, so we
24 walked and opened, and saw many of the contents of
25 them, and this was a daunting task for the

1 organization that was conducting the Dose
2 Reconstruction Project. And so a modus operandi had
3 to be established, and we participated in advising
4 the -- the experts that were conducting the Dose
5 Reconstruction Project on how to go about it. And I
6 think that that simplified their task.

7 We are now in the process of analyzing, or
8 rather, participating in developing the scenarios
9 for radionuclide screening analysis. Following the
10 Phase I, which was the search for the historical
11 records of the Savannah River Site that I just
12 explained to you, we also participated in the -- in
13 Phase II, which was the definition of the source
14 term and the pathways for contamination, the
15 atmospheric and the water pathways, the kinds of
16 radionuclides that escaped from SRS. And that
17 resulted in -- in a book that was very, very heavy,
18 about this (indicating) size, and this (indicating)
19 thickness. I cannot remember exactly how many pages
20 it had, but it must have had close to 800 or so
21 pages, with many chapters which the experts had
22 spelled in detail the equations and graphs, and
23 their recommendations. And we divided the
24 committee, this committee was divided into people
25 who would review chapter by chapter, so we undertook

1 the task of correcting it, correcting the graphs;
2 establishing whether there was clarity in the
3 graphs; correcting the footnotes; the syntax, the
4 explanations of this, rather, topic. And that was
5 something that took about a month where we met at
6 different places in Georgia and South Carolina. And
7 following that, we are, at the present time,
8 assisting in developing the scenarios for
9 radionuclide screening.

10 Now, in one of our meetings we devised a
11 strategic plan for Phase II that has to do with the
12 epidemiological considerations. And the
13 radionuclide screening was going to be done by staff
14 at the CDC, and the chemical screening was going to
15 be done by a contractor; but because of changes in
16 priorities, the screening of radionuclides would
17 also be done by a contractor. That has to do with
18 the change in the focusing of CDC into bio-
19 terrorism.

20 What is the, our committee's role in this?
21 It's participate in the developing of exposure
22 scenarios; participate in the development of risk-
23 based ranking criteria; and participate in decisions
24 on what future work lies ahead or is needed. And
25 the first thing that we embarked on is the refining

1 and the fine tuning of the generic families that
2 would constitute the population that lived around
3 the Savannah River Site. And for this purpose there
4 are six families, six categories that have been
5 proposed. The first one is a rural family that
6 lived just downwind from the site boundary; the
7 second one would be an urban or suburban family just
8 downwind of the site boundary; the third category is
9 a migrant worker family living mostly outdoors; the
10 fourth category would be a family that lives in a --
11 in a boat in the Savannah River Site. I have to
12 remind you that the Savannah River Site occupies 310
13 square miles in the boundary between Georgia and
14 South Carolina, and so the Savannah River Site flows
15 at the boundary. And as a matter of fact, the --
16 the -- the small creeks and little rivers inside the
17 Savannah River Site drain onto the Savannah River,
18 so it's very proper that we do -- that we suggest
19 this family living on a boat on the river; then a
20 person living nearby that, in addition to that,
21 makes deliveries to the river -- to the Savannah
22 River Site, or people who catch beavers; and
23 finally, an outdoors person who is fond of hunting
24 and fishing, camping, etcetera. So for each of
25 these family we are developing what are the

1 conditions that we're going to impose on it.

2 I am going to give you one of the examples
3 that we have come up with. For the rural family
4 scenario, that, we would have to choose the
5 location, that's the closest downwind location where
6 there could have been farms in 1955. The -- the
7 number of adults, infant born in 1955, an infant
8 born in 1964. Why 1964? Because that's the year of
9 the highest release of radioiodine. We have to use
10 the consumption values to make us take into
11 consideration the -- the time that is spent outdoors
12 and working in the soil, whether the family drank
13 fresh milk from a backyard cow, and whether their
14 crops were irrigated from the Savannah River Site.
15 So for each of the other categories that I described
16 for you, we are going to establish what the main
17 criteria, the main characterizations.

18 And finally, we also have the -- the public
19 involvement that participates by attending our
20 meetings, sharing ideas with the members of the
21 committee, sending concerns and questions, signing
22 onto SRSHEs mailing list.

23 And one thing that I neglected to tell you
24 is the way that we conduct our meetings. And we
25 started first following the -- the Roberts Rules of

1 Procedure, but they were disposed of, in a manner of
2 saying, by the Bustos Rules of Procedure, which are
3 very similar to the ones that you use here, and
4 that's that we allow people to express their
5 opinions ad nauseam. I guess I exaggerate. I guess
6 I took the liberty of exaggerating it a little, but
7 it's -- but what we do is very similar to what you
8 do. So, any questions?

9 DR. ANDRADE: Dr. Bustos, after hearing
10 about your background both in the academic arena and
11 in working on this Subcommittee, I imagine that
12 you've had a chance to -- am I speaking loud enough
13 -- okay -- I imagine you've had a chance to ponder
14 the whole question of the combination of potential
15 effects from radiation and hazardous materials.
16 Have you formed any opinion, come to any
17 conclusions, have anything that might provide a
18 vector for this -- for this Advisory Board on
19 whether there is fruit somewhere in scientific
20 studies on the combined effects? Is it -- is it
21 possible to distinguish between the effects, or have
22 you seen, for example, they may be additive, they
23 may be multiplicative, that sort of, or would we
24 just be barking down -- just going down a path that
25 will never bear fruit if we start to look at that

1 arena?

2 DR. BUSTOS: Well, I can tell you that my
3 opinion, when I said that I was a worker, when I was
4 working with radiation, that has to be very, very
5 well qualified because I was -- I was working, but I
6 was following very specific and carefully prepared
7 protocols and had all the shields and all the
8 protection that was needed. But I can tell you that
9 when I -- when I started I was counting gamma
10 radiation in a counter on Sunday evenings, Sunday
11 afternoons without any protection whatsoever, none.
12 I had a mixture of beryllium, calcium and potassium,
13 and we were separating these isotopes after they had
14 passed through the heart to the myocardium of a dog,
15 so I have the -- I have that excuse, so I became
16 very sensitized to that aspect. Of course, you
17 know, in -- in the workers realm I do not believe, I
18 don't have first experience, but I think that those
19 protocols that we used in the lab are not followed
20 exactly in the same way. So there is, I think,
21 ample ground, you know, to investigate whether the
22 effects are multiplicative, cumulative, etcetera,
23 etcetera; you will not -- you will not be barking in
24 the wind.

25 DR. ZIEMER: You mentioned the membership of

1 your group including engineers, scientists,
2 physicians, general public. What's the total size,
3 numerically, of your Committee and Subcommittee?
4 How many people?

5 DR. BUSTOS: How many? We have -- it
6 differs depending on the -- on the time, but we
7 currently have 18 members.

8 DR. ZIEMER: That's the full Committee?

9 DR. BUSTOS: That's the full Committee, but
10 the Memorandum of Understanding allows us to have 30
11 members, but because of medical considerations,
12 among them, many-headed monsters would not work
13 well, so it was -- it was agreed that a Committee of
14 18 would be the most suitable. Of course, all of
15 this is based on empirical experience.

16 DR. ZIEMER: And Dr. Roessler has a
17 question.

18 DR. ROESSLER: You mentioned earlier in your
19 talk that the Committee is interested in potential
20 health effects and on one of your slides you have
21 the offsite population, and you also have the
22 workers. From what you've said though, I -- I
23 assume that the dose reconstruction was primarily on
24 the off-site populations.

25 DR. BUSTOS: Yes.

1 DR. ROESSLER: But have you done any work
2 then on the dose reconstruction for workers?

3 DR. BUSTOS: No, we have not.

4 DR. ROESSLER: Okay.

5 DR. BUSTOS: But it is a concern of the
6 Committee and theoretically, if the issue is brought
7 before us and we have people who have worked at the
8 Savannah River Site who appear before our Committee
9 relating their experience, and the ailments that
10 they have been affected with, naturally the -- the
11 doses that were established for the offsite
12 population will also apply in-site too.

13 MR. DeHART: Roy DeHart. Is there anyone
14 that's going to go over a little about the Savannah
15 River Site in terms of its operation to the degree
16 that it can be discussed around the table?

17 DR. ZIEMER: Physical description of the
18 site and the activities there?

19 MR. DeHART: Yes. He mentioned the size,
20 which is quite considerable. We have two --

21 DR. ZIEMER: I noticed there was --

22 MR. DeHART: We have two overheads.

23 DR. ZIEMER: -- was handouts. I'm not sure
24 of the source of those. Are these --

25 DR. BUSTOS: Yeah, I --

1 DR. ZIEMER: Can you talk a little more
2 about the --

3 DR. BUSTOS: -- I have provided two of
4 these. If we can put the -- if we can set up the
5 overhead projector.

6 Yeah, the -- the heart -- the heart of the
7 Savannah River Site is constituted by the -- by the
8 five reactors and the chemical separations. And
9 adjacent to it there was an area where the fuel
10 targets were prepared. And adjacent to the area
11 there was also heavy water -- heavy water plant.
12 This heavy water plant had the function of using the
13 Savannah River -- Savannah River water and
14 converting it to heavy water. That heavy water was
15 needed as a coolant in the reactors. Now, the heart
16 of the Savannah River Site is the five reactors,
17 R,P,L,C,K. And the Canyons, the H-Canyon, and the
18 F-Canyon that are the chemical -- where the chemical
19 separation is produced, and here (indicating) is the
20 heavy water plant that provides the coolant for the
21 -- for the reactors. By the way, all the -- all the
22 reactors are deactivated now, so -- and then the
23 chemical separation that takes place in the Canyons,
24 in the absence of a presence of humans, by the way,
25 there is waste, there is chemical waste and there is

1 radioactive waste that is generated. And this is
2 then taken -- or was taken to tank farms or to other
3 areas that are called seepage basins and the Z-area
4 with saltstone. So this is where the area, the
5 M-area where the reactor components, fuel and
6 target, were assembled, then they were taken to the
7 reactors. And the function of the reactors, during
8 the Cold War, and post-Cold War, was to produce
9 plutonium and tritium. That was the main. So
10 that's in a nutshell, that's a -- that's a lot, you
11 know, there would be a whole lecture to give on the
12 subject, but that would be SRS in a nutshell.

13 One of the activities of the Committee that
14 I neglected to -- was to tell you that when the face
15 tube, the analysis of the source term and the
16 emission of radionuclides was taking place, then the
17 Committee helped determining what area would be the
18 area that was going to be used for the sampling, for
19 the analysis. And that was an area larger than this
20 (indicating) one because this is the -- this is
21 simply the -- the area of the plant with the five
22 reactors, the C,K,L,P,R and the Canyons, the
23 F-Canyon and the H-area that where the chemical
24 separation was. And they are all strategically
25 positioned within this (indicating) circle; whereas

1 the -- the M- and A-areas, that was the fuel and
2 target fabrication areas, and the heavy water areas
3 were way apart. This (indicating) is 310 miles;
4 this (indicating) is the Savannah River Site; and
5 these are the streams that flow from the interior of
6 the Savannah River Site to the Savannah River.

7 Again, this is a very, very brief summary of
8 what could be said on it.

9 DR. ZIEMER: Thank you. Other questions?

10 MR. GIBSON: Doctor, you mentioned that you
11 guys went through the historical records in the
12 vaults and you looked back at how they performed
13 their analysis on some of their monitoring they had
14 done and stuff. How valuable do you think that was
15 to your research on --

16 DR. BUSTOS: Excuse me. I lost track on
17 that.

18 MR. GIBSON: Okay.

19 DR. BUSTOS: Would you start again?

20 MR. GIBSON: You mentioned that you had
21 looked through vaults and historical --

22 DR. BUSTOS: Vaults, yes.

23 MR. GIBSON: -- records --

24 DR. BUSTOS: Yes.

25 MR. GIBSON: -- and looked at how they had

1 done their analysis and --

2 DR. BUSTOS: Exactly.

3 MR. GIBSON: -- kind of recreated them.

4 DR. BUSTOS: Yes.

5 MR. GIBSON: How much value do you put on
6 that in ascertaining a dose that a population might
7 have got?

8 DR. BUSTOS: Oh, that was invaluable. It
9 was inventory, you know, when hydrochloric acid
10 came, nitric acid came, all the chemicals that came
11 to the plant. And then everything that was -- that
12 -- that was annotated was contained in there, so it
13 was a very -- that was a sine qua non starting
14 point.

15 MR. GIBSON: So did you find any anomalies
16 when you recreated these -- these analysis and had
17 other people look at them, or?

18 MR. BUSTOS: No -- no anomalies were found,
19 except that it was -- at one point there was a
20 closely kept inventory, and at other times there was
21 not as well kept as would have been desirable. But
22 that was -- that was corrected by interviewing the
23 people who were in charge of that, and were retired
24 people who were still around who volunteered to
25 provide information on precisely the missing parts.

1 MR. GIBSON: Thank you.

2 DR. BUSTOS: So -- so there was oral and
3 written history.

4 DR. ZIEMER: Has the research agenda of the
5 groups that you advise changed as a result of your
6 reviews? I noticed that you evaluate the adequacy
7 of their research activities. Has what you've done
8 caused them to change direction, change priorities,
9 change research designs?

10 DR. BUSTOS: Well, throughout the dose
11 reconstruction period, that took several years, the
12 scientists who were conducting this, chemists,
13 biochemists, nuclear scientists, etcetera, appeared
14 before the Committee and provided us with a step-by-
15 step detail of what they were doing. And they were
16 subjected to a question period, very, very intense,
17 that ranged from the scientific part to sometimes
18 the social aspects, the community aspects. So the
19 Committee was involved not only in being apprised of
20 the -- the rate of the project, but as of the
21 particulars, and they were asked in detail to
22 specify what -- what it meant, not -- you know,
23 because of the heterogeneity of the -- of the
24 Committee, some of the members did not have the --
25 the knowledge, but they had common sense and they

1 asked to be explained in terms that were very clear,
2 understandable, the meaning of what being said,
3 whether it was Owen Hoffman from SENES to John Teal,
4 everyone was required to explain in detail and very
5 clearly what had transpired. And because of that,
6 you know, at the end of the Dose Reconstruction
7 Project, then there was a summary, an account, of
8 what had been done that had to be understandable for
9 people who have very little knowledge, which was a
10 very difficult thing to do, by the way.

11 MR. ELLIOTT: I think one of the
12 accomplishments that you point to here in response
13 to Dr. Ziemer's question, the change in peer review
14 process that your Committee effected across the
15 three agencies, ATSDR, NCEH, and NIOSH, in my
16 opinion that was quite an accomplishment and it
17 effected some changes in how we, in the agencies
18 worked, and how we got peer review on our individual
19 research projects. Would you -- would you agree
20 that that -- I mean you highlighted it earlier, but
21 I think it's something that answers Dr. Ziemer's
22 question in a way.

23 DR. BUSTOS: Yes, exactly.

24 MR. ELLIOTT: Just so the Board understands,
25 there are four subcommittees, and as the Board goes

1 around having your meetings at different sites we
2 would intend to invite the other Chairs of the other
3 three. There's a subcommittee in Oak Ridge that was
4 just recently established within the last couple of
5 years, they don't have the tenure that Dr. Bustos
6 has. There's another -- that subcommittee is
7 sponsored and administered by the ATSDR, Agency for
8 Toxic Substances and Disease Registry. Dr. Bustos'
9 committee is sponsored and administered by the
10 National Center for Environmental Health. The
11 committee -- subcommittee at Hanford is sponsored
12 also by the ATSDR, and it's been in existence the
13 same time frame that yours started, I believe,
14 Dr. Bustos. Then the fourth committee is out of the
15 Idaho National Engineering Lab, and they were also
16 in existence from the very start when Dr. Bustos'
17 committee came on line, and it is also sponsored by
18 NCEH.

19 DR. BUSTOS: Any other questions or
20 comments?

21 DR. ZIEMER: Thank you, very much. That's
22 been very informative for us and we appreciate your
23 being with us today.

24 DR. BUSTOS: You are very welcome.

25 DR. ZIEMER: Our next Agenda item is one

1 that, in a sense, carries forward from the past, and
2 that is the area of the Board's review of dose
3 reconstructions. I want to refer you, first of all,
4 to the material under the tab called Discussion
5 Documents, which includes the current version -- or
6 versions of the various parts of the Request for
7 Contract that has been developed through our
8 workgroup. And then there's a summary of the slides
9 that were used this past -- was it in July --

10 DR. ROESSLER: Uh-huh (affirmative).

11 DR. ZIEMER: -- past July. And to begin our
12 -- well, let me make a few remarks, sort of
13 preliminary remarks, and then Larry, we'll let you
14 make some remarks and I want to call on Mark Griffon
15 as well. But you -- you recognize that we -- at our
16 last meeting we had the closed session dealing with
17 issues around the Request for Contract. I'm going
18 to ask Larry to give us an update on that process.
19 We also need to get some thought about how we need
20 to position ourselves as a Board, so that we're
21 ready to go at the point at which the Contract is
22 ready to go; what will our review process be; how
23 will we be structured as a Board to carry out and
24 conduct the reviews themselves with the assistance
25 of the contractor that is chosen.

1 But, Larry, why don't you give us a quick
2 update first on the -- the procurement process.

3 MR. ELLIOTT: Sure. First of all, let me
4 say that the document you have in your briefing
5 booklet under the tab that Dr. Ziemer pointed out to
6 you that says Draft 01/ -- whatever the date is on
7 there -- that is the document that we understood you
8 all to have reached consensus on and passed at your
9 last meeting in Cincinnati in January.

10 It is certainly -- you still have an
11 opportunity, this document has not gone forward into
12 the procurement process as of today. We need to
13 have from you some -- some clear direction at this
14 point on how you would want to proceed, and I will
15 get into that in a moment, but I'd like to say at
16 this point you still have an opportunity to make or
17 effect any further changes before this procurement
18 is initiated. This is your last opportunity to do
19 so. We -- and again, we have not put it into the
20 procurement process for this reason: We -- we left
21 the January meeting and having heard a few of the
22 Board members -- I didn't hear a consensus in this
23 regard, but I -- and I heard people speak to the
24 other side of this issue as well -- but that NIOSH
25 was in a situation here where there could be a

1 perceived conflict of interest with your audit of
2 our work being procured for technical consultation
3 to assist you in that being procured through NIOSH.
4 So I took that discussion to heart, I heard, you
5 know, I heard what certain Board members had to say
6 and what members of the public had to say in that
7 regard, and I went back to my principals and talked
8 about it and offered a suggestion to them that could
9 we not find a way to put some distance between NIOSH
10 and the effecting the award of this procurement, and
11 the administration of this procurement. I proposed
12 to -- to Dr. Howard that -- who is the Director of
13 NIOSH -- that perhaps, you know, we could seek
14 another agency to -- to handle this procurement for
15 the Board. I then approached and had some
16 discussions with Mr. Pete Turcic, and I think he's
17 in the audience. Pete's back there. He -- he's my
18 counterpart at the Department of Labor. He's the
19 Director of the -- of their Compensation Program on
20 this -- on this Act, and talked to Pete about
21 whether or not it made any sense for, in his mind,
22 for DOL to effect this procurement and make the
23 award, or whether there was another option. And we
24 -- we talked about that at length. We have pursued
25 other agencies as an option; we talked about the

1 General Services Administration. So what we boiled
2 down to is a decision for you all to make, and that
3 is whether you would prefer that the Department of
4 Labor effect the award of this Contract and
5 administer the Contract, or you'd just as soon see
6 NIOSH retain it and make the award, and monitor the
7 progress and make sure that, you know, we were
8 working in your best interests.

9 We've -- you know, in our deliberations we
10 identified that the other agency options were not a
11 viable option in that we could not make sure that
12 they would give due diligence in the processing of
13 this particular procurement, so that's where it
14 stands. It is not -- we've wrapped it all up, it is
15 in the form of a -- what we call an RFP, Request for
16 Proposals. I need to hear from you all what your
17 consensus is with regard to whether NIOSH should
18 effect this RFP and administer the award, or whether
19 you think that the Department of Labor makes more
20 sense to do so. So I would welcome your -- your
21 discussion in that regard, and your direction.

22 DR. ZIEMER: I wonder if it would be of any
23 value to the Board to also hear from Pete on this
24 issue from Labor's perspective. Maybe Pete will
25 tell us why it should go to NIOSH and NIOSH will

1 tell us why it should go to Labor.

2 Pete, if you're willing to come and address
3 the Board a little bit about how this would look
4 from your perspective and anything you think we
5 should know in terms --

6 MR. TURCIC: Okay.

7 DR. ZIEMER: -- of that issue.

8 MR. TURCIC: Sure. In my discussions with
9 Larry, the way we would envision that if DOL were
10 to, you know, handle the procurement and then the
11 ongoing coordination of the task orders, we would
12 basically do it in a manner where we were the
13 administrative arm of the Board for managing that
14 contract. We would have -- we envisioned that we
15 would have our office of the Assistant Secretary for
16 Administration and Management handle the procurement
17 in, you know, with naturally, you know, having
18 individuals on the procurement, on the evaluation
19 board, on the evaluation team, and then just
20 administratively carrying out that procurement. And
21 then following that, we would envision a system
22 where within the Department of Labor we would have a
23 liaison to coordinate -- any of the task orders
24 coordinate with the Board, so it wouldn't be that we
25 -- I guess the technical term would be the

1 contracting officer's technical representative, but
2 it really wouldn't be -- it would be more of a
3 administrative representative where the task orders
4 would come from the Board, then those task orders
5 would then be implemented and put into the system
6 and tracked, and from an administrative standpoint
7 DOL would merely be fulfilling a function of being
8 the administrative arm for providing that kind of
9 contractual services, you know, to the Board for
10 that process. From DOL's perspective, the -- it's
11 very important that the work of the Board in this
12 overview and function is very important to us in
13 maintaining the integrity of -- you know, we have to
14 adjudicate if -- if there are issues that come up,
15 that people raise issues concerning the dose
16 reconstruction process where that is adjudicated is
17 after the claimant gets a recommended decision; so
18 the, you know, from that perspective the quality
19 control function that the Board will be, you know,
20 carrying out in this process is extremely important
21 to DOL, and we would do whatever, you know, whatever
22 makes sense for administratively carrying this
23 function out.

24 DR. ZIEMER: Larry, do you have some
25 additional comments?

1 MR. ELLIOTT: Well, suffice it to say that
2 if -- if it was NIOSH carrying forward this
3 procurement and processing the procurement we would
4 do everything in due diligence and with the same
5 amount of interest and effort that Pete has just
6 described to you as well, so. We talked about
7 having a, you know, a technical liaison from NIOSH
8 work with whoever their technical project monitor
9 would be for the contracting officer. The Board
10 would create its task orders, and whether it was run
11 through the NIOSH procurement system or the Labor
12 procurement system, I don't think there's any
13 difference in the process, the sequence of events,
14 or the amount of effort that would be accorded to
15 this -- this whole procurement.

16 MR. TURCIC: Hey, Larry, in some of the
17 earlier discussions, one other piece of it, there
18 was a question came up about, you know, how DOL
19 would interact with the Board and with NIOSH, and
20 one way to address that would be a Memorandum of
21 Understanding specifically for, you know, for this
22 project.

23 DR. ZIEMER: Provided such Memorandum could
24 be developed at a more rapid fashion than others.

25 MR. ELLIOTT: I think we could do that.

1 DR. ZIEMER: Now, could either of you, or
2 others help me get a feel for the extent to which
3 conflict of interest could still be perceived? This
4 is also a Department of Labor program insofar as
5 they do make the final decision on adjudication of
6 the claims, so I'm trying to get a feel for what we
7 gain. It seems like you can gain certain things in
8 one direction and lose others, so can anybody speak
9 to that?

10 MR. ELLIOTT: Well, I'll attempt, and
11 certainly let Pete speak his mind on this too. I
12 think if the approach was to use the Department of
13 Labor's process, then the gain would be to NIOSH; we
14 would find ourselves somewhat distanced from -- from
15 this whole process. Certainly the perception of
16 conflict of interest exists for both agencies
17 because of our involvement in this program. And
18 that burden will just be shifted from NIOSH's --
19 from our agency to theirs. And Shelby Hallmark,
20 Pete's boss, knows this and we've talked about this,
21 so I don't know that it gains much, if at all,
22 whoever has this, either DOL or NIOSH, we will be
23 walking a tightrope and we will be doing the best
24 that we can to manage and control perceptions of
25 conflict and avoid any actual conflicts.

1 MR. TURCIC: I agree with the points Larry
2 made. One aspect of it would -- from DOL's
3 standpoint would be that -- in the way the process
4 works is that if an individual, they have, you know,
5 once -- once a recommended decision is made, then
6 the claimant can raise issues during the final
7 decision point, and then from there they can appeal
8 that to the District Court. So, from, you know,
9 from that standpoint it would just be which part of
10 the, you know, process and where the individual
11 claimant would have recourse.

12 DR. ZIEMER: Let's ask others. Jim has a
13 comment.

14 DR. MELIUS: Yeah. First of all, I'd like
15 to thank Larry and Pete for, no matter what we
16 decide or recommend here today, for making the
17 effort to sort of develop an alternative because I
18 think it's good for the credibility of the process
19 that we did consider an alternative to NIOSH doing
20 this procurement should NIOSH go ahead and the, you
21 know, reasonable alternative was, you know, a
22 practicable one was looked into. I personally have
23 trouble weighing the benefits versus the possible
24 risks of problems with moving it to DOL without sort
25 of thinking through the whole process, and I think

1 there are different points at which conflict can
2 arise or perceptions of conflict. There's also
3 different points at which, you know, scenarios where
4 certain problems may arise and, you know, which
5 agency is better or worse. And some of these -- as
6 with the conflict of interest, some of these
7 scenarios are unlikely to occur, but what if things
8 aren't going -- going well and at least to me, in
9 order to evaluate this, I'd like to sort of know
10 more details about the -- how the process should be
11 working, or how we plan the process to work for
12 actually get out these task orders and conducting
13 this review. And then think -- then almost work
14 back, which then, you know, how much do we gain from
15 the Department of -- of moving this to the
16 Department of Labor and how much would we lose from
17 the Department of Labor, you know, at least
18 potentially. And it's all going to be, I guess -- I
19 think, you know, realistically either agency could
20 do it fine. I mean that's -- and it's not a clear-
21 cut gain in perception either from either agency as
22 both Larry and -- and Pete have pointed out, but --
23 but I think the details are what are going to be to
24 some extent important and the procedures we set in
25 place. As I said, I'd almost rather work from --

1 let's work through the procedures; how are we going
2 to the procurement and so forth; then go back and
3 say can both agencies deal with this. And then --
4 then questions about which would be better, what
5 would be the delays involved in doing an MOU. We
6 don't have a great example up there historically to
7 work off of right now. And I want to go back
8 through my transcripts and count the number of times
9 Larry has said soon, or the next meeting. But --
10 but I mean I -- we do have to look at that
11 realistically, but it is the procedures that maybe
12 work -- I would prefer that we work on them and then
13 go back to this issue.

14 DR. ZIEMER: A good point, Jim. And there's
15 no reason we have to, for example, decide at the
16 front end, but we have to at least know that's a
17 decision that's part of the overall picture as we
18 proceed here today and tomorrow.

19 Roy, a comment.

20 MR. DeHART: Thank you. Clearly, NIOSH has
21 played a role in helping us prepare this document as
22 a procurement document to meet the Federal
23 Regulations, etcetera. I would ask the Department
24 of Labor who has reviewed or who all have reviewed
25 this document, so that they're comfortable with it

1 as -- as it currently is developed?

2 MR. TURCIC: The Division of Energy and
3 Employees Compensation, we've been -- we've reviewed
4 it and looked at it. And, you know, Jim made a good
5 point about the, you know, the process -- you know,
6 we have ideas of how, if it was administered by
7 Labor, how we would do that, and maybe what we need
8 to do is add some, you know, details to that.

9 DR. ZIEMER: But I think your question is:
10 Is this in a form that looks like they could handle
11 it readily without major --

12 MR. DeHART: And are the procedures in place
13 to do that, and I think we're being told that there
14 are planned procedures.

15 MR. TURCIC: Yeah, the procurement
16 procedures are all in place in order to do that.
17 Either NIOSH or DOL could pick up what has been done
18 and affect a procurement, you know, that's -- those
19 are government regulations imposed to, you know, HHS
20 or DOL, so yeah, those are in place and can be done
21 readily.

22 DR. ZIEMER: Jim.

23 DR. MELIUS: Just to clarify or reiterate on
24 Roy's comment. I think what's important, this
25 review is the Board's -- it's our function, we're

1 mandated to do this under The Act, and so the
2 process should serve our functions, what we need to
3 carry -- carry this out, and I think by -- we start
4 with what do we need to feel comfortable and have a
5 robust and solid scientifically based review
6 process. Then the questions will come up, you know,
7 I mean clearly just as Roy's question if DOL said
8 no, we'd have to start all over. Well, there's a
9 time issue or something. So I think it's
10 appropriate as we go along to ask whether or not
11 there would be a problem shifting to DOL. There's a
12 number of issues we really haven't, at least the
13 working group may have talked about with Larry and
14 his staff, but the whole Board hasn't, and I have
15 questions about a number of issues and procedures
16 that -- that I think are critical in terms of the
17 Board's carrying out its mandate that we need to
18 work through also.

19 DR. ZIEMER: Other comments, on this point
20 at least, on the issue of procurement?

21 (No response.)

22 DR. ZIEMER: Okay. If not, can we agree
23 that we'll proceed with the related issues and then
24 we'll have to return to this at some appropriate
25 point.

1 I want to give Mark an opportunity, if you
2 have any comments to add on the procurement
3 documents, the final versions, anything you need to
4 point out to us or highlight, Mark?

5 MR. GRIFFON: I don't -- I don't -- I guess
6 on the procurement documents, I don't think I have
7 anything to add at this point. I think the second
8 set of overheads are -- after those three documents
9 is a set of overheads from one of the earlier
10 workgroup presentations, and that sort of goes
11 through some of the other issues regarding procedure
12 I think came up in our discussions, such as
13 selection and sort of a process of how the Board is
14 going to be now faced with a contractor and with
15 NIOSH, so I don't know if people have had a chance
16 to look at that, but they may be more relevant to
17 the discussion that we went through.

18 DR. ZIEMER: Then, what we're faced with
19 then is the issue of, in a sense, mapping out the
20 process for how the Board will review dose
21 reconstructions; how the work will flow; do we need
22 a subcommittee, a permanent subcommittee that will,
23 for example, decide on the cases that -- that will
24 be reviewed; what -- what will the product of those
25 reviews be, those kinds of questions, so there's a

1 whole series of things beyond the procurement that
2 we need to consider. The ideal thing would be that
3 once the procurement is issued and a contractor is
4 selected, that we're ready to go knowing what we
5 will do, how we're structured to do it, and then we
6 simply move from there. And it may be that we won't
7 be able to close all the issues today and tomorrow,
8 but at least we want to identify what they are.

9 I -- I guess I'd be willing to have people
10 raise the issues now. I see Jim's already raring to
11 go, and Wanda is getting ready to go. Jim, go
12 ahead.

13 DR. MELIUS: No, no, actually no. Wanda had
14 hers up.

15 DR. ZIEMER: Wanda, do you want to go?
16 Okay.

17 MS. MUNN: I just had a question based on
18 your comments. Has -- have we then decided that we
19 are going to use a subcommittee rather than a
20 working group to do this? Has that decision been
21 made?

22 DR. ZIEMER: Let me answer it in the
23 following way. The difference in definition between
24 a Working Group and a Subcommittee has to do with
25 tasks and longevity. The Subcommittee has an

1 ongoing task and has a different set of rules by --
2 by which it operates, as compared to a Working
3 Group, which is pretty much Ad Hoc; it has a given
4 task, it's a pretty much short term, and it's over
5 with. So one of the decisions -- or one of the
6 issues the Board will have to decide is do we wish
7 to have a Subcommittee to kind of oversee this task
8 of dose reconstruction reviews because it's clearly
9 an ongoing task and -- and we would be subject to --
10 in fact, I think we have in the -- the -- we have
11 the Federal definitions of a --

12 MS. MUNN: Yes, we do.

13 DR. ZIEMER: -- Subcommittee and the *Federal*
14 *Register* requirements for that are in the packet
15 here to recognize the implications of that, and --

16 MS. MUNN: That was my concern.

17 DR. ZIEMER: -- we need to be careful that
18 we don't try to avoid that by saying well, we're
19 just going to have a --

20 MS. MUNN: No.

21 DR. ZIEMER: -- series of Ad Hoc Committees,
22 that's not going to --

23 MS. MUNN: No, that won't do.

24 DR. ZIEMER: -- do it.

25 MS. MUNN: No.

1 DR. ZIEMER: So it appears to me, at the
2 moment, that this is an ongoing task and either the
3 Board does it as a Committee as a whole, or we say
4 that we need a Subcommittee, or perhaps more than
5 one. But -- but we have not made a final decision
6 on that, but I think it appears right now that there
7 may be -- need to be some subset of this Board that
8 has that as a responsibility.

9 Does anyone want to speak to the issue of
10 requirements?

11 MR. ELLIOTT: I just wonder if it wouldn't
12 be beneficial if Cori spoke to the differences
13 between a Working Group and a Subcommittee. The
14 Subcommittee -- and she can explain this better than
15 I -- but, you know, a Subcommittee operates as, in a
16 public way; a Working Group doesn't have to. If you
17 have a Working Group, it has a life to itself that
18 once its mission is done, like this Working Group is
19 charged to find the options available to you to do
20 your review, and you're done. So now -- and that's
21 a finite, discrete task. A Subcommittee has a more
22 long-term involved Charter of Mission that's it been
23 given, so.

24 MS. HOMER: A Subcommittee must be federally
25 established, or formally established as well, which

1 I think there's some examples of how that might be
2 done. I believe the Board probably has a different
3 idea in mind of what their Subcommittees would be
4 formed as, or like, and because your tasks are
5 different, then a conventional Subcommittee would
6 be. But the general rules apply: the openness,
7 announcement in *Federal Registers*; availability to
8 public and anybody who wants to attend, either via
9 conference call, or in an open meeting. All of the
10 rules that apply to a full Board meeting apply to
11 Subcommittee meetings. Again, as Workgroups go,
12 very, very finite specific tasks, and then the
13 Workgroup is done, so.

14 DR. ZIEMER: Thank you, Cori. Now, keep in
15 mind that the Subcommittee is not necessarily doing
16 the reviews of individual dose reconstructions, they
17 are probably overseeing the flow of work, deciding
18 what percent or what numbers of different categories
19 of dose reconstructions will be reviewed, perhaps
20 assigning the tasks of the review process to Board
21 members and consultants, that kind of thing. As I
22 would see it, they're not actually the group that's
23 necessarily sitting there reviewing particular
24 projects, or dose reconstruction. Is that how you
25 saw it, Mark?

1 MR. GRIFFON: Yeah, that's similar to the
2 way we outlined it in some of our, you know, in some
3 of our earlier discussions, I mean we talked about
4 having a Subcommittee to do selection, and selection
5 of not only of individual dose reconstructions, but
6 site profiles to review, and things like that. And
7 then to have sort of rotating Board members working
8 with the contractor or contractors that are doing
9 dose reconstruction, so that we would sort of split
10 the share of the work on the actual reviews, so
11 that's certainly the way we constructed it, yeah.

12 MR. ELLIOTT: If I could add to that, kind
13 of the way I had envisioned what you've been talking
14 about in the Working Group --

15 WRITER/EDITOR: You're mike's not working.
16 I'm sorry.

17 MR. ELLIOTT: Now I'm on?

18 WRITER/EDITOR: Yes.

19 MR. ELLIOTT: Okay. It's magic. You could
20 have a panel of Board members working with your
21 contractor as Working Groups, you know, the finite
22 task there is work with the contractor, come up with
23 a review of a sample of dose reconstructions that
24 you have been given as a panel. The Subcommittee
25 itself could identify what dose reconstructions of a

1 representative sample would be reviewed, and how
2 those are brought to the Board; so you could
3 reconvene your panels as you need them -- or Working
4 Groups as you need them. That's one scenario as how
5 it might work.

6 DR. ZIEMER: Any other general comments?
7 Jim, did you have one?

8 DR. MELIUS: I don't know quite where we're
9 going, if we're going to discuss this
10 Subcommittee/Workgroup issue more, or do we need to
11 defer that for a while, or?

12 DR. ZIEMER: I think, again, we're trying to
13 get the issues on the floor --

14 DR. MELIUS: Yeah.

15 DR. ZIEMER: -- because none of them are
16 sort of made in isolation, and it may be helpful to
17 identify what -- what particular things have to be
18 done, and then try to put them together.

19 Do you have another comment?

20 MR. ESPINOSA: We're a small group -- we're
21 a small group as it is. Does a Subcommittee have to
22 be a majority of the members?

23 DR. ZIEMER: No.

24 MS. ESPINOSA: Okay.

25 DR. ZIEMER: No. I don't recall that there

1 are actually any size specificity to it.

2 MS. HOMER: There are no specific, no, you
3 can have it as two people if necessary.

4 MR. ESPINOSA: I was looking through it and
5 I couldn't find that there.

6 DR. MELIUS: And it can include
7 outside members?

8 DR. ZIEMER: I believe you can have outside
9 consultants.

10 MS. HOMER: Consultants, not members.

11 DR. MELIUS: Yeah, consultants, excuse me,
12 not members.

13 DR. ZIEMER: Roy?

14 MR. DeHART: I'm not trying to avoid the
15 formality of the Subcommittee, but I see it being
16 stifling in terms of flexibility and ability to move
17 quickly and be able to handle a lot of work. I
18 would think that we could do that in Working Groups,
19 still keeping the tasks very limited, very specific,
20 and move from one Working Group to another Working
21 Group, to another Working Group, different people,
22 and avoid the formality of a Subcommittee, and
23 that's what I'm going to be trying to think about as
24 we're going through.

25 DR. ZIEMER: Yeah. You may be suggesting a

1 scenario where the Board acts as the Committee as a
2 whole to determine the nature of the work. The part
3 that you just described sounds like the second part
4 of what Larry was talking about; these are the
5 subsets which work on -- it's like a Working Group
6 that has a task of reviewing this dose
7 reconstruction and then they're done, as opposed to
8 the coordinating function of deciding which sets of
9 -- of dose reconstructions are to be reviewed and
10 that sort of thing.

11 DR. MELIUS: Not disagreeing with that
12 sentiment, trying to avoid, you know, additional or
13 formal meetings and so forth, but I think one of the
14 criteria we need to think about with that is, is the
15 function so unwieldy or practical to do as a full
16 Board meeting, or that the waiting for full Board
17 meetings could delay that; but at the same time is a
18 function that there should be some transparency to,
19 that the public should have the opportunity to
20 comment and be aware of what was happening with the
21 Committee, there would be formal minutes and so
22 forth of that. So there may be functions that are
23 in between what a Workgroup should do -- could do
24 and there are -- I guess the third levels that are
25 sort of Workgroup reviewing it, you know, individual

1 case or something and going through all the
2 documents is not something that can necessarily be
3 done easily and in public, or should even be done in
4 public. But I think we have to be a little bit
5 careful about sort of setting up a series of Ad Hoc
6 Workgroups that sort of hide this from the public as
7 a way around that process. And that there could be
8 something in between also that where a -- for
9 example, a Subcommittee that would meet regularly by
10 conference call once a month to do this function may
11 be a way, you know, it could be announced in the
12 *Federal Register*, people could participate maybe one
13 way in between of dealing with certain -- certain
14 selected issues, selecting the, you know, the nature
15 of the cases to review, the process or whatever, to
16 do that. At the same time it's a little harder to
17 see where making assignments and so forth will be
18 easily done that -- that way either, and where that
19 would fit. But maybe if we work through what
20 exactly we would -- what the steps would be, that --
21 that we could then decide. But I do think we have
22 to keep in mind that it is a -- there should be some
23 -- the more transparency there is to this process,
24 the more credibility it will have.

25 MR. GRIFFON: Just one -- one more -- what

1 did he say, ad nauseam we comment. Anyway, just I
2 mean one more question on the Subcommittee. As I
3 understand the -- the -- looked into the FACA Rules
4 a little bit, and it says that if there's no further
5 deliberations on the Advisory Committee, then the
6 Subcommittees have to adhere to the public -- public
7 functions, that they have to be held publicly, but
8 if they -- if you read that backwards, then if they,
9 you know, the Subcommittee can act more like a
10 Working Group where we select cases, select the --
11 make the criteria, select cases, and bring them to
12 the full Board, and the Board deliberates over it
13 and agrees and puts that forward, I don't think, in
14 that case, it's really a Subcommittee that has to
15 adhere to the public requirements.

16 DR. ZIEMER: Well, we need some expert
17 opinion on that.

18 MS. HOMER: I would like to point out, which
19 I probably didn't make clear before, whether or not
20 it's a Workgroup or a Subcommittee, the decisions or
21 work done by Subcommittees or Workgroup has to be
22 brought to the full approval of the Board.

23 DR. ZIEMER: Well, yes, and the Workgroup in
24 -- in fact, brings its findings to the Board and at
25 which point they become public. It was just the

1 issue there that they can deliberate privately while
2 developing the work product that they bring to the
3 Board. In the case of the Subcommittee, that --
4 closed deliberations are also done in an open forum.

5 MR. GRIFFON: And the only reason I raised
6 that is not that I don't want it to be open, but
7 that the flexibility question that Roy raised, you
8 know, might be easier to conduct without that.

9 DR. ZIEMER: Now, this again is not an issue
10 we have to decide at the front end because it may be
11 driven more by what the process itself looks like,
12 how we're going to do the review. For example, we
13 may need to begin looking at how it is we're going
14 to conduct these reviews; what is it going to look
15 like in terms of consultants and Board members; are
16 we going to have a series of small panels or what.
17 And maybe we need to think about working from that
18 end and working back to see what the total picture
19 would look like. Are we going to have a number of
20 these subset groups working with the consultants, or
21 -- or having consultants do the work and then
22 meeting with them, or that kind of thing. We
23 haven't really decided how that's going to happen,
24 right? And then decide what that's going to mean in
25 terms of participation by this Board for example, is

1 everybody on the Board going to be involved in that,
2 or just certain ones. Again, that's -- the Board
3 can decide to do this anyway it wishes, I think at
4 this point. We're not bound by any particular
5 requirement.

6 So I'm going to suggest, and this may be a
7 good time to take a break because you may need to
8 collect your thoughts on that, but to determine what
9 the reviews are going to look like and what the
10 product of those reviews will be, and then back that
11 up. We have an idea, and I think we have an idea of
12 the numbers of reviews, we've talked about
13 percentages and so on.

14 Just before the break I want to remind
15 members of the general public if you do wish to
16 speak at the public comment period, please be sure
17 to sign up.

18 We'll take a 15-minute recess.

19 (Whereupon, a recess was taken.)

20 BY DR. ZIEMER: (Resuming)

21 Now, before we go further in discussing some
22 of the issues in the review process and so on, we
23 have an opportunity to learn a little more about the
24 Task Order Contract Award Processing and the length
25 of times involved. And Martha will walk us through

1 that. There is a handout that should be at your
2 place. It's a blue background that says Task Order
3 Contract Award Processing.

4 Martha, are you set to go on this?

5 MS. DiMUZIO: Yes. Larry asked that I just
6 provide you all with some information about how
7 exactly the task order process will work, so
8 obviously this is all after award of the contract.
9 But just to give everyone a little bit of
10 information about the timing on the contract, once
11 we're ready -- once we're -- well, at least for the
12 NIOSH process, obviously it needs to be determined
13 whether NIOSH or DOL is going to handle the
14 contract, but if it were to go through the NIOSH
15 process we would need to send it -- we're ready to
16 go basically now. The documents that -- it would
17 need to go to Atlanta for approval, that usually
18 takes again, about a week for processing, but for
19 actual, formal solicitation and everything, it has
20 to be out on the street for a minimum of 30 days and
21 it can be as much as 45, but we would be requesting
22 30 days with proposers given a minimum of 30 days to
23 respond. So then you would have the technical
24 evaluation panel meet and evaluate those proposals
25 and that's not really on this slide here

1 (indicating), I apologize. I thought I should --
2 this is sort of after award which is up on the
3 screen, but I realize no one knew the timing for
4 actually award of the contract, so after, you know,
5 the technical evaluation panel meets and so forth,
6 it could be, you know, a hundred and -- a minimum of
7 120 days from the time that NIOSH submits the
8 contract to the Procurement Office before an actual
9 award is made. So just some initial information
10 about the actual award of the contract and the
11 timing on that.

12 But what we have here is the contract has
13 already been awarded and we're ready to start
14 submitting task orders to the contract, so the
15 Advisory Board meets either as a Working Group or a
16 Subcommittee, develops the task order request, along
17 with the Independent Government Estimate and submits
18 it to NIOSH. So it will come to OCAS in Cincinnati,
19 and we'll prepare the necessary funding information,
20 and then that needs to be forwarded to Atlanta for
21 approval by both the NIOSH/AD Office and the CDC
22 Financial Management Office. And historically, that
23 takes approximately two weeks. Then -- then Atlanta
24 will forward the information on to the Procurement
25 Office, who will prepare the task order and submit

1 it to the contractor proposal; again, about a week.
2 The contractor will prepare the response to the
3 Board's proposal, and according to the contract,
4 they have up to 14 days to submit their proposal.
5 That's then -- we receive the proposal back, that is
6 then reviewed by the Advisory Board; if they accept
7 it, it can be awarded; and I will say approximately
8 another week. If the Board requests revisions to
9 that proposal, the contractor has an additional week
10 to respond to any revisions. So basically what will
11 happen is, you know, on average, once the Board
12 submits a task to NIOSH, it will take approximately
13 seven to eight weeks for that task to be assigned to
14 the contractor to start work.

15 DR. ZIEMER: Okay. Everybody understands
16 this is after the procurement?

17 MS. DiMUZIO: This here is after the
18 procurement.

19 DR. ZIEMER: This is two months, sort of
20 minimum, if a procurement is completed and we have a
21 contract.

22 MS. DiMUZIO: Right.

23 DR. ZIEMER: Now, remind us again how long
24 under optimal conditions will the main procurement
25 take? I don't know --

1 MS. DiMUZIO: Under optimal conditions --

2 DR. ZIEMER: Optimal conditions.

3 MS. DiMUZIO: Under optimal conditions the
4 proposal would be out on the street in the *Commerce*
5 *Business Daily* for 30 days --

6 DR. ZIEMER: Right.

7 MS. DiMUZIO: -- so the bidders would have
8 30 days to respond -- it would be out as an
9 announcement for 30 days, and then during that time
10 frame they have the -- the bidders will propose
11 their thing; then the Technical Evaluation Panel is
12 established, and they review the proposals that have
13 been submitted. That -- depending on the quality of
14 the proposals that are submitted, and if you need to
15 go back and forth and do best and final and so
16 forth, that could be an additional two to three
17 months, depending on the number of bids and so
18 forth. And then after the Advisory -- after the
19 Technical Evaluation Panel has selected the -- the
20 best proposal, from there it usually takes about
21 another two to three weeks for the actual award.

22 DR. ZIEMER: So it would appear that
23 somewhere in the range of three to four months are
24 required to bring the procurement to closure, and a
25 couple of more months to get the first task order in

1 place. So I'm just trying to make sure the Board
2 has a feel for timing here, that you're ready to go
3 on the first task order, if you started today with
4 the procurement, that it would be somewhere
5 approaching six months from now before you're ready
6 to go with the first task order. Is that -- am I
7 correct on that?

8 MS. DiMUZIO: Yes.

9 DR. ZIEMER: It might be slightly better
10 than that?

11 MS. DiMUZIO: It could be slightly better,
12 but --

13 DR. ZIEMER: But not -- not very much
14 better, and it could be a whole lot worse.

15 Jim?

16 DR. MELIUS: Yeah. I have a question. This
17 is related to that Working Group/Subcommittee issue,
18 and it's really the first bullet up there. The
19 Advisory Board would submit a task order request,
20 along with the Independent Government Estimate.
21 That's a new Independent Government Estimate, which
22 means that that has to have -- well, that whole
23 procedure really requires a meeting in person, and
24 then a closed session, and you know, announcements
25 and so forth, and I mean I think we have to factor

1 that into this decision on how to -- how to operate
2 it. And so much of that depends on what the detail
3 is of the task order; do we want to do a detailed --
4 I mean there's lots of ways we could do it, but --
5 but we do the elements of the task order through a
6 Working Group or something, then the Independent
7 Government Estimate is part of an actual Committee
8 meeting. But if we're going to be doing a lot of
9 task orders between meetings, it depends on the
10 frequency of the task orders, then I almost would
11 argue for a Subcommittee, which would allow you --
12 which would have to meet in person, but would be
13 allowed to do the Independent Government Estimate.
14 Is that -- that's my question.

15 DR. ZIEMER: Martha, you were going to talk
16 to us a little bit, were you, about that Independent
17 Estimate right now?

18 MS. DiMUZIO: Yes. I did just --

19 DR. ZIEMER: Give an example?

20 MS. DiMUZIO: But -- but Dr. Melius is
21 correct, you would have to have some type of an
22 Executive Session in order to develop that
23 Government Estimate, whether it's a Subcommittee, or
24 the full Board, or whatever, so --

25 DR. MELIUS: But -- but it can be done by a

1 Subcommittee?

2 MS. DiMUZIO: It could be done by a
3 Subcommittee because the Subcommittee can act on
4 behalf of the Board, correct, Cori?

5 MS. HOMER: They cannot act on behalf of the
6 Board. Everything that is discussed has to be
7 decided by the full Board, not the Subcommittee.

8 MS. DiMUZIO: Okay.

9 DR. MELIUS: That's what I -- that's what I
10 want to make sure of.

11 MS. DiMUZIO: So basically it would be
12 Independent Government Estimate associated with an
13 individual task. What I did for, just for the sake
14 of this meeting, is I just took the sample task,
15 Attachment D, from the -- from the current proposal
16 that we have and developed an Independent Government
17 Estimate, you know, and --

18 DR. ZIEMER: This is a sample only.

19 MS. DiMUZIO: Yeah, obviously it's a sample
20 only because I'm sure a Health Physicist --

21 DR. ZIEMER: Nobody should take the \$2 an
22 hour rate for a Health Physicist very seriously.

23 MS. DiMUZIO: That's right. So we just
24 wanted the Board to see what type of information
25 that needed to be included in -- in the Estimate as

1 it goes forward, so this is, you know, this is the
2 type of information that would be required, so --
3 I'm sorry we don't have this on a slide -- but you
4 would -- initially you would have -- the staff would
5 be identified, and normally when you -- once the
6 contract is awarded, the staff is usually
7 identified, so you -- you may possibly be listing
8 staff here by name. And then, obviously you would
9 know what their hourly rates are and so forth; so,
10 you know, you would total their salaries and their
11 benefits to come up with the personnel costs; if
12 travel is necessary, you know, we would add in those
13 costs, you know, as required; any miscellaneous, you
14 know, and that's postage, mailings, you know,
15 anything like that; then the overhead costs that the
16 contractor is charging, a subtotal, and then any
17 fee, award fee, that the contractor is entitled to,
18 to come up with the Independent Estimate and which
19 would then be submitted to the -- along with the
20 task order, to the Procurement Office for
21 processing.

22 MR. ELLIOTT: Martha, I think I'm correct in
23 this, but help me out. There would be a need to
24 have two executive sessions on any individual task
25 order, would there not? One to prepare in advance

1 the task order and the Independent Government Cost
2 Estimate to be submitted to the contractor, then
3 once you get the proposal back on that task from the
4 contractor, it would require another Executive
5 Session of whoever, the Subcommittee or the Board,
6 to examine that proposal, deliberate upon the
7 Independent Cost Estimate -- or the proposal cost
8 estimate --

9 MS. DiMUZIO: Cost proposal versus --

10 MR. ELLIOTT: -- matching against
11 Independent --

12 MS. DiMUZIO: -- Independent Government.

13 MR. ELLIOTT: -- and provide any negotiation
14 points back to the contracting officer.

15 MR. DiMUZIO: I would -- I would give a
16 qualified yes to that, only from the standpoint that
17 it's possible that once you've received a proposal
18 back from the contractor, you could say in a meeting
19 that the -- the estimate was -- if you don't have a
20 problem with the estimate, I don't believe you would
21 need to go into Executive Session --

22 MR. ELLIOTT: Okay.

23 MS. DiMUZIO: -- to discuss the estimate.

24 MR. ELLIOTT: So the Board -- the Board or
25 the Subcommittee of the Board could -- could specify

1 to the contracting officer that if the proposer's
2 cost proposal is within or lower than the
3 Independent Cost Estimate --

4 MS. DiMUZIO: Yeah, so --

5 MR. ELLIOTT: -- they don't have to have
6 that yet.

7 MS. DiMUZIO: Right, so at a meeting of the
8 full Board you could just say we -- you know, we
9 accept the proposal, the cost proposal as submitted
10 by the contractor, and you wouldn't have to go into
11 what the Independent Government Estimate was.

12 DR. MELIUS: The second -- the potential
13 second Executive Session, does that have to be the
14 full Board or can it be a Subcommittee of the Board?

15 DR. ZIEMER: I think that's the same
16 question, is it not, Cori?

17 MS. HOMER: Yes.

18 DR. ZIEMER: Decisions must be made --

19 MS. HOMER: Anything can be discussed by a
20 Subcommittee as a full committee, or as you can a
21 full committee, but anything that a Subcommittee
22 does has to brought to the full Board for discussion
23 and determination.

24 DR. MELIUS: So that would -- that means
25 this process then, you just, the Board, we meet once

1 every six weeks, you're talking about a six week --

2 MS. MUNN: Hiatus.

3 DR. MELIUS: -- another you can add to this
4 task order processing, what, at least another four
5 weeks, I think, but, you know, on average if it has
6 to be the whole Committee.

7 MS. DiMUZIO: Could you do that as a
8 conference call?

9 DR. MELIUS: If it doesn't involve an
10 Independent Government Estimate.

11 DR. ZIEMER: I think we already determined
12 that a conference call for an Executive Session
13 probably doesn't work, right?

14 MS. HOMER: It must be a secured call.

15 MR. ELLIOTT: It wouldn't -- a conference
16 call wouldn't work if you had to have an Executive
17 Session, but if you got around that, you didn't have
18 to have an Executive Session to discuss independent
19 -- discuss the proposer's cost estimate you could do
20 everything you need to do by -- by teleconference.

21 DR. MELIUS: But you wouldn't necessarily
22 know that until it was submitted.

23 MR. ELLIOTT: That's right.

24 MS. DiMUZIO: But I mean particularly in the
25 beginning when the contract is first awarded, I mean

1 if it's possible that we have a series of task
2 orders ready for when the contract is awarded, I
3 mean you could have sort of one session where you
4 reviewed several tasks at least to get the process
5 started.

6 DR. MELIUS: I -- I think that makes --
7 obviously makes sense, but I'm just trying to figure
8 out the alternative, and whether there is any other
9 way of -- on that.

10 DR. ZIEMER: Which perhaps emphasizes the
11 need to have some tasks ready to go at the front end
12 of the process then.

13 DR. MELIUS: We'll have to agree to accept
14 this rate of \$2 an hour for a Health Physicist.

15 DR. ZIEMER: Okay. Any other questions for
16 Martha on this issue?

17 Okay. Thank you, Martha, that helps frame
18 out the time constraints or lack thereof that we
19 have with this process.

20 DR. ZIEMER: Cori, do you have a comment?

21 MS. HOMER: Conference calls for closed
22 sessions have been conducted by CDC conference call
23 bridge, and that is considered secure. We'd have to
24 double check and have absolute certainty, but I know
25 that it has been done in the past and if others have

1 considered it secure, then it may be secure enough
2 for our purposes as well.

3 DR. ZIEMER: Okay. Thank you.

4 Now, let's -- let's focus back now on the
5 tasks before us. I'm -- I'm trying to develop a
6 feel for how to go about this, and I'm not smart
7 enough to have figured it out yet. It seemed to me
8 that it might be helpful to look at the -- I'm
9 trying to see which document it is -- the Statement
10 of Work and the various types of reviews we have to
11 do, or that we say that we would like to do, and try
12 to get some ideas on the floor as to how we would
13 carry those out as far as this Board.

14 MR. GRIFFON: Attachment C.

15 DR. ZIEMER: Attachment C, right.

16 Attachment C of Draft 1/31/03, Request for Contract,
17 and beginning on page 15 we have the Individual Dose
18 Reconstruction Review; and then we have the Advanced
19 Review; we have the Blind Dose Reconstructions; then
20 we have the section on Site Profiles and so on.

21 It seemed to me sort of intuitively that if
22 we could begin to address these maybe section by
23 section, Individual Dose Reconstruction Review,
24 let's take that as the simplest case. How are these
25 to be carried out? That's not simply a rhetorical

1 question. I mean it is rhetorical at this point,
2 but I think we now need to come to grips with that.
3 And I -- I think it might be helpful, and I'm going
4 to -- Mark, I'm going to put you on the spot and say
5 okay, the Working Group sort of had a model in mind,
6 and if you can remind us of that, and then let's
7 take off from there and flesh it out a bit.

8 Well, the Chair always has the prerogative
9 of getting other people to come up with the good
10 ideas, right?

11 MR. GRIFFON: Yeah, I'm not sure. I think,
12 Paul, what you're asking for is -- is assuming that
13 we've selected the cases already, or do you want to
14 back up and go into how we're selecting the cases?

15 DR. ZIEMER: I think we have to -- have to
16 talk about that as well.

17 MR. GRIFFON: Okay. Okay. I mean --

18 DR. ZIEMER: In order to define the scope of
19 what it is this Board is going to be doing because
20 we're going to have to have task orders for all of
21 this. Unless we can put it -- unless we can --

22 MR. GRIFFON: Right.

23 DR. ZIEMER: -- delineate it we can't write
24 a task order.

25 MR. GRIFFON: Yeah, I think one clear place

1 we have to start is the selection process, and I
2 think it might be -- we threw out some parameters in
3 past discussions on how we would look at selection.
4 We know a percentage of cases that we're going to
5 consider. I think we also have to look at case
6 availability, so this is hard to do without looking
7 at the actual data base to know, you know, what
8 cases are available for us to review -- you know, if
9 you have a certain selection criteria, but there's
10 no cases that fit into that realm in the first round
11 of cases that are done by the contractor, then we're
12 kind of sitting --

13 DR. ZIEMER: But see, you've defined the
14 first step. Somebody is going to have to review the
15 available cases, I mean maybe that's step one,
16 right? And then we would say, and who is going to
17 do that, is that the full Board or is that a subset.

18 DR. ANDRADE: Paul --

19 DR. ZIEMER: That's what I'm -- I'm trying
20 to call out these issues. Okay.

21 DR. ANDRADE: I think this is a critical
22 point for everybody to keep in mind as we go through
23 this discussion, and that is that we have to all be
24 clear, and be on the same page of music, by the way,
25 on whether -- what you mean by availability are

1 cases that have been at least taken to the level of
2 being sent back after the -- after the final dose
3 reconstruction. Okay. Realize that all the
4 language that's written here in the Statement of
5 Work is in the past tense, and I think, in my own
6 opinion, it was perhaps fortuitous that it was done
7 this way, perhaps we just got lucky, that if -- if
8 we recall and remind ourselves that it is done in
9 the past tense, and we really will be developing a
10 quality review process, we're going to be second
11 guessing the dose assessors as they're doing the
12 work then I think we will then be overstepping the
13 boundaries or the intent.

14 DR. ZIEMER: I -- I believe, and others can
15 correct me, it was certainly my understanding that
16 this is an audit that's after the fact.

17 DR. ANDRADE: Okay.

18 DR. ZIEMER: It's completed dose
19 reconstructions. Is that not everybody's
20 understanding?

21 DR. MELIUS: Yeah.

22 DR. ANDRADE: Okay. Very good. I think
23 that -- that helps.

24 DR. MELIUS: But I'm just saying, agreeing
25 -- fully agreeing with that, but I think for the

1 purposes of this task or this selection we're going
2 to have to be projecting out because of the time --
3 because of where we are now in the process because
4 of the time frame going out, we're going to have to
5 be able to project out numbers. We're not going to
6 be actually doing selection, but --

7 DR. ZIEMER: But knowing what cases are
8 coming down the line and some numbers of future
9 cases will be selected.

10 DR. ANDRADE: If that's what you mean by
11 availability then --

12 DR. MELIUS: That's -- that's -- yeah.

13 MR. GRIFFON: Yes.

14 DR. ZIEMER: But it's completed cases that
15 are looked at.

16 DR. MELIUS: But -- but, and we are going to
17 have some estimate of availability, but then when
18 the actual selection takes place it will only be
19 from the completed cases --

20 MS. MUNN: The available pool.

21 DR. MELIUS: -- the available pool, and do
22 that, and we're going to have to probably recognize
23 that our projections are not always going to be good
24 because, you know, things get delayed or whatever,
25 particularly as we get into some of the finer points

1 of types of cases from different sites and things
2 like that, that's going to be maybe hard to fill.
3 And we're going to have to have some flexibility in
4 how these cases are chosen -- will be chosen at the
5 time for review.

6 DR. ANDRADE: Absolutely. I think then
7 almost by default we have solved, or probably come
8 to a conclusion here about one of the bigger
9 problems that was laid out even earlier, and that is
10 the issue of conflict of interest between the
11 administrative handling of this process by NIOSH
12 and/or the Department of Labor. If this is -- is
13 this is to be done after the fact, then there is no
14 conflict of interest with the Department of Labor.

15 DR. ZIEMER: Are you saying the case would
16 have already been adjudicated?

17 DR. ANDRADE: Absolutely.

18 DR. ZIEMER: Let me ask a question now,
19 Mark. When you said identify available cases, you
20 are suggesting these be identified generically by
21 type, location, or what? In other words, I'm asking
22 you is this something that could be done as you're
23 saying, in open session, we're not identifying
24 individuals; you may identify sites, types of cases,
25 numbers of cases, something that --

1 MR. GRIFFON: Yeah, I think --

2 DR. ZIEMER: -- can be done by the full
3 Board --

4 MR. GRIFFON: Right. I think --

5 DR. ZIEMER: -- in open session that we say
6 okay, at this meeting we've set aside some time -- I
7 mean I could see at each Board meeting having some
8 time set aside where we do this.

9 MR. GRIFFON: Yeah, generally I think so. I
10 think we can discuss some, we've already discussed
11 some potential parameters, you know, but we -- we
12 didn't get more specific than that. I guess the
13 question I was running through my head was -- and it
14 depends on how we lay out this task order -- but if
15 you have a task order to be completed in one or two
16 years or whatever, you estimate a budget for the
17 first year, and based on our sampling scheme there's
18 no cases completed that meet those criteria, then
19 we, you know, we failed. So we've got to project
20 and that might have, you know, we'd have to work
21 with NIOSH to see, you know, maybe by -- by finding
22 out what they have in the hopper, what they're
23 working on, you know, the -- you know, just as an
24 example, if they were doing all Hanford cases first,
25 I know they're not, but if, you know, they were

1 doing all Hanford first, then, you know, our
2 criteria is, you know, we're not meeting all our
3 sampling criteria, so just projecting like Jim said,
4 the numbers.

5 DR. MELIUS: My thinking, that would be a
6 task for a workgroup to do, and come back to the
7 Board with sort of the parameters of that, you know,
8 the task, based on where we see NIOSH is, and what
9 NIOSH is projecting, a number of other, some of
10 these (inaudible) -- there will be so many cases
11 available for, you know, completed cases available
12 within this time period for review. And that to me
13 would be something that could be probably better
14 done by a workgroup talking to NIOSH. Then maybe an
15 affirmation of that, or even the final selection be
16 done by the, or which could be done and I think sort
17 of very easily and naturally as part of this task
18 order development.

19 DR. ZIEMER: We're just getting ideas on the
20 floor now.

21 DR. MELIUS: Yeah, yeah.

22 DR. ZIEMER: We have not approved
23 workgroups.

24 Tony.

25 DR. ANDRADE: Okay. Then I have a question

1 of Jim. Jim, to the best of your knowledge, in the
2 cases that have been reviewed, some preliminary dose
3 reconstruction done, or perhaps even finals, even
4 though you describe your work as having attacked
5 those cases that are quote, low-hanging fruit at
6 this particular point in time, do you believe that
7 you have a good representative sampling of a wide
8 variety of cases?

9 DR. NETON: With a sample size of 18, I'd
10 say no. Eighteen out of 10,000, so. But we do have
11 a couple of different approaches that one could look
12 at, but obviously there's -- there's a number of
13 things like AWE's and such that would not be
14 included.

15 DR. ZIEMER: Keeping in mind that this
16 process may be six months off before it gets
17 underway and looking what's in the pipeline, I think
18 the sense of the question is how representative and
19 what -- what we have now that's coming onscreen in
20 the next six to eight months, how representative is
21 that?

22 DR. NETON: I think -- I think Mark Griffon
23 hit it -- hit it on the head. The Board needs to
24 work with us and the ORAU contractor to determine
25 what the plan of attack is for the upcoming six

1 months to a year, and then develop a sampling
2 schedule based on that. I'm not convinced with the
3 task order you really need to identify specific
4 types of review. I mean you're really just talking
5 about numbers of reviews period, and you don't
6 really need to get that specific I don't think.

7 MR. GRIFFON: Yeah, the only thing I was
8 thinking, Jim, is that if we do specify a number of
9 reviews and then given the criteria we've laid
10 out --

11 DR. NETON: Yeah.

12 MR. GRIFFON: -- we're overwhelmed with one
13 type of case --

14 DR. NETON: Right.

15 MR. GRIFFON: -- but we don't have any of
16 the others, then we, you know.

17 DR. NETON: But I think there were complete
18 -- wasn't it just like advanced versus basic. I
19 mean it didn't break it down into compensable versus
20 noncompensable.

21 MS. ROESSLER: No.

22 DR. NETON: So I think you could, you know,
23 the sampling strategy is you're going to take a
24 certain percentage of those and do an advance
25 review, so if we predict that there's going to be a

1 thousand cases --

2 MR. GRIFFON: But you're -- you're also
3 looking at the types of review versus the parameters
4 by which to select cases, and those are two
5 different things.

6 DR. NETON: Yeah, and I've forgotten what
7 those were.

8 MR. GRIFFON: I mean the -- the parameters
9 we were thinking about were -- were site,
10 complexity, the -- the --

11 DR. NETON: And I think we're far enough
12 along where we could work with ORAU and develop a
13 sampling strategy for the -- the sites that may be
14 coming through, but based on the -- it's really now
15 being driven by the completion of the site profiles,
16 that's sort of the limiting factor at this point.
17 Once you have a full set of data on someone and they
18 appear to be noncompensable, if you don't have the
19 complete site profile in place, it can't move
20 forward, so as those site profiles become completed
21 at least for certain blocks of years, we can give
22 you an indication of which cases will be moving
23 forward in fairly large chunks.

24 DR. MELIUS: Two things; one is just a
25 follow-up to that. I think you said you were doing

1 a first-come-first serve, you know, in the order
2 that they were received, so, you know, from taking
3 into account these other parameters like site and
4 profile, I think you could, with some time and
5 effort, sort of figure out how to do it. And I
6 think that would be a way, and then you're just
7 going to be estimating what's going to be a complete
8 case, available case at some point down the road or
9 within a certain time period. I also think, though,
10 we have to be careful that we may have a general
11 sort of task order in terms of -- it wouldn't
12 specify the cases, but we also have to work out a
13 procedure for how those actual cases will be
14 selected. I mean we don't want to put us in the
15 position of having -- or put NIOSH in the
16 position --

17 MR. ELLIOTT: We're not going to select
18 them.

19 DR. MELIUS: Yeah, you're not going to want
20 to be in the position of making the selections, so.

21 DR. NETON: If I could point out, just make
22 -- Martha can correct me if I'm wrong, but I think
23 if you write a task order for a certain volume of
24 work or it ends up being adopted, you can always
25 extend it. If you don't complete that work in that

1 given contract year I think we have the option to
2 just say okay, we'll carry this over in subsequent
3 years.

4 MS. DiMUZIO: Right. What I was going to
5 say is that, you know, you can say that --

6 WRITER/EDITOR: You need to use the mike.

7 MS. DiMUZIO: The task order can say that
8 you're going to review the cases; you want the
9 contractor to review 70 cases over the year. That
10 doesn't mean you have to have those 70 cases
11 identified at the start of the task order. You
12 could, you know, you could look at the matrix or,
13 you know, give NIOSH some type of guidance on what
14 your matrix, you know, of what you'd like to look
15 at, and we can see how the matrix is and what type
16 of numbers that you're looking at. So you don't
17 really have to, when you assign the task order, at
18 that point in time, know exactly what the cases are.
19 You know that you want the contractor to review 70;
20 you could give him 10 now, you know; 50 in three
21 months, you know, cause you're going to give them,
22 you know, however long; you want 70 cases in a year,
23 so you would probably do a task for one year for
24 those 70 cases. So you really don't have to know
25 upfront prior to award of that particular task

1 exactly what those tasks are.

2 DR. NETON: We could always add or --

3 MS. DiMUZIO: And we could always modify.

4 Yes, we could add time to the task if we realized we
5 didn't get the right matrixes that we wanted or
6 reduce time and reduce the number, and then, you
7 know, reduce cost or something like that, so.

8 DR. ZIEMER: Just one second. I want to
9 capture a thought because I think, Jim, your comment
10 moved us to the next item after availability, but I
11 can't remember what you said.

12 DR. MELIUS: On the case selection.

13 DR. ZIEMER: Case selection.

14 DR. MELIUS: Yeah, and if I can --

15 MR. PRESLEY: Go ahead because that was what
16 I was going to talk --

17 DR. MELIUS: Well, my -- it was this
18 workgroup -- if we did this sort of workgroup, it
19 could also be not only work on the parameters of
20 this task order, but also a case selection, specific
21 case selection process; how are we going to select
22 cases and meet these parameters, and what's an easy
23 way of doing it without having to, you know, wait
24 until the cases are through the process.

25 MR. GRIFFON: Yeah, how --

1 DR. ZIEMER: Well, by case selection you're
2 identifying them by sort of generic features, not
3 by --

4 DR. MELIUS: And then we'd also --

5 MR. GRIFFON: We're talking stratified
6 sampling, I guess, yeah.

7 DR. ZIEMER: Yes.

8 DR. MELIUS: Then how will the actual cases,
9 a process for how the actual cases will be selected
10 once they --

11 DR. ZIEMER: Right. I'm just going to jot
12 down as another case selection process is the issue.
13 Okay. Now, Robert.

14 MR. PRESLEY: Well, when we started the
15 working group we started talking about a percentage,
16 and then we went off and talked about looking at the
17 highest number of cases from a given area being the
18 highest that we would do, and then go back and look
19 at the AWE areas, maybe the AWE areas where we were
20 having the most trouble, and try to pull some of
21 those out to see if everything was according to all
22 there. And that's some of the things that we have
23 talked about in the past is maybe taking a
24 percentage --

25 DR. ZIEMER: And again, that probably is

1 part of the case selection process.

2 MR. PRESLEY: Right. And that will be part
3 of the case selection process also, to intertwine.

4 DR. ZIEMER: Right.

5 MR. GRIFFON: You know, just for your
6 information in those overheads there is -- there is
7 page 4 -- yeah, the July overheads behind the three
8 contract parts. Page 4 has a couple of overheads on
9 case selection and stuff that we had talked about in
10 the working group preliminary stuff. And I think
11 what we're talking about as far as stratification is
12 the -- the second bullet of the first overhead
13 there, it talks about some stratifications we were
14 considering. I'm not sure that's all of the
15 appropriate ones, but that's what came out at the
16 time.

17 DR. ZIEMER: Very good. Okay. Who's next?
18 Case selection process as you have it here gives
19 some of the parameters: the site; the exposure
20 type; cancer type; and so on. It gives the
21 percentage of cases, but I assume, Jim, that you
22 were talking about a little more specificity beyond
23 this --

24 DR. MELIUS: Oh, sure.

25 DR. ZIEMER: -- even the actual process now.

1 DR. MELIUS: I think there are like three
2 levels to this. One is an estimate of numbers that
3 would be appropriate for the task order, given our
4 overall sampling scheme, whatever we want to call
5 it, for case review. Secondly is a way the group
6 could work out how would the cases be selected, a
7 procedure given the data base, given how things are
8 being processed and so forth, a way for -- a method
9 for case selection. And the third thing is the
10 actual procedure, the actual selection of the cases.
11 Now, that may be a separate, because that's after
12 the task order is awarded and we have to decide is
13 that something that the Committee does, is that
14 something the Committee has to do, which many of
15 these things seem to be, or can that be done by --
16 will we have another workgroup that would do -- be
17 tasked just to do that, and is that appropriate.

18 DR. ZIEMER: And that, in fact, is one of
19 the issues that we have --

20 DR. MELIUS: Yeah.

21 DR. ZIEMER: -- to decide.

22 DR. MELIUS: Right.

23 DR. ZIEMER: Well, given that we're going to
24 do 37 cases of something or other, how are you going
25 to actually choose them?

1 DR. MELIUS: Yeah. Right. A procedure for
2 doing that, and then third, just actually
3 implementing that at the time when it needs to be
4 implemented. And I don't think that is something
5 that's easy to -- that we should be, in fact,
6 delegating to NIOSH or whoever is doing the
7 contract, or do they want to be involved in that
8 part of it.

9 DR. ZIEMER: No, that's -- that's a Board
10 activity purely under this particular task.

11 DR. MELIUS: Yeah.

12 DR. ZIEMER: Once the -- once the cases are
13 selected, and we have identified the cases available
14 and we have a process in place we've agreed to,
15 that's sort of a one-time thing, but it can be
16 tweaked as you go along. We have a procedure for
17 the selection of cases, and now you have before you
18 X number of cases, now what happens?

19 MR. GRIFFON: Now --

20 DR. ZIEMER: Okay. I mean we know
21 conceptually what happens, I want to know what
22 really happens.

23 MR. GRIFFON: Oh, what really happens, I
24 mean it does depend on the type of review I guess,
25 but if you had a pile of Basic Reviews --

1 DR. ZIEMER: Let's start with Basic Reviews.

2 MR. GRIFFON: Right. Well, I think first,
3 you know, there's the question of how this material
4 can be delivered to the auditor; whether it has to
5 be D-identified and I believe it has to be
6 D-identified, so whatever cases we select are
7 D-identified, and then for the Basic Review I think
8 we're only looking at the -- I'd have to go back to
9 all these detailed, all of our parts of the Basic
10 Review, but I think one's first step would be that
11 the auditing contractor would get a disk copy, or
12 whatever form, from NIOSH of the D-identified
13 version of that case, the entire administrative
14 record, along with, I guess, the final decision for
15 the Basic Review because they're not going to --
16 it's not a Blind Review, they're going to see the --
17 that's one starting point I can think of is that
18 they're going to get that.

19 DR. ZIEMER: And Jim, if -- Jim Neton, if
20 you have comments to add to this, jump in, but I'm
21 trying to get at questions like: Is this delivered
22 to an individual who is the contractor? Is this
23 delivered to a Board member, through them, in
24 consultation with the contractor does something -- I
25 mean at some point we've got to get very specific

1 what happens. And we're not going to solve this all
2 today, but I want to get these questions before us,
3 so we -- we have some direction as we go forward.
4 We may not even be able to finish this tomorrow, but
5 we need to start framing out the process, and try to
6 identify -- and we may have to have a working group
7 actually step through this and make some block
8 diagrams. But it's almost like a paper flow thing.

9 MS. MUNN: Yeah, it is. Yeah.

10 DR. MELIUS: I also think that some of us,
11 because I think the question comes up as to what
12 this whole (inaudible) Board members are involved in
13 each individual review.

14 DR. ZIEMER: That's exactly what the
15 question is. We can't just -- we've got --

16 DR. MELIUS: But -- but --

17 DR. ZIEMER: -- that's floating around here.
18 We need to --

19 DR. MELIUS: But that's also going to be
20 dependent on what the flow of cases is, the task and
21 the issues we've just been talking about, that if
22 there's a large number of cases early on -- for
23 example, I could see where we set up the process so
24 that Board members would be more involved early on,
25 so that we get more familiar with the process, and

1 so -- and then as the reviews go along the Board
2 members might want to be less involved. But all of
3 that is going to float or, you know, involve how
4 many cases there are, how much work there is, and to
5 do with --

6 DR. ZIEMER: Obviously we can modify this as
7 we gain experience. We're going to be operating
8 sort of like Jim has been, as we gained experience
9 we'd start modifying. But you have to have a
10 starting procedure, so you have to have something to
11 modify.

12 MR. GRIFFON: I guess the initial scheme was
13 to have Board members working with the contractor,
14 some sort of panel, and how that's constructed, you
15 know, if we had designated assigned panels, I'm not
16 sure that's going to work for people's availability
17 and things like that.

18 DR. ZIEMER: And we have to think about --

19 MR. GRIFFON: Right, yeah.

20 DR. ZIEMER: -- availability, and where is
21 this going to occur physically --

22 MR. GRIFFON: Right.

23 MS. ROESSLER: Yes.

24 MR. GRIFFON: Right.

25 DR. ZIEMER: -- are people traveling

1 somewhere, or --

2 MR. GRIFFON: Right. Now the model we had
3 discussed we had discussed in the working group --
4 in the previous working group was to have the -- the
5 idea was to have the panel -- actually, I think I
6 put it in some of the estimates and stuff we talked
7 about. The Board members that were on the panel
8 assigned to those reviews would -- would plan on
9 coming to the Advisory Board meeting a day early or
10 something like that where they could meet with the
11 subcontractor and work through and see -- and we're
12 really relying on the subcontractor to do a lot of
13 the detail work. I would think as far as
14 documentation though, like the administrative record
15 or whatever for cases that are being reviewed my
16 notion would be that these things could be mailed.
17 I think that's -- that would be legal, so I could
18 see CDs going out to the contractor and to the panel
19 members for that -- that were responsible for that
20 case. And maybe some process has to be worked out
21 that they be returned back to NIOSH at the end of
22 those case reviews, I don't know what the rules
23 would be there, but, you know, I don't see that you
24 have to physically come to -- everybody would have
25 to physically travel to NIOSH to get these cases and

1 sit and review them all at once. They could have
2 them back at their offices and collect it at a --
3 and come back to a meeting to collect it, especially
4 for the Basic Review, which is the lower level
5 review.

6 DR. ZIEMER: Robert?

7 MR. PRESLEY: If everybody got a CD, the
8 two-person, three-person, four-person, five-person,
9 whatever the panel is; we had talked about coming in
10 a day early, the panel, taking the instruction from
11 the contractor, and if everybody said that was fine,
12 then we would come in front of the Board, the full
13 Board and say, this panel recommends that this dose
14 reconstruction either be accepted or rejected at
15 that time. And if it's -- I see it as accepted, it
16 goes; if it's rejected, then we've got a problem.

17 MR. GRIFFON: And what I could -- the way I
18 saw that panel working there is that if the
19 contractor came back in and we try to do it
20 sufficiently so that we could have maybe, you know,
21 five, ten, whatever number of cases that we can look
22 at at one time, not just one case at a time; you
23 look at five cases and maybe you say well, four of
24 these we're in agreement with you, we're going to
25 present that to the Board, the overall Board, and

1 the Board can rule on it. But one, we'd like you --
2 we have these questions, and we told the contractor
3 to give us some more information and, you know, do
4 some further work on this one and report back to us
5 at the next meeting, you know, something like that
6 might evolve, that way the panel is digging into the
7 cases a little deeper than the overall Board, so
8 that's kind of how I envision that working.

9 DR. ZIEMER: Other comments at this point?

10 DR. MELIUS: Also, I think you have this
11 process sort of practically that maybe it's a series
12 of there's a workgroup appointed that's panel one;
13 panel one meets between -- before meeting one;
14 reports back -- we're not going to have, you know, I
15 don't think four panels meeting before each meeting,
16 so it's going to be done sequentially. Now, panel
17 one, if we follow Mark's sort of protocol here,
18 panel one may have some leftover cases that aren't
19 resolved by -- by meeting one, so those would be
20 deferred to meeting two, and panel -- you know, and
21 I -- and those are hypothetical, I think we still
22 have to work out the logistics of -- of how that
23 would actually occur. And then also, these type of
24 reports get, you know, what are we accepting at
25 meeting one, or do we really have to have panel one

1 meet before the meeting -- before meeting one, so
2 that there's really time for a report because I
3 think we need to be accepting a report on the -- I
4 mean the full Board has to approve a report on
5 accepting a report on this. And then have some way
6 of summarizing that, I think, of that review process
7 which is really an overall Board function. I would
8 presume we would do that with the help from the
9 contractor, but.

10 MR. GRIFFON: What Jim just said was -- it
11 sort of summarized our conversations where we talked
12 about these rotating panels, and I think that does
13 make sort of sense that at each next meeting we
14 might want to then say okay, we've got these cases
15 up and running and we need a panel to work -- for
16 the next meeting to work with the contractor on
17 these certain cases. I think we might have to do it
18 like that because then -- then Board members could
19 decide, you know, who is available; secondly, there
20 might be conflict of interest issues where we can't
21 review certain cases because of our personal
22 backgrounds, so we could assign panels sort of at
23 each meeting, sort of ad hoc selection of those
24 panels moving forward.

25 DR. ZIEMER: When you say rotating panels,

1 there wouldn't be a certain panel that's always made
2 up of the same combination of Board members, it may
3 be some --

4 MR. GRIFFON: That's sort of the way I
5 would, yeah.

6 DR. ZIEMER: Roy.

7 MR. DeHART: I think we had talked about in
8 the group a panel of three basically trying to meet
9 together, but that could be changed of course. What
10 I would like to see us flesh out a bit is -- is
11 what's happening with the panel when it meets with
12 the contractor and what, as Jim has implied, what is
13 the report. I had not envisioned a great report
14 coming out -- out of that. The effort was to look
15 at the work that had been done by the contractor and
16 if there is agreement, that's it. And if there is
17 issues, then it's back to the contractor to rework
18 until there is agreement, and then presented to the
19 Board. But from what Jim was saying it implied some
20 report of depth might be coming out of that.

21 DR. ZIEMER: Well, part of what you're
22 raising, actually the question: What is the nature
23 of the report that comes out of the panel? I think
24 that's a very important part of the audit. It's not
25 necessarily the issue of should compensation have

1 been paid or not, it may be the issue of -- and the
2 bottom line might have been correct, but if we start
3 to see things like incorrect assumptions are being
4 made, or unsupported assumptions are being made, or
5 something like that, then you start looking for
6 patterns. So it seems to me the report has to be
7 dealing with the nature of what's being done and how
8 well that is being done. Certainly part of the
9 bottom line is, is the correct decision made. But
10 we're not sending things back for redoing of the
11 decision, we are looking for -- and you might
12 actually, I guess, conceivably have a case where you
13 say, you know, this person should have been paid off
14 and they weren't, in which case you might actually
15 have a way to reopen it, but that's a separate
16 issue, but if -- if your finding some flaws in the
17 methodology, I guess is what you're looking for.
18 And so we may have a series of things, and I'm
19 trying to remember if you addressed this. Is the
20 report -- or was the dose reconstruction, were the
21 assumptions valid --

22 MR. GRIFFON: Yeah. Yeah, we have it in
23 there.

24 DR. ZIEMER: -- was the site information
25 data properly used -- weren't there --

1 MR. GRIFFON: Yeah. Oh, yeah, they're all
2 -- they're all in there.

3 DR. ZIEMER: They're in there.

4 MR. GRIFFON: I -- I guess I envisioned this
5 report as being --

6 DR. ZIEMER: Well, that would be the basis
7 of the report, would it not?

8 MR. GRIFFON: Yeah, I guess I envisioned
9 this report being fully developed when the
10 contractor came to these panel meetings. And the
11 notion of the panel at all, I mean you could say
12 well, why have the panel. I thought the intent of
13 having the panel was that they would get the CDs
14 ahead of time with all this data that the contractor
15 is reviewing, and would have access to the
16 contractor doing that review via phone, most likely.
17 But they could have access by e-mail or phone, you
18 know, to ask questions are you looking into this, or
19 whatever. Then when the contractor comes to meet
20 with the panel the day before the Advisory meeting,
21 they'd go through their entire report, and if I'm on
22 the panel I can say well, you know, wait a second, I
23 was looking at the administrative record and, you
24 know, these pages, you know, I don't see you really
25 addressing this issue in your report at all, you

1 know, so the panel members have had -- have had more
2 time to review the specific cases, and then they can
3 -- they can, you know, they don't replace the
4 Board's vote, but they'd have more time, you know,
5 and the Board -- it was just to alleviate from
6 having every Board member review every case,
7 you know, so.

8 MR. DeHART: Let me give you an example of
9 how a review might happen. We deal with medical
10 records; we have a checklist basically that we just
11 go down and make sure that you know there's a name,
12 and there is a diagnosis, and evaluations, and a
13 proper treatment appears to be made; boom, boom,
14 boom, we'd check it off and if that's it, then this
15 one would be completed in terms of its review and
16 recommended to the Board. But if there's problems,
17 we would address those and ask the contractor to try
18 to make those changes.

19 DR. ZIEMER: Thank you. Tony, and then Jim.

20 DR. ANDRADE: Given what's in the definition
21 of Basic, Advanced, and Blind Review Requirements, I
22 believe that answering the questions or addressing
23 each and every specific item there, even in a view
24 graph, would comprise a report. But if we have a
25 panel to check the quality of the auditors who are

1 checking the quality of the contractors, then I
2 think we're going to be duplicating efforts and
3 wasting time, so if the panel convenes to insure
4 that these things have been done in a checklist
5 method, then I think that would really be all that
6 is necessary and probably minimize people sitting on
7 a panel's time and effort.

8 DR. ZIEMER: Jim. And then were you going
9 to respond to that, Mark?

10 DR. MELIUS: If you want to go ahead, you
11 can.

12 MR. GRIFFON: No, go ahead.

13 DR. ZIEMER: Jim.

14 DR. MELIUS: Yeah, I would see this working
15 off of form and I -- and I think it would behoove us
16 as a Committee, so perhaps we develop the form so we
17 can -- cause we have to give that at the time these
18 task orders go in place, and we don't want to make
19 that the first task order or we delay the whole
20 process, so we can't let the contractor do that, so
21 that's one. And I think the issue only comes up --
22 there's an issue that would come up, it may not
23 always come up, but would come up if we find a
24 problem or a potential problem. That's when there's
25 the issue of the report and maybe it's also when the

1 Advisory Board member would sort of get more -- we'd
2 have to judge how serious this is; is it a pattern,
3 and then there would be a need to be some report
4 from the panel that would say we have reviewed 10
5 cases, whatever it is, that we found problem A, and
6 we'd have to have some way of putting all those
7 panel reports together, you know. And it may be
8 that the kind of problem that may be found may be
9 only serious if it's a pattern or, you know, there's
10 lots of different ways to characterize that. But I
11 don't see us doing large reports or long reports on
12 each case or anything. It would -- it ought to work
13 off of form, and I think we have to spend the time
14 developing a comprehensive or a complete form that
15 we're satisfied with.

16 DR. ZIEMER: Thank you. Mark, you were
17 going to respond to Roy's comment, or Tony's.

18 MR. GRIFFON: I was.

19 DR. ZIEMER: You were.

20 MR. GRIFFON: I guess that's why I let Jim
21 go first because I was pausing on this one, but I --
22 you know, I don't -- the intent of the panel,
23 certainly the reason we're looking for a contractor
24 for this Advisory Board is to pull expertise into
25 this Board to actually do these reviews. On the

1 other hand, it is the Board's responsibility to do
2 this -- this oversight task, so we are responsible
3 for these findings, so I'm listening to the
4 checklist comment and, you know, I'm thinking of the
5 model on NIOSH's side, which is that, from what I
6 understand NIOSH has -- ORAU is doing the bulk of
7 the dose reconstructions; NIOSH is reviewing every
8 single one. I think that we're having a contractor
9 do all the dose reconstructions. I don't -- and it
10 wouldn't be as extensive of a review, but I think --
11 maybe a checklist is enough -- but I think there's
12 got to be some sort of review by the panel just to
13 make sure that the Board is comfortable with the
14 final product.

15 MR. PRESLEY: Mark, isn't that what we're
16 going to do on the Blind ones?

17 MR. GRIFFON: Yeah, I haven't got that far.

18 DR. ZIEMER: On the general review,
19 certainly it was my understanding that we're not
20 recalculating, we, the Board, we're not doing dose
21 reconstructions.

22 MR. GRIFFON: Right. But I mean I -- I
23 guess I just envisioned it as being -- the panel
24 members involved in it as being more than our -- the
25 Board's contractor comes back and we have a

1 checklist that says they looked at basic review
2 items A-1, check; A-2, check. I mean I think the
3 panel should -- should look at their report and --
4 and make some kind of determination as to whether
5 they -- the contractor addressed it adequately for
6 -- for the Board to make their final decision as to
7 whether the whole case was reviewed appropriately,
8 you know. That doesn't mean that they start from
9 scratch and do all the work the contractor did, but.

10 DR. ZIEMER: Okay. We have a comment from
11 Mike, and then we'll get back to Tony.

12 MR. GIBSON: I guess Mark was kind of
13 addressing what I was thinking, is, you know, if we
14 have rotating Board members for different cases,
15 each one of us will probably have a different idea
16 of what's an acceptable site profile; what's
17 acceptable default parameters; so it looks like to
18 me it could keep us from being consistent if we just
19 have a basic, generic form that we check off unless
20 we really define, as a Board, what adequate site
21 profile, you know, which gets us into another level
22 of the work, so.

23 DR. ZIEMER: Keep in mind we're -- we're
24 really not asking quite the question of what's an
25 adequate site profile, we're more asking something

1 along the line: Did the dose reconstructor use the
2 information properly in reconstructing the dose?
3 Many of these site profiles may indeed be inadequate
4 from one point of view, but may be adequate for
5 doing a particular dose reconstruction, so some of
6 these -- some of these questions, you know, have to
7 be answered in the context of particular cases so
8 that if there's -- if there's an issue with a case,
9 then you raise it and say, you know, they made some
10 assumptions here that you can't make based on what's
11 available. And I think you're quite right, Mike,
12 that you may have a better feel in some cases for
13 whether that's the right, and I think the Board does
14 bring its view to the -- to the process. It's very
15 interesting, just -- I just talk generically, you
16 know, Boards nowadays are getting a lot of scrutiny,
17 particular those that have audit functions. I'm on
18 a -- I'm on a different Board right now that is
19 setting up an audit committee to audit the auditors,
20 and you know why that's come about. But there are
21 Federal Regulations now that Boards have to audit
22 their auditors, and it's -- the auditing function of
23 a Board Audit Committee is not one of doing the
24 audit. They are looking to certify that the
25 auditors followed the proper audit procedures that

1 they say they're following. There is a point at
2 which you have to take people's word when they say I
3 did this, and they show you how they did it, you
4 know, somebody can still fool you, but since the
5 Arthur Anderson case has come about, you know,
6 there's -- people are checking the auditors. Now,
7 Boards even have to determine whether their auditing
8 committee is properly auditing the auditors, so it
9 keeps moving back a level. But I think there is a
10 sense in which we have to take the responsibility as
11 a Board to do this function. We -- we are -- we are
12 doing an audit, and it's not our contractors, they
13 are helping us do the audit, but you're quite right,
14 it's our responsibility; ultimately if there's a
15 problem, it falls back on us.

16 I'm off my soap box, and who is next? I
17 think Tony was next, and then Jim.

18 DR. ANDRADE: Again, I envision the report,
19 or a report to a panel, whatever body, to be -- to
20 include statements and/or groups of statements that
21 address the various elements that the contractor was
22 assigned to do; whether it's basic, advanced, or
23 blind. So it's fairly simple insofar as what
24 content should be -- should be there. If the --
25 okay, let me -- let me digress to an example and go

1 back to the example that Mike used that we may be
2 uncomfortable, or one of the panelists may be
3 uncomfortable about the adequacy of a site profile.
4 Well, the nice thing about the way the system is
5 functioning is that inadequacies usually lead to
6 greater uncertainties in dose reconstructions;
7 therefore, inherently the system self-corrects. In
8 other words, it becomes more user friendly as the
9 uncertainty grows, and that can be pointed out; that
10 can be information that's fed back to the -- to the
11 associate universities, etcetera, so I think that's
12 a self-correcting sort of issue. I just wanted to
13 mention again these contractors here are
14 incentivised through the contracting process itself.
15 In other words, they're being paid to find mistakes,
16 to find errors, to find shortcomings. That's where
17 -- you've got to keep that in mind as well.

18 DR. ZIEMER: I'm not sure we pay any bonuses
19 if they find one.

20 DR. ANDRADE: No, but -- but there are
21 reasons why these people are bidding, okay, and so
22 let's not forget that.

23 DR. ZIEMER: Thank you. Jim, you had
24 another comment.

25 DR. MELIUS: Yes.

1 DR. ZIEMER: Then we're going to close it
2 off for now.

3 DR. MELIUS: Okay. I think we could develop
4 a form based to some extent on what we've already
5 written here that would be used by the contractor in
6 doing the review, used by the panel in meeting and
7 discussing that review would capture that
8 information, and something that I do agree with Tony
9 that we're going to -- they are going to be finding
10 things, and I think the part of the panel function
11 is going to be sort of determining how serious that
12 is, understanding that -- that, and then making some
13 sort of assessment out of it, and then we have to,
14 as a panel or a Board make an overall assessment of
15 that. But I think if we get into forms that we're
16 all comfortable with, I think that we can make the
17 process work without, you know, generating a lot of
18 paper that's not useful or putting too much of a
19 burden on us to do the actual dose review. And it
20 is quality assurance, and so it will actually, I
21 think, tend to find problems or potential problems.

22 DR. ZIEMER: Thank you. With that comment
23 we're going to end the discussion on this topic
24 today. We will be back to this topic again
25 tomorrow.

1 We do have on our Agenda a Public Comment
2 Period. We have several individuals who have
3 requested their time to comment. We will begin with
4 -- let me see if I can pronounce these right: Is it
5 Hans Behling, S. Cohen & Associates. Hans, did I
6 pronounce your last name correctly?

7 MR. BEHLING: Yes.

8 DR. ZIEMER: Thank you. Please come and
9 address the group.

10 MR. BEHLING: I really don't have as much of
11 a comment as a question, and the question -- there's
12 two questions that somewhat relate to each other and
13 they do involve a NIOSH/IREP dose model, and perhaps
14 somebody here in the Advisory Board can answer the
15 question.

16 When you talk about internal exposure from,
17 let's say a rem of 31, the issue in the scientific
18 literature has been based regarding the efficacy for
19 a unidose of internal radiation to include thyroid
20 cancer as opposed to external radiation. In other
21 words, a rad is a not a rad, it is not the findings
22 in the external or internal, and the ratio between
23 the efficacy of internal to external has been in the
24 scientific literature defined as being a part, it's
25 a part of 10 to 1 or -- or essentially 1 to 1. Does

1 the particular IREP model address that issue of
2 efficacy once the dose for internal and external
3 exposures to the thyroid has been added to each
4 other? That's my first question.

5 DR. ZIEMER: We can probably have Jim Neton
6 answer that. Go ahead with your second -- or Jim go
7 ahead and.

8 DR. NETON: I'm not sure I really understand
9 the question. You're talking about external
10 exposure in a gamma radiation field added to some
11 internal exposure from like the data radiation that
12 one might receive, something like that?

13 MR. BEHLING: In terms of the PC
14 calculation, if one say had external, whole-body
15 exposure that includes the thyroid, let's say if 10
16 rads or rem, and then from an internal exposure to
17 ion like 31, you also have 10 rems --

18 DR. NETON: Okay. Yeah.

19 MR. BEHLING: -- and how are they added to
20 each other, and what is the efficacy assigned to
21 internal in terms of risk coefficient for the
22 private citizen?

23 DR. NETON: Okay. The answer to the first
24 part of that question is they are treated totally
25 independently; IREP allows for input for both an

1 internal dose component and an external dose
2 component; it's on an annual basis. I don't know
3 the exact value for the risk coefficient for
4 internal versus external, but the external was
5 modeled after the Hiroshima-Nagasaki survivors. The
6 internal risk coefficient is also modeled after the
7 Hiroshima/Nagasaki, but the dose calculation is not.
8 I mean that's done separately using the ICRP models,
9 so the answer is we do account for both internal and
10 external. The efficacy model though, the risk
11 coefficients though, once the dose is calculated is
12 based on an external -- well, that's not true --
13 there is -- there is some medical studies, or a few
14 medical studies that were incorporated into
15 developing that risk coefficient, and I guess I'm
16 not sure exactly how much weight was given that.
17 I'd have to look into that to get back to you.

18 MR. BEHLING: The second question is also an
19 important one related to iodine and the potential
20 thyroid exposure. We all know that the uptake
21 fraction, that is the transfer from blood to thyroid
22 for iodine is heavily dependent on a dietary intake
23 of cold iodine. In other words, a person, you have
24 two people; one takes a dietary iodine intake of
25 let's say 300 micrograms per day, and the other

1 person only 100 micrograms; expose those same two
2 individuals with all other parameters being equal to
3 an airborne environment or ingestion; the person who
4 has a lower dietary intake has a higher FS-2 or
5 uptake fraction, and as opposed to the person with
6 the 300 micrograms. Now, we do know, and I've done
7 a lot of work on this area, that the dietary intake
8 of iodine has shifted over the years since the
9 introduction of iodized salt. Also, there are
10 geographical differences that separate East Coast,
11 West Coast. The most recent data I've seen is that
12 West Coast people, on the average, may be consuming
13 up to 700 micrograms of iodine a day, which will
14 certainly impact the -- the FS-2 fraction for
15 thyroid doses. And so we have a variation here over
16 time and space that deal with the dietary iodine
17 intake that has a pronounced effect on the actual
18 dose calculation. What is the issue that will be
19 addressed on that level?

20 DR. NETON: That's a difficult question, but
21 the answer to that is that we use the standard
22 default ICLP metabolic values for -- for uptake of
23 iodine. I guess in just quickly thinking about your
24 comment, those that were rich with the iodine --
25 diets were rich in iodine we would be actually

1 overestimating their dose. Those that were
2 deficient, we would be underestimating, but I don't
3 think that we really have any way of reconstructing
4 -- a good way of reconstructing their iodine intake
5 at the time of the occupational exposure. This is
6 the non-environmental exposures, so the answer -- we
7 don't address it, we use the standard default;
8 however, models do allow for us to incorporate
9 uncertainty into the dose calculation itself. To my
10 knowledge, we have not done an iodine exposure dose
11 calculation yet, but we certainly could incorporate
12 that into the uncertainty in the dose dosimetry.

13 DR. ZIEMER: But keep in mind also, in the
14 case of occupational workers you -- you may actually
15 have thyroid uptake measurements, which give you the
16 actual burden of iodine in the thyroid, so you --
17 you don't have to depend on any metabolic models for
18 those. And many of the facilities using iodine
19 would have that. I'm not sure about the older
20 cases, but --

21 DR. NETON: That's a very good point. If --
22 if the exposure got to the point where there was a
23 significant dose of thyroid, a person, not more than
24 likely, but probably could have been -- would have
25 been monitored and we would have the exact value of

1 a good approximation of the iodine in their thyroid.
2 For those lesser cases, we tend to be very
3 conservative or claimant favorable in our approach,
4 and we'd certainly more than likely overestimate the
5 amount of dose to the thyroid.

6 DR. ZIEMER: Thank you. Any of the Board
7 members have questions on this issue?

8 Okay. Next we will hear from Denise Brock
9 with United Nuclear Weapons Workers of the St. Louis
10 region.

11 Ms. Brock.

12 MS. BROCK: Hi. I'm Denise Brock, and I'm
13 going to read from this because I'm extremely
14 nervous.

15 I am from St. Louis, Missouri, and my father
16 was Christopher Davis. He was an employee of
17 Mallinckrodt Downtown Destrehan Plant for 16 years.
18 In 1967, he was diagnosed with lung cancer and after
19 a complete pneumectomy, and years of suffering, he
20 passed away.

21 My mom, Evelyn Coffelt, is 70 years old.
22 She is a claimant and she filed two years ago. Up
23 until about a month ago my mom worked full-time just
24 to make ends meet, but due to failing health she has
25 been forced to quit her job.

1 My mother is living barely above poverty
2 level, and I was hoping that her claim would be
3 handled expeditiously, and that she would be
4 compensated. I am here on her behalf and on behalf
5 of all of the Missouri claimants.

6 Prior to coming here I had called two
7 meetings; the first consisted of about 60 people,
8 which kind of surprised me, I thought I'd end up
9 with about 15 or 20; and the second, I actually had
10 over 300 people, including Congressional staffers
11 and Federal Officials. And one of those Federal
12 Officials is here today; Dr. Jim Neton, and I would
13 like to thank him publicly, now, for attending; as
14 well as stating that since listening to the
15 discussion today I feel confident in going home
16 knowing that there's an honest effort being put
17 forth by this Board to wade through all of this. It
18 seems to be kind of public opinion from the
19 claimants that maybe they're not going to get paid,
20 and I think sitting here listening to this just
21 shows me that everybody is putting an effort forth
22 and that it's -- there's a lot of intricacies in
23 this.

24 I would also like to commend the Paducah
25 Resource Center; they have been a lifeline for

1 myself and the claimants.

2 Since my second meeting, I have been
3 contacted in excess of over 600 people, and that's
4 not including members of the press, the media, and
5 even Erin Brockavich's office. Throughout the
6 contacts of the claimants though, I've noticed that
7 we have all similar statements, concerns, and
8 questions, and in reference to that I have some
9 issues that I'd like to raise with the Board, all of
10 which have really been touched upon today.

11 Number one would be the quality of the --
12 and I say transcripts, but I'm understanding that
13 would be drafts pertaining to the phone interview.
14 For example, my mother had her phone interview on
15 December 12th, and I did record this. It's my
16 understanding that the phone interview is a very
17 integral part of this program, especially dose
18 reconstruction. Knowing this, I have done a
19 tremendous amount of research concerning the
20 facilities. At the beginning of the interview the
21 interviewer's computer went down; she was very nice
22 and very polite, but she did assure me that she
23 could write as fast as she could type, so I
24 continued, and as I said before, I had quite an
25 enormous amount of information about these sites.

1 This time, because it was about my father, I was
2 talking about the Destrehan site, and they worked
3 with Belgian Congo pitchblende. This African ore
4 was so hot that the workers were exposed to not just
5 U238 and it's daughters, but U235, which I
6 understand is rarely found in nature, and all of its
7 daughters; thorium, all three types of radon gas,
8 three types of radium; and I kind of went through
9 all of this with her, even in reference to like the
10 work environment. As I continued, the interviewer
11 conveyed that the Health Physicists were aware of
12 all that the plant consisted of, and felt confident
13 in summarizing. And typically, one might be
14 comfortable with that, but I have heard repeatedly
15 from claimants and other sources that the data is
16 still being recaptured, and that there might not
17 have even been a site profile done yet. My question
18 is: Was she correct -- is the interviewer correct,
19 would it -- has there been a site profile done, and
20 do they know everything they need to know, or on the
21 flip side, maybe would that be incorrect, and maybe
22 she would be remiss in taking -- not taking down
23 everything that I had stated to her?

24 DR. ZIEMER: I'm wondering if any of the
25 NIOSH staff are able to answer that, and if not

1 right now, they will certainly be able to shortly.

2 DR. NETON: Yeah, I think I can address that
3 partially anyways.

4 It sounds like -- let's go back. The
5 interview is really to try to elicit the information
6 that's specific to the claimant that may not be
7 known about their exposure scenario, you know, where
8 they worked in the plant, what type of material the
9 claimant worked with individually, so that's really
10 one of the -- one of the main intents of the -- of
11 the interview itself. If a claimant does have site-
12 specific information they developed on their own
13 that is somewhat voluminous in nature, that should
14 be provided to us; it could easily be provided to us
15 under separate cover, but it really is not the
16 intent of the interviewer at that point to go over
17 and develop site profiles during the interview. So
18 I think maybe we have a little bit of
19 misinterpretation of what the interview is actually
20 accomplishing. Do we have site profile for
21 Mallinckrodt done? No. I mean we're working on it,
22 there's a lot of information we have, but there's a
23 lot we don't have. Anything that you would have or
24 a claimant, related to the Mallinckrodt site, we
25 would encourage that to be submitted, and that's

1 more than likely what the interviewer should have
2 said, is, please, you know, submit that under
3 separate cover, when it's a volume, if it's not
4 meant to be taken down on the telephone. Anything
5 that is specific though to the claim itself, it
6 could help elucidate the actual dose to your father
7 would have been of value, and it --

8 MS. BROCK: He had three separate job
9 titles --

10 WRITER/EDITOR: Use the mike, please.

11 MS. BROCK: He had three separate job
12 titles, so I'm assuming, and I actually had which
13 plant he was in like 4, 6, and 7, those different
14 areas, so if I was being specific with what were in
15 those areas, would that have been something the
16 interviewer would take down? I mean I'm just
17 confused, or do I send that in with my hard copy?

18 DR. NETON: No. If you knew specific job
19 titles, and locations, and type of materials, which
20 are actually part of the interview. I mean that is
21 the script the person should repetitively go
22 through, and that's why we computerize it; what's
23 the job title; what type of radioactive material;
24 what plant; what type of radioactive materials; that
25 should have been captured in the interview, so if it

1 wasn't, then, you know, maybe we need to revisit
2 that.

3 MS. BROCK: And I can send that in.

4 DR. NETON: Oh, sure. Absolutely. Or we
5 could arrange for another follow-up interview if you
6 have additional information to add.

7 MS. BROCK: And, let's see, that brings me
8 to my -- to my second one, would actually be the
9 issue of dose reconstruction. I have a letter with
10 me to one of the claimants from the Department of
11 Labor stating that dose reconstruction could take
12 months, even years. And I'm assuming that's
13 accurate, and I just would like to say that that is
14 very disheartening because these claimants do not
15 have months or years; they are dying daily. Even
16 though I do understand there's a process that one
17 must go through, and especially after being here
18 today, you know, I can see that NIOSH is actually
19 making great efforts in this. And I can empathize
20 with all sides, but when it's obvious that workers
21 were endangered, and they were, that's a given, and
22 when you know that they were exposed to some of the
23 most hazardous materials known to mankind -- and I'd
24 like to make reference to an exit interview of
25 Merril Eisenbud conducted January 26, 1995, by

1 Thomas J. Fischer and where Mr. Eisenbud states that
2 Mallinckrodt was to be -- is one of the two most
3 worst facilities. And I also had a concern about,
4 if like in my father's case, if the Department of
5 Energy, it's my understanding, could not find
6 specific things in reference to my father, then when
7 you dose reconstruct that, I'm assuming you use
8 coworker data. And that kind of gives me grave
9 concerns because, as I said, he had numerous job
10 titles, and I'm wondering at that point if that's
11 possible to even -- even do that if they worked
12 seven days a week, 14-hour shifts, and maybe he was
13 in, you know, different areas, is that possible to
14 even do that. And then I wonder when does dose
15 reconstruction not become feasible because my
16 ultimate goal would be -- again, I think I've talked
17 to several people -- to make Mallinckrodt a special
18 exposure cohort, so I mean is there --

19 DR. NETON: The use of coworker data may not
20 be possible. Clearly, if we can't identify
21 coworkers for your father in the facility, and then
22 we would go back one level, which is in our Rule,
23 and revert to the exposure models essentially, which
24 we would try to generate from the type of materials
25 that were there, and their concentration data we may

1 have, that sort of thing. Once we develop an
2 exposure model of that type, if the claimant, in
3 this case it might be your father, could be placed
4 in the environs of what that exposure model covers,
5 and that would be the basis for his dose
6 reconstruction. We're working on approaches like
7 that at other facilities, you know, I can't fill in
8 much more detail on that other than sometimes
9 coworker data may not be possible. And if we don't
10 the source term at all, you're right, at some point
11 we would say it can't be done. We haven't done that
12 yet, but it is a distinct possibility and it's
13 provided for in our regulations.

14 MS. BROCK: So then is it possible then like
15 after a phone interview like my mother had, if
16 perhaps you can't find all of that, and you can't --
17 is it possible to dose reconstruct without that
18 Mallinckrodt model? I mean is that possible, or is
19 it something she's going to have to wait for?

20 DR. NETON: That sort of gets to the issue
21 of how long it takes to do a dose reconstruction.
22 And we need to get sufficient information, obtain
23 sufficient information to develop some type of a
24 model. Once we do that, then we have to make the
25 decision is the model sufficient to -- to calculate

1 doses for people in the areas in plants that maybe
2 your father had been, so we'll just have to wait and
3 see. I guess I can't fill in any more details on
4 that. I apologize, but I can't give you any more
5 specifics at this time.

6 MS. BROCK: My last issue is really a policy
7 issue. And if I might use a hypothetical -- and
8 bear with me. Say you have -- and I know we've
9 addressed this -- or you've addressed this with the
10 smoking. If you have two workers with the same
11 exposure, and I don't know, maybe say 60 rem or
12 whatever would be compensable, both have lung
13 cancer, and one is a smoker and one is a nonsmoker,
14 how is it equitable to have that smoker at an
15 automatic disadvantage if they're exposed to the
16 same thing, same amount of time, and they both have
17 lung cancer?

18 DR. ZIEMER: Russ Henshaw is going to
19 volunteer to answer that.

20 DR. NETON: No, I don't want to take a shot
21 at this.

22 MR. HENSHAW: Well, that's a question that
23 does come up from time to time, and I'm not sure how
24 best to explain the theory behind that in IREP.
25 This may be -- somebody please yank me away if I get

1 too wordy here. But just to go back to the
2 beginning, we have the Japanese cohort that the
3 base-line rates are taken from and the excess
4 relative risk of smoking for lung cancer. That
5 Japanese cohort consisted of, on average, moderate
6 smokers. So now we have a cohort of people for whom
7 our excess relative risk for lung cancer is based on
8 of moderate smokers, and we have claimants who --
9 some who were smokers and some who were nonsmokers
10 -- some were smokers and some were not smokers. The
11 probability of causation -- and further we're
12 mandated by the legislation to calculate the
13 probability of causation that a worker's cancer was
14 caused by his or her radiation exposure, so now you
15 have the case of two individuals with similar
16 exposures; one's a smoker, one's a nonsmoker. And
17 the hypothetical scenario you present is where under
18 those circumstances one is compensated and one is
19 not, even though they were exposed to the same
20 amount. Well, this gets back to the way the
21 legislation reads, is: Was the worker's cancer as
22 likely as not caused by radiation exposure? And
23 what probability of causation does is calculate the
24 contribution in a probabilistic (sic) way. The
25 contribution of the radiation exposure to the

1 cancer, the likelihood that that radiation exposure
2 in and of itself caused the cancer. Well, with the
3 nonsmoker there is not -- the smoking is not
4 contributing to that effect, which is -- which is
5 lung cancer; therefore, the probability of causation
6 is higher. For a smoker, we have two contributing
7 factors; one the radiation exposure, one the
8 smoking. So in that case the -- the estimated
9 contribution of the radiation exposure is less. Now
10 getting back to that Japanese cohort -- this
11 probably is making things a lot more confusing,
12 so. But getting back to the Japanese cohort, that
13 was a cohort of moderate smokers, so when we adjust
14 for smoking in our lung cancer model, it does two
15 things: It has the effect of decreasing the
16 probability of causation for smokers, and that
17 varies with the category of smoking, but it also has
18 the effect of increasing the probability of
19 causation result for nonsmokers. So now you plug
20 these two hypothetical claimants into the IREP
21 software; on the one hand you have a factor that
22 increases the probability of causation, on the other
23 hand you have a factor that decreases the
24 probability of causation. So in a nutshell, that's
25 how one person could be compensated and the other

1 one not. Now the issue you're raising is how is it
2 equitable, how is that fair. I think -- I mean I
3 think that sort of goes beyond the science issue and
4 into an issue of policy, but as of right now we're,
5 you know, we're using the science as best we can for
6 the IREP modeling, and it just so happens that
7 there's probably no more substantiated cause of
8 cancer than smoking, that smoking is a cause of lung
9 cancer. So the data is, you know, unequivocal and
10 indisputable about that, and that's why we adjust
11 for it in the IREP model -- you know, at some point,
12 you know, that might change as, you know, that
13 adjustment may change, we may, you know, tinker with
14 the categories if science or new data suggest that,
15 or there could be some other influences that could
16 cause a policy change, but for right now that's --

17 MS. BROCK: I know you said it's legislated
18 or mandated through legislation. Is it mandated or
19 is it just to be considered? Is it mandated?

20 MR. HENSHAW: It's not mandated that we --
21 that we adjust for -- we adjust lung cancer claims
22 for smoking. I'm sorry if I --

23 MS. BROCK: Maybe I misunderstood.

24 MR. HENSHAW: Yeah, it's mandated that we
25 use -- we use the best science available to estimate

1 most accurately the probability of causation for any
2 cancer model. And for lung cancer, you know,
3 tobacco smoking is the greatest cause of lung
4 cancer, I don't think anybody would seriously
5 dispute that. I mean I understand the issue you
6 raise, I'm not trying to discount that at all, no
7 one here would. I think it's, I guess, an anomaly
8 of the adjustment, if you will, but I don't know.

9 MS. BROCK: Well, thank you. And the only
10 other thing -- can you hear me -- the only other
11 thing that I'd like to add is just a request to have
12 the next meeting, or the special exposure cohort
13 meeting in St. Louis. It would just be really
14 helpful for the claimants there to see what I've
15 seen today. I mean I just think it would make a big
16 difference. I'm telling you, it's impressed me and
17 I'd like to say thank you.

18 DR. ZIEMER: Thank you, very much. Let me
19 ask the Board if anyone has any questions for
20 Ms. Brock?

21 DR. MELIUS: Just in a quick follow-up, I
22 think. The issues you raised I think were very
23 good, and certainly two of them, the smoking issue
24 is one that the Board voted on today to put under
25 further review and scrutiny, and I think we'll be

1 dealing with that in later meetings. Secondly, the
2 issue of what happens when there's not adequate dose
3 information will be dealt with through the special
4 exposure cohort regulations, and the Board was not
5 pleased with the first edition of those, and
6 particularly in this issue of when is there not
7 adequate information available, so hopefully that
8 issue will get addressed also. Hopefully when NIOSH
9 gets these next set of regulations out for review.

10 MS. BROCK: Thank you.

11 DR. ZIEMER: Well, the next one appears to
12 be Richard Miller, whose handwriting -- Richard, did
13 you sign up?

14 MR. MILLER: Yes, I did.

15 DR. ZIEMER: Okay. Then, you're on.

16 MR. MILLER: Good afternoon, and welcome to
17 Charleston. I keep seeing you in these hotel rooms.
18 The hotel rooms, with the exception of New Mexico,
19 all look alike. And as Camille said, I wish we were
20 having it at Aiken, so we would have lots of
21 Savannah River workers here. Otherwise, the hotels
22 are kind of boring, you know, we could just do these
23 in Cincinnati, right, Larry?

24 MR. ELLIOTT: That's right.

25 MR. MILLER: But I had a couple of series of

1 questions for the Board, and the first has to do
2 with sort of leading, I guess, to what happens to
3 the product that the Board generates after it does
4 its review, your audit, or whatever you want to call
5 this. The review contractor shows up and you all
6 develop whatever product it is, your checklist, your
7 evaluation, your audit of your auditor, or whatever
8 the appropriate line is that you're drawing, and
9 then let's just take a hypothetical -- Larry's sort
10 of reading my mind. Do you want to ask this
11 question, Larry?

12 And -- and -- and the -- and the question
13 would be: Let's just say for example, you all look
14 at a case and you find either unsupported
15 assumptions, questionable assumptions, you didn't
16 look at the, you know, your assumptions on particle
17 size are all wrong, and therefore your committed
18 dose is wrong, and therefore, not only does that
19 affect an individual's case, but it might affect a
20 clache of cases that go back. Say you've handled a
21 site profile, and so you've got a whole of clache of
22 those. When NIOSH gets that, you have a set choice
23 points, I guess. One is you can decode the Blind
24 cases that was brought to the Board, which wouldn't
25 know who it was, but you would -- you would probably

1 have a way to decode it, presumably. And I guess
2 then the question is: Would you have, either
3 yourselves, or ORAU rework it? I guess that's
4 question one, and question two behind it is: Or
5 would you simply say look, we're not going to do it,
6 this is an adjudicated claim, the case is closed,
7 noted; we're moving on with life, we've got 10,500
8 piled up and more are like airplanes on the runway
9 waiting to come in, and just say we're going to
10 rework our procedures going forward. And then third
11 sort of choice, perhaps, is you have to go back and
12 review all of those in that clache, which would be a
13 function -- and then how would you know whether to
14 even accept the advice. In other words, you could
15 say professionally, you know, with all due respect
16 Advisory Board, fly a kite. So that's the question.

17 DR. NETON: I'd like to just address
18 maybe one portion of this, and then leave
19 the policy decisions about what we do up to
20 Larry.

21 But I think one thing I would like to point
22 out with your question is that we expect that there
23 are going to be differences in dose reconstructions.
24 I mean we have a unique process, we apply it as
25 efficiency process, and we take it only as far as we

1 need to so that Labor can make a decision. So in
2 your example of particle size for instance, if the
3 contractor, the oversight contractor, the task order
4 contractor that the Board hires comes back with a
5 dose reconstruction that differs by a factor of two
6 because they chose different particle size, but that
7 factor of two might make a difference between one
8 percent and two percent probability of causation, I
9 don't view that as a substantive issue. The issue
10 to the oversight contractor is: Did NIOSH, in my
11 mind, make the correct -- draw the bar on the right
12 side of the line for Labor to make the final
13 decision? So we need to remember that when we're
14 looking at these things. This is not -- these are
15 not exact, accurate dose reconstructions. And I'll
16 stop at that and then Larry maybe address what we're
17 going to do with it if there are substantive issue
18 where maybe a person should have been compensated.

19 MR. ELLIOTT: I love Richard's three-part,
20 four-part questions, you know, he always fires those
21 and then, you know, expects me to remember each and
22 every significant nuance of -- of what question,
23 which order, but let me just start.

24 The Department of Labor's regulations, and
25 our regulations both have a clause which allows us

1 to revisit dose reconstructions that have been
2 completed. That's the clause for DOL or us that we
3 would use to reexamine a dose reconstruction that
4 may have been found to be inadequate or of poor
5 quality. Okay.

6 Now whether or not -- I think the second
7 question Jim answered, perhaps. The third question
8 is: Would we just take it and would we ignore it?
9 And certainly, you know, the -- the Department's
10 position is this Advisory Board advises the
11 Secretary, and by that fact, gives us advice too on
12 how we do our work. We're going to consider that
13 duly, and depending upon what it is, you know, I
14 can't predict how we're going to go, but --

15 MR. MILLER: Well, let me give you the
16 hypothetical with the word "material" associated
17 with it, so that we're dealing with a material
18 issue. I'm not dealing with a question of trivia
19 here, so that at the end of the day let's assume
20 that you got the solubility wrong, so that you
21 really have a question of whether it's compensable
22 or not, even though it's not your job or your
23 contractor's job to be sitting around running IREP
24 all day on the dose models as they flow through.
25 Right? At least that's what you tell us. But --

1 but if that's true, and let's just say you got the
2 solubility wrong for whatever reason, and that's a
3 hypothetical, or a series of factors; the energy
4 level of the neutrons, just got it wrong for
5 whatever reason. That set of assumptions or
6 uncertainties are so wide that you, at the end of
7 the day, if you got a case and you get it back and
8 it was material, would you decode that case, decode
9 the Blind case and rework it and send it back
10 through because the claimant would never know that
11 there case was being audited cause they're blind as
12 well, unless they're getting a phone call under that
13 disputed procedure.

14 MR. ELLIOTT: Well, the answer to your
15 question is yes, of course.

16 MR. MILLER: Okay. I didn't know that.

17 MR. ELLIOTT: Of course, we --

18 MR. MILLER: I didn't hear that.

19 MR. ELLIOTT: -- would. We're going to -- I
20 -- I don't see any way out of it. We're going to
21 have to help the Board identify what cases are
22 available, and we're going to have to be the ones to
23 help redact the information as provided in whatever
24 form or shape this actually takes, so we're going to
25 know who's behind each case. We're going to also be

1 able to track other cases that have the same
2 similarity, the same issue, and they get revisited
3 back through the clause that says rework a dose
4 reconstruction.

5 DR. NETON: I would like to just add a
6 proviso though, that we -- we would reserve the
7 right to evaluate those comments and respond to them
8 if we don't believe that they are correct. Merely
9 because a person states that the material could have
10 been fast solubility class may or may not be true, I
11 mean we need to evaluate that, and that would sort
12 of be more claimant friendly for, you know, kidney
13 or something like that; so, you know, we would look
14 at it and if there was credible evidence provided by
15 the review that we screwed up, of course we would
16 address that and fix it.

17 MR. MILLER: I just -- I hadn't heard that
18 before. The authorities I knew existed, but I
19 hadn't heard you actually state on the record that
20 -- that when these Blind cases got brought to you
21 and you could go do that. That's great. That's
22 terrific. That's very -- that's a good answer.

23 MR. ELLIOTT: Hey, Richard, you could talk a
24 little bit more about the good things we're doing,
25 you know, get some of that on the public record too

1 -- you know, when you force me to make comment on
2 the public record I'm going to give you an honest
3 response, but I'd appreciate hearing some things
4 from you about some of the good things we're doing,
5 some of the claimant favorable things we're doing.

6 MR. MILLER: As soon as we move pass the
7 initial Chapter 14, I can't wait.

8 The -- the -- this is a, to the DOL
9 question. There were a number of policy issues that
10 got raised today regarding whether DOL, or NIOSH, or
11 perhaps even other choices are available as a
12 contracting authority. And I just sort of wanted to
13 float a couple of ideas on that area. I think one
14 of the concerns that was playing out, at least as I
15 sensed at the last Board meeting, was -- the
16 question of whether the Board was really comfortable
17 having NIOSH select, and other others have said it,
18 whether NIOSH should be selecting the audit
19 contractor for you all, so then there was a
20 discussion about how many Board members would
21 participate, who else -- how you would select the
22 auditor so it wasn't seen as NIOSH auditing itself,
23 in effect, and -- and -- or at least selecting its
24 auditor. And then it seemed to me that was sort of
25 one point of clearance, which, if it's resolved -- I

1 don't know if it is or not -- but if it's resolved,
2 then it seems to me the question is: What are the
3 conflict issues that are raised by having it in
4 OCAS; what are the conflict issues that are raised
5 by having it, perhaps elsewhere in NIOSH, meaning
6 the contracting authority; or in CDC, or jumping
7 completely out of the agency, and in this case, into
8 DOL, and what are the advantages? And a couple of
9 things, at least, come to mind. I guess -- and it
10 has to do with how will it work in the real world if
11 you took it outside of either the NIOSH or CDC
12 world. One of the questions is: If you've got it
13 -- if you've got DOL as your contracting entity --
14 this is what I was having a hard time getting my
15 head around today -- if DOL is the contracting
16 entity and they say, "Say, we really want to do
17 these telephone interviews that NIOSH doesn't want
18 to do." Okay. It's an issue of disagreement about
19 the scope. How does -- how does that get resolved?
20 I mean cause it's an agency now that has the
21 contracting, and it gets the appropriations too, so
22 they get the money first, and they also have --
23 they're supposedly going to respond to what the
24 Board wants, although it's not clear what the legal
25 authority is that the Board has to drive what DOL

1 does. That's not in a statute, so you'd have to
2 create some legal authority. But assuming that
3 legal authority existed, for the sake of this
4 hypothetical question, you know, how -- how would
5 those issues be resolved, which leads to another
6 sort of real-world question, which is -- and I don't
7 even know what the boundaries are that you've all
8 thought about is -- would the auditor have access
9 only to you and your records, this audit contractor,
10 or would they also have access to your contractor,
11 meaning ORAU -- you know, and -- and -- and
12 depending on what your answer is, or depending on
13 the terms and conditions of that, you all may find
14 yourself, you know, in this interesting situation
15 where, you know, you're going to have to start
16 resolving these interagency disagreements about how
17 to work this through. And so I just -- I wanted to
18 see some sort of real-world examples about how this
19 is going to -- is this really going to work
20 smoothly, I guess is the question.

21 DR. ZIEMER: Richard, I don't think any of
22 us have a good answer for you. We were raising
23 those kinds of questions in different forms as we
24 debated this -- this very issue. We indicated
25 earlier today that while there may be some pros of

1 using DOL, there are also some cons, and vice-versa.
2 I'm not sure the hypothetical things that you raise
3 here now are even answerable at this point to any of
4 us, unless Larry has prepared the answer, but -- but
5 I'm going to take those more as rhetorical
6 questions. I --

7 MR. MILLER: Yeah.

8 DR. ZIEMER: You're raising issues that we
9 can think about as we --

10 MR. MILLER: I'm raising those questions to
11 think about it would operationalize. (sic) And I
12 guess to lead to a second part, which is how long is
13 it going to take us to -- you all, NIOSH staff,
14 whomever, makes the decision or advice, how long is
15 it going to take you to figure this out? In other
16 words, do you have to go to your next Advisory Board
17 meeting in Knoxville, St. Louis, wherever, before
18 you decide who is even going to be the contracting
19 entity before you put the RFP on the street because
20 there's a lot -- the devils may be in the details
21 here, I don't know.

22 DR. ZIEMER: Well, come back tomorrow and
23 find out.

24 MR. MILLER: Oh, you think you're going to
25 decide tomorrow?

1 DR. ZIEMER: I would hope -- I would hope we
2 can make a decision by tomorrow, but in any event --

3 MR. MILLER: Yeah.

4 DR. ZIEMER: -- you know, I clearly -- and
5 let me just say that I'd be a little nervous about
6 -- we have a certain mandate under law and under the
7 Executive Memorandum in terms of the responsibility
8 of this Board and how it's set forth and so on. And
9 it's not clear to me at all that we could even, as I
10 said, legally move this procurement to another
11 agency, at least the way things are set up now.

12 MR. ELLIOTT: Let me talk to that because
13 that -- we don't believe there's any legal authority
14 issues here. It's one procurement, whether it's run
15 through a -- a HHS Procurement Office, or it's run
16 through a DOL Procurement Office. The Board advises
17 the Secretary of HHS. Whether it's NIOSH effecting
18 and awarding and administering the procurement, or
19 it's DOL, any issues that come up through the
20 deliberation of the Board in development of task
21 orders is going to be transparent to the public.
22 The Board will report to the Secretary if they've
23 got problems with whoever is effecting, you know,
24 the -- the issue at hand for that given point. I
25 don't know what to say beyond that, I mean that's --

1 DR. ZIEMER: That answers your question then
2 on what the Department of Labor could impose or not
3 impose on the Board.

4 MR. MILLER: Well, you'd have to formalize
5 that, right, in some respect, wouldn't you?

6 MR. ELLIOTT: The Department of Labor is not
7 -- not -- all they would be doing is taking on the
8 administration of the contract. There's no --
9 there's no necessity to have a legal authority or
10 formality about that.

11 MR. MILLER: Except that Dan takes direction
12 from this Board. Wouldn't they, I mean wouldn't you
13 all, if you come up with a task order and say do
14 this.

15 MR. ELLIOTT: They -- they're just
16 administering the procurement, the contract. That's
17 all they're doing. They don't -- you know, if the
18 Board comes up with a task order, the -- the only
19 bounds that would be on this would be the same for
20 DOL or NIOSH, and that's to stay within the FAR,
21 Federal Acquisitions Regulation. Okay. So if a
22 task order comes surfacing up through the Board that
23 steps out of bounds in that regard, then whoever
24 administers it in the government is going to say
25 whoa, you can't do that.

1 MR. MILLER: So if -- so I guess then the
2 question is: If the DOL is merely carrying out what
3 sounds to me to be a kind of a pure administrative
4 function, not quite administerial because it's
5 probably more deliverable than that, but not a whole
6 lot more, than an administerial function, what's the
7 big upside in terms of -- I mean what is the upside
8 of -- of -- of moving the DOL versus using either
9 some part of NIOSH or -- I mean I -- I -- I could
10 see where you don't want to have the people who are
11 -- who are administering dose -- who are overseeing
12 dose reconstruction also overseeing their own audit.
13 I mean there's something intuitively reasonable
14 about that, but I mean you -- you can get -- get
15 around that pretty quickly, you know, just by how
16 you, you know, use your administrative boxes within
17 CDC. And -- and the only reason I'm posing it is
18 just because every time we look at another set of
19 interagency relationships, and I'm not talking about
20 the really tedious ones that you have to deal with,
21 but -- and -- and -- and -- and -- and -- and for
22 which we think you're doing a good job. Noted. But
23 what is the upside? I mean what is the real upside
24 because at the end of the day the Labor Department
25 has a set of interests in this thing.

1 MR. ELLIOTT: Sure. Sure.

2 MR. MILLER: They are not completely
3 neutral. They need to go to court someday and
4 defend when somebody comes along that says we
5 contest; we don't like the way you did dose
6 reconstruction; we challenge your assumptions, or we
7 don't even like ICRP, you know, we want you to use
8 some other model, whatever it happens to be they
9 want to go to court over; at the end of the day,
10 right, they go roaring into court and DOL is going
11 to have something to hold up and say geez, you know,
12 this thing's been audited. Look at these smart
13 people on this Advisory Board, and look at this
14 smart auditor they brought in, and look at these
15 smart audit reports, and this thing is not hand
16 leading, this is like the real, you know, this is
17 the Real McCoy, so they need this audit function,
18 but do they need this audit function in such a way
19 that it's going to -- that it's their contracted
20 authority versus yours?

21 MR. ELLIOTT: I don't know if you were in
22 the room earlier when Pete Turcic and I were talking
23 to this point. The only advantage that it brings to
24 NIOSH/CDC/HHS is it removes this perceived conflict
25 to DOL, if DOL administers the contract. We -- you

1 know, the only -- the only aspect of the
2 relationship if DOL run it that we talked about
3 earlier, Pete mentioned that we would probably need
4 a Memorandum of Understanding. Our relationship
5 with DOL has been exceptionally good over the course
6 of this -- this program's history, unlike that with
7 another agency that we've had. So, you know, we've
8 -- we've even talked about, you know, how quickly an
9 MOU could be put in place and all the principals in
10 both sides, both departments are -- are
11 knowledgeable of this and ready to that if that's
12 what it takes, so.

13 MR. MILLER: Okay. All right. I mean I
14 just -- it -- it sort of popped up. This is the
15 first time it was sort of discussed probably, and,
16 you know, at least from my perspective I just sort
17 of thought, you know, if you want to move it out,
18 you know, you can move it to another part of NIOSH,
19 I mean you don't have to move it all the way over to
20 DOL, you can move it over to another part of CDC. I
21 mean, you know, I wasn't quite sure the rationale
22 for that versus, or, you know --

23 MR. ELLIOTT: Let me be clear.

24 MR. MILLER: -- OCAS and put it in --

25 MR. ELLIOTT: NIOSH is NIOSH. Okay. I am

1 NIOSH. I report -- I report to the Director of
2 NIOSH, so it's not OCAS. When we do a procurement,
3 it's done through NIOSH's Procurement Office.

4 MS. DiMUZIO: It's done by the CDC.

5 MR. MILLER: Right.

6 MR. ELLIOTT: Which is CDC's.

7 MR. MILLER: Right. That's the point, the
8 CDC.

9 MR. ELLIOTT: So -- so if it's CDC's, it's
10 CDC's. It's all -- it's all in the semantics. If
11 you want to call it NIOSH; you want to call it OCAS;
12 you want to call it CDC --

13 MR. MILLER: Okay.

14 MR. ELLIOTT: -- we're all in the same boat.

15 MR. MILLER: Okay. All right. Thank you.

16 DR. ZIEMER: That concludes our session for
17 today. I'd like to ask, Cori, are there any
18 housekeeping informational items we need to pass
19 along this evening? I'm not aware of any.

20 MS. HOMER: I would suggest that if you have
21 anything requiring security, please remove it from
22 the room.

23 DR. ZIEMER: Okay.

24 MS. HOMER: Laptops, any kind of equipment.

25 DR. ZIEMER: Okay.

1 MS. HOMER: Because I can't guarantee that
2 the room will be locked.

3 DR. ZIEMER: Okay. Thank you. So noted.

4 We begin tomorrow morning at 8:00 a.m. with
5 the sort of casual half-hour, and the Board is
6 recessed.

7 (Whereupon, the above-entitled proceedings
8 were recessed at 5:05 o'clock p.m., to be reconvened
9 Thursday, February 6, 2003, at 8:00 o'clock a.m.)

10 o0o

C E R T I F I C A T E

STATE OF GEORGIA)
COUNTY OF FORSYTH)

I, Debbie G. Williams, Certified Court Reporter in and for the State of Georgia, do hereby certify that the foregoing proceedings were taken down by me; that the foregoing proceedings were reduced to print by me; that the foregoing VOLUME I, consisting of pages 1 through 262 represent a true, correct and complete transcript of the proceedings; that I am not a relative, employee, attorney or counsel of any of the parties; that I am not a relative or employee of attorney or counsel for any of said parties; nor am I financially interested in the outcome of the action.

This certification is expressly withdrawn and denied upon the disassembly or photocopying of the foregoing transcript of the proceedings or any part thereof, including exhibits, unless said disassembly or photocopying is done by the undersigned certified court reporter, and the signature and original seal is attached thereto.

This, the 22nd day of February, 2003.

DEBBIE G. WILLIAMS
CERTIFIED COURT REPORTER, B-2167

THE U. S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

VOLUME II

The transcript of the Meeting of the
Advisory Board on Radiation and Worker Health
before Debbie G. Williams, Certified Court
Reporter and Notary Public; commencing at 8:30
a.m., Thursday, February 6, 2003, at The
DoubleTree Guest Suites, 181 Church Street,
Charleston, South Carolina.

DEBBIE G. WILLIAMS, CCR, CVR
CERTIFIED VERBATIM REPORTER
2515 Little John Court
Cumming, Georgia 30040
(770) 886-9814

PARTICIPANTS

BOARD MEMBERSCHAIR:

PAUL L. ZIEMER, Ph.D., Professor Emeritus
School of Health Sciences
Purdue University
West Lafayette, Indiana

EXECUTIVE SECRETARY:

LARRY J. ELLIOTT
Director, Office of Compensation Analysis and Support
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Cincinnati, Ohio

MEMBERSHIP:

HENRY A. ANDERSON, M.D.
Chief Medical Officer
Occupational and Environmental Health
Wisconsin Division of Public Health
Madison, Wisconsin

ANTONIO ANDRADE, Ph.D.
Group Leader/Manager
Radiation Protection Services Group
Los Alamos National Laboratory
Los Alamos, New Mexico

ROY LYNCH DeHART, M.D., M.P.H.
Director, The Vanderbilt Center for Occupational
and Environmental Medicine
Professor of Medicine
Nashville, Tennessee

RICHARD LEE ESPINOSA
Sheet Metal Workers Union Local #49
Johnson Controls
Los Alamos National Laboratory
Española, New Mexico

MICHAEL H. GIBSON, President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

MARK A. GRIFFON, President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

JAMES MALCOLM MELIUS, M.D., Dr.P.H.
Director, New York State Laborers' Health and Safety
Trust Fund
Albany, New York

WANDA I. MUNN, Senior Nuclear Engineer (Retired)
Richland, Washington

ROBERT W. PRESLEY, Special Projects Engineer
BWXT Y-12 National Security Complex
Oak Ridge, Tennessee

GENEVIEVE S. ROESSLER, Ph.D.
Radiation Consultant
Professor Emeritus
University of Florida
Elysian, Minnesota

SPEAKERS

STAFF

CORI HOMER, Committee Management Specialist, NIOSH

WRITER/EDITOR

TERESA ROBINSON

I N D E X

VOLUME II
February 6, 2003

REGISTRATION AND WELCOME Dr. Paul Ziemer, Chair	Page 268
REVIEW AND APPROVAL OF DRAFT MINUTES OF MEETING 10 Dr. Paul Ziemer, Chair.....	Page 268
BOARD DISCUSSION/WORKING SESSION Dose Review Process.....	Page 277
BOARD WORK SCHEDULE	Page 361
ADMINISTRATIVE HOUSEKEEPING.....	Page 377
BOARD DISCUSSION/WORKING SESSION	Page 388
Draft Attachment A	
ADJOURN PUBLIC SESSION	Page 413

P R O C E E D I N G S

8:30 a.m.

1
2
3 DR. ZIEMER: Good morning, everyone. We
4 want to also welcome Henry Anderson to our group
5 this morning. We're glad to have you here, Henry.
6 We got all the good stuff done yesterday.

7 DR. ANDERSON: Yeah, that's what I figured.

8 DR. ZIEMER: We'll tell you about your
9 assignments a little later.

10 I want to remind all of the Board members
11 and others who are here today to register today,
12 even if you registered yesterday, we ask you to
13 register each day, so please do that in the
14 registration book if you haven't already.

15 Also, the members of the public who wish to
16 comment during the Public Comment Period, we ask you
17 to sign up for that. I do want to give members of
18 the public a kind of heads-up that it's quite
19 possible that we will complete our work schedule
20 earlier than the original Agenda shows, in which
21 case we would move the Public Comment Period up a
22 little bit toward closer to midday, so if you will
23 make note of that. I don't have a specific time at
24 this point because it's going to depend on how hard
25 and long I'm able to keep the Board working.

1 We're going to begin this morning with the
2 Minutes of the last Open Meeting, that is the
3 Meeting Number 10. That meeting was the January 7th
4 and 8th meeting. I'd ask the Board members to get
5 their copies of that, and what we will do on the
6 Minutes, I ask you that if you have typos and minor
7 grammatical changes, that you simply pass those
8 along to Cori separately. As we approve the Minutes
9 we want to take action on specific things that may
10 be conceptually or factually wrong, so when I ask
11 for corrections, or additions, or deletions, we'll
12 focus on those kinds of things. So let's -- let me
13 call attention first to the Executive Summary
14 section of the Minutes. I might say
15 parenthetically, I had an initial review myself of
16 these Minutes and I shortened the Executive Summary
17 by several pages. It was nearly as long as the
18 Meeting Minutes, and it still seems a little long to
19 me, but because there were a number of bullet points
20 that I ended up leaving in that I was going to
21 delete. I was planning to delete nearly all of the
22 bullet points and just let it stand, but I decided,
23 for example, to leave the Public Comment Summary,
24 all of those bullet points in, rather than simply
25 say we had a Public Comment Period, so the Executive

1 Summary is a little longer than perhaps it should
2 be, but nonetheless, that's it.

3 Let me ask if anyone has any corrections,
4 additions, or deletions for the Executive Summary?
5 It's pages 1, slash, 8 to 8, slash, 8.

6 MR. NAMON: Dr. Ziemer, on page seven --

7 DR. ZIEMER: You need to identify for the
8 court reporter.

9 MR. NAMON: Yes, David Namon, Department of
10 Health and Human Services.

11 On page 7 under Board Housekeeping, the
12 description of the possible need for a conference
13 call on February 19th and 20th is not accurate, and
14 not the way it was actually said at the meeting, and
15 I would suggest that we delete everything after the
16 -- where it says February 19 or 20 to the end of
17 that sentence.

18 DR. ZIEMER: "The likely need for a
19 conference call on February 19 or 20, for two to
20 three hours to discuss SEC rulemaking to be issued
21 on" -- I'm sorry. What are you -- what are you
22 saying?

23 MR. NAMON: I'm saying that -- that
24 everything after the word "rulemaking" is -- is not
25 accurate, and is not what was said at the meeting.

1 And so, obviously the rulemaking was not -- there
2 was not a rulemaking issued on January 20th. That's
3 also not what was said at the meeting that there
4 would be, so I would suggest that we would remove
5 everything in that phrase.

6 DR. ZIEMER: Okay. Let me make two comments
7 first. The fact that it didn't occur is immaterial
8 to the minutes.

9 MR. NAMON: Agreed.

10 DR. ZIEMER: So it's what was stated at the
11 meeting which you said was incorrect?

12 MR. NAMON: Right.

13 DR. ZIEMER: What was stated then? Because
14 this is based on what the recorder recorded.

15 MR. NAMON: What stated at the meeting was
16 that it was possible that something could be issued
17 during that time frame, I think during the month of
18 January.

19 I think the clearest way to deal with it
20 would be to delete everything after the word
21 "rulemaking", if -- or to delete everything after
22 the number 20; but in any event, it was not --
23 obviously nobody said, including you, Mr. Chairman,
24 nobody said that there would be something issued on
25 a particular date.

1 DR. ZIEMER: Oh, as opposed to an expected.

2 DR. MELIUS: I think what --

3 DR. ZIEMER: It was the expectation that
4 somebody -- something would be issued on or about
5 that date.

6 DR. MELIUS: Well, if it were issued on
7 that, that was maybe the -- the week it might be
8 issued, in which case, then we needed to be able to
9 have our conference call within the 30-day period
10 that we needed it to complete the Board's review, so
11 the date came from an estimate of -- I'm trying to
12 figure out what was the correct timing for those
13 conference calls. And the particular dates were
14 discussed. I mean it is there, but I think what's
15 not accurate is the -- I don't think, Larry, or
16 whoever was speaking at that time said that it would
17 be issued on the 20th.

18 DR. ZIEMER: I have a -- Tony, you have a
19 possible solution. I think -- I think we want to
20 capture the idea of why we were going to have this
21 meeting, and it was based on an expectation; the
22 fact that it didn't occur is not a part of the
23 minutes, but we do want to correctly express what
24 did occur at the meeting.

25 DR. ANDRADE: Thank you. I do recall that

1 the SEC rulemaking was, in fact, discussed, and we
2 talked about the possibility of the SEC Rule to be
3 issued on or about a date, so I would propose that
4 the solution is to simply include the word possibly
5 between "to" and "be" on that particular sentence.
6 In other words, two to three hours to discuss the
7 SEC rulemaking --

8 DR. ZIEMER: How about an expected SEC
9 rulemaking?

10 DR. ANDRADE: Okay. Discuss the expected
11 SEC rulemaking, possibly to be issued on January
12 20th.

13 But I do recall that that was the essence of
14 our conversation.

15 DR. ZIEMER: Well, the expectation was that
16 we would be discussing the rulemaking at this
17 meeting and then finalize it.

18 DR. ANDRADE: Right.

19 DR. ZIEMER: Yes, go ahead.

20 MR. NAMON: I have the transcript in front
21 of me, and it was indicated that we were hoping that
22 something would be published during that week of the
23 20th, but again, no one suggested that a particular
24 date that it was expected.

25 DR. ZIEMER: Based on that, let me suggest:

1 The expected SEC rulemaking that -- that possibly
2 would be published the week of January 20.

3 MS. ROESSLER: Or "if it is in January."

4 DR. ZIEMER: An expected SEC rulemaking if
5 it is issued the week of January 20.

6 MS. ROESSLER: Uh-huh (affirmative).

7 DR. ZIEMER: Would that solve it?

8 DR. MELIUS: Yeah.

9 DR. ZIEMER: We're not trying to --

10 DR. MELIUS: That's fine.

11 DR. ZIEMER: To discuss the expected SEC
12 rulemaking if it is issued on the week of January
13 20th.

14 So that would capture what we did based on
15 some expectations without pinning down a date. Does
16 that fix it, I suppose. There's no question we
17 discussed it while we were doing the meetings.
18 We're not trying to pin down NIOSH as having
19 committed to that.

20 MR. ELLIOTT: I'm even more gun shy to say
21 anything.

22 MR. NAMON: Now, when you get to the main
23 minutes there's a similar change necessary.

24 DR. ZIEMER: Oh, yeah. Hold on for that.
25 Okay. Anything else on the Executive

1 Summary? Wanda.

2 MS. MUNN: I haven't seen the transcript,
3 but my memory of the meeting dates that we discussed
4 -- actually, what I wrote on my calendar was that
5 April 28th, 29th, was a potential, and we still,
6 that May 1st and 2nd were the probables. I -- I
7 don't know whether that's -- whether my notes are
8 incorrect. Of course, we're not going to get around
9 to discussing that until this afternoon, but I had
10 potential April 28th, 29th, and probable on May 1,
11 2.

12 DR. ZIEMER: Anyone else comment? I have
13 both blocked off without any change.

14 MR. DeHART: I believe that was for the
15 forthcoming meeting, the next meeting, not to be a
16 phone call.

17 DR. ZIEMER: Right. Right.

18 MS. MUNN: Yes, that's correct, but I'm
19 talking about the next meeting.

20 DR. ZIEMER: She's asking whether -- whether
21 we indicated a preference of one over the other.

22 MR. DeHART: The 28th and 29th I'm not
23 available.

24 MR. PRESLEY: My recollection on that was
25 that we marked them both, and Cori was supposed to

1 go back and see which one she was able to get a date
2 on.

3 DR. ZIEMER: Apparently, all of these were
4 indicated as being available to members of the
5 Board. I don't believe this says one or the other
6 is preferred at this point.

7 MS. MUNN: Okay. My notes may be wrong.

8 DR. ZIEMER: Okay. Thank you. Any other
9 corrections or additions on the Executive Summary?

10 Now, let's go to the main Minutes, and we
11 can handle the same change that we just noted on
12 Board Housekeeping.

13 David, what page are we looking at that?

14 MR. NAMON: It's page 21. It's the second
15 paragraph under Board Housekeeping. I think if you
16 changed the word "will" to "may".

17 DR. ZIEMER: Yes. So, "will be" to "may be
18 issued", a conference call may be needed. That will
19 solve that. Thank you. Without an objection, we'll
20 make that change.

21 Other comments, other corrections, or
22 additions?

23 There's no additional corrections or
24 additions. The Chair will accept a Motion to
25 Approve the Executive Summary and the Minutes as

1 noted with the changes.

2 MR. PRESLEY: So moved.

3 DR. ZIEMER: Seconded?

4 MR. DeHART: Second.

5 DR. ZIEMER: Further discussion?

6 All in favor, aye.

7 (Ayes respond.)

8 DR. ZIEMER: Any opposed, no.

9 (No responses.)

10 DR. ZIEMER: Abstentions?

11 (No responses.)

12 DR. ZIEMER: The Motion carries, the Minutes
13 then are approved with those changes as made.

14 Now, let me give you kind of an outline of
15 where I see us headed on our Work Session here.
16 There's several items that we need to address. One
17 of those will be the decision as to who will be the
18 -- let me just say the agency that will let the
19 contract on behalf of the Board. And we currently
20 have two options that we're considering; one is the
21 Department of Labor, the other is NIOSH or CDC; we
22 view that as one entity, NIOSH/CDC. We don't have
23 to decide that at the front end here, but I would
24 like us to come to closure on that if possible
25 today, so that we can proceed and have whatever time

1 we gain by moving forward achieved. So that
2 decision is before us.

3 We also need to come to some sort of
4 agreement on exactly what will be covered in
5 procedures for the review process; that is, the
6 review of completed dose reconstructions, the audit
7 process, if you will.

8 Now, I'm going to propose certain things
9 here as we proceed. Number one, I have some
10 overheads or slides where I hope I've captured what
11 we kind of delineated yesterday. This will help us
12 and maybe also help the recorders to figure out what
13 it was we agreed to.

14 I also have a kind of a strawman procedure
15 to give us some feel for what a procedure might look
16 like. But in preparing the strawman -- this is just
17 something for us to shoot at -- in preparing this,
18 it became pretty clear to me that to really do the
19 procedures, I don't think we can sit here in Board
20 session and develop that; in fact, it seems to me
21 that we are going to have to do a mockup; we're
22 going to have a workgroup maybe go to NIOSH and
23 actually go through some dummy reviews -- dummy
24 reviews might not be a good word for it, but reviews
25 for dummies, maybe that's what it is -- maybe one or

1 two of each kind and start stepping through it and
2 say okay, what do we do first. We look at the site
3 profile; is it complete, and start -- sit there and
4 really work through the procedures. We may also
5 need to take a look at some of NIOSH's and ORAU's
6 procedures to see how they're going about looking at
7 these things. I mean step wise because we can't --
8 I don't think we can proceed beyond that today, but
9 -- but we can at least identify what the complements
10 of those procedures are with these, so that's what I
11 propose we do today, and make sure we're all on the
12 same page in terms of sort of the overall scheme of
13 things; what needs to be covered, maybe what does --
14 what do the final products look like, and what would
15 be the content, what procedures we need to cover.
16 But I'm not sure we can go beyond that today, and we
17 may need a workgroup then to follow up on it.

18 Okay. So we have those two things relating
19 to the completed dose reconstruction review process.

20 We also have the issue of the special
21 exposure approval legislation, which we know will
22 not be available January 20th, or even the week of
23 January 20th, but may -- but may be published
24 sometime in the near future.

25 Now, our next meeting, if it's the end of

1 April or in to May is nearly three months away; all
2 of February, all of March, most of April, and if
3 that hits the street before April 1st, then our next
4 meeting will be too late to react to that proposed
5 rulemaking. So I think we will probably need to
6 identify another meeting time before then. So when
7 we get to the Board work schedule later this
8 morning, that will be one of the items we'll need to
9 address. And there is some possibility we may have
10 something close to an estimate of when that might --

11 MR. ELLIOTT: We're hoping to hear something
12 this morning so that we can inform the Board to help
13 make the schedule happen.

14 DR. MELIUS: 2003. Pin it down. We've got
15 to pin it down.

16 DR. ZIEMER: In any event, that's what we
17 have before us, I think, today. And in thinking
18 about that and perhaps the extent to which we can do
19 some of that work, it occurred to me last night that
20 we might very well finish by midday, depending on
21 how things go.

22 Now, let me just pause, and if anyone wants
23 to react to anything I said, or comments, or shall
24 we proceed? I'm open to -- always open to better
25 ideas.

1 Henry, you can't move to dismiss now.

2 MR. ELLIOTT: Two things I would suggest
3 that you consider and you perhaps want to put these
4 into the future, but this concept of having a task
5 order prepared so that it's on the table so that, I
6 mean when the contract is awarded I don't think you
7 want to have a delay of developing a task order; you
8 want to be able to present that within the first
9 week of the award to get these folks started. The
10 second thing that I think you should consider is
11 something I mentioned to Mark yesterday afternoon,
12 and I think Richard Miller also brought it up in his
13 public comment, is what's -- what's your product at
14 the end of this, you know, what are you going to
15 deliver to the Secretary. I think you need to think
16 a little bit about that and through that. I don't
17 think you're going to want to provide a
18 recommendation on every review that you do, every
19 dose reconstruction review that you do, but I think
20 you need to figure out, you know, what's the
21 appropriate communication to make.

22 DR. ZIEMER: Right. And in fact, that's the
23 nature of one of the key questions I will ask this
24 morning as we proceed.

25 Other general comments before we move on?

1 Okay. Let's see, do I need to work that
2 clicker from the front or can I work it from here?

3 DR. NETON: We'll have to check and see. I
4 guess so, maybe it will work from there. Why don't
5 you just try it once and see if it will move
6 forward?

7 DR. ZIEMER: Okay.

8 So this is what we -- this is what we were
9 discussing yesterday, and what I've done here is
10 broken this into several points that we were talking
11 about. The first was that we said we had to have --
12 had to identify the available cases to review. This
13 is not necessarily just those completed, but as we
14 look forward, so I've -- all I'm doing here is
15 raising some questions, and I want to make sure in
16 these questions that we've covered content wise what
17 it is we're trying to do. For example, who should
18 do this, is it the full Board, is it a Workgroup, is
19 it a Subcommittee, when should it be done, and
20 what's the nature of the product; that is, whoever
21 identifies these cases, do they come back to the
22 Board with a report and say these are the cases we
23 believe should be reviewed, or do they just proceed?
24 Are these the right questions; are there additional
25 questions; and to what extent can we answer these

1 right now.

2 I just would like to capture this if we can
3 and get the Board's ideas, and then we'll move on to
4 the next item, which is the case selection process.
5 Okay. Again, we talked about each of these a little
6 bit yesterday, so I'm feeding back to you what we
7 talked about. We talked about some of these
8 questions yesterday, but I want to make sure we're
9 on the same page on it, so.

10 Okay. Roy.

11 MR. DeHART: When we're talking about who
12 should do it, certainly at the initial stage I think
13 the Board as a whole needs to be involved, but that
14 doesn't mean it needs to be the Board going through.
15 A workgroup could come out with suggestions using
16 the model we had on the percentage that we had
17 developed before. So I would suggest that we have a
18 workgroup that would go through the available 60,
19 70, 80, whatever it happens to be at the time, and
20 make the selections against a matrix, and then
21 present those to the Board for final approval, so
22 the Board would know exactly what the process is.

23 DR. ZIEMER: Okay. Let's get some other
24 feedback. Jim.

25 DR. MELIUS: Yeah, I -- I think we need a

1 workgroup to do this, but I think it's got to be
2 sort of a step-wise process throughout this, and
3 maybe it's more than one workgroup or different
4 workgroups, but as I understand what's required by
5 the FACA regulations is that we -- the Board would
6 have to approve a lot of the steps along the way.
7 So I would see it as a workgroup that would put
8 together, you know, do some of the -- the work,
9 looking as they develop new forms, whatever would be
10 involved, then would come back to the Board probably
11 at each meeting with a certain, you know, things for
12 approval, and is this going to apply to -- some of
13 this would be the task order development because
14 that's really an important part of this process, and
15 I think actually the first thing that we should try
16 to work out, and maybe it's having the workgroup do
17 it, is a schedule for this step wise because we have
18 a number of issues that are going to need some time
19 to work on.

20 Larry, you've already mentioned the idea
21 that we need to get these task orders ready at the
22 -- hopefully at the time that the -- or around the
23 time that the contract is awarded. We also have
24 this OMB question hanging out there about the -- the
25 interview issue. And so I think the sooner we can

1 get that prepared, the better in terms of getting
2 approval for that. So I think the only way it can
3 be done is through a workgroup, but a workgroup that
4 serves discrete functions or tasks that would then
5 report back to the Board at each meeting, and then
6 we would go on and then do something else at the
7 next meeting and so forth.

8 DR. ZIEMER: And keep in mind, we can always
9 change the process at any time, but I've kind of
10 looked at this as the first time through, and, you
11 know, once we've sort of developed the procedures
12 and get -- get the process rolling, we may want to
13 alter how it's done, but I'm really looking at
14 getting under way, and I've heard a couple of
15 suggestions about the workgroup.

16 Henry?

17 DR. ANDERSON: Yeah, I think a workgroup,
18 but it would seem to me if -- if this is basically
19 an algorithm, I mean we've said which cases we want
20 to review, then basically it's you pick a cutoff
21 date and then everything before that you then
22 classify them into our various categories, and then
23 you'd have a random, you know, selection process.
24 So it would seem to me if you pick various dates,
25 whatever's, you know, prior to that date would be

1 eligible, and then, you know, each time we meet
2 perhaps we could have -- or you could set the date
3 of cutoff a certain number of weeks or whatever
4 prior or completed cases, however we're going to do
5 it, prior to the next meeting, so that at the
6 meeting we could say the process was done, and here
7 are 6, 10, 100 cases ready to go, so that it would
8 it be a -- once we decide how it's going to be done
9 it would be -- at least the selection process would
10 be more automatic than having a group necessarily
11 have to get together to review that data, and then
12 say yes, do the selection process. I mean I -- for
13 the early on I think the more we can kind of
14 automate it and it's transparent because we've set
15 out the criteria for how to do it, it then just has
16 to be, you know, so that the records actually are
17 completed and available and all back wherever they
18 need to be for the review to start, and that's kind
19 of a NIOSH, you don't want to set a date so that
20 we'll have some cases come in that aren't yet really
21 fully completed. So that's how I would do it and if
22 -- if it's setting up those, translating our
23 guidelines as we've put together into an algorithm,
24 that certainly could be done by a workgroup, but I
25 would not want to have a workgroup have to meet

1 every time to say here they are, and then shuffle
2 them into groups. I think if we select the criteria
3 that are already in NIOSH's data base, that can all
4 be done electronically.

5 DR. ZIEMER: Other comments? Wanda.

6 MS. MUNN: Yes. I think that Jim and Henry
7 both have captured most of my thinking, which very
8 clearly indicates in my mind that we need two
9 separate workgroups approaching this initial issue;
10 one of them to identify how the NIOSH matrix is
11 going to be able to present the information to us,
12 and identify how we can use that matrix to resolve
13 our issues of percentages in terms of how we're
14 going to cross-cut the reviews that we do; and
15 another to actually put together the kind of
16 checklist that we were talking about to work with
17 NIOSH to see what their checklist covers; is it
18 adequate for our purposes.

19 DR. ZIEMER: Right. I don't want to get you
20 ahead of the headlights here. Those are separate
21 issues. Right now it's the issue of saying what's
22 out there. NIOSH will have completed a certain
23 number of cases. And we talked about some extremes,
24 suppose they were all Savannah River cases, then
25 what do we do.

1 MS. MUNN: Yeah.

2 DR. ZIEMER: Or do we say okay, we're going
3 to sample a certain amount of those and then wait
4 for a certain number of these. So this process, the
5 identification of available cases, is kind of
6 looking ahead at -- at what NIOSH is doing and
7 saying what parts of these are we going to look at.

8 DR. ANDERSON: Yeah.

9 DR. ZIEMER: That's all it is, and so we'll
10 say who's going to do that; how soon do we do that;
11 do we have to do that right away, like within the
12 next month, or can we wait till, you know, after the
13 contract is let. I'm trying to pin this down
14 because a lot of what we've done so far is fuzzy.
15 We're going to do this, but who is going to do it,
16 and when are they going to do it, and what is it
17 they are going to do. That's sort of what we're
18 asking here. And that's what I would like to get
19 the Board -- and I don't know the answer to those
20 things; it's hard enough to know the questions to
21 ask, let alone the answers, so there may be some
22 other questions. And then what is this group, are
23 they going to come back to the Board and say okay,
24 we have a certain number of cases available from
25 here, here, and here, we're going to -- or what.

1 So Wanda, and then Tony.

2 MS. MUNN: So what I'm suggesting is that we
3 form a workgroup immediately to go sit down with
4 NIOSH and do essentially three things: Identify
5 what their matrix is going to cover; identify what
6 they have now; and then bring back to this Board a
7 suggestion as to how we will proceed down the line
8 because obviously, it's anticipated that the number
9 of cases are going to ramp up quickly. And since
10 that's the case, then our first -- first set of
11 cases may not really and truly have much to do with
12 what we're going to do long term.

13 DR. ZIEMER: Okay. Thank you. Tony.

14 DR. ANDRADE: Wanda articulated a bit of
15 what I was going to suggest. I also believe that we
16 should form a workgroup, a representative workgroup
17 of this body, in other words, representing all view
18 points, that will come up with a draft of selection
19 criteria, a schedule for -- or a draft of number
20 one, selection criteria; number two is a draft set
21 of task orders; and number three is a draft
22 schedule. And I think that working from the
23 products that Mark has put together, the draft
24 schedule may not be all that tough. Who should do
25 it, and if we can appoint a working group, and I

1 would suggest that we refrain from appointing
2 multiple working groups and that we keep maximum
3 flexibility by allowing, as time goes on, people to
4 rotate in and out such that those folks with time
5 available during a particular period of time can
6 continue to work. When should it be done? I think
7 the first report back on those specific products
8 that I mentioned should be available by the next
9 meeting, so the workgroup should be meeting in
10 between time. And the nature -- I've already
11 mentioned what the products would be here.

12 DR. ZIEMER: Very good. And we'll -- we'll
13 sort of keep those suggestions on hold until we hear
14 from everybody, and then when we formalize anything,
15 we can. And you weren't making a specific motion,
16 right then?

17 DR. ANDRADE: (Shakes head negatively.)

18 DR. ZIEMER: Okay. Mark.

19 MR. GRIFFON: I actually agree with most of
20 what's been said. Building on what Wanda and Tony
21 said, I guess I, when we talked about this
22 yesterday, and how I formulated this in my head is
23 that really the selection criteria I think should be
24 developed first. And then the -- when we look at
25 the -- and I know I brought this issue up yesterday,

1 so it's my issue, but when we look at the cases, I
2 think the cases and how they meet -- looking at our
3 selection criteria and looking at what's available,
4 that's going to build our schedule. That's going to
5 help us to build a schedule going forward and that's
6 sort of how I conceptualized this, but I -- I agree
7 also with what Tony said, that the, you know, the
8 selection criteria, the review of the available
9 cases, and building the schedule, along with the
10 task orders, procedures, and some kind of draft
11 format for the final report form should be developed
12 by some sort of working group, and, you know, the
13 structure of that right now I think is up for grabs.

14 DR. ZIEMER: Other comments? Robert.

15 MR. PRESLEY: Can we not come up with a
16 simple formula? We're going to do 150 of these a
17 year, is that correct? That comes out to
18 approximately 12 a month. Can we not come up with
19 some type of a simple formula that we can give HHS
20 and say okay, you know, we want 12. Now, where
21 those 12 lie, it may be 12 out of 50, it may be 12
22 out of 250. We ought to be able to come up with
23 some type of formula that you pick -- this month you
24 pick 1, 6, 8, and 10; next month you pick 30, 40,
25 and 50; and then we do the checking on whether we

1 want to do a Blind out of those 12, or what we want
2 to do. And if it gets to where that one month all
3 of them are Savannah River, then -- then the next
4 month we tell whoever it is that we -- the next
5 month, you know, we've done Savannah River, we want
6 some different ones.

7 DR. ZIEMER: Larry.

8 MR. ELLIOTT: Those of you who were on the
9 workgroup that Mark headed up, I think -- and I
10 think several others may have seen our tracking
11 system, so you know what it's like; you know we can
12 query it. What I want to take exception to here is
13 that I've heard a couple of people comment that give
14 this to HHS, have the matrix, you know, tell, have
15 them select. We're not going to select, okay. I'm
16 going to tell you that right now. You guys are
17 going to have to select. You can come in, we will
18 set you up in front of the screen, you're going to
19 do the tracking, you're going to do the inquiry
20 there, and then you guys need to select.

21 MR. GRIFFON: Yeah, and I think, Bob, I
22 agree with you. I just -- in that, the example you
23 just gave with the Savannah River, I mean that's my
24 idea of having the selection sought ahead of time so
25 that we know, okay, over the year we expect these

1 cases to come through at some point. Month by month
2 we start filling in those boxes and we see, okay,
3 we've completed all of our Savannah River
4 requirements, we've got to find cases in these other
5 categories, and we -- and we track it as we go on,
6 so, you know, that's consistent with what I think
7 we've been talking about.

8 DR. ZIEMER: Tony.

9 DR. ANDRADE: I just wanted to mention that
10 clearly we can't anticipate any -- any or all of the
11 problems we may have in finding cases that meet our
12 criteria. That's why I wanted to emphasize -- at
13 least at this point in time that's why I wanted to
14 emphasize the word "draft". This working group
15 should come back with a draft of selection criteria;
16 a draft of a procedure on how to go about working
17 with those cases, a draft task order list, and
18 schedule, because as we go along we may dearly want
19 to address one issue or one particular type of
20 cancer, or something like that; however, the cases
21 may just not be available. So I'd say let's give
22 ourselves maximum flexibility, understand that this
23 is going to be a living sort of piece of work, if
24 you will, and that we will only really begin to be
25 able to focus on all of the issues that this Board

1 is interested in as time goes on when there are
2 several cases available that -- that are of interest
3 to us.

4 DR. ZIEMER: It appears so far that there is
5 a pretty strong sentiment to having a working group
6 do this task of identification of available cases;
7 that it probably should be done fairly soon; and the
8 answer to the third question will depend on what
9 they find, but they would come back to the Board
10 presumably, at least the product will be some sort
11 of report back to the Board.

12 Is that all fair so far? I'm not trying to
13 lock us into anything, but we need to keep that
14 coming back in mind.

15 Let's go on to the next item, which is the
16 Case Selection Process. And here again, these are
17 items that you all identified yesterday: Case
18 Selection Process; what's the process. We've kind
19 of answered some of this already. Who should do it?
20 It already sounds like that's the working group, at
21 least to start with. When should that be done?
22 That's probably locked in with -- or linked in, at
23 least, with the first item, if I am fairly
24 summarizing what's already been said.

25 I think the third bullet is fairly obvious,

1 we agree that the Board is going to need to approve
2 whatever is done by the workgroup.

3 What's the nature of the product here. And
4 I'm not sure what form this ends up taking. It's
5 clear that we're not asking NIOSH to do the
6 selection, but we are asking for availability of the
7 case information. Now, I'm going to ask Larry a
8 question, so I'm going to pause just a minute.

9 DR. MELIUS: If I may comment. It wasn't
10 clear to me yesterday, and I think we're going to
11 need to get it clarified, this whole issue of the
12 Board having to approve sort of every step. And at
13 least based on my recollection of the discussions
14 yesterday, was there how we do the -- for the Board
15 to do the case selection, you know, I mean can we
16 have a workgroup do that, the actual case selection?
17 Is that -- can we -- I think that it would make more
18 sense if we would approve the procedure for the
19 workgroup --

20 DR. ZIEMER: I think that was the
21 understanding that we would say that the
22 recommendation might be that we will review a
23 certain number of cases of this type, and this type,
24 and this type, not that it's this person, this
25 person, and this person. And requesting the Board

1 -- request by the Board to NIOSH/ORAU to provide the
2 case files with certain characteristics, I'm not
3 sure what that means except in -- and I'm not sure
4 that you know what that means yet in terms of the
5 extent to which the identification of the individual
6 claims has to be done. So we would need to work
7 with NIOSH and ORAU on this in terms of privacy
8 issues because in principle we are trying to review
9 this process independent of who the claimant is;
10 obviously, you would know from the site from which
11 the claimant came because we would still want to
12 make sure that we don't have conflicts of interest
13 in the review process. But those issues remain, so
14 I'm not sure what we mean exactly by requesting this
15 of NIOSH. Clearly, we're not going to ask you to
16 pick the cases.

17 MS. MUNN: No.

18 DR. ZIEMER: But to make available
19 something, a product that can be reviewed in
20 whatever form. So comments on this.

21 DR. ANDRADE: Again, to maintain
22 flexibility, we may have selection criteria that
23 might -- that if we're hard and fast on them we may
24 not be able to meet them the first or second time
25 through; therefore, we, the working group can come

1 up with a selection criteria. It can also come up
2 with the cases, given what NIOSH tells us -- yeah,
3 NIOSH tells us is available, and we can work on one
4 criteria, rather than another.

5 I envision this working group, again, if we
6 have rotating membership, to provide different
7 products at different periods of time. For example,
8 if we commission a working group today, then I
9 believe that the first product, if you will, will be
10 nothing more than administrative procedures, as Jim
11 alluded to, okay. And those can be reviewed by the
12 Board during our next meeting; however, once the
13 contract is let, then the product, the nature of the
14 product is going to change dramatically. What I
15 would envision is general comments on how well the
16 Associated Universities is doing their job, and
17 also, perhaps findings, if any, on -- or questions
18 that may come up about how they are doing dose
19 reconstruction, whether they might pick out a couple
20 of areas that we might want -- we might be
21 interested in reviewing. So I think that that is
22 the direction in which the type of product will go
23 as time goes on, but we should give the working
24 group -- again, if it is a representative working
25 group, representative of view points across the

1 Board -- as much flexibility to come up with the
2 cases, the selection criteria, maybe change control
3 processing insofar as changing the -- the criteria,
4 the selection criteria, depending on what is
5 available from NIOSH. So I think -- I think that
6 pretty much sums up the -- the way I feel that we
7 can get our arms around this fuzzy issue.

8 DR. ZIEMER: Thank you. Jim.

9 DR. MELIUS: One thing that we talked about
10 yesterday that I think will be important for the
11 workgroup early on is we're going to need to be able
12 to project the number of cases that will be
13 available over time. If we set up selection
14 criteria that are very specific, we may -- we could
15 easily end up with a situation where nothing would
16 be, those kinds of cases wouldn't be available for
17 five years or something, I mean, you know, something
18 sort of like that, and so I think we need to have a
19 feel for what will be the schedule of case --
20 availability of cases, given the criteria and how
21 that can sort of fit into this process also.

22 DR. ZIEMER: And clearly we would need to
23 work with NIOSH and ORAU on that.

24 MR. GRIFFON: Yeah, and Jim, I think that's
25 consistent with what I said. The only thing I

1 didn't want to see happen is that the availability
2 of cases drive the selection criteria. I think we
3 should, you know, think of that.

4 DR. MELIUS: Drive the schedule.

5 MR. GRIFFON: Drive the schedule, right.

6 DR. ZIEMER: Roy DeHart.

7 MR. DeHART: What we have really discussed,
8 I think, for the working group was working
9 initially, was a matrix. And a matrix can be filled
10 in at any time, so all you do is whatever you have
11 available that you fill -- put the squares where you
12 need to, and over time you fill them in.

13 MR. GRIFFON: Well, I think Jim's point is
14 that we don't want the matrix to be empty for the
15 first three years, right?

16 DR. MELIUS: Yeah.

17 DR. ZIEMER: Do you want to move on to the
18 next item at this point? And if I could summarize,
19 it appears that this work could be done in
20 conjunction with the other, that is the same
21 workgroup initially address these issues together.
22 Okay.

23 Henry?

24 DR. ANDERSON: Yes, since we -- since
25 there's a considerable backlog now of cases that are

1 in the system I guess the question would be to
2 NIOSH, what is the -- you know, are they going
3 through the cases in numeric order, the first-in,
4 first-out --

5 MS. MUNN: Yes.

6 DR. ANDERSON: -- or how they're doing it
7 because it could be that if we set up some criteria,
8 if it isn't first-in, first-out, then they could, in
9 fact, over a year set up their review schedule that
10 would be -- would assure that some of the cases were
11 interested and go through the system. Now, that is
12 innately unfair -- unfair perhaps, but that's
13 what --

14 DR. ZIEMER: We heard yesterday that some of
15 the --

16 DR. ANDERSON: First-in, first-out.

17 DR. ZIEMER: -- first-ins are still waiting,
18 yeah, in the long queue because of unavailability so
19 far of the -- or lack of information.

20 DR. ANDERSON: Yeah, I understand, but if
21 it's first-in, first-out, then we ought to know --
22 we ought to know where they're coming, you know, to
23 be able to look at them.

24 MR. ELLIOTT: We are working first-come,
25 first-served, but that doesn't mean that you reap

1 the fruit of that in those -- in the sequence.

2 DR. ANDERSON: Yeah, I understand. Yeah.

3 MR. ELLIOTT: So, for example, on, you know,
4 Bethlehem Steel site profile may knock out 300
5 claims for Bethlehem Steel in one fell swoop, but
6 those 300 claims, you know, there's probably a few
7 of them were in the 1,000, and, you know, the next,
8 they just sprinkle across, you know, in sequence.

9 DR. ANDERSON: Right. Yeah.

10 MR. ELLIOTT: And so it's very hard for us
11 to predict when a particular claim in sequence is
12 going to come to final closure, so.

13 DR. MELIUS: And I think there's also a
14 potential problem in that some of the more difficult
15 -- some of the cases for which it's more difficult
16 to find information, to get adequate information,
17 are going to back up in the queue, and wait for a
18 site profile information, and that in some ways
19 could bias the selection process if we, when we pick
20 from the first 1,000 or whatever the number would
21 be, so I think there's some details that really have
22 to be looked into to make sure there's a fair
23 selection of cases.

24 DR. ZIEMER: Mark.

25 MR. GRIFFON: Yeah, and that was my point

1 about -- about not letting the availability of cases
2 drive the selection criteria because I think that,
3 you know, some of those more difficult cases are
4 going to be the ones we're more interested in
5 reviewing also, so.

6 DR. ZIEMER: A good point.

7 The third item we talked about was the
8 actual procedure for the selection of -- this is the
9 process, but the actual procedure for the selection
10 of cases. You see I'm asking some of the same
11 questions here. And they start to overlap,
12 obviously, but I've separated them out. I think it
13 appears now, based on the discussion, that some of
14 these answers are rhetoric, again, working group,
15 and we need to get underway with this. Keep in mind
16 that the actual procedure is different from the
17 process. The procedure is -- well, look at the end
18 there: What does a procedure look like? I've asked
19 that question. What does the selection procedure
20 look like? And if -- if we move toward having a
21 workgroup work on these things, then we would charge
22 them with doing that, tell us what -- and come back
23 to the Board and show us. That's not something I
24 think we can do here. In fact -- well, we'll get to
25 it in a moment. Let me solicit any other comments

1 on this. This is the procedure for selection of
2 cases. It includes like you just mentioned, Mark,
3 what about the difficult cases which are down the
4 road; how do we assure that our procedure is
5 cognizant of those, so that as we instruct in the
6 selection of the cases that we allow for that, how
7 do we take care of this matrix, so.

8 Any other input on this item? Again, these
9 topics are all ones that were brought up by the
10 Board yesterday. I just want to make sure we're on
11 the same page as we go forward.

12 We're okay? Okay, let's move on.

13 Procedures for the review of the cases.
14 This is having done the selection, when we actually
15 get cases to review. We need a review procedure,
16 and this question: Who is going to develop the
17 procedure, when should that be done, does the full
18 Board approve the procedure, and what would that
19 look like?

20 After asking those questions I thought about
21 this further, and have bounced this idea off a
22 couple of people this morning. It seems to me that
23 to answer this, what would a procedure look like, we
24 need to do one or two, or more, mock -- I call them
25 mock reviews, and actually have maybe it's the same

1 workgroup sit down with some cases and start through
2 what would look like a, say a Basic Review. Now,
3 the first time through there's no procedures to even
4 do this. And you have to sit there and say okay,
5 what is the first thing we do, you know, do we ask
6 is the site profile adequate, or maybe step one is:
7 Is there a site profile? Is it adequate? So you
8 start looking at procedures, but it seemed to me
9 that we're going to have to have a group hammer this
10 through and develop the procedures. And maybe look
11 at NIOSH procedures as to how they do a review,
12 their own, you know, the dose reconstruction; maybe
13 look at ORAU's, and gain some clues as to what it is
14 that needs to be done if you're reviewing. I think
15 of it as an auditor. An auditor uses some of the
16 same procedures in auditing as the accountants use
17 in accounting, they have to go through some similar
18 steps.

19 Now, Tony.

20 DR. ANDRADE: In my mind I really see this
21 as kind of Phase II of the working group's charter,
22 if you will. Once we have established --

23 DR. ZIEMER: So that has to do with when it
24 should be done, then?

25 DR. ANDRADE: No.

1 DR. ZIEMER: No?

2 DR. ANDRADE: But really this should be put
3 in the context of what is the product that we
4 eventually want from the contractor on board. Okay.
5 I really believe that that is what drives -- what
6 would drive this kind of procedure.

7 DR. ZIEMER: Uh-huh (affirmative). Because
8 the last question, there may be a report on an
9 individual review, but what you do with all of those
10 reports --

11 DR. ANDRADE: Right.

12 DR. ZIEMER: -- and compiling them into an
13 overall.

14 DR. ANDRADE: Exactly. And so I think that
15 this would be the work of the working group. It
16 could be a whole new set of members, it could be
17 some members that continue on, but this would be the
18 working group after we've met the next time to look
19 at the administrative part of selecting cases, case
20 availability, case number projection, and that sort
21 of thing. Then the working group would go on to
22 define the work to be done in these particular
23 arenas, and which is basically a task order. And I
24 have -- my own personal gut feeling is that it
25 would be driven very much by what is listed in the

1 Basic, Advanced, and Blind Review steps that -- that
2 have already been deliberated to a certain extent.

3 DR. ZIEMER: Roy.

4 MR. DeHART: Actually, what we'll be doing
5 is primarily overlooking our contractor to assure
6 that they're doing what we're wanting, so in fact,
7 much of this may be feeding back into the task order
8 issues, as well as the basic contract that we're
9 just about ready to approve to go on the street.

10 DR. ZIEMER: Yes, but I want to make sure,
11 at this point I think it's useful for us to think of
12 our contractor in a sense part of us. Let's keep --
13 we're not reviewing our contractor at this point.
14 Our contractor is helping us do this review, so
15 let's -- it seems to me it might be helpful for us
16 to think of this in terms of suppose we were doing
17 this with no contractor, we're just doing it, it's
18 us. We really aren't having a contractor help us do
19 some tasks that we can't otherwise do either for
20 lack of time, or in some cases, lack of ability. I
21 -- and I say that in a nice way. We are not dose
22 reconstructionists, okay.

23 I think Jim was next, and then Mark.

24 DR. MELIUS: Yeah. Just to follow up on
25 that point. I think that this is going to be part

1 of developing the task order. We're going to need
2 to have this done before we can do a task order, and
3 I think it needs to start relatively soon because
4 given the schedule that came out, given this OMB
5 issue that will be part of some of these reviews,
6 that we need to get this process underway relatively
7 rapid, and I don't think we can wait for this part,
8 for example, until after the April meeting. I don't
9 think that's what Tony was suggesting, but I don't
10 think we should do it too sequentially because I
11 think if we can get some of this started because if
12 -- if not, we're going to back up the whole process.

13 DR. ZIEMER: Mark.

14 MR. GRIFFON: That echoes my concern. I
15 mean I think it's -- I think in developing the
16 procedures I think our task order is going to be
17 more fleshed out, it's going to be kind of a
18 parallel process. And also just -- I was also maybe
19 worried about the sequential because I think either
20 we can put a lot of pressure on the Board to meet
21 sooner again to review these things step wise, and
22 that might, like Jim said, slow down things. We
23 need to get these things rolling.

24 DR. ZIEMER: Gen.

25 MS. ROESSLER: And along with that, I think

1 that this workgroup needs to, whether it's a mock
2 review or whatever it is, needs to go to NIOSH,
3 needs to work with those people, needs to see what
4 they're doing because otherwise, it's sort of like
5 working in a vacuum; you really don't know what
6 their process is until you actually see it.

7 DR. ZIEMER: Gen, I certainly, in my mind
8 when we were talking about developing these
9 procedures, in my mind the working group has to be
10 there in Cincinnati and -- and I think that's what
11 you're suggesting. And maybe have some sample cases
12 -- real cases where they can step through and say
13 what -- what will a review actually involve,
14 procedurally what do we have to do step wise, and
15 then develop an itemized kind of checklist that
16 makes sure that items are not overlooked, that we're
17 examining the issues that we think are important.

18 Wanda.

19 MS. MUNN: This is what I had in mind
20 earlier when I said I see this as a two-step
21 process, and as a two-workgroup process because I
22 don't see the workload being such that the same
23 workgroup could be addressing these procedures as
24 are addressing case selection and the items we were
25 discussing earlier.

1 DR. ZIEMER: Tony.

2 DR. ANDRADE: I could see it both ways;
3 however, I think in the -- in the interest of
4 efficiency and in saving time that indeed it
5 probably would be best to proceed in parallel, and
6 so I would suggest -- I'm not pushing anybody here
7 -- but I would strongly suggest that the people who
8 came up with this -- with the Statement of Work, in
9 other words, Mike's, Mark's working group or some
10 members thereof perhaps follow through on working on
11 this. They are the most familiar with the elements
12 of what it is that we are going to want from the
13 contractor, so maybe that's a place to start. I
14 don't know you feel about that, Mark.

15 MR. GRIFFON: Very enthusiastic. I mean I
16 do want to be involved, even though I know it's
17 going to be quite a bit of work going forward. And
18 I think we have -- have met at a lot of meetings on
19 these issues and we did go to NIOSH, so we have a
20 jump-start on the whole process, so I would
21 certainly be willing to participate in that.

22 DR. ZIEMER: Who else was on that workgroup?
23 I'm looking to see what our representation was. A
24 fairly good representation cross-section wise in
25 some of the areas of disciplines in the Board we

1 got. Well, we'll come back to that and ask about
2 these folks' availability and see how their
3 availability, and time, and so on. But thank you,
4 for that suggestion, that helps the Chair,
5 certainly.

6 Other comments on this? Shall we proceed?

7 The Basic Report, or what is the product.

8 And I think about these in two ways; one is
9 individually because as I envision it, and again,
10 I'm -- I'm throwing some ideas out and you can shoot
11 them down and tell me they're -- I'm thinking wrong
12 and you have a better idea, or we'll go from there,
13 but we -- there will be individual reports that
14 presumably, and this is based, again, on your
15 workgroup's sort of bottom line thing, and I've
16 summarized a little bit, but somehow we'll be saying
17 something about the adequacy and consistency of the
18 site and personnel data, the adequacy of the
19 interview, and the adequacy of dose reconstruction
20 and probability of causation determination, in some
21 form or another. There would be an individual
22 report of an individual dose reconstruction, and
23 after a time there would be a group of these
24 reports, which might be compiled into some sort of
25 composite that comes back to the Board which

1 identifies strengths, weaknesses, adequacies,
2 inadequacies. And there again, that remains to be
3 fleshed out. But is this where we're headed, that's
4 what I'm asking, in the review process, is this
5 where we're headed? So let me throw that out for
6 discussion.

7 Robert.

8 MR. PRESLEY: I see the group that comes up
9 with the task order being the people that come up
10 with some type of a list or a procedure that we come
11 back to the Board with. If they write the task
12 order, it looks to me like they ought to be able to
13 come up with something that says that here's what we
14 give back to the Board, and it's going to encompass
15 all this.

16 MR. GRIFFON: Yeah, and a draft, you know,
17 review report -- a report that would to the HHS. I
18 guess that's what you're --

19 DR. ZIEMER: Well, one of the questions
20 is --

21 MR. GRIFFON: Right.

22 DR. ZIEMER: -- who does the product go to.

23 MR. GRIFFON: Right. Right, right. And I
24 -- I don't disagree with what you've got up here. I
25 think I was envisioning that sort of like a summary

1 of, over a certain period of time, a summary of
2 types of cases done, and a summary of --

3 DR. ZIEMER: Oh, sure. Yeah.

4 MR. GRIFFON: -- you know, the adequacies --

5 DR. ZIEMER: But the nature of the report,
6 is this kind of information coming.

7 MR. GRIFFON: Yeah.

8 DR. ZIEMER: Okay.

9 Henry.

10 DR. ANDERSON: Yeah, I would think this is
11 the nature. I would think, you know, we need to, at
12 some point, separate where the contractor will
13 provide us, the Board, with something, and then how
14 do we synthesize that, whether we do it as an annual
15 report or whatever, but at some point I think we'll
16 have the individual cases, and it will be up to us
17 to interpret how they all fit together and put
18 together that annual report, and I'm not sure until
19 you've had a chance to look at them and look for
20 patterns, and the other would be consistency, I mean
21 have they applied the same thing, same approach
22 every time. And you may end up with the same
23 result, but if it's approached in a different way I
24 think we need to look at are we going to recommend,
25 first we have to say if it's inadequate, we could

1 say it's adequate, but we see there's some room for,
2 you know, some more consistency, or, you know, the
3 approach, so I think that has to be our subcommittee
4 and our group. I wouldn't want to do that summary
5 too frequently, I would say probably on an annual
6 basis, and then that report would be the one the
7 Board sends on to the Secretary, but we really have
8 to do that synthesis in how we do that I think it's
9 hard to flesh that out until you've had a chance to
10 look at at what that produces. But I wouldn't want
11 a contractor to basically be doing our
12 interpretation of it. They're doing the nuts and
13 bolts in pulling it together.

14 DR. ZIEMER: Thank you.

15 Tony, then we have Roy, and then Robert.

16 DR. ANDRADE: I don't disagree with anything
17 that's been said. I think ultimately the report is
18 going to address the very last bullet. It's going
19 to -- in my mind I think it should be some sort of
20 composite from several cases, perhaps a few cases in
21 the beginning; it's -- it really is the adequacy of
22 the dose reconstruction. And the first two bullets
23 may be elements that are culled out specifically in
24 case there's weaknesses, or strengths. But I would
25 only envision an individual's -- a redacted

1 individual's dose reconstruction being brought to
2 light if -- if some major issue had been found in --
3 in the review.

4 DR. ZIEMER: Yeah. Certainly, I don't think
5 any of us envision a report that would --

6 DR. ANDERSON: No.

7 DR. ZIEMER: -- cull out individuals, other
8 than say there was an example of something or other,
9 you may not even necessarily identify a site because
10 we need to be careful, but certainly this would be a
11 composite type of report ultimately, based on
12 individual reports.

13 I think we have Jim, and then --

14 DR. MELIUS: I think we had somebody else.

15 MS. ROESSLER: Roy was.

16 DR. ZIEMER: Roy. I'm sorry, Roy, then Jim,
17 and then Gen.

18 MR. DeHART: I think the Board has -- also
19 has the obligation that as we're considering policy
20 and procedures for reports that we must consider
21 what happens if we find a fatal error. By that, I
22 mean something that's going wrong consistently and
23 we -- we need to step in and the Board must know how
24 we're going to do that in advance.

25 DR. ZIEMER: Okay. So we have a lingering

1 question. I don't know where we hang that right
2 now. And we've -- we've all proceeded as if maybe
3 that won't happen, but we don't want to be like NASA
4 and second guess. And I don't mean that in a
5 derogatory way, either. Unfortunately, sometimes
6 fatal errors do occur, so what do -- what do we do
7 in that case. And this isn't going to be done in a
8 vacuum because there will be periodic reporting, and
9 NIOSH will be aware, obviously, if there are
10 concerns that start to emerge, so I don't anticipate
11 that there will be, you know, out of the blue,
12 surprises, that all of a sudden somebody says you
13 guys have been doing the wrong thing for the last
14 three years. That might occur, I mean somebody
15 might say that, but I think it's unlikely.

16 Jim.

17 DR. MELIUS: I actually was going to make
18 the same point, and I hope Larry doesn't interpret
19 that as being any statement on the likelihood that
20 we'll find a problem, but I have nothing more to
21 add.

22 DR. ZIEMER: Gen.

23 DR. ZIEMER: On your last point there you
24 mention dose reconstruction and probability of
25 causation. It's quite clear that this is a dose

1 reconstruction audit. I'm not sure that probability
2 of causation comes into it, only as to how the dose
3 reconstruction inputs to it. I think that part is
4 something that the Board does on an ongoing thing
5 and really is not a part of the audit function.

6 MR. GRIFFON: I think this is -- I think
7 this is something that Jim Neton has taught us over
8 the working group sessions that I think we're
9 looking at adequacy of dose reconstruction for
10 purposes of POC determination.

11 MS. ROESSLER: Yeah. I think the wording
12 should be made clear.

13 MR. GRIFFON: Did I get that right?

14 DR. ZIEMER: Well, yeah, and they simply end
15 up being linked here because POC is basically the
16 outcome of the dose reconstructions. Yeah, point
17 well taken.

18 MR. NAMON: Dr. Ziemer, I'm just going to
19 point out that there's also kind of a legal
20 distinction there because the POC determination is
21 not made by the Department of Health and Human
22 Services.

23 DR. ZIEMER: Yeah. Yeah, understood. We'll
24 just consider it in this last one, strike the POC
25 from our minds, it's not really there virtually.

1 Okay, Henry.

2 DR. ANDERSON: Yeah, I was just going to
3 follow up on Roy.

4 DR. ZIEMER: The jury will disregard the
5 POC.

6 DR. ANDERSON: Jim's comment was, I think
7 going two steps back when we have kind of
8 procedures, you know, any -- any problem will appear
9 as a first case, and it would seem we just need to
10 have the flexibility in our case selection that if
11 something looks like there may be a problem, we
12 would then immediately move to look at other similar
13 cases, so you would have an investigative process
14 there that it wouldn't say there's a fatal flaw
15 based on a single --

16 DR. ZIEMER: Right.

17 DR. ANDERSON: -- case. You'd want to see
18 is it a pattern, and so we would then -- we just
19 need to have that procedure in place to move forward
20 from there and have that flexibility.

21 DR. ZIEMER: Thank you.

22 Robert.

23 MR. PRESLEY: When we talked about this in
24 the working group we talked about a -- a group,
25 subgroup coming in and reviewing, before our meeting

1 with our contractor, the cases that we had selected.
2 And then the way we had envisioned this -- and Mark,
3 jump in here if I'm wrong -- is that we would come
4 into the Committee as a whole with a recommendation
5 that we've gone through X number of dose
6 reconstructions, and that we find those to be
7 adequate and correct, or we find 11 out of 10 -- or
8 11 out of 12 to be adequate and correct, and we
9 found one that we would like to send back and have
10 some work redone on it at that point in time so we
11 don't wait, so I -- I consider something, some type
12 of a report to be done monthly, or every time we
13 meet, and then down the road, maybe a yearly report
14 back to the powers that be.

15 DR. ZIEMER: Right. And actually, that --
16 that issue becomes part of our procedures for the
17 review; what is the output, and that can include the
18 frequency of reporting to the Board, the frequency
19 of reporting to the Secretary of Health and Human
20 Services, or whatever. Those -- those remain to be
21 refined. I -- I hadn't envisioned, for example,
22 sending a letter to the Secretary every month
23 telling him what the findings were, but -- and I'm
24 sure he's not interested in that either, but an
25 annual report might be quite appropriate. But

1 certainly the Board wants to be apprised on a
2 regular basis.

3 DR. ZIEMER: Other comments.

4 David, please.

5 MR. NAMON: Just one general point I wanted
6 to make sure the Board was aware of, which is that
7 for this whole review process there's going to be
8 some significant proxy considerations to take into
9 consideration, not the least of which is that the
10 Subcommittee and the Board operate in public, and
11 identifying individual claimants is a significant
12 problem. Ordinarily, we would have to redact
13 reports to the point where they're not recognizable
14 to someone who would have been a coworker of that
15 person, so, which is obviously a pretty significant
16 concern. So just something for you all to keep in
17 mind as you're considering how this is going to
18 work.

19 DR. ZIEMER: Yes, and I don't think the
20 Board anticipates discussing individual cases in
21 Board meetings. The reporting would always be done
22 in terms of groups, statistical summaries of cases
23 reviewed and that kind of thing. Is that not
24 everybody's --

25 Robert, you have a comment?

1 MR. PRESLEY: Yes. On that, what we have
2 talked about in the Committee is coming up with a
3 group to do these with an alternate, and if somebody
4 recognizes that, say Savannah River, they worked at
5 Savannah River, then they would excuse theirself and
6 the alternate would step in. That's the way we were
7 envisioning this happening, right upfront.

8 MR. NAMON: I think you still have the
9 concern that if the Subcommittee is operated in
10 public that -- that you'd still face the possibility
11 that the people who are involved would be discussing
12 matters that the public would then be able to
13 identify individuals. I'm sure this is something we
14 could work out if the time comes, but I wanted to
15 make sure that you all were aware that there be a
16 need for significant redaction.

17 DR. ZIEMER: Thank you. And we are
18 certainly aware of that.

19 Henry.

20 DR. ANDERSON: Yeah, it seems to me that if
21 there is something where details need to be
22 discussed by the Board we do have a mechanism to
23 have it be a closed session, just as we did when we
24 talked about the financial aspect; so it's one thing
25 for the written report obviously, to be sure that,

1 you know, that doesn't have any detail, but if -- if
2 an issue comes up that becomes, you know, where
3 there's disagreement on the review group or
4 something and we need to go over the specifics of a
5 case, it would seem that we could, in fact, close
6 that from the public for the discussion of
7 confidential information just as we did with the
8 contract discussion.

9 DR. ZIEMER: Further comments on this item?

10 (No response.)

11 Now, I have one other item which I'm
12 debating in my own mind whether to show you. How
13 many want to see it?

14 DR. ANDERSON: Go ahead, take a chance.

15 DR. ZIEMER: What -- well, I'm going to hold
16 it until after the break.

17 What I have is a -- I'm still -- I'm still
18 trying to make sure we're on the same page as to
19 what a Basic Review report looks like, and the
20 starting point is the Individual Review. And I have
21 kind of a strawman Individual Review report, and
22 then the only reason for showing this is to make
23 sure content wise that we have captured the salient
24 points that need to be in the review. And this
25 would serve then to assist the workgroup which would

1 come up with that. They can use it as an example of
2 what not to do, or they can use it as an example of
3 what they should do, or they can start from scratch.
4 But we'll save that until after the break, how about
5 that. So let's take 15 minutes and then we'll --
6 oh, a comment first.

7 MR. ELLIOTT: We -- we were just kibitzing
8 here a minute about Henry's comment. It's not clear
9 to me that we can go into closed session for that
10 purpose, whether the Privacy Act requirements would
11 trigger a closed session. We're going to -- I'm
12 asking the counsel to check into that because I
13 think that is important for us to determine.

14 DR. ANDERSON: Yeah, that would solve a lot
15 of problems if we could.

16 MS. MUNN: But that's not clear to me,
17 either. It was my understanding that Executive
18 Sessions related only to personnel and legal
19 matters.

20 MR. ELLIOTT: And financial. Let me, for
21 the record state that all the Board members are
22 bound by the Privacy Act as special government
23 employees. The contractor that you will hire will
24 be bound by the Privacy Act. But when you come
25 before, into the public meeting, we -- we have

1 problems and we need to be very careful and diligent
2 in our redaction efforts are -- are making sure that
3 no one can determine who might have been talking
4 about in a public forum, so.

5 (Whereupon, a break was taken.)

6 BY DR. ZIEMER: (Resuming)

7 We'll delay the administrative housekeeping
8 for just a little bit because Cori has some things
9 she needs to take care of first. So I think we can
10 continue with issues related to completed dose
11 reconstruction reviews.

12 Let me remind you that we still have before
13 us the -- the issue of the decision on who will
14 administer the contract, do the procurement on
15 behalf of the Board.

16 Also, I want to finish what we were talking
17 about here, and maybe we'll do that first and then
18 move to the procurement issue.

19 The last thing that I talked about to show
20 you is based on -- I will need the slides up -- is
21 Jim here?

22 MS. DiMUZIO: No. I will.

23 DR. ZIEMER: Okay. Yeah, it's that one.
24 Just open that. It's a Word document. This is not
25 a Power Point, it's a Word document. I just want to

1 go through that.

2 Now, for reference, if you would move into
3 the tab called Discussion Documents, the Request for
4 Contract document, and go to page 16 and 17; page 16
5 and 17 was the Basic Review. Now, what I did here,
6 and I see already that sometimes when you close
7 these programs and reopen them the automatic
8 formatting overrules everything you did.

9 DR. ANDERSON: You mean 1 and 2 aren't the
10 most important?

11 (Laughter.)

12 DR. ZIEMER: In any event, the only thing I
13 did here was take the Basic Review items as they are
14 here, and I've transformed them into a form format.
15 Now, this -- this serves two purposes: I'm really
16 asking the group is this what we want an Individual
17 Review Report to look like? I don't know if we do.
18 Or does it at least capture what it is we want on
19 the Individual Reviews. And we don't have to -- we
20 don't have to come to an approved form here because
21 this clearly is going to go to the workgroup. But
22 just as a point of guidance for the workgroup, all I
23 did was, you know, this was something that I just
24 ended up doing after I was thinking about the other
25 stuff last night, I asked myself the question what

1 would a review report look like. And based on what
2 was here, I just put it in this format.

3 So let me just put it out here, and we don't
4 have -- you can react to it or whatever, but -- and
5 I don't know if there's a way I can move this up and
6 down. Probably not.

7 So I have Henry -- can you sit there on a
8 chair Henry, to just -- well, don't change the zoom.

9 DR. ANDERSON: I was going to make it
10 smaller and then the whole page will be there.

11 DR. ZIEMER: Yeah, and then we won't be able
12 to read it. It's hard enough to read it. Just go
13 over to the side there -- yeah, we can scroll it.

14 Okay. So it says: Were all requested data
15 from the site received or obtained? Yes. No.
16 Comment.

17 I don't know if that's adequate. Were data
18 -- were the data, should it say: Used for
19 documentation of POC or we should say of dose
20 reconstruction -- it's a new abbreviation for dose
21 reconstruction -- adequate? Yes. No. Comment.
22 And then a whole section of questions relating to
23 interview: Were incidents or occurrences
24 appropriately addressed? Yes. No. Comment. Were
25 monitoring practices appropriately addressed? Yes.

1 No. Comment. Were personnel protection practices
2 appropriately addressed? Were work practices
3 appropriately addressed? And in all of these cases
4 it's: Yea. Nay. Comment. And maybe all of these
5 can't be answered by yes or no because it may not be
6 clear cut. Is the interview information consistent
7 with the data used for dose estimate? If -- and
8 here -- wait, go back -- If no, is there reasonable
9 justification for the inconsistencies? Again, this
10 comes out of the document. It's a little different
11 than just a pure comment.

12 Yeah.

13 MR. GRIFFON: Yeah, I think it's a good
14 starting point. I mean I -- I'm glad I didn't draft
15 the same thing last night because I was thinking
16 similarly. And I think that this would be a good
17 starting point since I have to kind of test this
18 form and see if it's sufficient and --

19 DR. ZIEMER: That's right. You actually --
20 it has to be tested with some real cases and so on.

21 Were the assumptions used in the dose
22 determination appropriate? Yes. No. Did the
23 assumptions used resolve issues in favor of the
24 claimant? That is, give claimant the benefit of a
25 doubt. Were the dose calculations appropriate and

1 sufficient for determination of -- again, we should
2 say dose reconstruction. Actually -- actually, this
3 is the right question --

4 MS. ROESSLER: That's okay. Yeah.

5 MS. MUNN: Uh-huh (affirmative).

6 DR. ZIEMER: -- were they appropriate for
7 determination of probability of causation. Were the
8 data used consistent with rad monitoring protocols?
9 Was the treatment of missed dose done properly? Was
10 the treatment of unmonitored dose done properly?
11 And then I put a catchall in.

12 So, I guess the only thing I'd ask here is
13 this sort of along the right track?

14 MS. ROESSLER: Yes.

15 MR. PRESLEY: Yes.

16 MS. MUNN: Yes. You're fine.

17 DR. ZIEMER: Okay.

18 DR. MELIUS: Can I?

19 DR. ZIEMER: Yeah, Jim.

20 DR. MELIUS: I think it's along -- I think
21 it is along the right track in terms of the report
22 that we would have for the Board, how it would be
23 reported back to the Board. I'm thinking that as
24 the Board or the workgroup -- however we, you know,
25 set that up -- works with the contractor we probably

1 want a longer form where they would fill in details.
2 And this might address some of these privacy --

3 DR. ZIEMER: Well, in fact --

4 DR. MELIUS: -- issues also that would --

5 DR. ZIEMER: -- I'm actually looking at this
6 as a report on an individual one right now because
7 you would have to pool this to get your composite,
8 and in the comments part maybe needs to be fleshed
9 out in a different way, but more specifically.

10 DR. MELIUS: Just thinking about it though,
11 I would think that with the Board members
12 interacting with the contractor, they're going to --
13 I would think that we would want the contractor to
14 provide more detail in a report to the Board members
15 on that --

16 DR. ZIEMER: Oh, I'm with you, yeah, yeah.

17 DR. MELIUS: -- I would think that it would
18 include a work history kind of summary that would
19 then fill in some details --

20 DR. ZIEMER: Right.

21 DR. MELIUS: -- of -- of what kind of
22 personal protection, what --

23 DR. ZIEMER: This is more like the executive
24 summary.

25 DR. MELIUS: Exactly. Yeah, yeah, yeah. I

1 think that's -- this kind of thing would be
2 appropriate to come back to the Board, the overall
3 Board, that it would be the basis for, you know, a
4 summary report and provide, you know, the categories
5 and the consistency for that. But there may be
6 another form on top of that, that they would -- so I
7 think -- the point I was trying to make was I think
8 as the workgroup works on the procedure for review
9 and does some of these mock reviews and so forth,
10 that I think they will, you know, sort of develop a
11 series of forms, and one will be a more detailed
12 one, then one less detailed one according to that.
13 And then they have to make sure that the detail
14 would cover each of these points.

15 DR. ZIEMER: Good.

16 Other comments?

17 Now, we may be ready to move to an actual
18 appointment of a working group, I think on at least
19 or some or all of these tasks that we talked about
20 this morning. Are we at that point? Are you ready
21 to do that? This would be a workgroup just to get
22 this process underway. This is not a subcommittee
23 that's going to do this long-term. This is a
24 workgroup that would deal with initial
25 identification of the available cases, initial

1 determination of a case selection process, initial
2 development of procedures for selection of cases,
3 and procedures for the review of cases. Those are
4 the main issues that we talked about. Now, and we
5 had a little discussion about whether that's all
6 that this one Subcommittee, or one Workgroup, or
7 whether -- whether the actual procedures for the
8 review is a separate group, or a follow on activity.
9 It may be that one group can dig in and do all of
10 these things and then they would report back, at
11 least at the next meeting, and tell us where they
12 are on it.

13 Did you have a comment, Mark?

14 MR. GRIFFON: Well, I was just going to say
15 that I also saw a parallel test with the procedures
16 was the drafting of some of the task order language.

17 DR. ZIEMER: And the task orders, right.

18 MR. GRIFFON: Yeah.

19 DR. ZIEMER: Then let me ask, again, those
20 who were on the previous workgroup, let's reidentify
21 here. Mark chaired it, and we had Roy, and Robert,
22 Gen, and Rich. That's two, three, four, five, five
23 individuals. Let me ask if you five are interested
24 and available to participate in this -- this next
25 workgroup activity. I don't -- I don't think you

1 need to feel obligated in terms that you know your
2 own schedule, but you also have some familiarity
3 with the -- the thinking process that went into
4 developing those procedures.

5 Roy.

6 MR. DeHART: I'm certainly interested, but I
7 will be out of country almost for the entire month
8 of April. That tends to be a critical time.

9 DR. ZIEMER: So we may need to find someone
10 for you.

11 Robert?

12 MR. PRESLEY: I'm available.

13 DR. ZIEMER: Available.

14 Gen?

15 MS. ROESSLER: I'm interested and I'm
16 available. It kind of depends on how much time it
17 will take and when. I mean I have my calendar with
18 me. I think I can work it out.

19 MR. ESPINOSA: Is the intent still to have
20 the working group sessions or working group meetings
21 prior to the Advisory Board?

22 MS. ROESSLER: That's what I thought.

23 MR. GRIFFON: I think we'd have to have them
24 separate, yeah.

25 MR. ESPINOSA: I mean it won't happen like

1 -- I mean we're not going to piggy-back the Advisory
2 -- we won't piggy-back the Advisory Board?

3 MR. GRIFFON: We may. It may be both.

4 MR. ESPINOSA: It may be both.

5 MR. GRIFFON: I would see at least a need to
6 go to Cincinnati as a separate meeting --

7 MR. ESPINOSA: Okay.

8 MR. GRIFFON: -- not necessarily tied in
9 with a Board meeting, and depending on what we find
10 out about SEC Rules, but not necessarily tied into
11 that.

12 DR. ZIEMER: Tony.

13 DR. ANDRADE: Paul, I guess I would suggest
14 perhaps getting a sense of the Board on whether
15 starting two parallel efforts with smaller scopes of
16 work. In other words, one looking at procedures in
17 developing the task orders, for example, that might
18 be a one-day activity, or even less; and then the
19 other, developing the administrative procedures for
20 case selection, case availability, and that sort of
21 thing. If -- if we can reduce the work scope and
22 have two working groups, so to speak, you know --

23 DR. ZIEMER: I understand that. My concern
24 would be the degree of overlap, and the fact that we
25 need to have this all on the same page in a sense.

1 Comment, Jim?

2 DR. MELIUS: Could I suggest an alternative
3 to that, but maybe capture some of that. We could
4 have the initial workgroup get the process started,
5 and then as they define other tasks that need to be
6 done or refine those, and then we look at people's
7 availability over time and so forth because there
8 may be periods of time when people aren't available.
9 It may be that that will be how it would work out.
10 If this initial workgroup came back to us at the
11 next meeting with sort of an update where they
12 stand, what they see needs to be done --

13 DR. ZIEMER: How far they've gotten.

14 DR. MELIUS: -- how far they've gotten, what
15 needs to be done, and then, you know, we have enough
16 people and time to do it in, then I think we can
17 sort of decide from meeting to meeting, and it may
18 very well then make sense for, you know, split the
19 workgroup or bring other people in for particular --
20 particular tasks and so forth.

21 DR. ZIEMER: Gen.

22 MS. ROESSLER: Just picking up on what Jim
23 and Tony have said, I like the idea that Tony
24 brought up of people rotating on and off this group;
25 you'd have maybe a consistent core or consistent

1 over a period of time, then as the need comes up,
2 and I could see this almost, you know, maybe in the
3 second meeting of the group that somebody rotates
4 off, somebody comes on that would be more familiar
5 with all the sites and could help with the site
6 selection; I'm thinking of Mike, for example,
7 someone like that with a specialty need rotate on.

8 DR. ZIEMER: I want to caution you that
9 we're not thinking in terms of a long-term group
10 with people rotating on and off. We're talking
11 about a short-term working effort or task. This
12 would be a workgroup that reports back at our next
13 meeting, and then we will decide whether additional
14 work needs to be done. They may complete everything
15 by the next meeting. This is not a group which is
16 going to be involved in necessarily monitoring the
17 dose reconstruction activities over the next year.
18 This is a group to address these immediate tasks of
19 getting some procedures into place.

20 MR. GRIFFON: Yeah, I had just a comment on
21 what Tony said. I was thinking also about that,
22 concerned about overlap, and, you know, cause there
23 -- there could be an obvious break here with the
24 procedures and the task order parts, and then the
25 selection criteria part, because the -- how are we

1 going to stratify, what kind of sampling processes
2 are we going to use, that kind of work. But I think
3 there would be a little bit of overlap, and I -- I
4 wouldn't mind that our group take a first shot at
5 that.

6 The other thing is that I think to do the
7 selection criteria, and the -- and the
8 identification of the cases is also going to require
9 some distance, and if one group is already there
10 initially, you know, I think we can probably.

11 DR. ZIEMER: My inclination is to ask the
12 A-workgroup to get this underway. It may be that
13 they can report back at the next meeting, and then
14 we can see whether or not either they or some
15 modification of that workgroup needs to do some
16 additional work to complete the tasks. And that
17 would be what I would propose, and what I'm moving
18 toward here, I appoint this -- would be to appoint
19 those available who had been involved in that
20 process who are familiar with the thinking, but we
21 need to, for example, find someone to -- if Roy's
22 availability is in question, maybe somebody who can
23 fill that seat, as it were.

24 MS. ROESSLER: I thought Roy was a very
25 valuable part of this group in the first assignment,

1 and I would suggest that we first look at our
2 calendars and see if we couldn't involve a time when
3 he could be there.

4 MR. DeHART: I have the remainder of
5 February and all of March, and would be pleased to
6 try to adjust my calendar to be available, even
7 though I will be gone.

8 DR. ZIEMER: Let me suggest the following:
9 I will appoint the workgroup and maybe have at least
10 one alternate available.

11 Do we have a limit on numbers on a
12 workgroup? It has to be less than a majority of the
13 Committee membership, which would be six. We can't
14 have seven, but we can have up to six.

15 We have one, two, three, four, five. And
16 the Chair might want to be present just to observe,
17 which would give us six, but who is -- Tony, are you
18 interested in being an alternate?

19 DR. ANDRADE: (Nods head affirmatively.)

20 DR. ZIEMER: Anyone else interested in being
21 an alternate?

22 MR. GIBSON: Yeah, I would be.

23 DR. ZIEMER: Mike, okay.

24 DR. MELIUS: I would be willing to,
25 depending on availability, and time, and the issue,

1 I'd be glad to help out, so.

2 DR. ZIEMER: I will ask Mark to serve as
3 Chair, if you're willing to, Mark. And then Roy,
4 and Robert -- Roy DeHart, Robert Presley, Gen
5 Roessler, and Richard Espinosa to serve on the
6 workgroup; for Jim Melius, Mike Gibson, and who
7 else, Tony Andrade --

8 MS. MUNN: And I could do that.

9 DR. ZIEMER: -- and Wanda, and Henry, are
10 all available as alternates.

11 MS. MUNN: All available. Uh-huh
12 (affirmative).

13 DR. MELIUS: Let's not forget Leon.

14 DR. ZIEMER: So we have a number of folks
15 available as alternates. This workgroup would
16 proceed to develop the procedures for identification
17 of available cases, the case selection process,
18 procedures for the selection of cases, and parallel
19 to that, the development of task orders, and, if
20 there's time, procedures for the review of cases.
21 But they will report back at our next meeting on
22 their progress and with any recommendations that
23 they have at that time based on their experience.
24 They may, by that time, have some specific
25 recommendations and they will have a better feel for

1 the nature of the time needed to complete the tasks,
2 and whether it can be done by that workgroup or
3 whether we have to go beyond that.

4 I don't think it requires Board action for
5 the appointment of a workgroup. I think the Chair
6 is empowered to do that. Of course, any group is
7 empowered to challenge the decisions of the Chair by
8 motion, but if that's a group -- are there any
9 objections to that?

10 (No response.)

11 DR. ZIEMER: There appear to be no
12 objections, so we will proceed on that basis. I
13 will ask the Chairman of the working group to work
14 with the individuals to find a suitable meeting
15 time. I think you can do that individually, you
16 don't have to do that as a group.

17 MR. GRIFFON: Before we leave, I would
18 propose maybe we can all get together and look at
19 our calendars.

20 DR. ZIEMER: And let the Chair know what
21 your plans are.

22 And, Larry.

23 MR. ELLIOTT: Just for the record, you've
24 clearly defined the charge for the working group.

25 DR. ZIEMER: Yes, I --

1 MR. ELLIOTT: That's one thing --

2 DR. ZIEMER: The charge was to develop
3 procedures for identification of available cases, to
4 develop a process for case selection, to develop
5 procedures for the selection of cases, and
6 procedures for the review of cases, if there's time.
7 Those are the tasks that this workgroup is supposed
8 to do, and in parallel with that, develop a task
9 order.

10 MR. GRIFFON: The other thing as far as
11 scheduling a meeting with the working group, we
12 might want to ask Larry when is a good or bad time
13 to be at NIOSH and availability of staff, things
14 like that.

15 DR. ZIEMER: It's always a good time to go
16 to Cincinnati.

17 MR. PRESLEY: Or is Jim going to be able to
18 help us on this?

19 DR. ZIEMER: Well --

20 DR. NETON: I was just checking.

21 DR. ZIEMER: Well, can I ask that you all
22 work that out?

23 DR. ANDRADE: A quick question. Do you want
24 this initial working group to at least brainstorm on
25 case selection criteria as part of their charge?

1 DR. ZIEMER: Yes, that's one of the -- that
2 was a part of it, yes. Didn't I say that? Yes,
3 that is definitely part of it.

4 Now, I'd like now to focus on -- I'm going
5 to focus on the issue of the procurement. We -- we
6 have discussed already two options; one option is to
7 proceed with the procurement under CDC; another
8 option was to have the procurement done through the
9 Department of Labor. Let me ask first if any Board
10 members wish to identify any additional options?

11 (No response.)

12 There appear to be none. Then I propose
13 we'll proceed as follows: Number one, if the Board
14 wishes to proceed with NIOSH/CDC as the procurement
15 agent, then no action has to be taken because that's
16 the track we are currently on. If the Board wishes
17 to utilize the Department of Labor as the mechanism
18 for the procurement, then we will ask for a formal
19 motion to do so. And so the Board -- and so the
20 Chair will now entertain a motion, if anyone wishes
21 to make a motion, to move the procurement to the
22 Department of Labor. Is there anyone who wishes to
23 make such a motion?

24 (No response.)

25 DR. ZIEMER: The Chair hears no such motion.

1 In the absence of a motion, I will declare that we
2 will proceed with the procurement through Centers
3 for Disease Control, and instruct Larry to proceed
4 along that path.

5 And we have some idea of what the timetable
6 is, based on yesterday's discussion.

7 Now, I'd like to ask the working group that
8 prepared the document -- Request for Contract
9 document, if they have any additional changes or
10 modifications that need to be made in the document
11 before we proceed with the procurement? You will
12 recall yesterday Larry indicated that if they are --
13 if we are to proceed right away we need to confirm
14 that this is the document.

15 Mark.

16 MR. GRIFFON: We had -- you probably recall
17 the end of last meeting I had worked with some other
18 folks on some draft amended language for Attachment
19 A, specifically in the Conflict of Interest section
20 there was concerns on the language being too, I
21 guess, too limiting, and we wanted to make sure it
22 was consistent with an evaluation of conflict of
23 interest rather than -- rather than eliminating all
24 possible bidders, so we did redraft an Amendment and
25 I would propose to offer that now for -- to amend

1 Attachment A.

2 DR. ZIEMER: Could you identify specifically
3 the section and part of Attachment A?

4 MR. GRIFFON: It's Attachment A, Section E,
5 Conflict of Interest.

6 DR. ZIEMER: And item number?

7 MR. GRIFFON: The entire section.

8 DR. ZIEMER: Give us a paragraph. This is
9 for the recorder, so --

10 MS. ROESSLER: Paragraph E.

11 DR. ZIEMER: All right. Give us a page
12 number.

13 MS. ROESSLER: Page 9.

14 MR. GRIFFON: It's page 9 --

15 DR. ZIEMER: Page 9.

16 MR. GRIFFON: -- on to page 10, it's Section
17 E.

18 DR. ZIEMER: And the particular paragraph?

19 MR. GRIFFON: It's the entire Section.

20 We've amended the language for the entire Section.
21 Some of it will be similar, but I -- and I have that
22 available if we want to get to it.

23 DR. ZIEMER: I think we need to identify
24 what the change in language would be. Okay. We --
25 we have that on a disk. It will take just a minute

1 to load that, and while that's being loaded, can you
2 describe for the Board the nature of the change in
3 language that is being proposed before we actually
4 see the words?

5 MR. GRIFFON: In a nutshell, I'll try.
6 Basically --

7 DR. ANDERSON: Is it here somewhere?

8 MR. GRIFFON: No, it's -- I've got to get it
9 on disk and give it to you.

10 Basically, we attempted to, rather than have
11 criteria that said -- that looked at, for instance,
12 the potential bidder's work history with DOE, AWE
13 sites and we said that -- I think the language as it
14 exists now says something to the effect that if
15 they've had any work --

16 DR. ZIEMER: In the past two years.

17 MR. GRIFFON: -- in the past two years, then
18 they're excluded from even entering in, you know,
19 it's a black-line sort of criteria, and we rewrote
20 that to say that that work history with DOE, DOE
21 contractors, etcetera will be considered in the
22 evaluation of conflict of interest, but not
23 necessarily an exclusionary statement. I guess that
24 sort of summarizes.

25 DR. ZIEMER: Okay. While the words are

1 being detected and selected, and put up, we can
2 discuss this.

3 DR. MELIUS: On a related issue to how NIOSH
4 is going to manage the contract, and I guess -- I
5 don't think we -- I don't believe we've talked about
6 it before, at least not directly, at least I don't
7 recall, is to how it would be managed within your
8 group, Larry, within OCAS, or is there an
9 alternative for technical or contract oversight
10 within other agencies, other parts of NIOSH, I
11 should say, or other parts of the CDC? And my
12 concern is that -- that there be an issue that comes
13 up where there is conflict between the Board, or --
14 I don't want to say conflict -- disagreement between
15 the Board and you or your staff over what could be
16 done, or how the contract is being handled, or the
17 oversight provided for that. And that that would --
18 that you or your staff would be telling the Board
19 that no, we can't proceed with this task or
20 whatever, or access to records, or something like
21 that, or the process that would -- and you would be
22 telling us no, we would want to go forward, and that
23 would, I think, put you and your staff in a very
24 awkward position. It would be, you know, in
25 appearing to -- appearing to be impeding our review.

1 And I just didn't know if there were alternatives in
2 terms of either technical or contract, or say that
3 it was being from another part of NIOSH or a part of
4 CDC that would help to obviate that issue.

5 MR. ELLIOTT: The only time that anyone
6 would be saying no to this Board in a task order
7 format is when you put something on the table that
8 would be outside the boundaries of the FAR, so
9 outside the procurement requirements. We're going
10 to be, as I said earlier, walking a very fine line
11 here to make sure that we don't influence the
12 Board's direction otherwise, so. Are there other
13 places within CDC, I think there's one CDC
14 Procurement Grants Office, that's where this will go
15 to, you know, so that's where the contracting
16 officer will be. It will -- Martha DiMuzio, as my
17 program analyst, will monitor the expenditures. We
18 have to keep that inside OCAS because that's where
19 the funding -- funding source is, otherwise we have
20 to do some transfer of funds and that becomes
21 somewhat problematic, as you may know; so certainly
22 I don't see any conflict in that regard. I think we
23 will, of course, need to have a -- what's called a
24 technical monitor assigned to this procurement that
25 serves as the contracting officer's technical

1 liaison, if you will, to make sure that what the
2 Board's task orders are as they come forward if
3 there are questions at the contracting officer level
4 that somebody can explain, a technical background.
5 We are fully aware of where we stand in this regard,
6 and, you know, we're going to march accordingly to
7 make sure that we don't appear to be, again,
8 influencing or providing direction to the Board.
9 This is your -- your work and your product; we're
10 just going to serve to facilitate it. That's all I
11 can do to answer your question.

12 DR. ZIEMER: Jim, let me also add to -- to
13 the discussion that ultimately this Board reports to
14 the Secretary of Health and Human Services, and I
15 would suppose that in the unlikely event we had some
16 kind of a major disagreement on some issue that an
17 appeal could be made at a very high level, which
18 would certainly --

19 DR. MELIUS: There are possible situations;
20 for example, review -- more in-depth reviews, about
21 access to records, obtaining records, and so forth
22 that I think could become problematic. I'm not
23 saying that we need an alternative, but I -- I think
24 all those procedures need to be worked out fairly
25 carefully so that we try to avoid conflict or a

1 potential problem in -- in terms of this issue, so
2 we don't put NIOSH in the position of -- or the
3 Board in the position of being in conflict with
4 NIOSH, and you -- you know, Larry, and Larry's staff
5 being seen to hold up or attempting to thwart a
6 quality review. And it may not -- you know, again,
7 I'm not saying it's going to be somebody's fault
8 doing it purposefully, but just giving the
9 appearance of doing that, and -- and I think we need
10 to think about it. Maybe that's something as we get
11 along. I don't think it has to be done now, but as
12 we get along with the task group, the working group
13 ought to be thinking a little bit about it as they
14 outline what the procedures are going to be for you,
15 and is there a potential -- are there potential
16 problems with access and information, what do we do
17 in those instances, and so forth.

18 MR. ELLIOTT: I just can't envision or
19 imagine -- maybe you can help me out here. In your
20 example, where, how would it come about that you
21 would be limited in access to information or
22 records? I mean --

23 DR. MELIUS: Well, if there were long delays
24 in obtaining information, or if there was problems
25 with trying to obtain additional information, which

1 could come up in terms of the more in-depth reviews,
2 so -- because remember, the more in-depth reviews
3 can be some way at looking at how complete and
4 thorough you -- your staff was, or your contract
5 staff was in obtaining information.

6 MR. ELLIOTT: But these are completed dose
7 reconstructions; they are a snapshot in time, so
8 whatever information was used, whatever site profile
9 was available at the time to complete the dose
10 reconstruction should be already in the house, in
11 our hands, and you have immediate access to it.

12 DR. MELIUS: Yes, but we're going to be
13 looking at how adequate that was, was there missing
14 information.

15 MR. ELLIOTT: If we don't have the
16 information, how can we limit your access to it?

17 DR. MELIUS: Well, because we will be
18 looking for additional information that you missed, and
19 there's, I mean -- yeah, yeah, and from DOE. I mean it's
20 not --

21 MR. ELLIOTT: Well.

22 MS. ROESSLER: If you can't get it, you
23 can't get it.

24 MR. ELLIOTT: I don't know how to answer
25 this question because I just can't -- I can't seem

1 to conceptualize the instance --

2 DR. ZIEMER: It doesn't sound like a
3 situation where NIOSH is attempting to thwart the
4 review process.

5 DR. MELIUS: The -- the issue is going to be
6 how the -- the conduit to getting information, for
7 example, from DOE, is going to be the -- NIOSH.
8 We're not going -- the Board is not going directly
9 to DOE for information. And you have the same
10 issue --

11 DR. ZIEMER: Well, you're perhaps
12 identifying something where the Board might be
13 seeking more information from DOE, where in the
14 normal review process we might -- the review might
15 identify that some information is inadequate;
16 whether the review has to actually go out and
17 therefore get that information is -- it seems to me
18 is a separate issue from the review process. The
19 review process is -- is in place to identify, for
20 example, adequacy or inadequacy. If it's
21 inadequate, then that is reported, whether now
22 something has to be reopened and more material, it
23 seems to me now is something other than the review
24 process, but I -- that's how I'm reacting to that.

25 MR. GRIFFON: I mean this is the question

1 that we've thrown around for a while on the Board,
2 but I guess a question of was sufficient effort put
3 forth in the dose reconstruction process to obtain
4 all of the relevant records, and if -- if -- I can
5 see a situation where NIOSH would say well, we knew
6 these other documents existed; we -- we had a
7 general description of them; we deemed them not
8 relevant. And the Board might say well, you know,
9 for whatever reason they feel that they want to look
10 at those documents and make sure that they weren't
11 relevant, just not, you know, inadvertently
12 overlooked, you know, something like that.

13 DR. ZIEMER: I think what I'm saying is it
14 seems to me that if the Board makes that judgment,
15 they can make the judgment saying that we, for
16 example, think these documents should have been
17 obtained. You can make that judgment -- you don't
18 necessarily need those documents to make the
19 judgment because once you get the documents, you can
20 say sure, look, they really were inadequate, or, oh,
21 you were right, they weren't. But the judgment is
22 that you should have had the -- we think you should
23 have had these documents, right. Do we need the
24 documents to make the judgment.

25 MR. GRIFFON: Well, if -- if -- you know, if

1 you get in that situation where they say well, you
2 know, we had a general summary of what those
3 documents were, we believe they wouldn't have been,
4 wouldn't have been relevant and, or significantly
5 affected the outcome of the case, how does an
6 auditor sort of test that, you know, without having
7 the actual documents themselves. That's the
8 question.

9 MR. ELLIOTT: Well, how do we establish the
10 basis of that without seeing the documents ourself?
11 So I don't see us doing that, I think we have to
12 have the documents in order to say they're not
13 relevant.

14 MR. GRIFFON: I'm just -- this is
15 hypothetical.

16 DR. ZIEMER: Yeah, there's a lot of
17 hypotheticals here.

18 MR. ELLIOTT: I don't see -- I don't -- I
19 truly don't see us holding you up. I don't see us
20 interfering; in fact, we're walking this fine line
21 because on the other side of the line is we could
22 use you to our best advantage to pressure DOE, you
23 know, and there becomes in that, in and of itself,
24 another conflict, if you will. I mean we want this
25 information, we want to push DOE to give us this

1 information; we apply pressure as best we can, and
2 we leverage them. And certainly this Board has --
3 has an opportunity to do that for us, okay.

4 DR. ZIEMER: In fact, it would seem to me
5 that if -- if this Board saw a pattern where we felt
6 that there were lack -- there was a lack --
7 consistent lack of adequate documentation that we
8 could in fact go to NIOSH with this information and
9 they could in fact, once we made such a judgment, go
10 back to DOE, for example, and say our Board has told
11 us that we need to get more of whatever it is, so,
12 in fact, could use it as a pressure point for a
13 future date.

14 But I think the point is made, Jim. I think
15 we hear the point and the Subcommittee has, and --

16 DR. MELIUS: Very seriously.

17 DR. ZIEMER: -- and I'm not sure what more
18 we can do on it today except to be alert and to ask
19 that that be considered as we go forward.

20 DR. MELIUS: That's all I was asking.

21 DR. ZIEMER: Right. Thank you.

22 I kind of lost track of where we were. Oh,
23 we have the --

24 DR. MELIUS: Waiting for Mark to get this up
25 on the screen.

1 DR. ZIEMER: We have the language up there,
2 so we want to, for the record indicate the proposed
3 changes in Item E, Conflict of Interest. The first
4 paragraph --

5 MS. ROESSLER: It's not the same.

6 DR. ZIEMER: -- is not the same.

7 MS. ROESSLER: He doesn't have the same
8 document. I thought you were going to put what we
9 have here in front of us and then indicate the
10 changes.

11 MR. GRIFFON: Oh, the last one, oh, no, it's
12 different.

13 MS. ROESSLER: Maybe I'm looking for
14 something different.

15 DR. ZIEMER: Is this a proposed change in
16 the whole Section E?

17 MR. GRIFFON: The whole Section E is -- is
18 revised, yes.

19 MS. ROESSLER: So we need to compare what's
20 up there with what we have in this.

21 MR. GRIFFON: And you'll notice as you read
22 -- I wish -- I should have got printouts of this
23 actually because it's hard to read from the screen.

24 MS. ROESSLER: It is.

25 MR. GRIFFON: I don't know if we -- if

1 that's something we can do fairly quickly, but if
2 you'll -- you will notice similar language as you go
3 through these paragraphs, but things have been moved
4 around, and -- and we grouped -- I grouped something
5 kind of called a Conflict of Interest plan, giving
6 that 10 points, and the Work History, giving that 15
7 points. And there's criteria such as those hard-
8 line criteria are removed, so it's more up to the
9 evaluation panel to consider their work history,
10 rather than an exclusive, you know, hard-line
11 decision.

12 DR. ZIEMER: Okay. Let me ask the Board a
13 question here: Would you like to get some hard copy
14 of this and then have a chance maybe later in the
15 morning or right after lunch to bring this to
16 closure? It's a little hard to work on --

17 MS. ROESSLER: I have a suggestion that
18 might make it faster. I mean what I did was read
19 through what we have here, identified what I thought
20 were the key points, and there are about five of
21 them, and then just evaluated it for what it is.
22 And what I, based on our discussions before, and as
23 far as I'm concerned I've gone through every point
24 and I feel that he's addressed them all according to
25 our recommendations, and well. I only have one

1 question. I don't know if other people would find
2 that efficient or not.

3 DR. ZIEMER: But built into this is a change
4 in the two-year requirement as I understand it,
5 Mark, is that correct?

6 MR. GRIFFON: That's correct.

7 DR. ZIEMER: Mark is proposing that the two-
8 year requirement be dropped in favor of it goes to a
9 nonspecified time period and simply says that that's
10 one of the things that gets --

11 MR. GRIFFON: Right. For instance, that one
12 paragraph says greater emphasis will be placed on
13 work experience within the past two years. But it
14 doesn't exclude a bidder if they've worked DOE, AWE,
15 etcetera, etcetera in the past two years, so.

16 DR. MELIUS: Can we get a -- for now, I
17 think it's a lot easier.

18 MR. GRIFFON: I think it would be easier.

19 DR. ZIEMER: Yeah. We'll ask if we -- if we
20 can get the printout so we each have it sort of side
21 by side, that will be helpful. And we'll take care
22 of some of other business in the meantime, and then
23 return to this. Is that agreeable?

24 MS. ROESSLER: Yeah. So Mark, you --

25 DR. ZIEMER: And we have an issue of whether

1 we can get a printer here.

2 MS. HOMER: I'll have to take it to the
3 front office and see if I can find somebody that has
4 this on their computer. They don't have a business
5 center at the hotel, so.

6 DR. ZIEMER: Is there a Kinko's close by?

7 MS. HOMER: There is something close by.

8 MS. MUNN: But we don't have an interim
9 edited form that shows strikings and moves and.

10 DR. ANDERSON: Well, this is all different.

11 MR. GRIFFON: It was -- see, it was totally
12 removed, so to redline, strikeout, it didn't make
13 sense the way the changes are made, yeah.

14 DR. NETON: It looks like it's only about
15 page 1 on here.

16 MR. GRIFFON: Well, I would actually say --
17 and now I'm going to -- I remember this. The
18 Attachment A, if you go to the very top, Jim,
19 there's a couple of other changes. These were taken
20 from Section -- removed from Section E and put as
21 overriding factors. And because these are hard-
22 line, I believe these were hard-line criteria that
23 could not be, you know, you can't evaluate a bidder
24 on -- these are basically, if you meet one of these
25 you cannot bid, so I pulled those up front because

1 it sort of doesn't make sense to -- to give points
2 -- they're not even allowed to go through the
3 process is what this is saying, so those were pulled
4 up front out of Section E. I think the language
5 remained more or less the same as it was in the
6 original draft.

7 DR. ZIEMER: Well, wait a minute.

8 Section E --

9 DR. ANDERSON: Of Attachment A.

10 MR. GRIFFON: Of Attachment A.

11 DR. ZIEMER: Of Attachment A, okay.

12 MR. GRIFFON: So I think a printout would be
13 helpful --

14 DR. ZIEMER: Yeah. We --

15 MR. GRIFFON: -- of the whole thing.

16 DR. ZIEMER: -- we do need to do that.

17 Let's -- and that may be -- well, originally my
18 thought was that we could kind plow along and maybe
19 even have a late lunch and finish up our business,
20 but maybe that -- we'll see what we can do to get
21 this printed up. In the meantime, let's try to take
22 care of some other issues.

23 MR. GRIFFON: It's on that disk.

24 MS. ROESSLER: We need two Coris.

25 MR. ELLIOTT: Well, I'll fill in for in for

1 Cori while she's running this down.

2 MS. HOMER: Well, what we could do, is I
3 could do housekeeping, and then run this down and
4 get it printed and everybody break for lunch while I
5 do that.

6 DR. ZIEMER: One possibility, and I had
7 earlier given members of the public a heads-up that
8 we might want to move that Public Comment Period up.
9 Could I ask if there are members of the public who
10 did wish to address the Board, and who are here, and
11 willing to that at this time. Are there any members
12 of the public who were planning to address the
13 public this afternoon -- or to address the Board
14 this afternoon?

15 MS. HOMER: Nobody's signed up.

16 UNIDENTIFIED SPEAKER: Nobody's signed up.

17 DR. ZIEMER: Nobody's signed up to address
18 the Board. Okay. Is there anyone here who is
19 wanting to do that at 2:45, and insists on waiting
20 until then?

21 (No response.)

22 DR. ZIEMER: Okay. Just as an informational
23 item, Robert Presley.

24 MR. PRESLEY: I was asked to bring this in
25 front of the Board. The Department of Labor has put

1 out a booklet/pamphlet called Frequently Asked
2 Questions, and it's been passed out in Los Alamos,
3 and Oak Ridge that I know of. And I have had two
4 individuals come to me and say that it's causing
5 some problems. The problems are: When the
6 individual goes to the doctor and says that I have a
7 problem, I need my bills paid under workmans' comp,
8 the doctor immediately says oh, have you filed a --
9 under the --

10 MS. MUNN: EEOICPA.

11 MR. PRESLEY: Yeah. OWA -- I'm sorry. The
12 sick-worker bill, and if their answer is yes, then
13 workmans' comp doesn't cover this, you need to go to
14 the sick-worker bill. So they turn around then and
15 get on the phone and call the 1-800 number and try
16 to get paid, try to get what they have to do to set
17 up appointments, and they say no, you have to go
18 back through workmans' comp. So apparently all this
19 is, is causing more confusion and consternation than
20 it is doing good. And I don't know what to do about
21 it, but I was asked to bring this in front of the
22 Board as a problem.

23 And I think Mark has had, or heard some of
24 the same problems that I have, so it's not -- it's
25 not just a one -- you know, one person having

1 problems with it.

2 DR. ZIEMER: Is this a Department of Labor
3 publication?

4 MR. PRESLEY: Yes, it is. It's from the
5 Department of Labor.

6 DR. ZIEMER: Well, first, this Board is not
7 currently in the business of advising the Department
8 of Labor.

9 MR. PRESLEY: That's exactly right.

10 DR. ZIEMER: Now, there are -- is there a
11 Labor representative still here that we can refer
12 this to and --

13 UNIDENTIFIED SPEAKER: I can carry that back
14 and see if we can resolve it.

15 MR. PRESLEY: That was all I was asked to do
16 was to bring it in front of the Board.

17 THE COURT REPORTER: Can I have your name,
18 sir?

19 MR. COUCH: Yeah, my name is Jeff Couch with
20 the Department of Labor. I'll certainly take that
21 back and pass that word along.

22 DR. ZIEMER: Thank you. We appreciate that.

23 DR. NETON: I'd like to just ask one
24 question, if I could. Bob, was that -- was the
25 person seeking medical treatment for cancer, or was

1 it a non-cancer related illness, do you know?

2 MR. PRESLEY: To my knowledge, it was
3 cancer.

4 DR. NETON: Okay.

5 MR. ELLIOTT: Do you know if this is being
6 handled out at the Resource Centers, is that the
7 source of this document? I mean maybe Jeff knows
8 this question.

9 MR. PRESLEY: I picked this one up when we
10 up to Los Alamos the day after our meeting in Santa
11 Fe. They were having a -- Labor was having a
12 conference up there or some type of a conference and
13 I picked my copy up up there at a conference. It
14 was being handed out, and then the one that came to
15 me through the mail was just a Xerox copy from --
16 from an individual, so I presume -- I really don't
17 know where it's been handed out, but it's been
18 passed around.

19 MR. COUCH: I think that is a product of,
20 you know, that comes out of one of our groups at the
21 National Office.

22 DR. ZIEMER: Okay. Thank you. Your issue
23 has been, in a sense, referred to the Department of
24 Labor for resolution.

25 Let's move on to the Board work schedule.

1 The first question is: Do we have any updated
2 information on the Special Exposure Cohort proposed
3 ruling?

4 MR. KATZ: Hi, so this is Ted Katz.

5 DR. ZIEMER: Walk us through where we're at.
6 Ted Katz of Centers for Disease Control.

7 MR. KATZ: People are working furiously to
8 try to get the NPRM published. And based on that,
9 there's a -- you know, there's a reasonable chance
10 we could -- we could have this meeting on either the
11 27th and 28th of February -- yeah, it's a -- those
12 are narrow windows here because there are other
13 conflicts too. Another possibility is a one-day
14 meeting, which would just focus, I guess, entirely
15 on this Rule, but March 3rd or March 7th are open,
16 too. Those would be on the front end of the comment
17 period, which is, I think, what you would prefer if,
18 you know, if it all works out well, and this gets
19 posted.

20 DR. ZIEMER: Without committing to any
21 specific date, is there a, sort of a expected window
22 when this is going to come out?

23 MR. KATZ: Well, there's -- I mean we're
24 hoping to be able to get it published by the 24th of
25 February. Again, it's still in review, so we could

1 fail that, but that's what we're shooting for.

2 DR. ZIEMER: Well, let me ask it in a
3 different way. Is it likely to be out before then?

4 MR. KATZ: Well, again, there's no
5 statistics to apply to this, but -- but, yes,
6 everybody's -- everybody's working very hard to make
7 this happen.

8 DR. ZIEMER: There is a long shot then.

9 MR. KATZ: It's -- so it's not, I wouldn't
10 say it's a long shot, but --

11 DR. ANDERSON: But I wouldn't bet on it.

12 MR. KATZ: -- but that's what we're -- no,
13 no, that's -- I mean that's what we're shooting for
14 is all I can tell you really. It's not going to go
15 that far.

16 DR. MELIUS: If they're shooting for
17 February 24th, and given -- I mean I would hate to
18 set up a meeting for the end of that week, assuming
19 it would be out. It seems to me that the 7th is --
20 that may -- I'm not sure how the availability is,
21 but that would be more reasonable and would be
22 within the 30-day comment period.

23 MR. KATZ: The 24th is giving us a little
24 bit of a safety margin, so --

25 DR. MELIUS: Three days of safety margin.

1 MR. KATZ: No, no, no. I'm saying it could
2 get published before the 24th, but that's got a
3 little bit of a safety margin in it already. Again,
4 there's problems with availability is why I'm giving
5 you these dates. There's -- the following week, the
6 week of the 13th is out because I believe Larry is
7 out of pocket that week.

8 MS. ROESSLER: What month are we in?

9 DR. ZIEMER: March.

10 MR. KATZ: March. The week of March 13th.

11 MS. ROESSLER: There's no week of March --

12 MR. ELLIOTT: March 10th.

13 MR. KATZ: March 13th is in the middle of
14 the week. Sorry.

15 MS. ROESSLER: The week in which March 13th
16 occurs.

17 DR. ZIEMER: Well, as a starter, let's
18 identify -- it seems to me it's unlikely that we're
19 going to want to meet in February again; here we are
20 into the first week in February.

21 MS. ROESSLER: Oh, but it's so much fun.

22 MR. ESPINOSA: Are we looking at just a
23 one-day meeting?

24 MS. MUNN: Maybe two. It depends on what
25 we get.

1 MR. PRESLEY: What I would propose, if we
2 can come in here on the 5th through the 6th, the
3 working committee could come in a couple of days
4 early. Would y'all want to meet in Cincinnati?

5 MR. ELLIOTT: We would want to do this in
6 Cincinnati or in D.C.

7 MR. PRESLEY: If we did it in Cincinnati the
8 working group could come on in early and we could --
9 we could -- if everybody is available that week.

10 DR. ZIEMER: Well, it's a possibility,
11 just --

12 DR. MELIUS: One thought I had was, and it
13 may help with some of this flexibility is that the
14 Chair appoint a working group to prepare some draft
15 comments on the SEC regs, you know, contingent on
16 timing and so forth, so --

17 DR. ZIEMER: And bring that to the Board,
18 and then --

19 DR. MELIUS: Bring that to the Board, so,
20 you know, that would, I think, be more practical to
21 do the review and prepare our remarks within the
22 one-day, you know, time limit, and so forth and not
23 have to extend it over two days. I think it would
24 help the process anyway. I think we can get better
25 closure when we're there in person, rather than

1 doing it as follow-up conference calls later.

2 DR. ZIEMER: Other comments?

3 (No response.)

4 DR. ZIEMER: We can certainly do that, but
5 let's see what availability of dates are. Let me
6 begin in March. The week of March 3rd, who has
7 conflicts besides the Chair?

8 MS. MUNN: I have a Tuesday conflict, but I
9 could, if we had to.

10 DR. ZIEMER: I'm out of the loop Monday
11 through Thursday, so I could meet on Friday.

12 MR. DeHART: I can meet on Friday.

13 DR. ANDERSON: Friday is okay.

14 DR. ZIEMER: The 7th is available? Okay.
15 That's an available date. Let's look at the next
16 week.

17 DR. ANDERSON: Are you saying no, Gen?

18 MS. ROESSLER: It's kind of difficult, but I
19 could do it.

20 DR. ZIEMER: Okay. One possible.

21 MS. ROESSLER: I might have to quit my
22 regular job.

23 DR. ZIEMER: Minor details.

24 MR. GRIFFON: Are we -- have we excluded
25 February 27th and 28th?

1 MS. ROESSLER: No.

2 DR. ZIEMER: Well --

3 MR. GRIFFON: Those dates are actually
4 better for me.

5 MR. ESPINOSA: Yeah.

6 MS. MUNN: Yeah, they're good for me.

7 MS. ROESSLER: I can't make it that week.

8 MR. DeHART: I can't either.

9 DR. ANDERSON: I can't either.

10 DR. ZIEMER: I guess we've excluded. Okay.
11 The week of March 10th, any bad dates there?

12 MR. ELLIOTT: I can't do it.

13 MR. GRIFFON: I can't do it.

14 DR. ZIEMER: The whole week is out.

15 MR. ELLIOTT: I need a vacation.

16 DR. ZIEMER: The week of March 17th. The
17 week of March 17th, who has got conflicts the week
18 of March 17th?

19 MS. MUNN: Monday, Tuesday's okay, Thursday,
20 Friday's okay.

21 DR. ANDERSON: Friday's out.

22 DR. ZIEMER: Bad days. Okay. The 21st is
23 out. Others?

24 DR. MELIUS: The 20th is out.

25 DR. ZIEMER: The 20th is out.

1 MR. ELLIOTT: Now you're at the last week of
2 Public Comment Period.

3 MS. ROESSLER: 17 and 18, is that available?

4 DR. ZIEMER: We're at the last of the Public
5 Comment Period if, in fact, it is out in time.

6 MS. ROESSLER: 17 and 18 possible? No.

7 DR. ZIEMER: Okay. Do you want to settle on
8 a specific one of these dates? Are we talking about
9 one day then?

10 MR. PRESLEY: I would think.

11 DR. ZIEMER: One day in Cincinnati.

12 MS. ROESSLER: How about if the working
13 group gets together the 17th and/or the 18th, and
14 then the Board meets on the 19th for just a one-day
15 meeting if we do what Jim suggested about having
16 another group do a preliminary on it?

17 MR. GRIFFON: The only concern I would have
18 is if there is significant changes to the SEC rules,
19 which I imagine there are, we don't leave ourselves
20 any follow-up time; we're right at the end of the 30
21 days.

22 MS. ROESSLER: Yeah, that's nervous.

23 DR. ZIEMER: Which then pushes us back to
24 approximately the 7th.

25 DR. MELIUS: What about the working group on

1 Thursday?

2 MR. GRIFFON: I'm not sure I can.

3 MS. ROESSLER: I'll just have to make it
4 work.

5 MR. GRIFFON: Yeah, the working group -- I
6 mean I would like to link it so that the working
7 group could go up maybe Thursday, or Wednesday and
8 Thursday, you know, or at least -- at least
9 Thursday.

10 MR. DeHART: Okay.

11 MR. ESPINOSA: That week is a little bit
12 rough, but if we can pinpoint it to where I know in
13 advance. I mean is it going to be two days for the
14 working group and then a day with the Advisory
15 Board?

16 MR. GRIFFON: I would say just Thursday.

17 MR. ESPINOSA: Just Thursday?

18 MR. GRIFFON: Yeah.

19 MR. ESPINOSA: Because you've got to
20 consider a day of travel going to, and that kind of
21 throws me off if we're going to go the Wednesday and
22 Thursday.

23 MR. GRIFFON: I'm just a little nervous
24 about just giving ourselves one day. We have a
25 pretty large scope of work for the working group

1 also, and --

2 DR. ZIEMER: Well, and also keep in mind
3 that we also still have a meeting in April
4 scheduled, and --

5 MR. GRIFFON: Yeah, there's more
6 opportunities to go back to Cincinnati.

7 DR. ZIEMER: I don't think when we charged
8 the working group we were anticipating you would
9 only have a couple of weeks to get together, so you
10 could give us a status report, but not have
11 necessarily completed everything.

12 Okay. We appear to have reached agreement
13 that we are going to set aside March 7th, one-day
14 meeting, Cincinnati, to deal with the Special
15 Exposure Cohort. This is contingent on the
16 publication in the *Federal Register* actually having
17 occurred.

18 And Cori, I assume in Cincinnati it will be
19 a situation where if we need to cancel you will need
20 to -- well, you're --

21 WRITER/EDITOR: We can't hear you.

22 DR. ZIEMER: I was just wondering, if -- if
23 she goes ahead and blocks off hotels and then it
24 turns out the document is not available, how readily
25 she can cancel, maybe not any easier in Cincinnati

1 than anywhere else. The same problems arise;
2 penalties, and so on, at hotels. We'll have to deal
3 with it.

4 Okay. I guess we've agreed on that.

5 DR. ANDERSON: Just --

6 DR. ZIEMER: Henry.

7 DR. ANDERSON: I mean will we have some
8 advance warning of an actually firm publication
9 date? I mean isn't there two weeks to get it into
10 the *Federal Register* or something?

11 MR. KATZ: No, it actually just takes a
12 couple of days once it's cleared by the Secretary,
13 so.

14 DR. ANDERSON: Okay.

15 MR. KATZ: But we'll give you whatever
16 advance notice we can.

17 DR. ANDERSON: Yeah, I was looking for, you
18 know, as far as scheduling and finalizing the
19 meeting. You're going to have to get it -- our
20 meeting has to be notified sufficiently in advance,
21 so we may have to put the meeting in the *Federal*
22 *Register* before we know that we're even going to
23 have a meeting, and canceling the *Federal Register*
24 meeting becomes --

25 DR. ZIEMER: Now, it's been suggested that

1 we also have a working group to do some advance work
2 on preparation of comments prior to the meeting.
3 Let me ask -- that was the suggestion, let me ask if
4 there is any sort of consensus amongst Board members
5 that you want to have a working group do that.
6 There seems to be a consensus.

7 DR. MELIUS: I think it would just be
8 helpful to have -- somebody have some language
9 ready. We have our prior comments.

10 DR. ZIEMER: Yeah, right.

11 DR. MELIUS: We'll see what changes there
12 are --

13 DR. ZIEMER: I'm going to ask --

14 DR. MELIUS: -- and stuff like that.

15 DR. ZIEMER: -- I'm going to ask -- the
16 Chair will ask for volunteers to be on the
17 workgroup, a minimum of three people. Jim, Mike,
18 okay. I will be the third person and the three of
19 us will try to work out -- so this will be a
20 workgroup to draft some language for the Committee
21 as possible comments on the *Federal Register* notes.

22 Let me ask, does that workgroup also wish to
23 come in to Cincinnati a day ahead, or we might be
24 able to do this by e-mail or phone.

25 DR. MELIUS: By e-mail.

1 DR. ZIEMER: E-mail and phone, okay.

2 Comment?

3 MR. ELLIOTT: Ted, help me here. I think we
4 can help this working group of the Board by giving
5 them a cross-look analysis of what changes were
6 made.

7 DR. ZIEMER: That would be very helpful.

8 MR. KATZ: Yeah, I was just assuming I would
9 attend that working group. How about that?

10 DR. ZIEMER: And Ted, that might be a
11 teleconference sort of thing. We'll get the
12 documents and we can talk. Thank you.

13 DR. MELIUS: Or you can come visit one of
14 us.

15 DR. ZIEMER: Mark.

16 MR. GRIFFON: Just a point for clarification
17 that the dose reconstruction working group plans on
18 meeting on the 6th, one day ahead of that meeting in
19 Cincinnati, March 6th, so we plan on working that
20 day on our tasks.

21 DR. ZIEMER: Agreed. Thank you.

22 Comment?

23 MR. NAMON: I was just going to add that it
24 was our hope that we would have one of your
25 attorneys for the dose working group, but on the 6th

1 we will not be able to do so, but we will certainly
2 be available for other occasions to make sure that
3 especially the privacy angles are covered.

4 DR. ZIEMER: Yeah, and at this point they're
5 still going to be dealing just with procedures and
6 so on, not -- not working on dose reconstructions
7 per se.

8 MR. GRIFFON: I should ask though, Jim Neton
9 if he could have any staff available?

10 DR. NETON: I should be able to.

11 DR. ANDERSON: Paul, do we have a drop-dead
12 date and a fall-back? Do we want to look at the
13 week of the 17th for a fall-back? I mean let's say
14 the 24th isn't met, and instead it's planned to come
15 out on the 5th, and so now we've got two days, you
16 know, and what -- what kind of lead time does one on
17 the workgroup to be able to read -- I guess I don't
18 us to have a one-day meeting and have those of us
19 who were out the previous week not have any chance
20 to take a look at it, so, you know, I just don't
21 want us to all get together and now we'll have
22 another gripe session about how here we are again
23 without insufficient time, so we probably now ought
24 to plan our strategy that if it doesn't come out --

25 DR. ZIEMER: What is Plan B?

1 DR. ANDERSON: Yeah, what's Plan B, if it
2 isn't on the 24th, do we then go to the fall-back
3 period? It's too bad if we have to cancel rooms and
4 there's a cost, but to have a meeting with
5 insufficient time, you know, and not waste our time
6 too.

7 DR. ZIEMER: Good point. Jim, you have a
8 comment?

9 DR. MELIUS: Yeah, I was going to say the
10 contingency may be a little bit more complicated,
11 but I think we pick one day because it's going to
12 depend on when it comes out, and --

13 DR. ANDERSON: Yeah.

14 DR. MELIUS: -- that we pick one day that
15 could either be an alternative meeting day, or an
16 alternative date for a conference call if we, you
17 know, can prepare preliminary comments we need to
18 finish at the 7th, but, you know, we're able to
19 finish them up later or whatever, so.

20 DR. ZIEMER: Good suggestion.

21 DR. ANDERSON: I mean what we -- we don't
22 know how --

23 DR. ZIEMER: There has to be a reason.

24 DR. ANDERSON: -- how extensive the changes
25 are and then how -- how much conversation and

1 concern will be raised by those changes. If there's
2 changes that basically reflect our advice on the
3 first set, we shouldn't have as much of a problem
4 with doing it.

5 DR. ZIEMER: How about if we pick a time, a
6 day in the week of the 17th, that could either be
7 used for a full meeting, if needed, or for a
8 conference call meeting.

9 DR. ANDERSON: Yeah.

10 DR. ZIEMER: What were the conflicts that
11 week?

12 DR. ANDERSON: Just Friday, I think.

13 DR. MELIUS: I have a conflict on Thursday.

14 DR. ZIEMER: 20th and 21st were out; 17th,
15 18th, or 19th, that's Monday, Tuesday, or Wednesday.
16 Any preferences?

17 MR. GRIFFON: Well, how about the 18th, if
18 that's possible for people cause then we could have
19 the working group --

20 DR. ZIEMER: Because then you still --

21 MR. GRIFFON: -- meet on the 17th, if --

22 DR. ZIEMER: -- have your working group.

23 MR. GRIFFON: -- that's a good day for the
24 working group, as well.

25 DR. ZIEMER: So we'll mark -- is that

1 agreeable with everybody? We'll mark as Plan B, the
2 fall-back date would be March 18th with the working
3 group meeting on the 17th, or the Dose
4 Reconstruction Review Workgroup.

5 Okay. Thank you.

6 Let me ask, Cori, do we have other
7 housekeeping items?

8 MS. HOMER: Just a couple.

9 DR. ZIEMER: Yes.

10 MS. HOMER: If you want to turn to the last
11 page of your Minutes where the action items are
12 listed. There were four listed; bullet one and
13 bullet three were actually taken care of today:
14 Providing the Board with a list of sites lagging in
15 responding to records requests and a breakdown of
16 reasons why; and, an update on implementation of the
17 conflict of interest policies was requested. And I
18 believe both of those have been handled during this
19 meeting. The last one was just a projected meeting
20 dates and we've already taken care of that.

21 Just as an update, I have not signed a
22 contract, but have pending dates in Oak Ridge for
23 April 28th and 29th, and will get back with you as
24 soon as possible as soon as those dates are
25 confirmed with the hotel.

1 MR. ELLIOTT: What the Board needs to decide
2 is, you know, are those -- do they want to meet
3 again on those dates, I think.

4 MS. HOMER: Okay.

5 MR. ELLIOTT: And now is the time to figure
6 out if, you know, if you're going to meet in April
7 and, you know, what do you -- I mean we talked about
8 some IREP scientific issues that we might be able to
9 explore a little bit, but what would your agenda
10 look like, I guess.

11 DR. ZIEMER: Well, particularly if we meet
12 in March on the Special Exposure Cohort.

13 DR. MELIUS: I was --

14 DR. ZIEMER: Well, the other -- the other
15 thing that we would be far along on the -- on this
16 issue and so I guess it would be the review
17 procedures issues, task order, and the selection.

18 DR. MELIUS: I don't know if, on some of
19 those IREP scientific issues, whether it will be
20 timely to -- if that will give you enough time to
21 prepare one of those or something.

22 MR. ELLIOTT: I think the end of April.

23 DR. ZIEMER: Yeah, this is basically the end
24 of April.

25 MR. ELLIOTT: I think HERB could be ready,

1 that's the research branch at NIOSH, and I think
2 they can be ready by April to give you a
3 presentation on the status of DOE workforce studies.

4 DR. MELIUS: Maybe start on the smoking
5 thing or something, I don't know, just see where
6 you, how it would work out.

7 DR. ZIEMER: Okay.

8 MS. MUNN: I guess I need to whine and carry
9 on a little bit about that April date. At the time
10 we were talking about them I did not realize that I
11 would be in China for the preceding two weeks,
12 and --

13 DR. ZIEMER: This is prior to the Oak Ridge?

14 MS. MUNN: Prior to the Oak Ridge meeting,
15 yeah. The earliest date I could be back from China
16 would be Sunday, the 27th, and probably Monday, the
17 28th, which means I have a choice of stopping on the
18 West Coast and changing my clothes, or just
19 continuing to fly to the East Coast. And I'm not at
20 all sure whether I'd be awake at all while we were
21 here. If there's --

22 DR. ANDERSON: We can handle the medication
23 side.

24 MS. MUNN: Thanks. Thanks a lot. Yeah, I
25 appreciate that part. Do I get go-pills or no-go-

1 pills?

2 DR. ANDERSON: I've got some military
3 contacts.

4 MS. MUNN: Yeah, yeah, if the Air Force can
5 do it, then I can do it. I guess the -- the 1st and
6 2nd would be so much better for me if it's at all
7 possible to do that.

8 DR. ZIEMER: Well, the 1st and 2nd were the
9 alternative dates.

10 MS. MUNN: Okay.

11 DR. ZIEMER: In the meanwhile, Cori, did you
12 already check, are we locked into April?

13 MS. HOMER: We are not locked in.

14 DR. ZIEMER: Are the other two dates
15 available, or?

16 MS. HOMER: Those are the only two dates
17 available at the hotel in Oak Ridge; Knoxville, I'm
18 still searching.

19 DR. ZIEMER: I certainly don't object to
20 waiting till Thursday and Friday. We can still go
21 into Oak Ridge, right, without having -- we don't
22 need to stay in an Oak Ridge hotel necessarily.

23 MR. DeHART: I won't be able to be there on
24 the 1st and 2nd.

25 MS. MUNN: Roy.

1 DR. ZIEMER: Was there a reason we excluded
2 the 30th? For example, suppose it was the 29th and
3 30th, or the 30th and the 1st.

4 MS. MUNN: The 30th and 1st I could do.

5 DR. ZIEMER: Did somebody have a conflict?

6 DR. MELIUS: I have a conflict on the 30th.

7 DR. ZIEMER: That was the problem. Well,
8 the other thing is recognizing we were trying to
9 keep this sort of early in May because there was a
10 big gap between this meeting and then, but we have
11 another meeting in between, so we could go later in
12 May if we needed to. There would be no reason we
13 couldn't do that. It might even be nicer in Oak
14 Ridge.

15 What is your pleasure?

16 MS. MUNN: The following week is --

17 DR. ZIEMER: I see no urgency to meet early
18 May if we have another meeting next month anyway.

19 MS. MUNN: The following week is good for
20 me.

21 DR. ZIEMER: How is the following week?

22 And we're not locked in, you said?

23 MS. HOMER: No, we're not.

24 DR. ZIEMER: How is the week of May 5th?

25 MR. DeHART: I'm out.

1 DR. ZIEMER: Out all week?

2 MR. DeHART: Yeah.

3 MR. ESPINOSA: Are you out the whole month,
4 or?

5 MR. DeHART: What?

6 MR. ESPINOSA: You were saying something
7 about being out a whole month.

8 MR. DeHART: No. That was April. I'll be
9 in China with her. Keep it quiet.

10 DR. MELIUS: We'll meet there.

11 MS. MUNN: Yeah, okay. Fine.

12 DR. MELIUS: Larry won't invite us to the
13 beach, maybe you two could invite us to China.

14 DR. ZIEMER: How about the week -- how is
15 the week of the 12th?

16 MR. ELLIOTT: I can't do that.

17 DR. ANDERSON: Okay.

18 MR. GRIFFON: I think the only -- I was
19 going to say the only thing I'm a little concerned
20 about is if we start moving too far back, if we get
21 this -- which we hope we will get this contract out,
22 the clock, if I remember right, is 120 days, and
23 that will be like June -- mid June, and I'd like to
24 have these task orders like ready to go.

25 DR. ZIEMER: Yeah, ready to go.

1 MR. GRIFFON: Right, so just keep that in
2 mind.

3 MS. MUNN: So you said you couldn't make the
4 1st. Could you make the 2nd?

5 MR. DeHART: No.

6 MS. MUNN: You're out the 1st and 2nd.
7 Okay. You can have your choice; you can have me, or
8 you can have Roy. Take a toss up.

9 DR. ZIEMER: This is a tough one. How many
10 favor Roy?

11 (Laughter.)

12 MS. MUNN: All in favor of Roy, all in favor
13 of Wanda?

14 DR. ANDERSON: A sleepy Wanda, or an absent
15 Roy.

16 DR. ZIEMER: Yeah, I don't like to look at
17 it that way?

18 MS. ROESSLER: What was wrong with the week
19 of the 5th, again?

20 DR. ZIEMER: That was out for --

21 MS. ROESSLER: Who?

22 DR. ZIEMER: Roy. And the week of the 12th
23 is out for Larry. And is the week of the 19th
24 actually too late you think, Mark?

25 DR. ANDERSON: We've already marked that as

1 a follow-up. That was a --

2 DR. ZIEMER: May.

3 MR. ELLIOTT: Yeah, we did. We already
4 marked that as May 19th and 20th was also
5 acceptable.

6 DR. ANDERSON: But that was for conference
7 calls.

8 DR. ZIEMER: No, that was the regular
9 meeting time.

10 MS. MUNN: That was a regular meeting, yeah.

11 DR. MELIUS: February 19th was the
12 conference call.

13 DR. ANDERSON: Okay.

14 DR. ZIEMER: I'm wondering, are we still
15 okay, I hate to meet with people having to be
16 absent.

17 MS. MUNN: Yeah, I do too. The 19th and
18 20th is fine for me.

19 DR. ZIEMER: Any objection to May 19th and
20 20th?

21 DR. ANDERSON: Where would it be?

22 DR. ZIEMER: Oak Ridge, I think.

23 MR. PRESLEY: Oak Ridge.

24 DR. ANDERSON: Because I have to be in
25 San Diego on the 21st.

1 MS. MUNN: That's easy. Easy. It's a long
2 day, and you're going to a major hub. Don't worry
3 about it.

4 DR. ANDERSON: Well, I just need to get out
5 on the afternoon of the 20th, so if we end on the
6 20th at noon, I'm okay.

7 MS. MUNN: Yeah, you're going West, just
8 stay up all night.

9 DR. ANDERSON: Thanks a lot.

10 DR. ZIEMER: Okay. It appears that we have
11 consensus for May 19th and 20th for our Oak Ridge
12 meeting, as opposed to the May 1st. That's only a
13 two-week delay, so maybe we'll be okay.

14 Thank you. Any other housekeeping items
15 then, Cori?

16 MS. HOMER: Just provide Larry with your
17 written outside hours if you've worked on a working
18 group, or prep time. Please be as specific as
19 possible, so that I can submit the request
20 accurately.

21 One other thing, because I haven't requested
22 this in a while. Take a look at the roster and
23 check your information; make sure it's all correct,
24 and if I need to update it, please let me know as
25 soon as possible.

1 DR. ZIEMER: Now, the only task we have left
2 to do is to address the proposed changes in Section
3 -- or Attachment A, and it's going to be a little
4 while before the -- the computers or printers here
5 has a virus I understand and they actually had to
6 send this out. I was hoping we could simply work
7 through and finish before lunch, but it looks like
8 we'll take a lunch break, and deal with that
9 immediately after lunch.

10 MR. GRIFFON: I can scroll through it.

11 DR. ZIEMER: I'll leave it up to the group,
12 but --

13 MS. ROESSLER: I'd like a printed copy if we
14 can get it.

15 MS. MUNN: It makes it a lot easier.

16 DR. ZIEMER: We all have to eat lunch
17 anyway, so.

18 MS. MUNN: Yeah.

19 DR. ZIEMER: Let's do that and take a break.
20 Let's try to be back here as close to 1:00 as we
21 can; if you're here by 1:00 we'll start, and finish
22 up -- certainly finish up before 2:00 o'clock, maybe
23 sooner.

24 (Whereupon, a luncheon recess was taken.)

25 BY DR. ZIEMER: (Resuming)

1 I'm going to ask Robert Presley to quickly
2 determine the level of interest for the Oak Ridge
3 meeting in a tour of ORNL and K-25.

4 MR. PRESLEY: Would anybody be interested in
5 taking -- when we go to Oak Ridge, taking a two-,
6 two-and-a-half-hour tour of the second -- the last
7 half of the second day? And what we will do is get
8 permission to go over to ORNL; drive through; talk a
9 little bit about what went on; and Larry's mentioned
10 going to the graphite reactor; we're going to get
11 permission to do that; go to K-25; drive through;
12 let you see the buildings; talk about what went on
13 at K-25; come back over to Y-12; go up on the Ridge,
14 the Overlook at Y-12; and talk about what went on in
15 some of the buildings at Y-12. That's -- you're
16 talking about two, two-and-a-half hours.

17 DR. ZIEMER: Can we see a level of interest?
18 How many would want to do that if we can arrange it?

19 BOARD MEMBERS: (Board Members raise hands.)

20 MS. MUNN: I guess that sounds like a few.

21 MS. ROESSLER: In the audience, too.

22 MR. PRESLEY: The public, sorry, it will
23 only be Board members.

24 MS. DiMUZIO: Staff also?
25

1 MR. PRESLEY: Staff -- yes, staff can go.

2 DR. ZIEMER: Okay.

3 MR. PRESLEY: All right. We're talking
4 about 20 people, so we'll need a bus to hold 20
5 people.

6 MS. MUNN: Yeah, we're talking about a
7 little bus.

8 MR. PRESLEY: I'll try to set that up.

9 DR. ZIEMER: Now, the item we have before us
10 is Attachment A. And Mark and the working group met
11 during the lunch hour to give us some level of
12 assurance that the working group has agreed to the
13 changes. And Mark will lead us through these items
14 and show us where there's no change. As an example,
15 the first three items appear in the current
16 contract, or the current Attachment A, but he's
17 moved them from other locations. So lead us through
18 and show us what the changes are, and I would say
19 most of the document, there's no wording changes
20 either, but we have some that are perhaps critical
21 here, so Mark, take us through very quickly,
22 starting at the beginning there.

23 MR. GRIFFON: I can say that I'll go through
24 the new document and then we get to Section E, I've
25 opened the old document up and I've numbered the

1 paragraphs there and I can show you where we kind of
2 cut and pasted because things got moved around; a
3 lot of the language is very similar, but things got
4 moved around and it would be hard to do a side-by-
5 side, so I'll take you through Section E separately.
6 But first, looking at the overall document, like
7 Paul said, the first three items were moved to the
8 front end and it's both the areas where points are
9 assigned, you'll notice, and that was because these
10 are more or less hard-line criteria; if they don't
11 meet these prerequisites, if the bidders don't meet
12 these prerequisites, they can't bid on this
13 contract, so we thought they needed to be pulled out
14 of the point system and into the front part of the
15 document. So this is the one that's been handed
16 out, Wanda, is that -- is everyone looking at the
17 one that just got handed around? Okay.

18 Section A, if you --

19 DR. ZIEMER: Just as a matter of interest,
20 the first item in the old contract --

21 MR. GRIFFON: Well, I was going to --

22 DR. ZIEMER: Okay.

23 MR. GRIFFON: I'm going to do that later,
24 let's step through the whole document first, then
25 I'll go back to that, yeah.

1 DR. NETON: Excuse me, one second. What
2 file was that on here?

3 MR. GRIFFON: It's Attachment A, underscore
4 5.

5 DR. NETON: The last one in that group?

6 MR. GRIFFON: Yeah. Yeah, that's it, the
7 last one.

8 If you look at Section A, Personnel, in this
9 new document -- they're going to hand it around --
10 it's all the same, to the best of my knowledge. I
11 haven't done a word-by-word through it, but I think
12 the only section that we edited was Section E,
13 actually; so Section B is the same; C is the same; D
14 is the same; E is drastically changed, but a lot of
15 the paragraphs were cut and pasted, but they were
16 modified somewhat, so we should step through that;
17 and then Section F remains the same.

18 So now if you -- if you could open the old
19 document that's in our binders, if you look, for
20 instance, at the first paragraph E-1, I labeled that
21 E-1, the first paragraph in the old document, that
22 ends up being in the new document under the Conflict
23 of Interest Plan section, the 10-point section, the
24 first paragraph there. The language is not the
25 same, but the concept is the, you know, that's where

1 that concept moved to.

2 DR. ZIEMER: Which paragraph is that?

3 MR. GRIFFON: It's the second paragraph, the
4 first paragraph under the Conflict of Interest Plan
5 on the new.

6 DR. ANDERSON: Where it says Conflict of
7 Interest Plan, 10 points?

8 MR. GRIFFON: Right. And this -- I should
9 step back a second -- the section is divided up into
10 two sections; Conflict of Interest Plan, 10 points,
11 and Work History, 15 points, and the bullets that
12 sort of fall into each, that's why there was some
13 cutting and pasting from the previous document
14 because they weren't always in the appropriate
15 order, so we moved them around a little. And this
16 Plan is what -- basically what we're expecting.
17 They're not disqualifiers, it's that this is the
18 information that you should include in your plan, a
19 minimum to disclose potential, perceived, actual
20 Conflicts of Interest on -- on your team. And then
21 the Work History below, is actually -- there will be
22 15 points assigned, paying attention to the key
23 personnel staff, and organizational conflicts of
24 interest; and it goes on, but the one striking
25 difference in that section is that previously we had

1 a hard-line where we said if the bidders worked --
2 the bidders were key personnel and worked with DOE,
3 DOE contractors, etcetera, etcetera, or NIOSH, or
4 ORAU within the last two years they were
5 disqualified. Well, we -- we took that out and we
6 replaced it with the phrase about that greater
7 emphasis will be placed on the work history within
8 the past two years -- work experience within the
9 past two years; so again, that gives the panel more
10 flexibility, and points will be assigned based on
11 this, but it's not, they're not disqualifiers
12 anymore, like they were in the previous document.
13 That was the idea, to give --

14 MS. MUNN: That's good.

15 MR. GRIFFON: Yeah. Part of the reason this
16 arose was the concern that we would be excluding too
17 many potential bidders, and yeah, unintentionally,
18 but -- but it would have happened probably, so. So
19 then if -- if we brought -- let's see, let's start
20 at the front end of this document, the front end of
21 the new one. If you want to do a paragraph-by-
22 paragraph, these three points that I listed there as
23 prerequisites now, used to be in the -- the first
24 one was Section E of the old document, paragraph
25 number 6, which is on page 10.

1 MS. ROESSLER: Under number one, I think the
2 intent was here to eliminate anybody who's working
3 for NIOSH. And then as far as ORAU goes, that's the
4 part of ORAU under the contract -- Dose
5 Reconstruction Contract, that doesn't mean all of
6 ORAU, does it? Back in the document it does put in
7 parentheses under Contract Number 200-so-and-so, or
8 does that -- is the intent there that nobody who
9 works for ORAU?

10 MR. GRIFFON: The intent was any work for
11 ORAU. If you look back at the part of E-6, it
12 doesn't have that reference to the contract. That's
13 for another.

14 MS. ROESSLER: Okay. So anyone who's
15 currently, or in the past -- well, currently working
16 for ORAU, which is a really big group, is
17 automatically eliminated.

18 MS. MUNN: For key personnel.

19 MR. GRIFFON: Right.

20 MS. ROESSLER: Yeah, I mean I just want to
21 make sure that that was the intent.

22 MR. GRIFFON: Yeah.

23 MS. ROESSLER: I don't know that that's bad,
24 but I --

25 MR. GRIFFON: That's the intent.

1 MS. ROESSLER: Okay.

2 MR. GRIFFON: I think we -- we did have some
3 debate on that, but that's, if you look at E-6 in
4 the original document --

5 DR. ZIEMER: It's the same words.

6 MR. GRIFFON: -- that's the same words.
7 Yeah. And you'll notice Paragraph E-6 of the
8 original document was split in half, and the reason
9 for that, if you look when we get back to Section E,
10 is that we didn't want that hard-line of a criteria
11 for DOE or DOE sites, DOE contractors, but we still
12 thought the bright line should apply to NIOSH and
13 ORAU because it just -- this was too close to what
14 they'd be doing under this contract, and so we give
15 more flexibility, and if we look in Section E you'll
16 see that. And the idea there was that they may have
17 other work, and they'd be evaluated based on that,
18 so that if their other work with DOE was really
19 closely related to dose reconstruction, I think that
20 will work against them, as opposed to if they had
21 other work with DOE that wasn't in any way related
22 to dose reconstruction, I think you'd say that, you
23 know, that's fine, so. So the second paragraph on
24 the top of the document there comes from Paragraph
25 E-4 in the original document.

1 DR. ZIEMER: The only change is the word
2 "additionally" in the original document.

3 MR. GRIFFON: Right. This is the expert
4 witness question that we've gone through.

5 And then the third paragraph is the one that
6 Gen, that you were talking about. This says -- I
7 think, maybe I'm wrong -- but this says that anyone
8 that's under the current NIOSH contract obviously
9 can't also be on the auditing contract.

10 MS. ROESSLER: Okay. So the first one is
11 broad, and the third one is specific.

12 MR. GRIFFON: Right.

13 DR. ZIEMER: And again, this is the same
14 wording as before, the only exception being that the
15 original paragraph had the word "finally" --

16 MR. GRIFFON: Right.

17 DR. ZIEMER: -- at the beginning of it,
18 which is not needed.

19 WRITER/EDITOR: Say that word again.

20 DR. ZIEMER: For the third point, finally.
21 The original document had the word "finally" at the
22 beginning because of the way it was sequenced in
23 here. It's just item three. But that doesn't
24 change the meaning in any way.

25 MR. GRIFFON: Then going on to Section E

1 itself, the first paragraph, as far as I can tell on
2 my quick cross walk here, is a new paragraph. And
3 that was just to put the overall goal or objective
4 of this -- this Conflict of Interest section in
5 perspective. I think a key phrase here at the end
6 of this is that, you know, the Board's statutory
7 dose reconstruction review mandate in order to
8 assure the highest degree of independence, while
9 balancing these concerns with technical
10 qualifications. So this is the idea, just to put
11 the rest of this section into perspective. We're
12 looking for balance between technical qualifications
13 and conflict of interest issues.

14 And under Conflict of Interest Plan, the
15 10-point section, that first paragraph comes from
16 E-1 in the original document. Okay. And it looks
17 longer, so I'm assuming it was modified a little
18 bit. It generally talks about disclosure of your
19 personnel basically, and what their potential,
20 perceived, or actual conflicts would be. And this
21 is the plan itself. Okay.

22 Stop me when it's appropriate.

23 The next paragraph comes from --

24 DR. ZIEMER: Mark?

25 MR. GRIFFON: Uh-huh (affirmative).

1 DR. ZIEMER: Let me insert here. The first
2 part of that, I guess it's the first couple of
3 sentences are the same or similar, but then this is
4 expanded from before, including this: The entire
5 plan shall be made public.

6 But doesn't that parallel what we had on, or
7 what ORAU had in their requirement?

8 MR. GRIFFON: I thought it did, yeah.

9 MR. NETON: I don't think we committed to
10 making the plan public, but we did.

11 DR. MELIUS: Yeah, I think that's --

12 DR. NETON: I don't think the contract
13 requires specifically that we make the Conflict of
14 Interest plan public.

15 MR. GRIFFON: That's actually in the -- in
16 the original E-1 paragraph, isn't it?

17 DR. NETON: I don't think so.

18 MR. GRIFFON: E-1 in the -- in the last
19 draft that we did.

20 MR. DeHART: Yes.

21 DR. ZIEMER: Well, and incidentally, that
22 last sentence of that paragraph, Mark, is somewhat
23 similar to the second to last paragraph at the end
24 of the document, which says something about what we
25 plan to do in the future; it's not a grading or an

1 evaluation. You're sort of telling the contractor
2 that, oh, by the way, we can make this information
3 public, so it would seem to me that as an option we
4 might suggest the contracting officer, if there's
5 another place in the contract to put that, it could
6 be moved; it's certainly not part of the evaluation
7 screen itself.

8 DR. MELIUS: Though I think -- I agree with
9 that, though I think it also, to me it would be
10 helpful if I was applying for this to know,
11 understand that oh, I have to do a, you know, a
12 conflict of interest, and by the way, it's going to
13 be a public record.

14 DR. ZIEMER: Right. I'm saying it -- it
15 could be in another part of the document, not in the
16 evaluation criteria --

17 DR. MELIUS: Right.

18 DR. ZIEMER: -- we're not evaluating them on
19 that.

20 MR. GRIFFON: Agreed. Agreed.

21 DR. NETON: It might be the case, though,
22 that someone would not want to have their conflict
23 of interest plan public, and in which case they
24 could be docked under this criteria.

25 DR. ZIEMER: Good point, but we're not

1 leaving that as an option, are we?

2 DR. NETON: No.

3 MR. GRIFFON: Right. That's why it may
4 be --

5 DR. NETON: We could put it in both places,
6 I suppose.

7 MR. GRIFFON: Maybe it can be -- yeah, I
8 don't object to it being moved to the main body or
9 something like that.

10 DR. ZIEMER: I think we can leave it in here
11 now, but I'm just saying it's -- we're not
12 evaluating per se on that basis.

13 MR. GRIFFON: Yeah.

14 The next paragraph was the former paragraph
15 E-5. I think that's very close to the original
16 language, except that NIOSH and ORAU are removed
17 from that because that's a hard-line at the front of
18 the document now, the NIOSH and ORAU --

19 DR. ZIEMER: They're already --

20 MR. GRIFFON: Right. That's a hard-line, so
21 you don't lose -- right.

22 The next paragraph is from the original
23 document, paragraph E-6, it's the other half --
24 remember I said E-6 was split in two pieces -- this
25 is the other section, not related to NIOSH and ORAU,

1 but related to DOE and AWE, and this allows that
2 they can pursue other radiation-related work with
3 DOE or DOE contractors, but they should demonstrate
4 how this will not affect their performance on this
5 contract, and their potential conflicts related to
6 this contract.

7 DR. ZIEMER: Mark, let me back you up one
8 minute. That paragraph we just covered is talking
9 about past work, I think, and the -- the hard-line
10 elimination in 1, 2, and 3 at the front of the
11 document, I believe only refers to current work with
12 ORAU and its team partners. Doesn't this paragraph
13 refer to past work with DOE, AWE, and therefore
14 could also include ORAU and the team partners?

15 MR. GRIFFON: I think you're right. I
16 think --

17 DR. ZIEMER: It seems to me the original
18 document which included them was probably correct.

19 MR. GRIFFON: Yeah, I might have over edited
20 here. I think you're right.

21 DR. ZIEMER: As I look at those two side-by-
22 side, I'm suggesting that we put the words back to
23 the way they were in the original document, which
24 includes both NIOSH and ORAU, ORAU teaming partners
25 because it's -- it's talking about past, not current

1 activities. Am I correct on that?

2 MR. GRIFFON: The only thing I reflect on is
3 it's talking about --

4 DR. ZIEMER: It says at any time in the
5 past.

6 MR. GRIFFON: -- it's talking about will not
7 perform reviews related to that site. And NIOSH and
8 ORAU are not sites, right? Maybe that's why I
9 edited it. I think that's why we changed it. I'm
10 doing this on the fly here, too.

11 DR. NETON: This is just related reviews --

12 MR. GRIFFON: Right.

13 DR. NETON: -- conflict -- conflicted at
14 that site.

15 MR. GRIFFON: So it's similar to ORAU's
16 policy where they, anyone from their team who worked
17 -- formerly worked at a site will not be involved in
18 the -- will not be the reviewer on that, on those
19 sites. So I think the new version is more correct.

20 DR. NETON: I think so.

21 MR. GRIFFON: Yeah.

22 DR. ZIEMER: So in that case, ORAU personnel
23 could have been a DOE contractor at a site and
24 that's what it covers in here.

25 DR. NETON: Right.

1 MR. GRIFFON: Yeah. Yeah.

2 So the next -- the next paragraph was -- was
3 the other half of E-6 in the old document. And this
4 allows just what I said before -- I know this gets
5 confusing because we jump around -- this allows for
6 bidders to also pursue other work with DOE, but they
7 should explain in the plan how this is not going to
8 affect their performance on this contract, or their
9 independence.

10 MR. DeHART: Mark, would you read the first
11 few words of the first -- of that paragraph so I
12 make sure I'm in the right spot?

13 MR. GRIFFON: Yeah. E-6 is -- it starts off
14 with: The offeror, teaming partners --

15 MR. DeHART: Yeah, teaming partners.

16 MR. GRIFFON: -- and key personnel.

17 MR. DeHART: Now, where are you reading
18 right now, the same line, right below work history?

19 MR. ELLIOTT: You're talking about the new
20 document?

21 MR. DeHART: On the new document.

22 MR. GRIFFON: Oh, in the new document. It's
23 the third paragraph under Conflict of Interest Plan.

24 MR. DeHART: Okay. I see.

25 DR. ZIEMER: In addition, it says.

1 MR. DeHART: Yeah, I've got it.

2 MR. GRIFFON: All right. The Work History,
3 the first paragraph in the new document, relates
4 back to Paragraph E-2 in the original document. And
5 again, the key here is that, you know, we had the
6 hard-line test in the original document where if
7 they have worked in the past two years at all, they
8 were excluded, and now we -- we rephrase that down
9 halfway, about halfway through the paragraph it
10 says: Greater emphasis will be placed on work
11 experience within the past two years, including
12 current contract relationships.

13 So we're -- we're considering it and it's
14 going to be part of the review and the evaluation
15 scheme, but they're not excluded if they worked with
16 them in the past two years.

17 And the next paragraph --

18 DR. ZIEMER: Mark, I'd like to ask a
19 question. As I looked at the words here, in the old
20 document you talked about the needs justification;
21 in this one we talked about a justification. It did
22 not occur to me, is there a difference, or is that
23 the same thing? Is there such a thing? Do the
24 words mean anything different, that's all I'm
25 asking, "needs justification"?

1 MR. GRIFFON: I didn't think so. I thought
2 justification just was more accurate.

3 DR. ZIEMER: It's certainly encompassing.

4 MR. GRIFFON: Yeah.

5 DR. ZIEMER: I wasn't sure. Okay. I'm
6 happy with that. I just wanted to make sure.

7 MR. GRIFFON: The next paragraph is from the
8 original document Paragraph E-3, and this does
9 similar -- it does a similar thing for previous work
10 with NIOSH and ORAU, stating that a greater emphasis
11 will be placed on the last two -- experience within
12 the past two years, the same kind of criteria, but
13 that there's no exclusion -- excuse me, there's no
14 exclusion principle.

15 And then the last item there, key personnel.
16 This whole -- the last two paragraphs here came from
17 the original document in Paragraph E-9, and you'll
18 see that I -- I stripped out the bigger portion of
19 this paragraph and put a header on it saying:
20 Limitations on Changing Key Personnel, moved to the
21 body of the contract. That was sort of a question
22 for us to consider, similar to the point that Paul
23 just raised. All of that paragraph there is
24 important, but we don't think it's really criteria
25 which we can evaluate against. It's the limitations

1 going forward for the bidder that they should be
2 aware of about changing personnel.

3 DR. ZIEMER: So that might be moved to a
4 different part of the contract --

5 MR. GRIFFON: Right.

6 DR. ZIEMER: -- as an information item.

7 MR. GRIFFON: And I think Larry -- if I'm
8 not wrong, I think Larry said that that possibly
9 could be added to the body of the -- the task order
10 contract.

11 DR. NETON: Could you define what you mean
12 by diversion, you just mean change of personnel, or
13 replacement of personnel? That sounds --

14 MR. GRIFFON: Where?

15 DR. NETON: At the second sentence: No
16 diversion shall be made by the contractor, blah,
17 blah, blah.

18 MR. GRIFFON: I don't know. I thought this
19 -- I actually thought we lifted this language from
20 the ORAU/NIOSH agreement. Maybe I -- maybe I edited
21 it.

22 DR. MELIUS: It sounds like contracting
23 language.

24 MS. ROESSLER: It sure does. I don't
25 understand --

1 MS. MUNN: Yeah, whatever that means.

2 MR. GRIFFON: Yeah, I rarely use the words
3 ratify too, so.

4 DR. NETON: Yeah.

5 DR. ZIEMER: If it's agreeable, something
6 like that, or we think we are following contract
7 language, if it's the wrong words maybe we could
8 allow the freedom to edit that.

9 MR. ELLIOTT: The contracting officer would
10 be the one to move this to the right place in the
11 body of the RFP, and evaluate that language as to is
12 it saying the right thing according to the FAR, so.

13 DR. ZIEMER: Mark, could I ask you now to
14 move the adoption of these changes, and then we'll
15 get it on the floor.

16 MR. GRIFFON: Okay. Yeah, I'd like to make
17 a motion that we move to accept these amendments of
18 Attachment A.

19 DR. ZIEMER: Seconded?

20 MS. ROESSLER: I second.

21 MR. DeHART: Second.

22 WRITER/EDITOR: I'm sorry. Who seconded?

23 DR. ZIEMER: Gen, or --

24 MS. ROESSLER: I'd like to second it.

25 DR. ZIEMER: We have two seconds here.

1 MS. ROESSLER: Roy likes to second it, too.

2 DR. ZIEMER: Now we'll open the floor for
3 discussion. I did commit to Mike Gibson, who had to
4 leave, to relay to the group that Mike has reviewed
5 this and he is in agreement with the proposed
6 changes, and I told him I would pass that along to
7 the Board.

8 Okay. Other comments? Yeah, Jim.

9 DR. MELIUS: I would just, again, probably
10 going back to our last meeting, speak certainly in
11 favor of these. I think that it's sort of
12 recognizing that people may have what we call minor
13 relationships, and I think someone used the example
14 the lectureship, or being paid for a lectureship
15 through ORAU, or a travel contract, or something
16 like travel arrangements or something like that,
17 similar arrangements I can imagine with NIOSH and so
18 forth, so it certainly would open it up and I think
19 be much fairer in that way. There's, I guess a
20 certain amount of risk involved in a sense that it
21 would allow more balancing this versus technical
22 qualifications, and -- but I think that risk is
23 worth -- worth taking if it will help us to get a
24 better pool of bidders for this process.

25 DR. ZIEMER: It certainly makes it more

1 flexible, does it not?

2 DR. MELIUS: Yeah.

3 DR. ZIEMER: Now, we'll have whatever
4 additional discussion is needed. We can -- we can
5 vote on this as a document unless people want to
6 look at specific sections and make changes in what's
7 been proposed, in which case we can go back and --
8 and modify, and then complete those modifications,
9 and then adopt the document with whatever additional
10 modifications there may -- so if anyone wishes to
11 address or propose changes to what Mark has
12 presented, this would be the time to do it.

13 I'd like -- is Dave still here? I just want
14 to find out if they had a chance to review this.
15 Were there anything that jumped out that sort of --
16 the whole document just jumps right out.

17 MR. NAMON: Based on the five minutes we've
18 had to look at it, the only thing that jumped out at
19 me was something that Jim already mentioned, was the
20 word "diversion", which I gathered no one really
21 knows why it's there. But I also gather it means,
22 in this case, it was talking about change in the
23 personnel.

24 DR. ZIEMER: Yeah, we think we know what the
25 intent is there, so if it's not the right word,

1 well, we'll --

2 MR. NAMON: I'm not really in a position to
3 tell you, you know --

4 DR. ZIEMER: Or if there was anything that
5 jumped out because I know you had a chance to look
6 through it -- or any of the other staff, who...

7 The real thrust of the changes -- the real
8 thrust is the issue of the two years.

9 MR. GRIFFON: (Nods head affirmatively.)

10 DR. ZIEMER: That's sort of the bottom line,
11 going from the sharp-line two years to the flexible
12 two years.

13 MR. NAMON: There was one more question,
14 which is under the first paragraph under Conflict of
15 Interest plan.

16 DR. ZIEMER: In the new document?

17 MR. NAMON: In the new document. The second
18 sentence: This includes, but is not limited to, a
19 detailed current and past history of the offerors
20 contracts and financial relationships.

21 And the financial relationships seems to be
22 the new concept that wasn't in the previous
23 document. I didn't know what the thinking was
24 there.

25 DR. ZIEMER: Mark --

1 MR. GRIFFON: That's -- yeah.

2 DR. ZIEMER: -- can you clarify that?

3 MR. GRIFFON: New language, just thought it
4 was more comprehensive. That's true, that is the
5 new language.

6 DR. ZIEMER: And again, I suppose that if
7 there is some sort of legal limitation contractually
8 that doesn't allow collection of certain kinds of
9 financial information, obviously that could be
10 reworded, right?

11 MR. GRIFFON: Yeah.

12 DR. ZIEMER: This is sort of an intent at
13 this point?

14 MR. GRIFFON: Yeah.

15 DR. ZIEMER: Larry.

16 MR. ELLIOTT: I'd rely on Martha to correct
17 me if I'm out of bounds here, but there is -- the
18 evaluation panel will deal with this, but the
19 contracting officer and their group will deal with
20 the review of past performance and government
21 performance, and a review of financial stature, I
22 guess, is the term. Is that correct, Martha?

23 MS. DiMUZIO: (Nods head affirmatively.)

24 MR. ELLIOTT: Yeah. So the evaluation panel
25 won't review financial documentation, but the

1 contracting officers do that.

2 DR. ZIEMER: But it has to be provided,
3 which --

4 MR. ELLIOTT: It has to be, yeah, as part of
5 the provision under the RFP.

6 DR. ZIEMER: Thank you.

7 MR. ELLIOTT: Let me also, while I've got
8 the mike here, just go on record to make this
9 comment for the Board's edification. The -- all we
10 can say at this point about the technical evaluation
11 panel, and all the Board can say is that the panel
12 will be made up of government employees and
13 nongovernment folks. We can't talk about the
14 composition of the panel, or who those nongovernment
15 persons would be, so you cannot go away from this
16 table and speak about this. It's off limits.

17 DR. ZIEMER: Including any discussions that
18 were held during the executive session --

19 MR. ELLIOTT: That's correct.

20 DR. ZIEMER: -- last time.

21 MR. ELLIOTT: Once the award is made, then
22 we will be in a position to speak to the
23 affiliations of the panel members, but not the
24 individual identifications, so we can speak to who
25 served on the panel as far as their affiliations.

1 Does everybody understand? Thank you.

2 DR. ZIEMER: Thank you, Larry. Is there a
3 question on that?

4 MS. MUNN: No. But I have one very minor
5 point. Mark, could we -- could we replace the date
6 on your document as 2/2/03 because I know that two
7 months from now I will have a hard time remembering
8 whether what I have here with draft 1/31 on it came
9 before --

10 DR. ZIEMER: Let's call it 2/6/03.

11 DR. MELIUS: Yeah.

12 DR. ZIEMER: So mark your document so you
13 recall this is the document we reviewed today.
14 Thanks for that.

15 Is the Board ready to act on the motion
16 before us, which is to adopt this revised language
17 for Attachment A?

18 MS. ROESSLER: Yes.

19 DR. ZIEMER: It appears that you are ready
20 to vote. All in favor, say aye.

21 BOARD MEMBERS: Aye.

22 DR. ZIEMER: Are there any opposed?

23 (No response.)

24 DR. ZIEMER: No. Any abstentions?

25 (No response.)

1 DR. ZIEMER: Then the record will show that
2 the Board has approved this, and we thank the
3 working group for handling that for us.

4 Are there any other matters to come -- well,
5 let me give one more opportunity. Is there anyone
6 from the general public that wishes to speak? Is
7 there anyone from the general public still here?

8 (No response.)

9 DR. ZIEMER: Are there any items for the
10 good of the order?

11 (No response.)

12 DR. ZIEMER: If not, we stand adjourned.

13 (Whereupon, the above-entitled proceedings
14 were adjourned at 1:51 p.m.)

15 o0o

C E R T I F I C A T E

STATE OF GEORGIA)
COUNTY OF FORSYTH)

I, Debbie G. Williams, Certified Court Reporter in and for the State of Georgia, do hereby certify that the foregoing proceedings were taken down by me; that the foregoing proceedings were reduced to print by me; that the foregoing VOLUME II, consisting of pages 263 through 413 represent a true, correct and complete transcript of the proceedings; that I am not a relative, employee, attorney or counsel of any of the parties; that I am not a relative or employee of attorney or counsel for any of said parties; nor am I financially interested in the outcome of the action.

This certification is expressly withdrawn and denied upon the disassembly or photocopying of the foregoing transcript of the proceedings or any part thereof, including exhibits, unless said disassembly or photocopying is done by the undersigned certified court reporter, and the signature and original seal is attached thereto.

This, the 22nd day of February, 2003.

DEBBIE G. WILLIAMS
CERTIFIED COURT REPORTER, B-2167