

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

MEETING 11

SUBCOMMITTEE FOR DOSE RECONSTRUCTION AND
SITE PROFILE REVIEWS

The verbatim transcript of the 11th
Meeting of the Subcommittee for Dose Reconstruction
and Site Profile Reviews held at the Marriott Metro
Center, Washington, D.C., on June 14, 2006.

C O N T E N T S

June 14, 2006

WELCOME AND OPENING COMMENTS	8
DR. PAUL ZIEMER, CHAIR	
DR. LEWIS WADE, DESIGNATED FEDERAL OFFICIAL	
SELECTION OF 6 TH ROUND OF INDIVIDUAL DOSE RECONSTRUCTIONS	
DR. PAUL ZIEMER, CHAIR	11
COMPLETE REVIEW OF 2 ND AND 3 RD ROUNDS OF INDIVIDUAL DOSE RECONSTRUCTION CASES	84
MR. MARK GRIFFON, WORKING GROUP CHAIR	
MR. STUART HINNEFELD, NIOSH	
COMPLETE PROCEDURES UPDATE	102
MR. MARK GRIFFON, WORKING GROUP CHAIR	
MR. STUART HINNEFELD, NIOSH	
DISCUSSION OF SUBCOMMITTEES AND WORKING GROUPS	116
COURT REPORTER'S CERTIFICATE	130

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

BOARD MEMBERSCHAIR

ZIEMER, Paul L., Ph.D.
Professor Emeritus
School of Health Sciences
Purdue University
Lafayette, Indiana

EXECUTIVE SECRETARY

WADE, Lewis, Ph.D.
Senior Science Advisor
National Institute for Occupational Safety and Health
Centers for Disease Control and Prevention
Washington, DC

MEMBERSHIP

1 CLAWSON, Bradley
2 Senior Operator, Nuclear Fuel Handling
3 Idaho National Engineering & Environmental Laboratory

DeHART, Roy Lynch, M.D., M.P.H.
Director
The Vanderbilt Center for Occupational and Environmental
Medicine
Professor of Medicine
Nashville, Tennessee

GIBSON, Michael H.
President
Paper, Allied-Industrial, Chemical, and Energy Union
Local 5-4200
Miamisburg, Ohio

GRIFFON, Mark A.
President
Creative Pollution Solutions, Inc.
Salem, New Hampshire

1
2
3
LOCKEY, James, M.D.
Professor, Department of Environmental Health
College of Medicine, University of Cincinnati

MUNN, Wanda I.
Senior Nuclear Engineer (Retired)
Richland, Washington

POSTON, John W., Sr., B.S., M.S., Ph.D.
Professor, Texas A&M University
College Station, Texas

PRESLEY, Robert W.
Special Projects Engineer
BWXT Y12 National Security Complex
Clinton, Tennessee

ROESSLER, Genevieve S., Ph.D.
Professor Emeritus
University of Florida
Elysian, Minnesota

STAFF

LASHAWN SHIELDS, Committee Management Specialist, NIOSH
STEVEN RAY GREEN, Certified Merit Court Reporter

SIGNED-IN AUDIENCE PARTICIPANTS

AL-NABULSI, ISAF, NCRP
BEHLING, HANS, SC&A
BEHLING, KATHY, SC&A
BISTLINE, ROBERT W., SC&A
BOWE, DAVID, SPFPA LOCAL 66 PORTS
BROEHM, JASON, CDC WASHINGTON OFFICE
CHANG, C C, HHS
CHANLOW, MICHAEL, WASHINGTON POST
COHEN, SANFORD, SC&A
DEHART, JULIA, OHA INC.
ELLIOTT, LARRY, NIOSH/OCAS
FITZGERALD, JOSEPH, SC&A
FUORTES, LAURENCE, UNIV OF IOWA
GRANDE, JAMES, DOL
HAUGHLY, MINDI, NIOSH
HEARL, FRANK, NIOSH
HINNEFELD, STUART, NIOSH
HOWARD, JOHN, NIOSH
HOWELL, EMILY, HHS
ISHAK, LAURIE, NIOSH
JOSEPH, TIMOTHY, ORAUT
KENOYER, JUDSON, DADE MOELLER & ASSOCS.
KIMPAN, KATE, ORAU
KOTSCH, JEFF, DOL
LEWIS, JOHN, OMBUDSMAN
LEWIS, MARK, ATL
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MCCOY, EILEEN, OMBUDSMAN'S OFFICE
MCDOUGALL, VERNON, ATL
MCKEEL, DANIEL, MD, SO. IL NUCLEAR WORKERS
MILLER, RELADE P., NIOSH
MILLER, RICHARD, GAP
MOSIER, ROBERTA, DOL
NESVET, JEFF, DOL
PARKER, TREY, OMBUDSMAN
PLATNER, JAMES, CPWR
POTTER, HERMAN, USW
PRESLEY, LOUISE S., WIFE OF ROBERT PRESLEY
RAFKY, MICHAEL, CDC

RAMSPOTT, JOHN, SO. IL NUCLEAR WORKERS
SAMPSON, BOB, GAO
SCHAEFFER, D. MICHAEL, SAIC
SCHAUER, DAVID A., NCRP
STEPHENS, VICKIE, IAM CREST
TENFORDE, THOMAS S., NCRP
TURCIC, PETE, DOL
WALBURN, JEFF, SPFFPA LOCAL 66 PORTS

P R O C E E D I N G S

(9:15 a.m.)

WELCOME AND OPENING COMMENTSDR. PAUL ZIEMER, CHAIR

1 DR. ZIEMER: Good morning, everyone. I'd like to

2 call the meeting to order. This is the meeting
3 of the Subcommittee for Dose Reconstruction of
4 the Advisory Board on Radiation and Worker
5 Health. The subcommittee will meet all
6 morning, and then following lunch today the
7 full Board will convene for the regular
8 meeting.

9 We're pleased to be in Washington, D.C. It's
10 always a nice town to visit. For me it's an
11 exciting town to visit since I lived here on a
12 couple of different occasions.

13 I want to remind all of you -- Board members,
14 staffers, visitors -- to register your
15 attendance. There's a registration book in the
16 corridor. Also, individuals who would like to
17 participate in the public comment period later
18 today, please sign up for that, as well.

19 As is usual we have many pieces of paper and
20 stacks of paper on the table in the rear,

1 including today's agenda and a lot of documents
2 relating to today's discussions, so please
3 avail yourselves of those materials as you see
4 fit.

5 Let me call on our Designated Federal Official,
6 Dr. Lew Wade, to make any additional opening
7 comments he may wish to make.

8 **DR. WADE:** Well, thank you, Paul -- only to
9 welcome you all to the meeting. And I'm
10 personally thrilled to see that three new Board
11 members have joined us, and I'd certainly like
12 to thank them for their willingness to serve.
13 But I'd remiss if I didn't also then thank the
14 continuing Board members who have continued to
15 serve. This is -- this is tough duty, and we
16 ask these people to do a great deal in a very
17 compressed time frame. And I've never been
18 associated with a Board who has performed
19 better or taken their responsibilities more
20 seriously. So I'd like to thank the continuing
21 members and welcome the incoming members.
22 I bring you regards from Secretary Leavitt;
23 from the Director of CDC, Dr. Gerberding; and
24 from John Howard, the NIOSH Director. John
25 should be with us through the week, so if

1 anyone has a burning issue to deal with John,
2 I'll be sure to point him out to you and you
3 can take your issue to him.

4 Because we're in Washington we could be well
5 visited by some Senators and Representatives.
6 We're expecting Senator Clinton to visit us
7 tomorrow and make comments. We -- we look
8 forward to those visits with -- with the
9 understanding of all if members do come we'll
10 try and accommodate them as quickly as we can
11 because they do have extremely busy schedules.
12 So again, welcome to all of you and thank
13 particularly the Board for its service.

14 **DR. ZIEMER:** Thank you very much, and the
15 reference to three new members -- we recognize
16 that those three individuals, Brad Clawson and
17 Dr. Lockey and Dr. Poston, actually have sort
18 of been aboard since January. But finally all
19 the paperwork I guess is cleared so that they
20 can be fully -- declared fully functioning
21 members of the Board. They -- they actually
22 were pretty fully functioning before, but at
23 least we now recognize them as fully
24 functioning, and we're pleased to have them
25 with us.

1 **SELECTION OF 6TH ROUND OF INDIVIDUAL DOSE**

2 **RECONSTRUCTIONS, DR. PAUL ZIEMER, CHAIR**

3 The first item for the subcommittee is to
4 select the next round of individual dose
5 reconstructions. You may recall we've been
6 selecting sets of 20 for review. Initially
7 review by the Board's contractor, SC&A. And
8 then individual reviews involving our Board
9 members, and then finally developing matrices
10 of findings for resolution.

11 At our last meeting we selected the 5th round,
12 which at that time I think was actually 20 --
13 was it 24 cases that we -- or 25 I guess we --

14 **DR. WADE:** Twenty-five.

15 **DR. ZIEMER:** -- we selected, and we may --
16 we'll talk about those a little bit later this
17 morning as well because we do need to assign
18 Board review teams for those cases. We have
19 yet to do that.

20 Now I want to identify for us first the
21 materials we have to help us with the 6th round
22 selection, and I'm going to -- is Stu Hinnefeld
23 -- Stu, good morning. Would you help us
24 identify the materials that are at -- at our
25 places so everybody's clear on what they have

1 and how to interpret the...

2 **MR. HINNEFELD:** All right. Good morning,
3 everybody. The materials that we have for
4 selection are very similar to the ones that we
5 used in Denver to select the 5th case (sic).
6 The first page is -- has the very, very small
7 print, looks something like this with very
8 small font -- is the statistical summary of the
9 first 80 cases that were selected. And I
10 didn't add the -- the 5th set to this because I
11 wasn't really sure if we were doing 25 or 22 or
12 20, so I wasn't really sure which ones were
13 actually going to go forward, so it's -- we
14 still only have the first 80.

15 **DR. ZIEMER:** These are the first four sets.

16 **MR. HINNEFELD:** Yes. And one additional piece
17 of information has been added, and that is the
18 probability of causation outcome for each of
19 the 80 cases. That appears on the second page
20 of this clipped-together package in the small
21 print, about in the middle of the page. All of
22 the probability of causation, this POC list is
23 what it's called. All the probability of
24 causation outcomes are listed and they're
25 sorted in ascending order. And then

1 immediately to the left of that it's the
2 statistical breakdown by per-- by ten per-- by
3 decade percents is presented as well. So that
4 additional piece of information has been added
5 to the sheet since the Denver meeting.

6 **DR. ZIEMER:** Stu, the item on the very last
7 page is POC values?

8 **MR. HINNEFELD:** Correct, the very last page is
9 the continuation of the 80 POC. It just goes
10 too long, so --

11 **DR. ZIEMER:** Oh, I see.

12 **MR. HINNEFELD:** -- it's just continued on the
13 last page.

14 **DR. ZIEMER:** And the -- the total count of 86
15 is because there are some --

16 **MR. HINNEFELD:** There's some cases --

17 **DR. ZIEMER:** -- multiple site --

18 **MR. HINNEFELD:** Yes.

19 **DR. ZIEMER:** -- cases.

20 **MR. HINNEFELD:** Yes.

21 **DR. ZIEMER:** Everybody understand? There's --
22 there's actually 80 cases --

23 **MR. HINNEFELD:** Right.

24 **DR. ZIEMER:** -- but they represent, in a sense,
25 86 sites. Is that a way -- correct way to

1 interpret that?

2 **MR. HINNEFELD:** Can -- yes, I think. There --
3 there are --

4 **DR. ZIEMER:** Well, not 86 sites, but 86 --

5 **MR. HINNEFELD:** -- there are -- there are 80
6 cases. There are some of those cases that had
7 either --

8 **DR. ZIEMER:** Multiple sites.

9 **MR. HINNEFELD:** -- two or three sites --

10 **DR. ZIEMER:** So they show up multiple times.

11 **MR. HINNEFELD:** -- and they were tallied in all
12 those --

13 **DR. ZIEMER:** Right.

14 **MR. HINNEFELD:** -- sites' column, so that's why
15 it's 86. The same thing occurs in the cancer
16 grouping, which is the next one to the right.
17 That's actually the IREP model grouping. There
18 are 84 there because four of these cases had
19 dual cancer -- cancer models run. So the same
20 reasoning there, and they were tallied in both
21 of the -- in both of those models.

22 **DR. ZIEMER:** So if -- if we lay pages one and
23 two side by side, is it -- do they line up? Is
24 that correct?

25 **MR. HINNEFELD:** Yes, they do. Although the

1 information on two is -- the information on two
2 is largely independent of the left-hand column
3 on page one. It's largely independent of
4 whether you put them side by side or not.

5 **DR. ZIEMER:** Yeah. Yeah, it's a completely
6 different grouping.

7 **MR. HINNEFELD:** Yeah.

8 **DR. ZIEMER:** And then the third page is part of
9 the greater than 30 years worked grouping.

10 **MR. HINNEFELD:** It's part of the probability of
11 causation, the third page is -- is --

12 **DR. ZIEMER:** Yeah, for greater than 30. Is
13 that correct?

14 **MR. HINNEFELD:** It's actually -- no, it goes
15 right under the POC list.

16 **DR. WADE:** It's this list continued.

17 **DR. ZIEMER:** Yeah.

18 **MR. HINNEFELD:** That's all the -- that's all
19 the POC outcomes in the 80 selected cases, if I
20 have the same page three as you.

21 **DR. ZIEMER:** Yeah. What -- what I was -- did
22 you say that those numbers don't relate to the
23 left-hand column on page two? I was assuming
24 the 21 cases that you're listing here are all
25 of these numbers. Is that correct, or not?

1 These -- are these POC numbers --

2 **MR. GRIFFON:** For all 80 cases, I think.

3 **MR. HINNEFELD:** This -- this is for -- this is
4 all the 80 cases.

5 **DR. ZIEMER:** Yeah, but are they -- are they
6 related -- this is just a --

7 **MR. GRIFFON:** A tally.

8 **DR. ZIEMER:** Okay, just a tally.

9 **DR. WADE:** Stands alone.

10 **DR. ZIEMER:** Gotcha. Gotcha, okay. Thank you.
11 That's clear.

12 Okay, Board members, any questions on that
13 document? Everybody clear on what we have
14 there?

15 (No responses)

16 Okay, proceed, Stu.

17 **MR. HINNEFELD:** Okay. I'm not sure what order
18 these are in your packet, but there are two
19 long listings of case -- cases for potential
20 selection that look essentially like this. One
21 is a set of randomly-selected cases from the
22 available pool for review. That means cases
23 that have been finally adjudicated. And the
24 other is the list of all the cases that were
25 done using what we call a full internal and

1 external dose reconstruction, or complete dose
2 reconstruction. So -- and so, you know, if
3 that -- if there's interest in focusing on
4 those rather than one of the efficiency method
5 techniques, then that would be the list to work
6 from. So -- and that's all of them. There's
7 no random selection associated with that. It's
8 all the ones available for review.

9 And again I remind you that that designation of
10 full internal and external is -- that database
11 field is populated by the approving HP by, you
12 know, a mouse click. And so there's some
13 possibilities for some misses, and I won't
14 vouch that every one's 100 percent exact. I
15 mean there may be an overestimate in there that
16 the HP clicked the wrong -- it's a selection --
17 it's a menu selection.

18 **DR. ZIEMER:** How many cases are on this list
19 that you randomly selected most recently?

20 **MR. HINNEFELD:** The randomly selected list is
21 100. Well, I'm sorry. It started as 100. We
22 then shared the list with the Department of
23 Labor. They identified a few that have action
24 since the final adjudication and so they
25 potentially may be reopened, and so we took

1 those off the list.

2 **DR. ZIEMER:** So it's about 100 cases.

3 **MR. HINNEFELD:** About 100.

4 **MR. GRIFFON:** I see -- I see 200 on this.

5 **MR. HINNEFELD:** 200? Okay. Well, I forgot
6 what I asked them. It would be 200 then --
7 200.

8 **MR. GRIFFON:** Well, wait a minute --

9 **MR. HINNEFELD:** Well, now wait a minute.

10 **MR. GRIFFON:** No, no, no, that's not --

11 **MR. HINNEFELD:** That was the full.

12 **MR. GRIFFON:** That's the full and --

13 **UNIDENTIFIED:** (Off microphone)

14 (Unintelligible) make sure --

15 **MR. GRIFFON:** That's not right.

16 **MR. HINNEFELD:** The highest selection number
17 should tell you how many we -- are in the
18 random.

19 **MR. GRIFFON:** The number at the end there.

20 **MR. HINNEFELD:** 200 -- it is 200.

21 **MS. MUNN:** 201.

22 **MR. PRESLEY:** 201. It's 200 and 201.

23 **DR. ZIEMER:** Okay. And then the third one is
24 the --

25 **MR. HINNEFELD:** The final -- the final piece

1 there is the cases that were selected in
2 Denver. Now at the Board's request we've added
3 to all these lists a new data field which is
4 the date approved. You asked that -- you would
5 like to know how old are these cases, how long
6 ago were these approved, and so we've added the
7 date approved not only to the 25 that were --
8 25 or 22 -- the original selection by my
9 memory, there were 25 originally selected. The
10 Board went through the 25 and removed three
11 because they were similar to others that were
12 selected, so the actual selection was 22. I
13 have all 25 on here. The three that I call
14 were deselected by the Board at that same
15 meeting are asterisked in the right-hand
16 column. So it -- I think 22 were selected, but
17 all 25 are presented here.
18 The date approved is included on that. The
19 date approved is also on the bigger lists as
20 well. That's a new -- that data field has not
21 been there before.

22 **DR. ZIEMER:** Okay. This group, this -- that's
23 basically the 5th round cases, the Denver --

24 **MR. HINNEFELD:** Yes, that's the 5th round
25 cases.

1 **DR. ZIEMER:** And we'll need to assign review
2 teams for these. And Board -- or committee
3 members, what we'll do, and we'll do this after
4 a bit, we can -- we can take 20 of these if you
5 wish and make the assignments, and either carry
6 the other two across or we can leave those
7 other two in and just do a 22 load. It depends
8 on -- on how the assignments work out, I think.

9 **DR. WADE:** Stu, just so we're grounded in the
10 overall task at hand, on the small font table
11 to the far left, you show projected cases and
12 you show the middle column the available. That
13 represents the -- what -- what does that number
14 represent?

15 **MR. HINNEFELD:** That is the percentage -- was
16 it two percent or two and a half percent that
17 was originally decided would be reviewed, or
18 originally considered to be reviewed. It's
19 that percentage times the population from that
20 site which is available for review. In other
21 words that's finally adjudicated.

22 **DR. WADE:** Okay. So if the Board decides it
23 wants to review two and a half percent of the
24 cases, then two and a half percent of the
25 Savannah River cases currently at hand would

1 represent 35.

2 **MR. HINNEFELD:** Correct.

3 **DR. WADE:** At this point we've done 14.

4 **MR. HINNEFELD:** Yes.

5 **DR. WADE:** Okay. So that gives the Board a
6 sense of --

7 **MR. HINNEFELD:** Fourteen out of the first 80.
8 Remember they're -- the ones on the 5th
9 selection are not added in there yet.

10 **DR. ZIEMER:** Right. Okay.

11 **MR. GRIFFON:** Stu, are the-- are the 22 cases
12 that are in the 5th set, were they excluded
13 from these other --

14 **MR. HINNEFELD:** Yes.

15 **MR. GRIFFON:** -- lists?

16 **MR. HINNEFELD:** Yes.

17 **MR. GRIFFON:** Okay.

18 **DR. WADE:** Stu, since you've looked at this
19 more than anybody else, is there anything else
20 that jumps out at you from the information
21 you've given us that the Board should take note
22 of?

23 **MR. HINNEFELD:** Well, I think not. I know --
24 some things that occurred to me as I put these
25 together is there's a lot of interest in

1 evaluating cases that are close to the
2 compensation point. And -- and there were some
3 early-on thought that we should really focus on
4 -- on that -- that population, and that's a
5 relatively small population. So in thinking
6 that we want to do 20 percent or 40 percent of
7 our reviews in a particular compensation band
8 of say 40 to 50 or 30 to 50 may not be
9 attainable because it's not a large population.
10 So that has occurred to me. I don't know of
11 anything else that comes to mind.

12 **DR. ZIEMER:** Stu, another question. Referring
13 to the random selections table and the full
14 internal and external table, the selection IDs
15 -- let me take -- for example, the first one on
16 internal/external, selection ID 2006-06-000--
17 whatever number of zeroes --3, I assume that
18 refers to the June '06 selection, it would be -
19 -

20 **MR. HINNEFELD:** Yes.

21 **DR. ZIEMER:** -- number three.

22 **MR. HINNEFELD:** Yes.

23 **DR. ZIEMER:** How does that relate to the other
24 table 003?

25 **MR. HINNEFELD:** It's just -- the number of

1 digits keeps them separate. It just -- they --
2 the numbers are just assigned as they were
3 selected, or as they were pulled up in -- that
4 -- those numbers don't really mean anything and
5 they don't correlate between the same.

6 **DR. ZIEMER:** It's clearly not the same case.
7 I'm sort of --

8 **MR. HINNEFELD:** No.

9 **DR. ZIEMER:** -- asking do you -- so you
10 selected these full ones randomly, but from a
11 list of full internal/external. Is that
12 correct?

13 **MR. HINNEFELD:** The -- the one that's in --
14 that's labeled full internal and external is
15 all of the ones available for review that are
16 in full internal and external review, so that's
17 all of them.

18 **DR. ZIEMER:** That's all of them. Okay.

19 **MR. HINNEFELD:** That's all of them.

20 **DR. ZIEMER:** And --

21 **MR. HINNEFELD:** The ones that are random
22 selections are randomly selected from --

23 **DR. ZIEMER:** Well --

24 **MR. HINNEFELD:** -- the available cases.

25 **DR. ZIEMER:** -- the other way to ask it then

1 is, some of these may appear on the random
2 selection list, but we -- we don't necessarily
3 know that.

4 **MR. HINNEFELD:** Yes. Anything on the random --

5 **DR. ZIEMER:** (Unintelligible)

6 **MR. HINNEFELD:** Yes, anything on the random
7 selection list that is identified under dose
8 estimation type as a full internal and external
9 should be on the other list.

10 **DR. ZIEMER:** Okay. But it will have a
11 different ID number.

12 **MR. HINNEFELD:** It'll have a different ID
13 number here, yes.

14 **DR. ZIEMER:** Yeah. So the only way to really
15 figure out if it were the same one would be to
16 look at the cancer type and the facility.

17 **MR. HINNEFELD:** You could probably sort it out,
18 I think. It may be just easier not to -- to
19 try not to select --

20 **DR. ZIEMER:** Right.

21 **MR. HINNEFELD:** -- a full internal and external
22 --

23 **DR. ZIEMER:** Right.

24 **MR. HINNEFELD:** -- from the random list.

25 **DR. ZIEMER:** Right. Board members, we've been

1 trying to concentrate on the full
2 internal/external and perhaps that would be a
3 place to start.

4 Good morning, Wanda. I didn't see you when we
5 started, but --

6 **MS. MUNN:** Good morning.

7 **DR. ZIEMER:** -- welcome, you get the --

8 **MS. MUNN:** That's because I wasn't here --

9 **DR. ZIEMER:** -- first comment here.

10 **MS. MUNN:** -- when you started. My apologies.

11 **DR. WADE:** So noted.

12 **MS. MUNN:** I unfortunately did not print out
13 the interesting set of graphs that Kathy
14 Behling sent out to us just yesterday or the
15 day before. That was very informative to me in
16 terms of where we were standing, as opposed to
17 where we might -- where our goals are. If --

18 **DR. ZIEMER:** I haven't seen this myself.

19 **DR. WADE:** I can get copies for you.

20 **DR. ZIEMER:** Is Kathy here this morning?

21 **MR. GRIFFON:** They're on their way, I think.

22 **DR. ZIEMER:** They're on their way?

23 **MR. GRIFFON:** Yeah.

24 **DR. ZIEMER:** Yeah.

25 **DR. WADE:** You want me to get those copied?

1 **MS. MUNN:** Do we have copies of that around,
2 John? Do you -- might --

3 **DR. ZIEMER:** John Mauro.

4 **MS. MUNN:** -- we have one?

5 **DR. MAURO:** No, that -- they went out on the
6 11th hour, as you know, electronically. We
7 were aware that unfortunately some of you folks
8 may be in transit when it went out. Kathy and
9 Hans will be here probably within a half hour
10 and they will be bringing hard copies of that
11 material. And she also has a set of slides.
12 So I don't have them with me, either.

13 **MS. MUNN:** Okay, fine. The only reason I
14 brought it up is because I found it very
15 instructive in terms of where we've been
16 already --

17 **DR. WADE:** So I --

18 **MS. MUNN:** -- and --

19 **DR. WADE:** They're bringing copies so I don't
20 need to get this copied?

21 **DR. MAURO:** Yes. They're bringing electronic
22 and hard copy. Whether they're bringing a
23 large number -- a stack for hand out to
24 everyone, I -- I just don't know, so that --
25 we'll see when they come. That was -- that was

1 something that was decided at the -- at the --
2 sort of like the 11th hour might be useful, and
3 it apparently was.

4 **DR. ZIEMER:** If -- if it would be helpful,
5 maybe what we could do until they get here is
6 go ahead and do the teams on the 5th round,
7 Lew. I think we need to do that anyway, and
8 then we could come back to this once we have
9 the document. Would that be agreeable? Just
10 change the order here a little bit.

11 **DR. WADE:** Before we get to that, I think
12 that's a wonderful suggestion, I'll also say to
13 the subcommittee and will to the Board again --
14 I always want you to keep in mind the overall
15 task at hand. And you know, your goal of two
16 and a half percent of the cases has a target
17 population of 240, and that number escalates
18 each time. We're doing 60 a year. At the end
19 of the day on Friday I'll ask you to give me
20 permission to get a cost estimate from SC&A for
21 next year's work, and I gue-- at that point I'd
22 ask you to think about do we want to keep the
23 number at 60, do we want a slightly bigger
24 number than 60. I know I keep talking about
25 that with you. I think it's important that we

1 keep that in front of our deliberations and I
2 just pose it now and ask you to think about it.
3 On Friday we'll revisit that issue.

4 **MS. MUNN:** Dr. Lockey has a copy of what was
5 sent out, if the Chair might perhaps find that
6 more useful than I at this time.

7 **DR. WADE:** Yeah, I have.

8 **DR. ZIEMER:** Well, we have another copy here,
9 but I think it'd be helpful for everyone to
10 have a copy as we go into the selection
11 process. So if we could defer that for the
12 moment and go ahead and do -- we'll take out
13 the 5th round summary from the Denver meeting
14 and let me ask -- let's do some self-identity
15 of teams.

16 Dr. DeHart, who else was on your team?

17 **DR. DEHART:** Gen was working with us.

18 **DR. ZIEMER:** Roessler, okay. And Brad, you
19 weren't assigned to a team, I don't believe,
20 initially.

21 **MR. CLAWSON:** Not at that time, no.

22 **DR. ZIEMER:** Not at that time. Gibson and
23 Ziemer were a team, and --

24 **MR. PRESLEY:** Presley and Anderson.

25 **DR. ZIEMER:** -- Presley, and so we need to

1 replace Anderson, and -- And when -- so okay,
2 Griffon -- we need to replace someone there for
3 Leon. Okay. Who was with Melius? Wanda, were
4 you --

5 **MS. MUNN:** I was.

6 **DR. ZIEMER:** Uh-huh, and -- okay, we have
7 Roessler already. Okay, perhaps -- perhaps,
8 Brad, you could work with Mark.

9 **MR. CLAWSON:** That'd be great.

10 **DR. ZIEMER:** And then maybe Poston, you could
11 work with Presley.

12 **DR. POSTON:** Sure.

13 **MR. PRESLEY:** I'd be delighted.

14 **DR. ZIEMER:** And you'd have the same conflicts
15 of interest so it would work out.

16 **MR. PRESLEY:** That's great.

17 **MS. MUNN:** That'd be great.

18 **DR. POSTON:** That'd be great.

19 **DR. ZIEMER:** And -- who's missing?

20 **DR. WADE:** Dr. Lockey.

21 **DR. ZIEMER:** Lockey. That's right, we have an
22 odd number now.

23 **DR. WADE:** Not an odd.

24 **DR. ZIEMER:** Oh, no -- no, Gibson's with me.

25 **DR. WADE:** You could make a three or you could

1 make a one.

2 **DR. ZIEMER:** Probably a -- probably a three is
3 better so that we have a mix of folks
4 reviewing. Let's see, Jim, why don't I put you
5 on my team. I need all the help I can get.

6 **DR. LOCKEY:** Very good.

7 **DR. ZIEMER:** Okay.

8 **DR. WADE:** If I might speak briefly to
9 conflicts of interest, as you know, if a Board
10 member is conflicted with regard to a
11 particular site, then we exclude them from
12 being assigned as a principal reviewer on the
13 site. In Dr. Poston's case, Dr. Poston has a
14 son and a daughter who have done dose
15 reconstructions within the program, and his
16 waiver would have him excluded from reviewing
17 dose reconstructions that either his son or his
18 daughter had done. It'll take us a while to
19 find that out once you pick the cases, so
20 that's a check we'll be going through and we
21 might have to make some adjustments.

22 **DR. ZIEMER:** We need a new column on here that
23 indicates --

24 **DR. WADE:** No, we don't.

25 **DR. ZIEMER:** -- that J. Poston did the --

1 **DR. WADE:** We don't need a new column, but
2 we'll just -- we'll work that out.

3 **DR. ZIEMER:** Okay. Thank you. Okay, so we'll
4 move down through the list here. Basically
5 what we've done in the past is just gone
6 through them in order and if there's a conflict
7 of interest, we'll skip that case and move on
8 to the next one.

9 Let's see, we have -- basically have five
10 teams, so we need four cases per team.
11 DeHart/Roessler, are there any conflicts on
12 these first four cases for you folks?

13 **DR. DEHART:** None here.

14 **DR. ZIEMER:** Now Board members, if you -- this
15 is somewhat arbitrary. I suppose if there's a
16 case that one of you sees that you really have
17 a longing to review and are not conflicted on,
18 we can -- we can do a swap. But otherwise I'll
19 just take them in order.

20 The next four cases are Portsmouth, Elk River,
21 Feed Materials and Hanford.

22 **MS. MUNN:** I can't do that one.

23 **DR. ZIEMER:** No, this would be Lockey, Gibson
24 and Ziemer.

25 **DR. LOCKEY:** I can't do Portsmouth.

1 **DR. ZIEMER:** Okay.

2 **MR. PRESLEY:** We can do them.

3 **DR. ZIEMER:** Presley/Poston can do those?

4 **MR. PRESLEY:** Right.

5 **DR. ZIEMER:** Okay, we'll give you those next

6 four. That would be --

7 **MR. PRESLEY:** Portsmouth, Elk River, Feed

8 Materials and Hanford.

9 **DR. ZIEMER:** Right, it'd be 09, 10, 20 and 43.

10 Correct?

11 **DR. DEHART:** We -- 09, no. That's in the first

12 four.

13 **DR. WADE:** The first four you --

14 **DR. ROESSLER:** No, we have 09.

15 **MR. PRESLEY:** 10, 20 --

16 **DR. ZIEMER:** I'm in the wrong -- yeah, I missed

17 -- I --

18 **DR. WADE:** 10, 20, 43, 44.

19 **DR. ZIEMER:** It'd be 10, 20, 43 and 44, I'm

20 sorry.

21 **DR. WADE:** Well, let me add a complication. If

22 you -- if you notice in the far right, there's

23 an asterisk next to 06. That's one that you

24 had decided not to do from the original list of

25 25, so you need to draw a line through 06.

1 DR. ZIEMER: Oh, yeah, yeah, yeah. I see.
2 DR. WADE: And a line through 73.
3 DR. DEHART: That moves us down one.
4 DR. WADE: And a line through 120. So now
5 you're --
6 DR. ZIEMER: Okay, so let's back up.
7 DeHart/Roessler, can you also do --
8 DR. DEHART: Portsmouth?
9 DR. ZIEMER: -- the Portsmouth case?
10 DR. POSTON: How about giving them Oak Ridge?
11 MR. PRESLEY: Yeah, we could give them Oak
12 Ridge 'cause John and I can't do that.
13 DR. DEHART: What?
14 MR. PRESLEY: Oak Ridge National Lab.
15 DR. ZIEMER: 049.
16 DR. DEHART: I can't do Oak Ridge.
17 DR. ZIEMER: Roy can't do Oak Ridge, either.
18 DR. WADE: No one can do that.
19 DR. ROESSLER: How about Lawrence Livermore?
20 DR. DEHART: That's fine.
21 DR. ROESSLER: But that doesn't help --
22 DR. ZIEMER: Hang on here. I'm going back to
23 DeHart/Roessler -- 02, 08, okay on 09 so far --
24 UNIDENTIFIED: Okay, that's four.
25 DR. ZIEMER: 02, 08, 09 --

1 **MS. MUNN:** That's three.

2 **DR. ZIEMER:** And are you okay on 10?

3 **DR. DEHART:** Yeah.

4 **DR. ROESSLER:** Yeah.

5 **DR. ZIEMER:** Okay. Now Presley/Poston -- Elk
6 River, 20; Feed Materials, 43; Hanford, 44 --
7 and you can't do Oak Ridge, but you could do
8 Lawrence Livermore. Correct?

9 **DR. POSTON:** Yeah, I think so.

10 **MR. PRESLEY:** Uh-huh.

11 **DR. ZIEMER:** Okay. I can't do Oak Ridge,
12 either, so -- Mark, can --

13 **MR. GRIFFON:** Yeah.

14 **DR. ZIEMER:** You can do Oak Ridge?

15 **MR. GRIFFON:** Uh-huh.

16 **DR. ZIEMER:** Okay, so we'll pick up Oak Ridge,
17 which is 49, with Griffon/Clawson. Then 7-- am
18 I at 78? I think so.

19 **MR. CLAWSON:** Yes.

20 **DR. ZIEMER:** 78 is MIT, you're okay on that, I
21 think. And 85 and 101.

22 **DR. WADE:** That's Griffon/Clawson?

23 **DR. ZIEMER:** Okay. Now we've covered
24 everything on the first page so far, I believe.
25 Now let's -- top of the second page, I'm going

1 to try Lockey/Gibson/Ziemer again. Bridgeport
2 Brass is 110. I think we're okay there.
3 Savannah River, we should be okay, 115; Pantex,
4 117; and Superior Steel, 119.

5 Now we look at Melius/Munn -- 120 is off the
6 list, right?

7 **DR. WADE:** Uh-huh.

8 **MS. MUNN:** Correct.

9 **DR. ZIEMER:** Feed Materials is 154; Linde, 157;
10 Savannah River, 181; and Pinellas, 188.

11 **DR. WADE:** Who's doing these four?

12 **DR. ZIEMER:** Melius/Munn. Not assigned then
13 would be the last two, which are 199 and 211,
14 and we can carry those forward as the start
15 list of the next 20, if that's agreeable. Any
16 objection? So these'll be the assignments.
17 This doesn't require full Board action so we'll
18 -- we'll report it out officially to the full
19 Board, but those'll be the assignments.

20 John Mauro, I don't know if you got that list,
21 but if you didn't, double-check with us
22 afterwards and we'll make sure that your folks
23 have it because you will have to coordinate
24 with those team members as the review is
25 carried out. Where are you on the --

1 **DR. MAURO:** I've been trying to keep notes but
2 I did not keep track. But typically what
3 happens is Larry Elliott follows this up with a
4 formal letter where he lays out officially the
5 allocations. We've -- we've had -- that --
6 that's been in the --

7 **DR. ZIEMER:** Right, and we'll each get our case
8 files on each of these --

9 **DR. MAURO:** At that -- that -- that same --

10 **DR. ZIEMER:** -- for review.

11 **DR. MAURO:** -- time, so -- and -- and in -- in
12 addition -- and very often we certainly could
13 proceed and -- so when that -- usually what
14 happens is we're already working on the cases,
15 and then that letter would come in with the
16 assignments, so --

17 **DR. ZIEMER:** Right.

18 **DR. MAURO:** -- it's not urgent that we have
19 that right away.

20 **DR. WADE:** I would ask Stu to -- to see that
21 what needs to happen, happens so that the
22 materials are transmitted to SC&A to begin and
23 the letter -- you know, on the assignments as
24 soon after that as possible.

25 **DR. MAURO:** We appreciate that. Thank you.

1 **DR. ZIEMER:** John, while you're at the mike, do
2 you have a rough idea of when you would expect
3 SC&A to begin reviewing this set of cases and -
4 - so that we have some idea of -- timetable-
5 wise, are we talking about, you know,
6 July/August and --

7 **DR. MAURO:** We'll begin immediately, as soon as
8 the -- the next set of CDs comes in, we're --
9 we're waiting -- we're in the gate, so to
10 speak, waiting.

11 **DR. ZIEMER:** Okay.

12 **DR. MAURO:** We have people ready and we'll hit
13 them as soon as they come in. Our experience
14 is it does taken two, sometimes as much as
15 three months to go through the process of a set
16 of 20 to move out the product, so what I think
17 the reality of the situation is is we will
18 certainly be able to clear the 5th set, and I -
19 - and I had mentioned this to Lew -- we have
20 the budget, by the way, we're well within
21 budget. We have the resources to take care of
22 all six sets. But I think we may be requesting
23 -- I'll be sending a letter out -- an
24 extension. Instead of having delivered them by
25 September 30th, which is the end of the period

1 of performance for this round, we may request a
2 no-cost extension for maybe another two months
3 so that we can do the 6th set. I think it's
4 going to be difficult for us to do the two full
5 sets of 20 in a three-month time period.

6 **DR. ZIEMER:** Yeah. Well, hopefully we'll at
7 least have the initial comments on this set by
8 the time of our next meeting.

9 **DR. MAURO:** Yes. Yes. In fact the --
10 typically what happens is, after we go through
11 the reviews we get the draft reports, we get
12 them out to the teams, we have our telephone
13 conversation and then we move the product out.

14 **DR. ZIEMER:** Okay.

15 **DR. MAURO:** And I think we probably -- by --
16 now the next meeting would be in --

17 **DR. WADE:** September?

18 **DR. MAURO:** Oh, absolutely, yeah. We -- by
19 September I would say -- let's say the next set
20 of -- the 5th set comes through within a week,
21 this -- for the --

22 **DR. ZIEMER:** Hopefully we'll have the matrix by
23 then with your comments and --

24 **DR. MAURO:** Yeah.

25 **DR. ZIEMER:** -- maybe even some initial NIOSH

1 comments.

2 **DR. MAURO:** Yeah, I think we could be well down
3 the road on -- on the 5th set. I'm more
4 concerned about the 6th set. That's --

5 **DR. ZIEMER:** Right.

6 **DR. MAURO:** Yeah.

7 **DR. ZIEMER:** Thank you.

8 **DR. WADE:** John, while you're up, just -- since
9 there are new -- new members involved in the
10 process, could you just let the new members
11 know what they could expect in terms of contact
12 from you and how this would proceed?

13 **DR. MAURO:** Yeah, the -- the approach we use is
14 we -- we first receive the set of 20 cases
15 electronically. We basically have a team of
16 three to four individuals who will then review
17 -- basically reproduce all the doses, every
18 line item in the IREP code. In the back of
19 every one of these cases you'll see there is
20 the actual input for -- that goes into IREP.
21 We check every number and write a report.
22 There's a standard format we use, and we
23 identify areas of deficiencies. A draft for
24 each one of the 20 will be prepared.
25 What we'll do is for each team we will forward

1 to you -- as soon as we have those drafts
2 ready, we will forward to you copies of that
3 material so that -- and it'll only be the cases
4 that you've been assigned so -- so you won't
5 have a big pile, just the cases. Then Hans and
6 Kathy Behling will give you a call and arrange
7 for a time that's convenient to spend an hour
8 or two going over each case and --
9 fundamentally, conceptually, what are the
10 issues that we've uncovered -- and discuss them
11 with you. Certainly receive some feedback from
12 you regarding your -- the findings and the
13 rationale behind those findings.
14 Once we get through that process, then we go
15 ahead and formally finish up the product, which
16 then becomes this very thick 3-ring binder that
17 contains all 20 cases, reflecting your comments
18 from the dial-- from the dialogue. That really
19 begins -- that's really the beginning of a
20 process of closeout.
21 With that thick document comes a matrix that --
22 whereby -- there's a scorecard for each case
23 whereby the findings are delineated. And at
24 that point in the process is when the working
25 group meetings begin whereby we go through the

1 matrix, which is a summary of the findings of
2 the 20 cases, with the designated working group
3 and we sta-- we go through the process of
4 closing them out. We work very closely with
5 the working group and with Stu. Stu has been
6 taking the lead all along. I presume he will
7 continue. And -- and we work through each
8 finding, and then there's -- at the -- NIOSH
9 basically -- in this matrix -- you can almost
10 see, issue number one, brief summary of what
11 the issue is for that particular case --
12 NIOSH's response saying well, we agree --
13 there's really a -- several categories of
14 response that -- NIOSH may agree and -- and say
15 we will take some action to fix that. Or we
16 agree, but no action's going to be taken. And
17 there's a series of proposed actions that NIOSH
18 will take. And I guess the final column in the
19 matrix would be the Board's final resolution on
20 these matters.

21 That takes quite some time. That'll probably
22 move us well into next fiscal year and -- but
23 in the end we get a -- get to a point where we
24 have effectively resolved every one of the
25 issues that's -- are in the matrix. And then

1 from there I guess a report goes on to -- to
2 HHS regarding the findings.

3 **DR. ZIEMER:** Right.

4 **DR. MAURO:** I'm not quite sure, are we -- the
5 first set that we reviewed, I'm not quite --
6 has that actually got to the point where the --
7 the letter of completion has moved out, I guess
8 up to HHS, on findings or --

9 **DR. ZIEMER:** The letter has not gone to HHS.
10 We do have an approved letter, however.

11 **DR. MAURO:** You have appro-- okay. So it's a
12 pretty protracted process, but I -- but -- so I
13 guess the next one moving through the system
14 will be two, then three, then four. So as you
15 can imagine, by the time we reach, you know,
16 five and six it'll be down the road a bit.

17 **DR. ZIEMER:** Right. Thank you very much, John.

18 **DR. LOCKEY:** Paul, could I ask a question?

19 **DR. ZIEMER:** You bet.

20 **DR. LOCKEY:** We're mandated to do 20 -- 40 --
21 60 a year. Is that correct, 60 reviews a year?
22 Is that --

23 **DR. ZIEMER:** That's about the level we are at.

24 **DR. WADE:** We're not mandated. That's --

25 **DR. ZIEMER:** No.

1 **DR. WADE:** -- the Board's decision.

2 **DR. LOCKEY:** And have we been -- have you been
3 doing that?

4 **MS. MUNN:** We did 40 last --

5 **DR. ZIEMER:** I think this will -- this -- this
6 set will bring us to 60 for this year, I
7 believe, won't it? I -- I don't recall.

8 **DR. MAURO:** We have -- 80 for -- the first year
9 we did 60. We are in the 4th group and the 5th
10 group, okay, and the 6th group for this year,
11 so 60 a year has been a pace that's been
12 working well. The only problem -- and I
13 wouldn't call it a problem -- is that we get
14 the product out, the matrix, the findings, the
15 3-ring binders out, but it's always quite
16 uncertain how long it's going to take to get
17 closeout when we -- once we have the matrix in
18 place. As you can see, we will -- you know, we
19 will have the -- the matrix, the reports, the
20 paper in place. The dialogue started. How
21 long it takes to close out all the issues -- I
22 think it took a little bit more time on the
23 first one, and then it takes -- the second one
24 we're -- we get a little better. I think we're
25 getting to the point now where we've seen a lot

1 of issues again and again, and we're getting to
2 the point where we're clearing those. I would
3 say maybe 60 percent of them we can clear
4 really quickly. And there's always some new
5 ones that take a little bit more time. So as
6 we do more and more, I think we get a little
7 more efficient in moving the -- what I call the
8 matrix closeout process quickly, so I'm hoping
9 that this is going to continue in that vein.

10 **DR. ZIEMER:** Keep in mind also that this
11 process is competing for Board time, for NIOSH
12 time, for SC&A time with site profile reviews,
13 with SEC petitions, so there are sort of
14 limiting factors that come into play. In some
15 cases, for example -- and it's sort of the case
16 now where we have the pressure of -- of closing
17 SEC petitions in a timely fashion. That tends
18 to go on the front burner, and these for which
19 there's no sort of deadline closeout -- except
20 for what we impose on ourselves -- tend to then
21 move back in the queue.

22 **DR. LOCKEY:** What -- what is the average -- the
23 complete cycle is taking about what time, do
24 you think?

25 **DR. MAURO:** Oh, the -- well, the closeout --

1 let's -- I would say the -- the process of
2 receiving this -- the CDs, then -- and
3 delivering --

4 **DR. LOCKEY:** The process.

5 **DR. MAURO:** -- delivering the report with the
6 matrix typically has been on the order of about
7 three months.

8 **DR. LOCKEY:** Uh-huh.

9 **DR. ZIEMER:** But that doesn't include the
10 closeout of the matrix.

11 **DR. MAURO:** But not the closeout. The
12 closeout, I -- I -- quite frankly, I would have
13 to say -- you know, it -- it -- because of the
14 -- if we went right to the closeout process --
15 okay? -- and were not let's say sort of
16 sidetracked a bit on other -- other matters,
17 the closeout process I would say probably would
18 add another two months, because we usually have
19 several -- you think that's a -- a fair -- so
20 if we actually were at -- just clicking along,
21 three months to get the product out and then
22 another month -- month to two -- we might
23 actually be in a mode where we could do it
24 within in a month right now, I think. I think
25 earlier on it took a little longer.

1 **DR. ZIEMER:** Earlier on we were sort of
2 inventing the process --

3 **DR. MAURO:** Yes.

4 **DR. ZIEMER:** -- as we went, so --

5 **DR. MAURO:** Yes, so in -- in reality I think
6 it's -- I mean theoretically, we're operating
7 at full efficiency, we probably could move
8 these out in four months -- three months to get
9 the product out and the one month to move out
10 the -- the -- the closeout process. I think
11 that would be the optimum mode of -- mode of
12 operation.

13 **DR. LOCKEY:** So eight months?

14 **DR. WADE:** Reality is a year I think.

15 **DR. LOCKEY:** Is reality --

16 **DR. MAURO:** Oh, I'm talking each set of 20.
17 That's -- so --

18 **DR. WADE:** But I think to be fair, and I think
19 this is an important discussion, the closeout
20 process is taking a long time. And I would say
21 a reasonable person would say it would take a
22 year from start to finish. We could do better
23 with better discipline, but there are things
24 competing for the Board's time.

25 **DR. MAURO:** I think that's the reason. I think

1 that it's the -- it's not that we're having
2 problems closing out the issues. We actually
3 put them on the back burner when other hot
4 items come up.

5 **DR. ZIEMER:** Yeah, --

6 **DR. MAURO:** If we actually kept it on the front
7 burner all the way, I think we could move them
8 out --

9 **DR. ZIEMER:** Sure.

10 **DR. MAURO:** -- pretty quickly.

11 **DR. ZIEMER:** Yeah. That -- that was the point
12 I was making.

13 **DR. MAURO:** Yes.

14 **DR. ZIEMER:** Thank you, John. Okay, let's --
15 let's --

16 **DR. WADE:** Could I just continue with Dr. --

17 **DR. ZIEMER:** Oh, sure. Lew -- uh-huh.

18 **DR. WADE:** -- Lockey's -- I mean the Board has
19 decided 60 a year is the target. The Board
20 could change that. The Board has decided that
21 two and a half percent of cases is a -- is a
22 reasonable amount to audit, and that again is a
23 Board decision. So all of those things are
24 always up for discussion as the Board learns,
25 as the process unfolds.

1 **DR. ZIEMER:** Let's proceed then to look at
2 selections -- I guess -- I'm looking for Hans
3 and Kathy. They're not here yet, but I don't
4 think we should necessarily delay. I think we
5 can get under way and if they do appear and we
6 have those additional charts, that will help
7 inform our selections. But you already have in
8 mind and we have Stu's summary that -- that
9 tells us where we are on different types of
10 cases, so you might have that before you. Even
11 though it doesn't have the pie charts that the
12 other report does, it does inform us as to what
13 facilities we've sampled from, what types of
14 cases we've looked at, what POCs have been
15 reviewed and so on, so you have -- you have the
16 information before you. So I think we can
17 proceed to make a selection. And if it's
18 agreeable, we'll use the full internal and
19 external list as a starting point.

20 **DR. WADE:** Just for our record, I do have
21 Kathy's material in front of me, and it really
22 is just a graphic presentation of materials
23 that are on your tables. There's a bar chart
24 that shows the breakdown of the first 80 cases
25 by site, but you have that information --

1 DR. ZIEMER: Which is a bar chart of this --

2 DR. WADE: Right.

3 MS. MUNN: Yes.

4 DR. ZIEMER: -- information.

5 DR. WADE: There's a bar chart of the first 80
6 cases by risk model.

7 MS. MUNN: Very visual, easy to see.

8 DR. ZIEMER: John.

9 DR. MAURO: That came in electronically, I
10 believe, and so theoretically you might be able
11 to pop it up on the screen.

12 DR. ROESSLER: It's a PowerPoint, yeah.

13 DR. MAURO: Yeah, it's a PowerPoint, so if any
14 -- so I'm -- I don't have it.

15 DR. ROESSLER: I don't know how to make this
16 work with that.

17 DR. MAURO: My guess is if -- you know, we --
18 we could get it --

19 DR. ROESSLER: Oh, it's on here.

20 DR. MAURO: So it's on -- on -- on -- on that
21 staff --

22 DR. ZIEMER: She has it on a flash --

23 DR. MAURO: So we could actually put it up on
24 there and we'll do the best we can. I could
25 sort of fill in and -- they're pretty self-

1 evident. It's --

2 **DR. WADE:** And they're just visual
3 presentations of information you already have -
4 -

5 **DR. MAURO:** Right.

6 **DR. WADE:** -- so as we're discussing the
7 category it could be --

8 **DR. ZIEMER:** Sure.

9 **DR. WADE:** -- presented visually.

10 **DR. ZIEMER:** Okay. Mark.

11 **MR. GRIFFON:** Could I just --

12 **DR. ZIEMER:** Comment?

13 **MR. GRIFFON:** Just an observation on the cases
14 we have to select from. I mean the 5th set
15 that we just put into place, I think it does
16 have, if I counted right, four Savannah River
17 cases. And when I'm looking at these best
18 estimate cases available, there's a lot of
19 Savannah River and Hanford cases, so I -- I
20 think we should keep that in mind as we're
21 going through. We already have done quite a
22 few of those.

23 **DR. MAURO:** Already done a few --

24 **MR. GRIFFON:** Yeah.

25 **DR. MAURO:** -- and -- represent a large

1 percentage, too, so --

2 **MR. GRIFFON:** Right, they do represent a large
3 percentage, right. That's right. That's
4 correct.

5 **DR. ZIEMER:** Right, and -- and you notice, for
6 example, Savannah River Site -- we've done less
7 than half of what's --

8 **MR. GRIFFON:** Yeah.

9 **DR. ZIEMER:** -- what our -- what our goal would
10 be and so -- and so there's room to add, so
11 don't feel bad about selecting additional ones
12 if they look interesting.

13 I think the method we used before works pretty
14 well where we simply go down the list and --
15 and individuals can identify if they think the
16 case is interesting, and we'll begin to make a
17 tentative list of -- of --

18 **DR. DEHART:** Paul --

19 **DR. ZIEMER:** Yes.

20 **DR. DEHART:** -- for the benefit of our -- our
21 new Board members, I think it's important they
22 understand that the first sets of cases are
23 considerably different in character from the
24 later cases, because the efficiency methods
25 that were used made those cases very simple to

1 go through, basically, because there wasn't a
2 great deal of effort in terms of doing dose
3 reconstruction.

4 **DR. ZIEMER:** Thank you, good point. And I
5 think there was certainly a feeling that those
6 cases were fairly straightforward, that there
7 would be very little benefit to keep reviewing
8 cases of that type over and over again since
9 the methodologies were very straightforward and
10 the outcomes were -- were straightforward.
11 Where we wanted to focus more is on cases that
12 required -- if I could describe it as a greater
13 level of effort on the part of the dose
14 reconstructor in terms of models used,
15 assumptions made and those kinds of things, and
16 also cases that were closer to the 50 percent
17 probability of causation, above or below,
18 particularly those that were perhaps slightly
19 below. So that certainly influenced how we
20 began this selection. And Stu, just -- Stuart
21 Hinnefeld, just for clarification, the full
22 internal and external from the pool -- this is
23 everything in the pool, is that -- was that my
24 understanding?

25 **MR. HINNEFELD:** Yes, the full internal and

1 external list is everything in the pool.

2 **DR. ZIEMER:** And the order that they -- were
3 they randomly selected from that pool? I'm
4 wondering if the -- the order that we have on
5 our sheet is in any way biased.

6 **MR. HINNEFELD:** It'll be biased -- it'll --
7 it'll probably be roughly case tracking
8 numbers, so it'll be roughly in the -- probably
9 it'll -- I think it will be in roughly the
10 order in which we received the case from the
11 Department of Labor.

12 **DR. ZIEMER:** So there's a high likelihood that
13 the list begins with earlier claims and --

14 **MR. HINNEFELD:** Earlier -- earlier referrals to
15 us. You know, it'll be -- actual date of
16 completion of the claim is on the list --

17 **DR. ZIEMER:** Right.

18 **MR. HINNEFELD:** -- so you can kind of get an
19 idea when we completed the claim.

20 **DR. ZIEMER:** Right, right.

21 **MR. HINNEFELD:** But the -- the earlier ones on
22 the list are -- I think are probably earlier
23 referrals to us. I'm not 100 percent confident
24 of that, but I think that's probably how --

25 **DR. ZIEMER:** I'm trying to get a feel as to

1 what kind of bias would be here if we simply
2 start at the beginning of this list and start
3 selecting. I mean for example, suppose we
4 decided that the first 20 on here were really
5 interesting, and those were the 20 we took.
6 What -- what kind of bias have we introduced by
7 doing that?

8 **MR. HINNEFELD:** It would be --

9 **DR. ZIEMER:** There's a kind of randomness in
10 them already, built into the fact that...

11 **MR. HINNEFELD:** It -- it would be -- I -- I --
12 well, I'm speculating -- speculating that it
13 would be biased towards the sites where the
14 program was better -- best advertised first.

15 **DR. ZIEMER:** Which may be why you get a lot of
16 Savannah Rivers in here and so on. Okay.
17 Thank you.

18 So Board members, again, I seek the wisdom of
19 the group. Do you want to proceed down through
20 the list in order or do you want to skip
21 around? We can go by page and say okay, do you
22 see some on page 1 that are interesting and --
23 let's do it that way then.

24 Okay, so -- oh, okay. May-- maybe we'll pause
25 here a minute and Board members, you can take a

1 look at this -- the slides here. Here -- this
2 basically is what you have numerically, Stu, in
3 your -- in your breakdown --

4 **MR. HINNEFELD:** I believe that's --

5 **DR. ZIEMER:** -- and it's shown as a bar graph.

6 **MR. HINNEFELD:** I believe that's the case.

7 Kathy Behling prepared this, so I don't really
8 -- I can't say for sure, but I believe that's
9 the case.

10 **DR. ZIEMER:** Well, for -- for example, let's
11 look at Savannah River, I'm looking for --

12 **MR. HINNEFELD:** It's got 14.

13 **DR. ZIEMER:** It shows 14 cases, which is
14 exactly what you show on yours.

15 **MR. HINNEFELD:** Okay.

16 **DR. ZIEMER:** Hanford shows 12 cases. Her's
17 seems to show 11, so there's a little
18 difference there for some reason. That may be
19 one of those double count things, however.
20 ORNL shows 11 -- this says X-10 only has three.

21 **MR. HINNEFELD:** Her line is combined. She's
22 got all the Oak Ridge plants --

23 **DR. ZIEMER:** Oh, okay, okay -- yeah, yeah, uh-
24 huh. She's added Y-12 with eight and X-10 with
25 three, uh-huh, to get the 11.

1 **MS. MUNN:** K-25 in there, too.

2 **DR. ZIEMER:** Yeah, I don't think K-25's going
3 to show up on this list, is it?

4 **DR. WADE:** Well --

5 **DR. ZIEMER:** We don't have K-25 cases, no.
6 Okay. Anything else you want to see -- and
7 there's the risk models.

8 **MS. MUNN:** Decades of employment seems to be
9 one of the --

10 **DR. ZIEMER:** Ray, are you hearing this okay?

11 **THE COURT REPORTER:** Not Wanda.

12 **DR. ZIEMER:** Couldn't hear Wanda. Wanda,
13 repeat. You're right next to Ray, but he's
14 listening through the mike.

15 **MS. MUNN:** Okay, the decades of employment were
16 --

17 **UNIDENTIFIED:** (Off microphone) Two more -- he
18 has two more coming up. Next one -- there it
19 is.

20 **MS. MUNN:** We seem to be away from our goals on
21 that one.

22 **DR. ZIEMER:** Well, you know, you're not --
23 there's not much going on in the '30s anyway.
24 I'm not sure why the --

25 **MR. PRESLEY:** It got --

1 DR. ZIEMER: -- what is going on in the '30s?

2 MR. PRESLEY: It got --

3 MS. MUNN: That was me, I --

4 MR. PRESLEY: -- page three.

5 DR. ZIEMER: Yeah, well, I think -- I think
6 those were cases where the person worked for
7 the company before there was nuclear work, and
8 their work period carried over. There
9 certainly was not --

10 MR. HINNEFELD: Correct.

11 DR. ZIEMER: -- any weapons work going on in
12 the '30s.

13 MR. HINNEFELD: That's correct. That's the
14 employee's --

15 DR. ZIEMER: That would count here.

16 MR. HINNEFELD: -- employee's start date at
17 that covered facility --

18 DR. ZIEMER: Yeah.

19 MR. HINNEFELD: -- even though the covered
20 employment --

21 DR. ZIEMER: So I don't think we --

22 MR. HINNEFELD: -- may have --

23 DR. ZIEMER: -- expect much --

24 MR. HINNEFELD: -- occurred later.

25 DR. ZIEMER: -- in the '30s.

1 **MS. MUNN:** (Off microphone) No, but
2 (unintelligible).

3 **DR. ZIEMER:** We have a pretty good distribution
4 of the rest, it looks like and --

5 **MS. MUNN:** Well, I was only comparing them to
6 our goals --

7 **DR. ZIEMER:** Uh-huh.

8 **MS. MUNN:** -- Dr. Ziemer. Our -- our --

9 **DR. ZIEMER:** Go back to, Lew.

10 **UNIDENTIFIED:** (Off microphone) Go back to it.
11 I think the '50s and '60s are important.

12 **MS. MUNN:** Yeah, and they -- we seem to have
13 been light on them.

14 **MR. GRIFFON:** I thought we were pretty close.

15 **UNIDENTIFIED:** Light on '60 and heavy on '50,
16 but --

17 **MS. MUNN:** Uh-huh.

18 **DR. ROESSLER:** Heavy on '80s. Almost twice as
19 much on the '80s.

20 **MR. GRIFFON:** Yeah. That's really the only
21 striking difference to me, yeah.

22 **DR. ZIEMER:** Okay.

23 **MS. MUNN:** It just appears the '60s and '70s --

24 **DR. ZIEMER:** Okay, again, to keep in mind
25 during our selection process. Okay. Good

1 point. Any others? Okay, let's -- let's turn
2 our attention then to the -- to the table and
3 I'll take it by page and let's get -- we'll get
4 some candidates. We can -- we can go back and
5 see where we are, but let's identify, at least
6 preliminarily, interesting cases on the first
7 page.

8 **MR. CLAWSON:** Paul, I'd like to take a look at
9 --

10 **DR. ZIEMER:** Brad Clawson -- use the mike,
11 Brad, please.

12 **MR. CLAWSON:** I'd like to take a look at 08.

13 **DR. ZIEMER:** 08, a lung case from Argonne West.

14 **MR. CLAWSON:** That's correct.

15 **MS. MUNN:** Even I could hardly hear you, Brad.
16 You're still not close enough to the mike, but
17 I got it.

18 **MR. CLAWSON:** Okay, thank you. I apologize.

19 **DR. ZIEMER:** Okay. Others on page one?

20 **DR. DEHART:** Number 18, Gaseous Diffusion
21 Plant, combined with Y-12.

22 **MR. GRIFFON:** I agree with that.

23 **DR. ZIEMER:** Uh-huh, okay, case number 18.

24 **MS. MUNN:** Number 19, that's a 1960s case.

25 **DR. ZIEMER:** Number 19 is a case at Mound, male

1 genitalia.

2 **MS. MUNN:** Uh-huh, two cancers.

3 **DR. ZIEMER:** And two -- oh, yes, lymphoma. Any
4 -- any others on page one?

5 **DR. DEHART:** 22, Nevada Test Site, lung cancer.

6 **DR. ZIEMER:** And I'll just note these are all
7 earlier work decades, '50s and '60s, with
8 respect to Wanda's earlier concern.

9 Okay. Any others on page one?

10 (No responses)

11 Okay, let's take a look at page two.

12 **MS. MUNN:** Number 26 has two cancers, 1960s.

13 **DR. ZIEMER:** Number --

14 **MS. MUNN:** 26.

15 **DR. ZIEMER:** -- 26?

16 **MS. MUNN:** Uh-huh.

17 **DR. ZIEMER:** Savannah River Site.

18 **MS. MUNN:** '60s decade.

19 **DR. ZIEMER:** Okay.

20 **DR. ROESSLER:** Number 31 at Hanford, just
21 barely over the POC.

22 **DR. ZIEMER:** Okay, number 31, Hanford site,
23 acute myeloid leukemia. Any others?

24 **MR. CLAWSON:** 48, stomach at Hanford.

25 **DR. ZIEMER:** 48, stomach cancer, Hanford.

1 **DR. DEHART:** 49, Y-12.

2 **MS. MUNN:** That's another '80s one.

3 **DR. ZIEMER:** Okay. Very low POC here.

4 **DR. DEHART:** Yes.

5 **DR. ZIEMER:** Interestingly enough.

6 **DR. DEHART:** Prostate, I guess.

7 **DR. ROESSLER:** It's also 1980s.

8 **MS. MUNN:** Which we are overloaded with.

9 **DR. ZIEMER:** You still want that one, though.

10 It's likely to be a prostate, I suppose.

11 **DR. DEHART:** Yeah. Yeah, I'd like --

12 **DR. ZIEMER:** Huh?

13 **DR. DEHART:** Yes.

14 **DR. ZIEMER:** Okay. Any others?

15 **DR. LOCKEY:** I'd like 33. I mean I'm not

16 sure...

17 **DR. ZIEMER:** 33 is a Savannah River, two

18 cancers -- well, multiple cancers, respiratory

19 and non-melanoma skin, squamous cell.

20 Yeah, we've got a number that are just barely

21 over 50.

22 Sometime, NIOSH folks, I'd like to have a

23 discussion as to why we're carrying these POCs

24 to five significant figures -- 52.599.

25 **DR. ROESSLER:** Exact degree of accuracy.

1 **DR. ZIEMER:** We won't have the discussion now,
2 but you know --

3 **DR. WADE:** Are you implying there should be
4 more significant figures?

5 **DR. ZIEMER:** You know, like that's 53 plus or
6 minus ten, is what it is, but --

7 **MS. MUNN:** I don't think that's the
8 implication.

9 **DR. ZIEMER:** I know. I know. I'm always
10 scolding my students when they do that. We --
11 we don't have that level of certainty in these
12 numbers.

13 Well, okay, let's go ahead. I get off on a
14 soap box here.

15 Let's go ahead to page three -- how many do we
16 have here?

17 **DR. WADE:** You have nine so far.

18 **DR. DEHART:** We have nine now.

19 **DR. ZIEMER:** Page three.

20 **DR. DEHART:** 59.

21 **MR. PRESLEY:** Yeah.

22 **DR. DEHART:** 59, a Huntington.

23 **DR. ROESSLER:** Ooh, that's a good one.

24 **MR. PRESLEY:** Uh-huh.

25 **DR. ZIEMER:** Multiple, barely over, on skin.

1 **MR. PRESLEY:** Do we want to look at that other
2 one from (unintelligible) that's 1930s? It's a
3 low POC, but it's the only thing we've got from
4 that time frame. 65, do we want to look at
5 that just for the heck of it?

6 **MR. GRIFFON:** I think that's a better one than
7 the one we just said.

8 **MR. PRESLEY:** We only got one in the '30s and
9 that --

10 **DR. ZIEMER:** Well, I -- Yeah. Of course that
11 person's work period -- that's really going to
12 be -- the work is really done in the '40s and
13 '50s, but yeah.

14 **MR. PRESLEY:** '40s, '50s and '60s.

15 **DR. ZIEMER:** Yeah.

16 **MR. GRIFFON:** He's got 35 years.

17 **MR. PRESLEY:** Yeah.

18 **DR. ZIEMER:** Probably -- well, the other one's
19 a skin. I -- this lung might be more -- if you
20 were going to choose between the Huntington --

21 **MR. PRESLEY:** I would rather have -- I would
22 rather have the 65.

23 **DR. ZIEMER:** Who --

24 **DR. DEHART:** I had suggested -- that's --
25 that's fine. It's going to be -- multiple

1 basal cell is what 59 would be.

2 **DR. ZIEMER:** We'll drop 59 for the moment. Any
3 others on page three?

4 **MS. MUNN:** We're not going to do 59? Did I
5 understand correctly we're not doing 59?

6 **DR. ZIEMER:** We're going to -- going to
7 substitute 65 for 59. It's a Huntington also,
8 but it looks a little more interesting. Well -
9 -

10 **DR. LOCKEY:** Which ones?

11 **DR. ZIEMER:** -- matter of opinion, I suppose.

12 **DR. WADE:** Only 65, so far.

13 **MS. MUNN:** 65, so far.

14 **DR. ZIEMER:** Any others on -- on page three?

15 **DR. LOCKEY:** May I ask a question? What's
16 Birdsboro Steel & Foundry? I just don't know
17 what that is.

18 **DR. ZIEMER:** Birdsboro Steel & Foundry.

19 **MR. HINNEFELD:** That was an Atomic Weapons
20 Employer, I believe it was a uranium-forming
21 plant. They did some sort of either machining
22 or shaping of uranium.

23 **DR. ZIEMER:** Where is Birdsboro?

24 **MR. HINNEFELD:** I can look it up, but I don't
25 know off the top of my head.

1 **DR. ZIEMER:** We probably have one in Indiana,
2 but --

3 **MR. HINNEFELD:** I don't think it's in Indiana.

4 **MS. MUNN:** How about --

5 **DR. ZIEMER:** Were you suggesting that one or
6 were you just curious?

7 **DR. LOCKEY:** Well, I -- I -- it'd be -- is it
8 interesting to look at dose reconstruction at a
9 small employer like that? Is that something of
10 interest? I'm not knowledgeable enough to
11 know. I might ask the Board that, is that
12 something that we should look at on an
13 (unintelligible) basis?

14 **MR. GRIFFON:** Probably -- it probably falls
15 under a generic uranium model, wouldn't it? It
16 falls under a generic uranium model under --

17 **MR. HINNEFELD:** It was -- it was probably with
18 -- yeah, the complex-wide generic uranium.

19 **MR. GRIFFON:** I'm not saying -- I'm not saying
20 no, but we've looked at several of those, so --

21 **MS. MUNN:** It is the '60s.

22 **DR. ZIEMER:** What's your pleasure? You want to
23 add that or not?

24 **MR. GRIFFON:** No.

25 **MS. MUNN:** Yeah, why not.

1 **DR. DEHART:** Not too many. The way the
2 definition is, they could be a -- a metastatic
3 disease.

4 **DR. ZIEMER:** I'm -- I'm looking -- oh, John
5 Mauro?

6 **DR. MAURO:** I'm sorry, I had a thought that I
7 wanted to pass on that I thought might be an
8 interesting perspective. As you know, we are
9 in the process of reviewing the SECs for three
10 sites -- Ames, Rocky and Y-12 -- and there are
11 many issues that are before us, especially for
12 Rocky and Y-12, dealing with adequacy of data,
13 coworker models, all of which are in a very --
14 what I would say state of -- of review. I
15 would find it -- the cases that have been done
16 -- okay? -- now -- and are basically behind us
17 because they've been completed, it'd be very
18 interesting to see what the sta-- state of
19 knowl-- right now we're at a certain state of
20 understanding of the models, the applications
21 and dealing with the issues that have emerged
22 during the SEC process, and then looking at
23 actual cases that were already liti--
24 completed, adjudicated, and to see if in fact
25 the issues that we are sort of struggling with

1 now, how they were dealt with in those cases
2 already. It's a perspective that I guess we
3 never really talked about, but it'll be very
4 revealing to find out how real are the issues
5 that we are sort of discussing right now
6 regarding SEC really come to ground when they
7 adjudicated cases in the past before we engaged
8 in these issues. It's just a -- a thought that
9 you may want to take into consideration.

10 **DR. ZIEMER:** Actually, I -- I haven't noticed
11 any Rocky --

12 **DR. DEHART:** No, I haven't either.

13 **DR. ZIEMER:** -- cases on the list. I'm not
14 sure there's any Ames cases on this list, are
15 there? There are some Y-12s, of course, but
16 thank you, John, for the -- the comment. I
17 think -- may indicate that some of those cases
18 are still awaiting -- pending. 96 of course
19 was a Y-12 here.

20 Any others on page four? How many have we got?

21 **DR. WADE:** We're up to 12.

22 **DR. ZIEMER:** Okay, page five.

23 **DR. WADE:** We have the two carryovers.

24 **MR. PRESLEY:** What about 98?

25 **DR. ZIEMER:** Oh, we're back on page four? 98,

1 Allied Chemical?

2 **MR. GRIFFON:** He's only got two years of work,
3 that's the only...

4 **MR. PRESLEY:** Low POC with two years of work.

5 **DR. DEHART:** Yeah.

6 **MR. GRIFFON:** I was surprised it was a full
7 external and internal since it's a short time
8 period.

9 **DR. DEHART:** Two years, that's --

10 **MR. HINNEFELD:** I think we have a site profile
11 -- essentially a dose model site profile for
12 Allied Chemical, but I'm not -- I'm not sure of
13 that. We've written a site profile for Allied
14 Chemical. I'm not exactly sure why a full one
15 was done as to an -- over an estimate. Maybe
16 the overestimating techniques didn't work. I
17 mean were too high. We actually -- in addition
18 to having a site profile, I believe we get
19 exposure records from Allied Chemical. I
20 believe we do.

21 **MS. MUNN:** That's a 1960s file, too. For that
22 reason, it might be a good one to look at.

23 **MR. GRIFFON:** Yeah.

24 **DR. ZIEMER:** You want to look at it?

25 **MR. GRIFFON:** I don't think we've seen that

1 site, either.

2 **MS. MUNN:** No.

3 **DR. ZIEMER:** Okay, I'll add it.

4 **MS. MUNN:** Good idea.

5 **DR. ZIEMER:** 98.

6 **DR. ROESSLER:** On page four, how about 93?

7 That one -- I want to ask Roy, though. Does
8 that category include prostate? Is that right,
9 the all male genitalia, is that prostate?

10 **DR. DEHART:** The -- the coding could be
11 prostate, yes.

12 **DR. ROESSLER:** Yeah. Well, that one -- that
13 one looks interesting. That's the '40s, he
14 worked for four years -- I'm assuming -- yeah,
15 it has to be a male -- yeah, that one looks
16 interesting.

17 **MR. HINNEFELD:** Prostate is included in that
18 model. I don't know about --

19 **DR. ROESSLER:** And we -- we need --

20 **MR. HINNEFELD:** -- this exact case, but
21 prostate is --

22 **DR. ROESSLER:** We need more --

23 **MR. HINNEFELD:** -- included in that model.

24 **DR. ROESSLER:** -- in that category.

25 **DR. ZIEMER:** Thank you. 93?

1 **DR. ROESSLER:** 93.

2 **MS. MUNN:** Yeah, that one --

3 **DR. DEHART:** 93?

4 **MS. MUNN:** -- one step over the line.

5 **DR. LOCKEY:** Testicular and prostate are under
6 the same?

7 **MR. HINNEFELD:** I'll have to look on
8 testicular. I --

9 **MS. MUNN:** I think it is.

10 **MR. HINNEFELD:** I don't recall if --
11 testicular's ICD so I don't know if it's in
12 that 85 to 87 group or not. I'd -- I could
13 look it up, but I don't know off the top of my
14 head.

15 **MS. MUNN:** I think it is.

16 **MR. HINNEFELD:** The ICD-9 codes that are listed
17 here are the ones that track into that model,
18 so -- 185 to 187.

19 **DR. ZIEMER:** Okay, we'll put it in for now.
20 So we actually have, with the two carryovers,
21 we have 16 cases at the moment.

22 Page five.

23 **MR. PRESLEY:** 106, Idaho National Lab, 32
24 years, POC of 45.98.

25 **MR. GRIFFON:** Yeah, I (unintelligible) --

1 DR. ZIEMER: Which one is it, 106? Uh-huh.

2 DR. DEHART: Yes.

3 DR. ZIEMER: Colon cancer.

4 DR. DEHART: Yeah.

5 DR. ZIEMER: Uh-huh. Okay, good.

6 MS. MUNN: 109 is another lung out of the
7 Ordnance Plant in the '60s.

8 MR. GRIFFON: Isn't that -- Iowa, though, isn't
9 that --

10 DR. DEHART: Five years.

11 MR. GRIFFON: -- a SEC?

12 DR. ZIEMER: Is this -- yeah, would this now be
13 under the SEC?

14 MR. HINNEFELD: You talking about 109?

15 DR. ZIEMER: Yeah.

16 MR. HINNEFELD: Based on the information on
17 this page, this looks like this would be an SEC
18 case. Now we don't make the determination of
19 which cases are compensated. You know,
20 Department of Labor makes that.

21 DR. ZIEMER: Right. Right.

22 MR. HINNEFELD: It looks to me like it would
23 be, and this case was done before --

24 DR. ZIEMER: It was done before the SEC.

25 MR. HINNEFELD: -- before the SEC class was

1 added for Iowa.

2 **MS. MUNN:** Yes.

3 **DR. ZIEMER:** So --

4 **MS. MUNN:** Mark it off.

5 **DR. ZIEMER:** So -- I mean --

6 **MS. MUNN:** It comes off.

7 **DR. ZIEMER:** -- looking at it would be just a
8 matter of, again, looking at the procedure, not
9 -- doesn't -- it's an academic question. That
10 doesn't mean we shouldn't be looking at it,
11 since it might point out something, but...

12 **MR. PRESLEY:** How about 113, Bridgeport Brass.
13 It's a low POC but he's got 34 and a half
14 years, starts out in 1940.

15 **MS. MUNN:** 113.

16 **MR. PRESLEY:** Colon and all male.

17 **DR. ZIEMER:** All right, 113.

18 **DR. LOCKEY:** How about 108? I assume this is -
19 - I assume that's kidney. Roy?

20 **DR. DEHART:** I don't -- well, I'm not sure on
21 that. It would almost have to be, since
22 bladder's excluded.

23 **MS. MUNN:** Yeah.

24 **DR. ZIEMER:** Bethlehem Steel?

25 **MR. PRESLEY:** How about 125?

1 **DR. WADE:** So 108 is in for now?
2 **MR. PRESLEY:** 108's in?
3 **MS. MUNN:** Uh-huh.
4 **DR. WADE:** Is that clear?
5 **DR. ZIEMER:** Yeah.
6 **DR. WADE:** Okay.
7 **MR. PRESLEY:** 125, it's a gall bladder --
8 **MS. MUNN:** Yeah, that.
9 **MR. PRESLEY:** -- Superior Steel.
10 **MS. MUNN:** That looks very interesting.
11 **MR. PRESLEY:** The '30s, 25.8.
12 **MS. MUNN:** Yeah.
13 **MR. PRESLEY:** It's a -- it's a compensated, but
14 it's still low.
15 **MS. MUNN:** Just barely.
16 **MR. PRESLEY:** Just barely.
17 **DR. ZIEMER:** Okay.
18 **MS. MUNN:** Interesting.
19 **MR. GRIFFON:** And the -- the -- again, one
20 thing to clarify, Stu, a lot of these I think
21 that say fall into an external -- a full based
22 on a model. Right?
23 **MR. HINNEFELD:** Yes.
24 **MR. GRIFFON:** One model, it's not individual
25 dosimetry like for Superior Steel --

1 **MR. HINNEFELD:** In many cases, like Bethlehem
2 Steel would be done that way.

3 **MR. GRIFFON:** Bethlehem Steel, yeah, so it's
4 just one model, so once we've reviewed the
5 model --

6 **MR. HINNEFELD:** Yes.

7 **MR. GRIFFON:** -- it doesn't make a lot of sense
8 to review a lot of different cases.

9 **MR. HINNEFELD:** Well, whatever you say, but for
10 -- for things like Bethlehem Steel it says --
11 there we'll say full internal and external
12 because they were done in accordance with the
13 model and all components --

14 **MR. GRIFFON:** Right, right, no --

15 **MR. HINNEFELD:** -- of the model were included.

16 **MR. GRIFFON:** -- I'm just clarifying for our --
17 yeah.

18 **DR. ZIEMER:** And we've done four Bethlehems
19 already.

20 **MR. GRIFFON:** We've done four Bethlehems and it
21 would be the same model we're looking at, so...
22 And Superior Steel I think is much the same
23 approach.

24 **DR. MAURO:** Another one of these thoughts.
25 We've noticed that Beth-- Bethlehem Steel --

1 we've looked at a number, as you pointed out,
2 and it was -- and the approach was the original
3 site profile approach, which of course was the
4 subject of a great deal of discussion and I
5 believe NIOSH is in the process of revising the
6 Bethlehem Steel site profile. All of the
7 Bethlehem Steel cases were based strictly on
8 the site profile, so -- now what would be
9 interesting is if there are any new Bethlehem
10 Steel cases that have already been adjudicated
11 using the new methodologies and to see how
12 those new methodologies that we've discussed
13 during the site profile review process have in
14 fact been implemented. I haven't had a chance
15 to talk with -- whether that exists or not --
16 **MR. GRIFFON:** Or these -- these older ones
17 might be interesting if they're below 50
18 percent.
19 **DR. ZIEMER:** Yeah, 'cause they'd be -- they
20 would be reviewed over again.
21 **MR. GRIFFON:** 'Cause the model, if anything,
22 has gone up since we've reviewed.
23 **DR. MAURO:** Well, it's hard to say.
24 **MR. GRIFFON:** Yeah.
25 **DR. ZIEMER:** Okay. Thanks.

1 **MR. GRIFFON:** That may not --

2 **DR. ZIEMER:** Jim -- Jim Neton, comment?

3 **DR. NETON:** We would review all the cases under
4 50 percent as part of our normal program
5 evaluation report.

6 **DR. ZIEMER:** Yeah, this one would not be, since
7 it's over anyway.

8 **DR. NETON:** If it's over, it's not going to be
9 reviewed, that's correct.

10 **DR. ZIEMER:** Thank you. So do you want to
11 leave this in, in any event, or... What's your
12 pleasure on this Bethlehem Steel?

13 **MS. MUNN:** Yeah, leave it there --

14 **DR. ZIEMER:** Leave it?

15 **MS. MUNN:** -- for the time being.

16 **DR. ROESSLER:** What number is this?

17 **DR. DEHART:** 108.

18 **DR. ZIEMER:** We have mixed emotions on that
19 one, it sounds like. Some want to drop, some
20 want to leave it. Let's see what else we've
21 got. We actually have our 20 cases. Let's get
22 a couple extras here.

23 **MS. MUNN:** How about 136 on the next page?

24 **MR. GRIFFON:** Superior?

25 **DR. ZIEMER:** Superior is in at the moment, 125.

1 Are there any others on page 5?

2 (No responses)

3 If not page six. Wanda, you were suggesting
4 which one?

5 **MS. MUNN:** 136.

6 **DR. ZIEMER:** 136, Santa Susana Field Lab, lung
7 cancer. Okay.

8 **MS. MUNN:** 22 years.

9 **DR. ZIEMER:** Others?

10 **MR. PRESLEY:** That 155 is a small company, 28
11 years experience, 1950. It's a multiple
12 cancer, POC is 27.52, but it's a lot of years
13 starting in '50. I don't know -- I don't know
14 what they did at -- I don't know what they did
15 at American Bearing.

16 **MS. MUNN:** Probably made bearings. I'm sorry.

17 **DR. ZIEMER:** Okay, you're suggesting 155?

18 **MR. PRESLEY:** Yes, sir.

19 **MR. GRIFFON:** I would -- I would suggest the
20 next page, 163 or 166.

21 **DR. ZIEMER:** On page seven?

22 **MR. GRIFFON:** Yeah, since we're getting down to
23 extras.

24 **DR. WADE:** 163, is that what you said?

25 **MR. GRIFFON:** Yeah, 163 and/or 166.

1 **DR. ZIEMER:** Put them both on the list.

2 **MR. PRESLEY:** I've got -- I had 171 marked for
3 -- for Mound, 24 years, 48.176, multiple
4 cancer. It's real, real close.

5 **DR. ZIEMER:** Which one?

6 **MR. PRESLEY:** 171.

7 **MS. MUNN:** And it's the '60s.

8 **MR. PRESLEY:** The '60s, 24 years.

9 **DR. ZIEMER:** Okay.

10 **MS. MUNN:** That's the best of all possible
11 worlds.

12 **DR. ZIEMER:** Which one is that?

13 **MS. MUNN:** 171.

14 **MR. PRESLEY:** 171.

15 **DR. ZIEMER:** 171, also take a look at -- what
16 do we have -- how many Hanford -- we -- we're
17 not --

18 **DR. DEHART:** We've got quite a few. We've got
19 12 already done.

20 **DR. ZIEMER:** Twelve.

21 **MS. MUNN:** We have and we need 25.

22 **DR. ZIEMER:** There's one -- 151 at -- a lung
23 cancer at 50.1 percent. That's kind of
24 interesting.

25 **MR. GRIFFON:** There's 144 that's just a little

1 below, Hanford also.

2 **DR. ZIEMER:** That might be even more
3 interesting. It's a little longer. Let's put
4 that one on, 144.

5 **DR. DEHART:** 144?

6 **DR. ZIEMER:** Yeah.

7 **MS. MUNN:** 144?

8 **DR. ZIEMER:** That actually gives us what, Lew,
9 25?

10 **DR. WADE:** 26 by my count, if we include 163,
11 166, 171.

12 **DR. ZIEMER:** Let's use that as the list. What
13 -- our experience has been is that once we
14 check these out with Labor and so on, there --
15 there -- we may lose a few anyway. And then if
16 we have some extras, we'll carry them forward.
17 So 26 is a -- is a -- probably a good group to
18 -- to use.

19 Let -- let me ask now, do any of you have any
20 others that you wish to add to this or any that
21 you have second thoughts about and want to have
22 deleted? We've actually covered most of the --

23 **MR. CLAWSON:** I'd like to take a look at one. I
24 know it's a Savannah River, but we haven't done
25 very many (unintelligible), so 181.

1 I'll ask that this be a motion that we make to
2 the full Board, that the following cases be
3 recommended for the next round of 20 -- with
4 the understanding that out of these 25, a few
5 could disappear because of issues as to
6 reopening cases and so on. And if there are
7 extras, we'll carry them forward.

8 **DR. WADE:** So here we go, starting at the top -
9 - 08, 18, 19, 22, 26, 31, 33, 48, 49, 65 --

10 **MS. MUNN:** Whoa, just a minute. I missed 49.

11 **DR. ROESSLER:** 49, are you sure?

12 **DR. WADE:** Uh-huh.

13 **DR. ZIEMER:** Uh-huh.

14 **DR. DEHART:** Yes.

15 **DR. ZIEMER:** Yep.

16 **MR. PRESLEY:** Yep.

17 **DR. WADE:** Repeating, 65, 72, 93, 96, 98, 106,
18 113, 125, 136, 144, 155, 163, 166, 171 and 181.
19 And we have the two carryovers from the 5th
20 set.

21 **DR. ZIEMER:** Okay. A motion to recommend this
22 to the full Board?

23 **MR. PRESLEY:** So moved.

24 **DR. ZIEMER:** Second?

25 **MR. CLAWSON:** Second.

1 **DR. ZIEMER:** Did you get the motioner and the
2 seconders? Ready to vote? All in favor, aye?

3 (Affirmative responses)

4 Opposed?

5 (No responses)

6 The motion carries. Thank you.

7 **DR. WADE:** Very well done.

8 **DR. LOCKEY:** Paul, a question. It might be
9 helpful to have what's included in the ICD
10 codes if -- could that be provided as a -- as a
11 reference list?

12 **DR. ZIEMER:** Sure.

13 **DR. WADE:** Oh, yes.

14 **DR. ZIEMER:** Yeah, maybe just a separate
15 reference list that people can -- you -- you
16 mean on the charts in the future or -- or a
17 footnote or something, or an attachment with
18 the listing?

19 **DR. LOCKEY:** Just a separate page --

20 **DR. ZIEMER:** Yeah.

21 **DR. LOCKEY:** -- that if you look at urinary
22 organs excluding bladder, I -- just so I know
23 what that includes. I think I know, but I'd
24 like to be sure.

25 **DR. ZIEMER:** Yeah. Okay, I think we're

1 SC&A, we have a NIOSH response. And then
2 there's a series of sometimes phone calls or
3 exchanges or face-to-face meetings involving
4 NIOSH, SC&A and the workgroup, and possible
5 resolutions with a final Board action in cases
6 where resolution is not straightforward. So
7 Mark has been working with the matrices.
8 Mark, let's begin with the second group, which
9 would be the 18 cases, and you have -- I don't
10 think we have hard copies of this yet, and this
11 is kind of a status report, I believe, of where
12 the working group is on the second 18 cases.
13 So Mark, I'll turn it over to you, and I know
14 you have -- have the matrix there projected.
15 **MR. GRIFFON:** Yeah, I -- I really -- where
16 we're at -- this is a -- a -- definitely an
17 example of the shifted priorities. We've kind
18 of put these matrices on the back burner 'cause
19 most of us have been involved in the SEC
20 petition review stuff, and the matrix I have --
21 well, I've updated the matrix for the 2nd set,
22 the 3rd set and the procedures review. At the
23 same time, I just found out this morning
24 actually that NIOSH has added another column
25 onto the matrix, which is basically a NIOSH

1 action, a list of their action items out of
2 this -- this -- this review process, which is -
3 - is certainly going to be very beneficial in
4 tracking where things are going with this, but
5 -- but where we're going to end up is I have to
6 merge my edited matrix with NIOSH's edited
7 matrix and produce a final matrix out of this
8 and we're probably a workgroup meeting away
9 from -- from finalizing this. But I just
10 wanted to give you a sense of it.
11 The one -- the main thing that's changed in
12 these matrices from the last meeting is that I
13 have -- I've gone through and SC&A and NIOSH
14 have gone through for some of those
15 inconsistencies that we noted in the last
16 meeting, or -- or question marks. I've
17 received some comments back from both SC&A and
18 NIOSH on clarifying some of those items. I
19 made some -- some small edits to the -- the
20 resolution column, and then I tried to put a
21 Board ranking on the right-hand side, a Board
22 action. And actually at the bottom of this
23 matrix I do have the -- the code for what this
24 1 through 7 mean on the action items.
25 In the Board action column and -- and we didn't

1 print these off because we have two versions
2 out there right now. NIOSH has edited one, but
3 he didn't -- Stu Hinnefeld didn't edit from my
4 last version, so we -- we thought it might be
5 more useful and save a little paper if we
6 finished the process and then distribute it.
7 It's very much the same as the last one that
8 was handed out in Denver.

9 **DR. ZIEMER:** So Mark, for clarity --

10 **MR. GRIFFON:** Uh-huh.

11 **DR. ZIEMER:** -- what you're saying is, in
12 addition to this NIOSH resolution process -- or
13 column -- NIOSH resolution column on the right,
14 there is an additional NIOSH action, as it were
15 --

16 **MR. GRIFFON:** That's correct.

17 **DR. ZIEMER:** -- column that would be added --

18 **MR. GRIFFON:** Yeah --

19 **DR. ZIEMER:** -- or somehow incorporated into
20 that resolution --

21 **MR. GRIFFON:** Well, actually Stu -- I'm not
22 sure how he fit it on the page, but somehow he
23 has it on the far right, after the Board action
24 -- the Board action number.

25 **DR. ZIEMER:** Well, could you clarify those,

1 Stu? How does it differ from the NIOSH
2 resolution column?

3 **MR. HINNEFELD:** Well --

4 **DR. ZIEMER:** It's like a next step or --

5 **MR. HINNEFELD:** Right. I mean --

6 **MR. GRIFFON:** Yeah.

7 **MR. HINNEFELD:** -- some of these resolutions --
8 NIOSH resolution columns will say things like
9 NIOSH agrees that --

10 **MR. GRIFFON:** Right.

11 **MR. HINNEFELD:** -- that should be changed or
12 amended or -- or some document should be
13 changed. And so, you know, the -- the added
14 column is what we in -- you know, our
15 essentially commitment to do that. It's a list
16 of things to put on an action item list to
17 track those actions to completion.

18 **MR. GRIFFON:** A specific action instead of just
19 saying NIOSH agrees with a finding and --

20 **MR. HINNEFELD:** And we'll do (unintelligible).

21 **MR. GRIFFON:** It may -- it may be that there's
22 no action, but --

23 **MR. HINNEFELD:** Right, some of them there's no
24 action. Some of them -- for instance, a number
25 of times in the dose reconstruction reviews

1 there were a number of comments that related to
2 the clarity of a couple of our procedures and
3 the missed dose component in a couple of our
4 procedures, TIB-8 and TIB-10. And so our
5 action -- you know, the action column is we --
6 we said we will revise TIB-8 for clarity, or we
7 will revise TIB-10 for clarity. It's captured
8 as an action item coming out of this process
9 that way. So it's something like that.

10 **DR. ZIEMER:** Okay. So this may be a new column
11 that's -- says something or perhaps headed as
12 NIOSH follow-up actions or something. We'll --

13 **MR. HINNEFELD:** Yeah, it's --

14 **DR. ZIEMER:** -- need to work that out.

15 **MR. HINNEFELD:** It's -- right, it's something
16 that we feel like we should do because of this
17 finding and the closure process and the
18 discussion we've had on the finding. We feel
19 like there's this action we should do.

20 **DR. WADE:** I do have a copy for everyone of a
21 list of all those actions. Possibly we could
22 give that out and it would give some clarity.

23 **MR. GRIFFON:** Yeah, I suppose I -- yeah. I
24 guess it doesn't hurt. These -- these are
25 still in draft 'cause the workgroup hasn't even

1 considered this action list, so --

2 **DR. ZIEMER:** Lew, the list you're handing out
3 now is a summary of the actions that come out
4 of the table that Stu's discussing?

5 **MR. GRIFFON:** Yeah, so those'll come up in that
6 last column in the matrix and -- and Stu's put
7 it in a Word format, just for easier -- to save
8 paper, too, I guess.

9 **MR. HINNEFELD:** Well, the idea --

10 **MR. GRIFFON:** Yeah.

11 **MR. HINNEFELD:** One of these matrices is 53
12 pages long, and so the idea between taking this
13 action item and putting it onto a different
14 piece of paper was to track progress on the
15 sing-- on the action item list versus the 53-
16 page matrix, you know, with all the discussion.

17 **DR. ZIEMER:** So some of these actions will
18 appear multiple times, I guess. Is that --

19 **MR. HINNEFELD:** I tried to only have it appear
20 once. Once I specified a particular action --
21 for instance, there's -- one of the actions
22 from the first set is -- I think it's
23 DR16.something, which is revise either TIB-8 or
24 TIB-10 for clarity. Okay --

25 **DR. ZIEMER:** Revise TIB-10 to clarify method

1 for reconstructing missed dose.

2 **MR. HINNEFELD:** Okay.

3 **DR. ZIEMER:** But that might appear a number of
4 times --

5 **MR. HINNEFELD:** That will appear in very many
6 of the matrix --

7 **DR. ZIEMER:** Right.

8 **MR. HINNEFELD:** -- components.

9 **DR. ZIEMER:** Right.

10 **MR. HINNEFELD:** It'll appear once on the action
11 item list.

12 **DR. ZIEMER:** Yeah, I see what you're saying.

13 **MR. HINNEFELD:** Yeah.

14 **MR. GRIFFON:** And then going back to -- to my
15 edit of the matrix here, I can just -- just
16 skim over some of the things, but I was going
17 through and trying to also clarify -- 'cause
18 there's a number of instances where it doesn't
19 affect the case, and if it gets this -- this
20 action of number 6, that's basically that it's
21 been -- the ac-- there's an action, but it's
22 deferred to a site profile review or another --
23 like a rewrite of a TIB or whatever, so it's --
24 it's not -- and -- and there's some of these
25 that were unclear. Like that yellow, I think

1 I've added since the last matrix so Stu hasn't
2 been able to consider that, but it -- it's --
3 it was a matter of -- a situation where it
4 didn't really affect the case that we were
5 reviewing, but generically for that site, it
6 was unclear to me whether it needed to be
7 considered for the overall site profile or --
8 or a TIB related to that site had to be
9 addressed 'cause it could potentially affect
10 other cases that are, you know, closer to the
11 POC or whatever. So some of those -- that's
12 why we have some -- that's why I have some
13 yellow highlighting in here. But in -- in most
14 cases -- and like I -- as I indicated earlier,
15 I think the -- for the most part, the 2nd set
16 and 3rd set and the procedures review are --
17 are a workgroup away probably of being
18 finalized as far as a resolution process and --
19 I mean we -- you know, we would just bring a
20 full -- a final set back to the Board for
21 consideration.

22 **DR. ZIEMER:** Let me ask the Board members, are
23 you agreeable to wait until we get some kind of
24 a merged form of Stu's matrix with the NIOSH
25 action items, or do you also want a copy of

1 this version that is being projected here right
2 now? In fact, I -- I guess I need to ask the
3 Designated Federal Official, for the public
4 record, do we need a copy of Stu's matrix in
5 the -- in the public record at this point?
6 It's still a working document, but it's not --
7 it's -- certainly can be made available.

8 **DR. WADE:** I see no reason why not to make it
9 available. I think I -- Stu, you have a copy.

10 **MR. HINNEFELD:** Yes.

11 **DR. WADE:** That we could make copies from?

12 **MR. HINNEFELD:** Right.

13 **MR. GRIFFON:** And we could certainly make
14 copies of this. I just didn't want to create
15 confusion, but as long as people understand
16 they go together kind of.

17 **DR. ZIEMER:** I want to make sure --

18 **MR. GRIFFON:** And they're very lengthy.

19 **DR. ZIEMER:** Let's go ahead and at least have
20 available for the public, as well as for the
21 Board members -- let's make sure that it's
22 dated with today's date and -- so that -- and
23 marked as a draft. The -- the Board action
24 items are -- at this point are suggestions from
25 the Chairman of this -- of the working group.

1 These do not represent acted-upon actions or
2 approved actions --

3 **MR. GRIFFON:** Right.

4 **DR. ZIEMER:** -- so it's simply -- would be a
5 recommendation that --

6 **MR. GRIFFON:** Yeah.

7 **DR. ZIEMER:** Well, it's not even a
8 recommendation at --

9 **MR. GRIFFON:** Not a recommen--

10 **DR. ZIEMER:** -- this point, it's -- it's Mark's
11 first --

12 **MR. GRIFFON:** It's my interpretation of the
13 resolution, really.

14 **DR. ZIEMER:** Right.

15 **MR. GRIFFON:** Yeah.

16 **DR. ZIEMER:** And so all he's doing today is
17 reporting where he is on this, what has been
18 done so far. So if -- if that's agreeable,
19 we'll make sure a copy of this document is
20 available, and you'll have -- have that at
21 least in your files and then we will get the
22 merged copy, which perhaps would be available
23 by the time we meet again or by the time we
24 have our -- we have a Board conference call
25 meeting in August, I believe, that's already

1 scheduled. And it may be that we'll be ready
2 to take action by then if we get the documents
3 merged and if the working group agrees on the
4 recommended actions.

5 What I would like to avoid doing would be to go
6 through item by item on a phone call --

7 **MR. GRIFFON:** Yeah.

8 **DR. ZIEMER:** -- and try to resolve issues, so
9 if we can get those documents out in advance,
10 and then what we would do would be if Board
11 members have an issue with any particular
12 recommended action, we could discuss that
13 rather than go through them one by one. Would
14 that be agreeable with everyone?

15 (Affirmative responses)

16 Okay, any -- any further questions then on
17 round two?

18 **MR. GRIFFON:** And the only -- the only other
19 thing I was going to point out is that just in
20 some instances -- and this is part of our
21 workgroup process. I tried to look across the
22 matrix so if -- if people identify this kind of
23 stuff it'd be helpful to -- especially work--
24 other workgroup members. For instance, this
25 said inappropriate procedures cited. In this

1 instance they went back to the workbooks and
2 SC&A realized oh, the calculation was done in
3 the Excel spreadsheet workbook methodology and
4 it -- it -- like the numbers work out fine.
5 But that didn't -- didn't ring right with me
6 when inappropriate procedure cited might still
7 be true. They might still have cited the wrong
8 procedure, so I was unclear -- I was trying to
9 make the matrix work all the way across, so --

10 **DR. ZIEMER:** I understand. So --

11 **MR. GRIFFON:** Now there's some detail left, but
12 --

13 **DR. ZIEMER:** -- need to resolve what the actual
14 finding and --

15 **MR. GRIFFON:** Yeah.

16 **DR. ZIEMER:** Now one other thing, Board
17 members, Mark has this matrix, as well as the
18 matrix for the 3rd set, on a flash drive. And
19 so if you would rather have it in electronic
20 form now instead of paper form, I think we can
21 -- you can pick it right off his flash drive
22 today, which I've just done.

23 **MR. GRIFFON:** Save some paper, yeah.

24 **DR. ZIEMER:** Those of you who have your laptops
25 with you, later in the week or in the breaks

1 you can get the document itself, just as you --
2 as it was projected. Okay.

3 **MR. GRIFFON:** All three, for that matter, 2nd,
4 3rd and the procedures review are all -- all
5 the same way.

6 **DR. WADE:** Just to be clear about documents,
7 let's take the 2nd set. We have Mark's matrix,
8 and then Stu also has a matrix to offer which
9 has the new column added to it. So I'll make
10 both of those documents available to the Board
11 members and the public.

12 **DR. ZIEMER:** And let's make sure they have a
13 date on them and that they are somehow
14 identified as drafts, and that -- make sure
15 it's clear what they represent.

16 **DR. WADE:** And just from my point of view as
17 Designated Federal Official, I think it's very
18 important that we leave this meeting with an
19 understanding of the exact steps we're going to
20 follow trying to bring this to closure. I
21 realize that we've been distracted by things
22 that have demanded our time, but I do think
23 that some discipline is needed here to -- to
24 bring the 2nd set, the 3rd set and the
25 procedures review to closure as quickly as we

1 on. I've added the Board action ranking, but
2 there's some items, like UR, indicates
3 unresolved and -- you know, so there's some
4 clarifications we need between SC&A. And it
5 may be just that I didn't include -- or
6 incorporate the latest update from SC&A or
7 NIOSH, so might -- you know, but we're very
8 close on that one as well.

9 **DR. ZIEMER:** So we'll have a similar follow-
10 through then where we will get copies of both
11 the working group matrix, the NIOSH action
12 matrix, with the anticipation that those two
13 would be appropriately merged, with a
14 recommended Board action as we talked about for
15 the 2nd case.

16 **MR. GRIFFON:** Right. Right.

17 **DR. ZIEMER:** Is that agreeable, Board members?
18 Again --

19 **MS. MUNN:** Yeah.

20 **DR. ZIEMER:** Comments or questions?

21 **MS. MUNN:** Is it going to be possible for us to
22 reformat this so that we get the whole single
23 item on one page?

24 **MR. GRIFFON:** Were you able to fit it all the
25 way across in the --

1 **DR. WADE:** Yes.

2 **MR. HINNEFELD:** Oh, yeah, we can -- the last
3 column does fit on a page --

4 **MS. MUNN:** Good.

5 **MR. HINNEFELD:** -- and the font is at least as
6 big as that one, so --

7 **DR. ZIEMER:** (Unintelligible) the four, but
8 (unintelligible).

9 **MR. HINNEFELD:** -- but is your -- is your
10 comment about the -- there's some of these
11 fields that go pretty long down the page --

12 **MS. MUNN:** Yeah, and that's -- that's --

13 **MR. HINNEFELD:** -- so that's a --

14 **MS. MUNN:** -- that's no problem to me, but it
15 occurred to me that if --

16 **MR. HINNEFELD:** To the right? Yeah.

17 **MS. MUNN:** -- a couple of those columns were --
18 were made horizontal instead of vertical, then
19 your new column would probably fit on all
20 right.

21 **MR. HINNEFELD:** I think -- if -- I've -- I've
22 got a note -- I've got copies with it on there
23 and it's on -- with that extra column, and I've
24 -- I've squashed some of these columns together
25 a little bit. I think it -- I think it's

1 readable.

2 **MR. GRIFFON:** We can work with -- we can work
3 with the formatting, too. I know what you're
4 saying, yeah.

5 **MS. MUNN:** Good, 'cause it gets really
6 difficult if you have to go to another page to
7 see something.

8 **MR. HINNEFELD:** Right.

9 **MR. GRIFFON:** I think for instance we can write
10 "procedural" and "external" up in a --

11 **MS. MUNN:** Correct.

12 **MR. GRIFFON:** -- vertical fashion.

13 **MS. MUNN:** Yeah, that's what I was --

14 **MR. GRIFFON:** Yeah, yeah, I gotcha, yeah, we
15 can do that. We have the technology, I think.

16 **DR. ZIEMER:** Further comments, Mark, on the 3rd
17 set then?

18 **MR. GRIFFON:** No, I think that's it. We're --
19 we're -- you know, we've -- we've got a little
20 more work to do but we're close to closing it
21 out, so -- not much further, unless there's
22 questions or comments from the workgroup --

23 **DR. ZIEMER:** So we'll try to have hard copies
24 of both of these available before you leave.

25 **MR. GRIFFON:** Yeah.

1 **DR. ZIEMER:** May-- maybe even perhaps later
2 today. If you want electronic copies, Mark has
3 them on the flash drive. Very good. Thank
4 you.

5 **MR. GRIFFON:** Or Paul has them on his laptop,
6 too, so you could --

7 **DR. ZIEMER:** Yeah.

8 **MR. GRIFFON:** -- plug into your laptop, yeah,
9 either way.

COMPLETE PROCEDURES UPDATE

MR. MARK GRIFFON, WORKING GROUP CHAIR

MR. STUART HINNEFELD, NIOSH

10 **DR. ZIEMER:** Then the other item we have is
11 procedures update. Mark, the workgroup is also
12 handling that and can you give us the status of
13 that?

14 **MR. GRIFFON:** Yeah, and that's -- you know,
15 again, we have two sets of matrices I -- I'm
16 finding out, so Stu's added an additional Board
17 action column on that, as well. And -- let's
18 see, these -- just one comment on these, I
19 guess. On my matrix I think I have a lot less
20 yellow on my matrix, which leads me to believe
21 that there's a lot less as far as
22 clarification. The only thing that should be
23 noted is that a lot of time, and this'll show
24 up in Stu's actions I'm sure, a lot of times

1 the procedures we reviewed -- since this is
2 taking a fair amount of time and these were the
3 earliest procedures -- a lot of times they've
4 already been replaced and so they're -- they're
5 -- some of these proce-- some of the action
6 here says review the new procedure that took
7 place of this old one or -- and the procedures
8 are being recons-- you know, consolidated into
9 a new procedure so SC&A is now undertaking
10 reviewing those procedures. And hopefully we
11 can keep that on a -- you know, a fairly --
12 fairly good track so we don't run into the same
13 situation where we're always two procedures
14 behind, but -- I don't think that'll be the
15 case, but -- so some of this stuff is like --
16 sort of old news, in a way, but -- not that it
17 wasn't important to go through, but...
18 The one thing I do want to mention is that the
19 on-- I think the only place I have any yellow
20 on here is related to the CATI procedures, the
21 telephone interview procedures. And I think a
22 couple of those -- I had -- I jumped the gun a
23 little bit saying that SC&A and NIOSH agreed on
24 the resolution, and in fact in the last two
25 months or whatever it's been, I've received

1 comments back from SC&A that -- that there were
2 several of those that they still had some
3 issues that needed to be discussed, so we have
4 further resolution on -- on a few of the CATI
5 procedure items, and you'll see them in this
6 matrix when you guys get the update, but you
7 know, there -- there's four or five of them.
8 Closely related, but they're -- they're all
9 about the -- the CATI or the closeout interview
10 related to the CATI, those sort of things,
11 so...

12 And that's about it on procedures review.

13 **DR. ZIEMER:** Okay. Questions on that? So we
14 have a similar situation then --

15 **MR. GRIFFON:** Similar situation --

16 **DR. ZIEMER:** -- where we again need to merge --
17 is this -- the matrix that you're showing here
18 for procedures review one -- is this different
19 than the last version that the Board had?

20 **MR. GRIFFON:** The only -- let's see. There
21 might be a few slight differences, yes, in the
22 Board action column, so some minor edits in the
23 Board action column -- including what I just
24 said about SC&A basically did not agree on some
25 of those that I thought there was agreement --

1 **DR. ZIEMER:** Okay, so --

2 **MR. GRIFFON:** -- so some minor changes, yes.

3 **DR. ZIEMER:** -- so we do need copies of this
4 then, as well, I would say.

5 **MR. GRIFFON:** Yeah.

6 **DR. ZIEMER:** And then, again, do I understand,
7 Stu, there is a NIOSH version which has, again,
8 an action column?

9 **MR. GRIFFON:** Yes. Yes.

10 **DR. WADE:** Yes.

11 **DR. ZIEMER:** So again, we'll look for that and
12 then the procedure would be, again, to
13 appropriately merge these, as we did for the
14 individual cases.

15 Okay. Board members, any questions? Wanda.

16 **MS. MUNN:** I'm a little surprised to find that
17 we still have enough outstanding issues on the
18 procedures that we need to still maintain the
19 matrix. I had -- I was under the impression
20 that we'd just about cleaned this up. So I'm
21 assuming that we only have one or two
22 outstanding issues. Is that a valid
23 assumption?

24 **MR. GRIFFON:** That's a va-- maybe four, Wanda,
25 I'm --

1 **MS. MUNN:** Okay.

2 **MR. GRIFFON:** -- I'm showing them. They're --
3 they're in -- they're in yellow highlights --

4 **MS. MUNN:** Okay.

5 **MR. GRIFFON:** -- so they'll be easy to pick up
6 on your electronic form, but -- and they're all
7 related to those last procedures that I
8 mentioned, the CATI review.

9 **MS. MUNN:** Yeah, okay. We can do that.

10 **MR. GRIFFON:** Yeah. And many -- I -- I think
11 they might even be related to one or two
12 procedures. It's four findings, you know, but
13 they're all related to the CATI process, the
14 interview or the closeout process.

15 **DR. ZIEMER:** Okay. Further questions?

16 **DR. WADE:** I think it might be wise to -- I
17 mean Hans and Kathy are with us now if they
18 would like to make any comments. I mean
19 they've been terribly influential in this
20 process. Do you understand, Hans and Kathy,
21 what the Board -- the subcommittee is talking
22 about and where it's going with this? And do
23 you have anything -- any wisdom to share with
24 us that could make the journey less arduous?

25 **MR. GRIFFON:** I'm -- I'm not sure that you --

1 you've -- have they received your version, Stu,
2 of the expanded matrix with the new column on
3 it?

4 **MR. HINNEFELD:** No, that was --

5 **MR. GRIFFON:** Yeah.

6 **MR. HINNEFELD:** I put that together -- just so
7 everybody understands, we were asked a couple
8 of Board meetings ago --

9 **MR. GRIFFON:** Right.

10 **MR. HINNEFELD:** -- about well, what is NIOSH --
11 you know, NIOSH has all these recommendations
12 and how are we going to keep these resolutions
13 in front of us. You know, how -- how are we
14 going to know what's been done as a result of
15 all this stuff. And so I said well, I'm -- you
16 know, how are we going to -- I said -- and I
17 think I promised that I will come up with a
18 method for identifying what's going to happen
19 and then -- so we can close out resolution. So
20 that's why I stuck that extra column on there -
21 -

22 **MR. GRIFFON:** Right, right.

23 **MR. HINNEFELD:** -- was to be able to track
24 completion and resolution of -- that's come out
25 of this process. So it was -- you know, I

1 think -- I could -- you know, here are the
2 actions. I -- you know, we've got a list of
3 the actions. You can tie them back to the
4 various findings that -- that they relate to.
5 So that's why I put mine together, and it
6 wasn't clear to me that we were going to enter
7 that part of the process today or -- or later
8 or what, and -- and I don't think, Mark, I've
9 seen necessarily all the yellow highlighted --
10 the latest version with the yellow highlights.
11 Isn't that true?

12 **MR. GRIFFON:** No, no, you've got --

13 **MR. HINNEFELD:** So --

14 **MR. GRIFFON:** So we're both, yeah --

15 **MR. HINNEFELD:** So we're --

16 **MR. GRIFFON:** We both did this kind of --

17 **DR. ZIEMER:** Now actually --

18 **MR. HINNEFELD:** -- (unintelligible) --

19 **MR. GRIFFON:** -- in the last three or four
20 days, yeah.

21 **DR. ZIEMER:** -- actually Stu's comment
22 triggers, in my mind, a question. And that is
23 -- what Stu has described is really sort of a
24 follow-up on our whole process. And it seems
25 to me we could think in terms of having our

1 matrix and closing it. And then Stu's -- what
2 Stu's talking about is simply tracking for us
3 what's happened since the matrix. So now I
4 have a question in my mind as to whether or not
5 we really want to merge the matrices, as
6 opposed to saying here's our matrix, we finish
7 it up. Stu can update his as -- if there's any
8 changes in ours. But that's sort of their
9 matrix reporting how they're reacting to our
10 action. That's -- that's -- I'm seeing it a
11 little differently now. Wanda.

12 **MS. MUNN:** Thank you, Dr. Ziemer, that's the
13 way I was seeing it at the time we had
14 discussed it earlier. The engineering mind was
15 seeing a, quote, deficiencies list. You know,
16 what's still outstanding, yet to be done,
17 rather than a continuation of the matrix.
18 These two matrices are extremely difficult --
19 for me, as a working group member -- to
20 manipulate. There's just too much stuff in
21 there and, in my mind, we've cleaned out
22 virtually all of it. So Stu's short list that
23 we have here, the one-pager, is much more in
24 line with what I personally had in mind in
25 terms of a tracking mechanism, rather than

1 maintaining this long matrices -- or matrix.

2 **MR. GRIFFON:** I appreciate that, but I think
3 Stu's middle matrix is the -- we can't skip
4 that middle step. I think we have to come to
5 grips to make sure that -- that what we
6 envisioned as actions, the workgroup members
7 and SC&A -- everybody's in agreement that the
8 right actions are coming out of that matrix.
9 And then once you have the final actions, I
10 agree, you track them separately and --

11 **MS. MUNN:** Right.

12 **MR. GRIFFON:** -- the matrix is put to sleep,
13 you know.

14 **DR. ZIEMER:** Well, what -- what I -- what I'm
15 saying is I think that Stu's actions in a sense
16 rightly are to the -- at the end of the matrix,
17 after the Board action list --

18 **MR. GRIFFON:** Yeah.

19 **DR. ZIEMER:** -- as opposed to part of the NIOSH
20 response.

21 **MR. GRIFFON:** Right. Right, I agree with that.

22 **MR. HINNEFELD:** Right.

23 **MR. GRIFFON:** Yeah.

24 **MR. HINNEFELD:** Right.

25 **MR. GRIFFON:** Yeah, that makes sense.

1 **DR. ZIEMER:** So I'm actually envisioning -- as
2 -- as opposed to a merger of our documents, I'm
3 -- I'm -- I'm envisioning now -- this is for
4 the 2nd 20 and the 3rd 20 cases plus this --
5 our regular matrix with our action. Then Stu
6 turns around and -- and says here's what we've
7 done with your matrix, and that's their action.
8 That's how I'm -- but let's get feedback from
9 others if -- if you want to merge it in some
10 way other than that.

11 **MR. GRIFFON:** I -- I -- I'm just wondering, it
12 -- you know, not having seen these actions, I'm
13 just wondering if, when they come back with a
14 new mat-- this -- this new report, which --
15 which -- you know, I've gotten hard copy today,
16 but I haven't looked at it, then -- then am I
17 going to have to -- like if I go down this and
18 say wait a second, I thought they were going to
19 do this out of this ma-- am I back to the
20 workgroup and working through these things
21 again or --

22 **DR. ZIEMER:** I think that can occur.

23 **MR. GRIFFON:** Yeah.

24 **DR. ZIEMER:** But in a sense, we -- we have the
25 response. We have to take a -- we take a Board

1 action.

2 **MR. GRIFFON:** Yeah.

3 **DR. ZIEMER:** Once he tracks and says here's how
4 we responded, we can certainly say well, that's
5 a dumb response, why don't -- you know, why --

6 **MR. HINNEFELD:** That's likely to happen.

7 **DR. ZIEMER:** We wouldn't say that.

8 **MR. GRIFFON:** Right.

9 **DR. ZIEMER:** We might think that. But in --
10 but in fact, it's sort of -- we -- we ask for
11 accountability. How do we know that in those
12 cases where it looked like something remains to
13 be done and we -- everybody agrees yes, that's
14 going to be done. You're basically reporting
15 back, here -- here's the follow-up.

16 **MR. HINNEFELD:** Right.

17 **DR. ZIEMER:** Well, okay, we may --

18 **MR. GRIFFON:** I guess that's -- yeah.

19 **DR. ZIEMER:** -- need to --

20 **MR. GRIFFON:** I guess that's okay. We'll --
21 we'll -- we'll definitely consider it in the
22 workgroup process. I mean the -- the only
23 hesitation I have is that if -- you know, if
24 you're in the middle of the -- the resolution
25 and we're all -- we're all thinking well, this

1 is -- this is resolved and I'm -- I'm in
2 agreement with it because NIOSH is going to do
3 this, so we're all in agreement with it, and
4 then it turns out their -- their action doesn't
5 propose to do that, so -- but I think -- I
6 think --

7 **DR. ZIEMER:** Well, either way, we --

8 **MR. GRIFFON:** Yeah.

9 **DR. ZIEMER:** -- it's still a follow-on thing,
10 but --

11 **MR. GRIFFON:** It's still a follow-on, yeah.

12 **DR. ZIEMER:** -- in a certain sense we need to
13 close the matrix.

14 **MR. GRIFFON:** Right, I know what you're saying.

15 **DR. ZIEMER:** Then we start looking at
16 responses. Okay. Well, the workgroup can take
17 that into consideration, I --

18 **MR. GRIFFON:** Yeah.

19 **DR. ZIEMER:** -- on all three of these as we
20 proceed.

21 **DR. WADE:** A couple of comments. I think also
22 once you get the NIOSH actions, tracking them
23 in this kind of a form is useful 'cause, as
24 Wanda said, the matrix -- matrices become very
25 unwieldy. So a summary report that will let us

1 look at what NIOSH has committed to do, as
2 verified by the working group, and then keep
3 track of that I think is important. If you
4 remember, the GAO report talked to us about
5 putting in place tracking mechanisms, and I
6 think this is an attempt to build such a
7 tracking mechanism.

8 **MR. HINNEFELD:** Right.

9 **DR. ZIEMER:** Board members, any further
10 comments or questions regarding the procedures
11 update?

12 (No responses)

13 Thank you, Mark.

14 **MR. GRIFFON:** And I guess we'll be in touch to
15 schedule workgroup meetings soon to -- 'cause I
16 agree with Lew, I do want to close this out.
17 We've been at this for a while and let's get it
18 done while it's fresh in our minds.

19 **DR. LOCKEY:** Well, I have one question.

20 **DR. ZIEMER:** Yes.

21 **DR. LOCKEY:** With NIOSH's -- in this matrix
22 here, NIOSH has a response. That doesn't
23 prevent NIOSH from taking preliminary action
24 before the Board sees that. Is that correct?

25 **MR. GRIFFON:** That's -- I mean --

1 **DR. ZIEMER:** I believe that's correct.

2 **MR. GRIFFON:** I think --

3 **DR. ZIEMER:** Of course --

4 **MR. GRIFFON:** -- they've been doing that, yeah.

5 **DR. ZIEMER:** -- in cases where it's fairly
6 straightforward, there'd be no reason to -- for
7 example, if a -- if it was clear a procedure
8 was out of date or wasn't -- was no longer
9 being used and -- and the response is we're
10 using a new procedure or something, I don't
11 think we would expect them to sit around
12 waiting for us to say okay, use your new
13 procedure.

14 Now it -- it's quite possible, I guess, in --
15 in some case, that they may proceed to make
16 some change that we later think was not the
17 right change, but I think in most -- most of
18 these cases it's things that they say yeah, you
19 noticed that, but we're not doing that anymore
20 anyway. It's sort of like that.

21 **MR. GRIFFON:** Well, I don't think that's always
22 the case, but --

23 **DR. ZIEMER:** No, no.

24 **MR. GRIFFON:** -- yeah, there are -- there is
25 some of that, certainly.

1 **DR. ZIEMER:** And there -- there's -- there's
2 really nothing that prevents NIOSH from --

3 **MR. GRIFFON:** No.

4 **DR. ZIEMER:** -- doing a course correction if
5 something's brought to their attention and they
6 agree that it should be corrected --

7 **MR. GRIFFON:** No, certainly not.

8 **DR. ZIEMER:** -- before we even, you know, bless
9 it, as it were.

10 **MR. GRIFFON:** I think TIB-8 and 10 are a good
11 example. Right? You've proceeded with those,
12 so -- yeah.

13 **MR. HINNEFELD:** Right, those -- the clarifying
14 revisions to TIB-8 and 10 have been done.

15 **MR. GRIFFON:** Have been done, yeah, so that's -
16 - yeah.

17 **DR. ZIEMER:** Go ahead, Lew, I -

18 **DISCUSSION OF SUBCOMMITTEES AND WORKING GROUPS**

19 **DR. WADE:** Well, we have some time, and I -- I
20 would suggest that maybe we have a preliminary
21 discussion of the interaction between working
22 groups and subcommittee and full Board. It's
23 on the agenda for our meeting, but I think as
24 we sort of evolve down this path, we have a
25 number of things that are ongoing. And I think

1 the relationships of those things and the
2 staging and sequencing of those things really
3 need to be talked about.

4 I'll remind you you have the full Board, and
5 then you have a subcommittee that looks at dose
6 reconstructions, procedures reviews and site
7 profile reviews. That subcommittee generally
8 is made up of the entire Board, less a member
9 or two, depending upon travel schedules. And
10 then you -- you now have a -- a -- an array of
11 workgroups.

12 You have a workgroup that Mark chairs that
13 looks at dose reconstructions, site profiles
14 and procedures reviews. You also have a
15 workgroup that Dr. Melius chairs that looks at
16 generic SEC issues. And then you've formed a
17 number of workgroups that look at specific site
18 issues as it relates to site profiles.

19 So I just think it's important at this meeting
20 that we think about those things and the
21 relationships between those things, and that we
22 talk a little bit about optimizing our
23 procedure.

24 The other issue that sort of cuts across that
25 is an issue that everything comes at the

1 eleventh hour, and that makes the process
2 difficult to administer, and we need to talk
3 about that, as well. Now I don't think we're
4 going to finish that discussion today, but
5 since we have some time I think it's worth
6 starting to frame it anyway, leading up to our
7 discussions on Friday.

8 **DR. ZIEMER:** Good point. I would point out,
9 it's about -- it's about quarter of, I'm not
10 sure exactly what the eating arrangements are.
11 We need to be back here at 1:00 and we want to
12 make sure people have time to eat and
13 reassemble. There -- there at least is
14 something here in the hotel, and there are
15 other places around. Do we have information on
16 eating facilities or -- are we going to -- I'm
17 -- I'm sort of asking is an hour going to be
18 sufficient here for getting food or are we --
19 are we calling it close?

20 **DR. WADE:** In -- I think short of a major sit-
21 down meal at a -- at a restaurant, I think you
22 can do it in an hour. If you want to take the
23 extra 15 minutes, we could do that and try it
24 out today and see what the time does.

25 **MS. MUNN:** Might be a good idea for those of us

1 who are not familiar with what's around here.
2 **DR. ZIEMER:** Okay. Just -- let me follow up a
3 minute on -- on Lew's comments. As far as
4 working groups and subcommittees and -- I'll
5 point out -- or remind you that a subcommittee
6 has to be chartered. Its meetings have to be
7 announced in the *Federal Register*. It is an
8 open meeting. It follows precisely the same
9 kinds of rules as the full Board.
10 Working groups are ad hoc. That is, they
11 address a specific topic. It is not required
12 that they be publicly announced, nor are they
13 required to be open to the public, although our
14 practice has been both to announce them and to
15 make them open to the public, as well.
16 But as a practical matter, as -- as I see us
17 going forward, I think the idea of having a
18 subcommittee which -- whose membership consists
19 of the full Board, which is this subcommittee,
20 is going to become more and more impractical.
21 Now we might be better served to, for example,
22 use the half-day for workgroups to meet --
23 smaller subsets meet on specific topics,
24 whether it be dose reconstruction, whether it
25 be an SEC -- some of us now have SEC leads and

1 so we have -- we have teams that are having
2 specific assignments, all of which tend to be
3 ad hoc. If you're talking about an SEC
4 petition with a team having the lead, that's an
5 ad hoc thing that addresses that particular
6 issue.

7 So what I'd like you to think about is how we
8 structure, if we are going to have a large
9 number of these teams going forward, and then
10 how to utilize our time at full Board meetings.
11 Can we set aside times for the subgroups and
12 teams to meet. Do we really need to have a
13 subcommittee that consists of virtually
14 everybody on the Board. So those are the kind
15 of issues that we need to discuss and we can
16 talk a few minutes, but we --

17 **MR. GRIFFON:** The only thing -- I mean I -- I
18 have had a little bit of time to think about
19 this since I've been in the middle of these
20 workgroups a lot, but I mean I never
21 envisioned, when we first constructed the
22 subcommittee, that it was going to be a
23 subcommittee of the whole. Somehow it became
24 that. I -- I mean I think it might be useful
25 to have workgroups for ad hoc SEC petitions, as

1 you said, and -- and probably site profile
2 reviews. But maybe to think of a subcommittee
3 for the standing function of dose
4 reconstruction review, the cases and the
5 procedures -- just a thought. I mean --

6 **DR. ZIEMER:** Right.

7 **MR. GRIFFON:** -- it might be better, 'cause
8 that's a ongoing function and -- and have it be
9 a real subcommittee --

10 **DR. ZIEMER:** Right.

11 **MR. GRIFFON:** -- instead of a subcommittee of
12 the whole.

13 **DR. ZIEMER:** And actually initially that was
14 the concept, and what was done to sort of
15 facilitate that was we said well, we're never
16 sure who is available at a given time to do
17 that function, so we would name everybody so
18 that -- and you could use any of them. Well,
19 then when the subcommittee meets -- as we are
20 now -- then everybody is -- shows up, rather
21 than having a designated group with alternates.
22 So another possibility would be to have the
23 subcommittee have designated, regular persons.
24 You know, it'd be Mark and Bob and -- whoever's
25 on that. And then everybody else is an

1 alternate, and they don't -- they could show
2 up, but they don't need to unless somebody else
3 is going to be absent.

4 **MR. GRIFFON:** And I think actually we did have
5 designees --

6 **MS. MUNN:** I thought we did.

7 **MR. GRIFFON:** -- in the first version, didn't
8 we?

9 **MS. MUNN:** Yeah, I thought we did.

10 **DR. ZIEMER:** We did --

11 **MR. GRIFFON:** And then we said everybody was
12 sort of alternates --

13 **DR. ZIEMER:** We did, but -- but then the
14 alternates all wanted to show up, so --

15 **MR. GRIFFON:** Which is fine, yeah.

16 **DR. ZIEMER:** -- we have defaulted and -- ending
17 up with almost the full Board attending the
18 subcommittee meetings, so that's -- that's sort
19 of how it's evolved. And -- and it would
20 certainly be possible to -- and maybe
21 desirable, and we will talk about this in full
22 Board meeting later this week, to have one or
23 two, maybe three, specific subcommittees whose
24 ongoing focus is something like dose
25 reconstruction reviews or procedures reviews,

1 whatever it may be, identify the individuals --
2 those have to be chartered, by the way. They
3 have to go up through the system, they have to
4 be approved by HHS and so on. So there's a bit
5 of -- more formality in doing a subcommittee
6 versus a workgroup, which we can do on an ad
7 hoc basis. The Chair can appoint people on
8 short notice and we can proceed like that.
9 Roy DeHart.

10 **DR. DEHART:** The only comment that I would have
11 personally with the subcommittee that -- as
12 it's become, is tied to transportation and
13 travel. By that I mean frequently I have to
14 travel the preceding night. I'm already here
15 and it's really convenient to come in and be a
16 part of the subcommittee, and sometimes I need
17 to hear it twice, to be perfectly honest. But
18 if -- if we change the way the meeting was
19 organized and perhaps put the subcommittee at
20 the end -- but unfortunately, much of the work
21 is -- is programmed into the actual Board
22 meeting, so that's very difficult to do.

23 **DR. ZIEMER:** Mark.

24 **MR. GRIFFON:** I know we're probably going to
25 want to break for lunch soon, but I guess one

1 thing that I -- in terms of efficient use of
2 our time, I find sometimes I am preparing just
3 to present something -- an update on this
4 matrix, for instance -- when actually I would
5 have loved to have three hours this morning
6 with a smaller group going through item by
7 item. And I know it doesn't lend itself well
8 to a --

9 **DR. ZIEMER:** To a large group.

10 **MR. GRIFFON:** -- to a larger group. So if we
11 had a smaller subcommittee and those that are
12 really interested can still -- you know, it's
13 open to the public, certainly, but we could go
14 through line by line and start doing that --
15 that hard work of -- and tedious work of
16 editing each and every line item. You know,
17 that -- that's what I was thinking of.

18 **DR. ZIEMER:** Thank you. Wanda Munn.

19 **MS. MUNN:** This is probably one of the most
20 sticky wickets that we have to deal with in
21 terms of internal activity. And there are a
22 couple of issues that make it very difficult.
23 One is the overlap of personnel in various
24 subcommittees and working groups.
25 And the other is the issue that's already been

1 addressed with respect to the last-minute
2 activities. We -- I don't know how we're ever
3 going to be able to get around that last-minute
4 issue because there's the continual opposing
5 pressure of needing to move these activities
6 forward in a timely manner and at the same time
7 trying to give them the thorough overview that
8 they need. We're always going to end up in
9 this last-minute process, unless we all agree
10 that we're going to push the length of our
11 activities out considerably further than we see
12 them now.

13 With respect to the possibility of
14 subcommittees as opposed to working groups, I
15 guess having seen both in action -- although
16 our subcommittee really has expanded
17 considerably from what I first thought it was.
18 For example, I don't consider myself a part of
19 the subcommittee. I'm here as -- because I'm
20 an alternate and have to travel all day to be
21 here anyway. But working groups are ideal in
22 terms of resolving the issue, far more so than
23 subcommittees, simply because first of all one
24 needs to -- very quickly sometimes -- involve
25 more personnel, especially our contractor

1 personnel and OCAS or ORAU. When we need to
2 have them involved to resolve the issue, then
3 working groups have the flexibility to be able
4 to pull them in quickly. Getting them all to a
5 subcommittee meeting or something that had to
6 be announced so far in advance is really
7 problematical sometime, I believe.

8 **MR. GRIFFON:** I don't know that there's that
9 much a difference anymore. I mean we -- we've
10 -- we have the working groups all open to the
11 public. I don't know, is there a *Federal*
12 *Register* notice that's -- that's going to make
13 this a (unintelligible) --

14 **DR. ZIEMER:** It's not required.

15 **MS. MUNN:** No, it's not required.

16 **DR. WADE:** The way we do working groups is we
17 don't *Federal Register* notice them. We send
18 out a mailing to interested parties. We post a
19 notice of the meeting on the web site. We take
20 -- we have them fully transcribed and minutes
21 developed, so the only difference is that we
22 don't issue a *Federal Register* notice, and
23 that's because a *Federal Register* notice can
24 take three weeks --

25 **MS. MUNN:** Yes.

1 **DR. WADE:** -- and we often don't have three
2 weeks.

3 **MR. GRIFFON:** Three weeks?

4 **MS. MUNN:** Yes.

5 **MR. GRIFFON:** I thought that could be done in a
6 week or so.

7 **DR. WADE:** It can be done in a week, but you
8 can't do it often in a week.

9 **MS. MUNN:** No.

10 **DR. WADE:** The system will push back. That's
11 the only change that we've made between the
12 two. I think the open process has served us
13 well, frankly.

14 **MR. GRIFFON:** The only reason I think for the -
15 - the dose reconstruction review, you know,
16 it's this idea of -- of it's -- it's not an ad
17 hoc, it's an ongoing process --

18 **DR. ZIEMER:** Right.

19 **MR. GRIFFON:** -- so I think we have to -- you
20 know, to abide by -- you know, our own rules.
21 I think we have to consider the subcommittee
22 for that.

23 **DR. ZIEMER:** If it's an ongoing process, it has
24 to be a subcommittee.

25 **MR. GRIFFON:** Yeah, right. And it doesn't --

1 the subcommittee can certainly meet in
2 Cincinnati, I think. It doesn't have to be
3 tied to these Board meetings every time. We
4 can --

5 **DR. ZIEMER:** That's correct. That's correct.

6 **MR. GRIFFON:** -- you know, have a meeting in
7 Cincinnati where we had access to staff and --

8 **MS. MUNN:** Uh-huh.

9 **MR. GRIFFON:** Yeah.

10 **DR. ZIEMER:** Well, that's food for thought.
11 We'll -- we'll return to this on our -- in our
12 discussion. We do need to recess. Lew?

13 **DR. WADE:** One more issue to put on the table
14 to think about and that is our friendly court
15 reporter. Our process has evolved to the point
16 that there's tremendous demands on that
17 individual and his staff, and that creates some
18 time impacts in terms of availability of
19 materials, so it's -- you know, we're dealing
20 with a relatively fixed-sum resource and a high
21 quality resource, and you need to realize that
22 there are those impacts, as well.

23 **DR. ZIEMER:** Thank you. Let's now recess for
24 lunch. We'll reconvene as a full Board at 1:00
25 o'clock. Thank you.

1
2
3

(Whereupon, business was concluded, and the
Subcommittee was adjourned at 11:55 a.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of June 14, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 8th day of July, 2006.

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**