

1 THE NATIONAL INSTITUTE FOR OCCUPATIONAL
2 SAFETY AND HEALTH (NIOSH)
3 PUBLIC MEETING ON SAFETY AND HEALTH
4 IN THE HORSE RACING INDUSTRY AND BEST PRACTICES

5

6

7

8 Tuesday, May 22, 2007

9

10

11

12

13

14

15

16

17 Commencing at 9:08 a.m. at the Hyatt

18 Regency Crystal City, 2799 Jefferson Davis Highway,

19 Arlington, Virginia.

20

21

22

2

1 INDEX

2 Welcome/Introduction

3 Kitty Hendricks 4

4 Opening Comments

5 Frank Hearl 7

6 The Health Status of Thoroughbred Jockeys:

7 Results from the Jockeys' Health Survey

8 Canton A. Hornung 14

9 On-Track Safety Programs for Thoroughbred Racing

10 Craig Fravel 34

11 On-going Horse Safety Research within the Industry

12 Ed Bowen 50

13 Improving Protective Vests for Exercise Riders

14 And Jockeys

15 Anthony Bahno 65

16 Examining Environmental Health and Safety

17 Factors at Equestrian Racecourses Nationwide:

18 Rationale, Approach and Preliminary Focus

19 David Seftel 82

20

21

22

3

1 Preventive Medicine with Remote Telemedicine;

2 Case Studies & Jockey's Health

3 Bonne Farberow 117

4 An Overview of Medication Control in Horse Racing

5 Scot Waterman 123

6 Workplace Lead Exposure in the Equestrian

7 Racing Environment -- Preliminary Data

8 and Remediation Strategies

9 David Seftel 136

10 Oversight and Regulation of the Pari-Mutuel

11 Horse Racing Industry

12 Alexander M. Waldrop 153

13 Collecting and Translating Incident and Injury

14 Data in the Horse Racing Industry

15 Dr. Karin J. Opacich 166

16 Insurance Data and Injury Prevention

17 Andrew Staniusz 195

18 Public Comment 212

19 Discussion 213

20 Closing Comments 233

21

22

4

1 P R O C E E D I N G S

2 W E L C O M I N G / I N T R O D U C T I O N S

3 MS. HENDRICKS: Good morning. Thank you
4 all for coming.

5 My name is Kitty Hendricks, and I'm with
6 the NIOSH Division of Safety Research, and I would
7 like to welcome you all to the public meeting on
8 safety and health in the horse racing industry and
9 best practices.

10 Before we start out this morning, I would
11 like to just make everyone aware that we are having
12 transcription services done for this meeting. So on
13 the tables, we don't have a lot of microphones. So

14 if you make sure you speak clearly and loudly and

15 try to identify yourself, we would appreciate it.

16 To start this out this morning, I would

17 like to take a few minutes for everybody to get to

18 know each other. I have spoken with a lot of you on

19 the phone, but it's nice to put some faces with the

20 names.

21 So if we could just start out.

22 Do you want to start?

5

1 MR. BAHNO: Yes. My name is Tony Bahno.

2 I work for AIG consultants as a safety professional.

3 MR. HORNING: Carl Hornung, University of

4 Louisville.

5 MS. FARBEROW: Bonne Farberow, University of

6 Pennsylvania.

7 MR. STANIUSZ: Andrew Staniusz, Magna

8 Entertainment.

9 MR. GIBBINS: John Gibbins, NIOSH.

10 MS. PAGE: Elena Page, NIOSH.

11 MR. COLTON: Robert Colton, retired jockey

12 and director of the Delaware Jockeys Association.

13 MR. FRAVEL: Craig Fravel, Del Mar

14 Thoroughbred Club.

15 MR. CASINI: Virgil Casini, NIOSH.

16 MS. HENDERSHOT: Peggy Hendershot,

17 National Thoroughbred Racing Association.

18 MR. WALDROP: Alex Waldrop, National

19 Thoroughbred Racing Association.

20 MR. HICKEY: Jay Hickey, American Horse

21 Council.

22 MS. OPACICH: Karin Opacich, University of

6

1 Illinois.

2 MR. BOWEN: Ed Bowen, Grayson Jockey Club

3 and Research Foundation.

4 MR. WATERMAN: Scot Waterman, Racing

5 Medication and Testing Consortium.

6 MR. JOHNSTON: Jeff Johnston, The Jockey's

7 Guild.

8 MS. STOUT: Nancy Stout, NIOSH.

9 MR. HEARL: I'm Frank Hearl with NIOSH.

10 MS. SCHNORR: Terri Schnorr with NIOSH.

11 MR. OTTERBACK: David Otterback with

12 NIOSH.

13 MS. BULIK: Cindy Bulik, University of

14 North Carolina, Chapel Hill.

15 MR. DEMARCO: Tony DeMarco with the

16 Thoroughbred Racing Association.

17 MS. COUILLARD: Lauren Couillard with BNA.

18 MS. CASTILLO: Dawn Castillo for NIOSH.

19 MR. SEFTEL: I'm Dr. David Seftel. I'm

20 medical director of Golden Gate Fields and

21 Baymeadows.

22 MS. HENDRICKS: Before we get started this

7

1 morning, we do have a change in the agenda.

2 We are just going to flip flop

3 Dr. Seftel's presentation with Dr. Hornung's. If

4 you will just make that adjustment, and then we will

5 get started.

6 I would like to introduce Frank Hearl.

7 Frank is the chief of staff for NIOSH, and he is

8 going to be doing our opening comments this morning.

9 OPENING COMMENTS

10 MR. HEARL: Thank you, Kitty.

11 Good morning and thank you. My name is

12 Frank Hearl, and I am Chief of Staff for the

13 National Institute for Occupational Safety and

14 Health.

15 On behalf of our Director, Dr. John

16 Howard, and the management and staff of the

17 Institute, particularly those involved in arranging

18 this meeting, I want to welcome you to the meeting.

19 I want to thank you for taking time out of

20 your busy schedules to come here and share with us

21 your expertise and your experience related to the

22 safety and health in the horse racing industry.

8

1 For those of you who may not be familiar

2 with the National Institute for Occupational Safety

3 and Health, NIOSH is a part of the Centers for

4 Disease Control and Prevention, the CDC, which is in

5 the Department of Health and Human Services.

6 The Occupational Safety and Health Act of
7 1970 created both NIOSH and the Occupational Safety
8 and Health Administration, OSHA. OSHA is in the
9 U.S. Department of Labor, and it is responsible for
10 developing and enforcing workplace safety and health
11 regulations.

12 As I said, NIOSH is the federal agency
13 responsible for conducting research and making
14 recommendations for the prevention of work-related
15 injuries and illnesses.

16 We are not a regulatory agency.

17 NIOSH's mission is to conduct occupational
18 safety and health research to identify health and
19 safety risks for workers, and to develop and
20 evaluate interventions; that is, preventive measures
21 that can be taken to reduce or eliminate the risks
22 of disease or injury.

9

1 NIOSH uses the results of our
2 investigations to make science-based recommendations
3 to regulatory bodies, such as OSHA, but we also

4 disseminate our findings to the public and to other
5 stakeholders, including labor, industry, equipment
6 manufacturers, academic researchers, and others who
7 can work with us in partnership to reduce workers'
8 risks.

9 To the best of my knowledge, NIOSH has not
10 previously studied the horse racing industry, so
11 this is a new venture for our NIOSH scientists. We
12 became involved in this area after a request from
13 Congressmen Bart Stupak from Michigan and
14 Congressman Edward Whitfield from Kentucky.

15 In 2005, the House Energy and Commerce
16 Committee's Subcommittee on Oversight and
17 Investigations held a series of hearings on a need
18 for adequate on-track injury insurance for jockeys
19 and other racing industry workers. Those
20 Congressional hearings created an awareness and
21 revealed a number of serious hazards that jockeys
22 and other racetrack workers face that can cause

1 serious and disabling injuries and even fatalities.

2 It was this raised awareness that prompted
3 Congressmen Stupak and Whitfield to ask NIOSH to
4 investigate.

5 Because the horse racing industry is not
6 something that NIOSH is well acquainted with, we
7 began our efforts to learn more about the industry.

8 We began with an extensive review of existing
9 scientific and medical literature.

10 Our scientists have visited the Keeneland
11 racetrack in Lexington, Kentucky, and interviewed
12 jockeys about their safety and health concerns. Our
13 scientists also met with the director of the North
14 American Racing Academy and the regional Jockey's
15 Guild representative.

16 We are in the process of conducting, also,
17 a research-based investigation into the recent death
18 of a 65-year-old jockey at a racetrack in St. Croix,
19 using our Fatality Assessment and Control
20 Evaluation, also called the FACE program. This FACE
21 investigation will produce a narrative report
22 describing the circumstances of the events and will

1 make recommendations for preventing future deaths
2 under similar circumstances.

3 NIOSH will also be conducting additional
4 investigations of deaths or severe injuries in
5 jockeys or other racetrack workers as we become
6 aware of them. We will consider conducting this.
7 We won't be conducting all fatality investigations.

8 From our efforts to date, we have learned
9 many of the safety and health issues that are
10 challenges for the horse racing industry today.

11 Of course, one of the most pressing
12 challenges has been stated to us as, How do you keep
13 a 115-pound rider riding an 1,100-pound animal going
14 40 miles an hour safe?

15 There are obviously inherent dangers
16 associated with horse racing. There are some ways
17 to make things somewhat safer and to reduce the
18 risks.

19 New technology has made helmets and vests
20 more protective. Padding installed in the starting
21 gates and advances in the design of safety rails

22 have made important advances in reducing the risk of
12

1 injury to jockeys and other track workers. And we
2 recognize that there may be other promising
3 technologies that we are not aware of, and we hope
4 that you will assist us in identifying them.

5 Many of these risk-reducing measures have
6 been adopted by the horse racing industry. We are
7 interested in learning about best practices that may
8 be adopted by some, but could be widely adopted to
9 benefit the industry as a whole.

10 We also understand that jockeys are not
11 the only workers at risk. It appears that workers,
12 across the board, from grooms to exercise riders and
13 starters to outriders, are all subject to injury.

14 Again, we elicit your assistance in
15 identifying risks for all workers involved in the
16 horse racing industry, as well as promising
17 prevention strategies for improving their safety.

18 We have learned of many concerns
19 surrounding the health of jockeys as well. Beyond

20 the obvious concerns surrounding weight requirements
21 and nutrition, we have learned of other health
22 issues, such as the consequences of repeated head

13

1 traumas, musculoskeletal concerns due to the
2 jockey's posture while racing, and exposure to lead
3 in jockey's rooms.

4 So far, we have discovered a great deal,
5 but we understand that there is much left for us to
6 learn about this industry, which is why we are here
7 today. This meeting is another venue for NIOSH to
8 learn about safety and health of workers in the
9 horseracing industry.

10 It is our hope that you, as the experts in
11 the industry, can help us become more informed about
12 the many issues related to safety and health in the
13 horse racing industry.

14 In addition to participating in this
15 meeting today, we hope that you will also take the
16 time to submit written comments to the NIOSH docket,
17 which will we have identified as Docket No. 104,

18 which will continue to be open for comments after
19 this meeting and up through June 22.

20 From this meeting and the written
21 submissions to the docket, we hope to be able to
22 better focus our efforts in this area.

14

1 From this effort, we hope to develop
2 recommendations for protective equipment, improved
3 work practices, work environment, and rules which
4 may eliminate or mitigate the dangers workers in
5 this industry routinely confront.

6 We appreciate the willingness of you to be
7 here today, to join us today. We are looking
8 forward to learning from the presentations, and we
9 hope to have a very productive meeting.

10 Once again, thank you for your attendance
11 and for your participation.

12 Kitty.

13 MS. HENDRICKS: If we could go ahead and
14 jump right into the presentations.

15 THE HEALTH STATUS OF THOROUGHBRED JOCKEYS:

16 RESULTS FROM THE JOCKEYS' HEALTH SURVEY

17 MR. HORNUNG: Good morning. It is a
18 pleasure to be here and to have an opportunity to
19 talk about such an important topic.

20 My name is Carl Hornung, and I'm an
21 epidemiologist at the University of Louisville
22 School of Public Health and Information Sciences and

15

1 Professor of Medicine at the University of
2 Louisville.

3 What I will talk about today is the health
4 status of the thoroughbred jockeys and results of
5 some survey work we have done with the jockeys.

6 First, let me comment on and introduce the
7 study team.

8 Along with myself is Bonne Farberow, who
9 is also on the agenda for presentation later this
10 afternoon. Lee Goldberg, also from the University
11 of Pennsylvania, as is Bonne and Dave Seftel, who
12 you have already met. He will also be presenting.

13 And Barry Broad, who is the attorney for the

14 Jockey's Guild.

15 The health interview survey that we did
16 was really an adaptation of the national health
17 interview survey, which some of you probably know is
18 a 35-page self-administered questionnaire. We
19 prepared it in both English and in Spanish.

20 We administered it at a group setting at
21 the 2006 annual assembly in Las Vegas of the
22 Jockey's Guild.

16

1 All senators and board members were
2 required to attend that meeting, and all Guild
3 members were encouraged to attend.

4 And what I want to make clear is that the
5 data that we have collected from these 51 jockeys
6 probably reflects a healthy worker effect.

7 And, as you can probably surmise, the
8 healthy worker effect means that you are getting
9 data from the healthiest of the cohort rather than
10 the least healthy. Many of those probably did not
11 attend.

10 example, for completing a college education or
11 embarking on some other type of career.

12 Among the jockeys that we interviewed,
13 some 70 percent had been riding for more than 15
14 years, and some 32 percent had been riding for more
15 than 20 years.

16 So you can see we have data from a fairly
17 experienced cohort of jockeys. And, again, as I
18 said earlier, it probably reflects the healthy
19 worker effect.

20 The age of the respondents, our mean age
21 was some 39, median was 38, and the standard
22 deviation, for those of you who are interested in

18

1 statistics, was some 7.6 years.

2 Ninety percent of the jockeys told us that
3 they ride year round, and 70 percent ride both night
4 and day races. About 70 percent ride three, four,
5 or five races in a typical day, and over 80 percent
6 regularly exercise horses each morning.

7 What is interesting about that is four of

8 the five who regularly exercise horses do not
9 receive any extra compensation for their exercise
10 work.

11 Injuries while riding or injuries that
12 occurred during exercise periods, first, alert you
13 the fact that the morning exercise period is the
14 most dangerous, largely because of the large number
15 of horses that are on the track during that time and
16 the fact that it is dark or not full daylight, and
17 the fact that the horses are going in every which
18 direction rather than simply around the track in a
19 circular fashion.

20 Sixty-two percent of the jockeys say they
21 have suffered an injury that has prevented them from
22 riding that day. Fourteen jockeys told us that they

19

1 reported suffering a total of 33 injuries while
2 exercising horses within the past 30 days.

3 So you can see that injuries are highly
4 prevalent in this group, particularly during the
5 exercise periods.

6 Half of the 14 jockeys reported that there
7 was no physician on the grounds when they were
8 injured. Two reported that the ambulance crew never
9 came to their aid.

10 And Jeff Johnston from the Jockey's Guild
11 is here, and perhaps he will comment a little bit
12 later on some of the cases that he is familiar with
13 and illustrations of this kind of problem.

14 Let's talk about injuries that occur while
15 racing.

16 Ninety-eight percent say they have been
17 injured at least once while racing. Fifty-six
18 percent reported ten or more injuries over their
19 careers.

20 Again, ten injuries while racing, not just
21 ten injuries total. It doesn't include the exercise
22 time.

20

1 Forty percent say they have been injured
2 and taken to the ER or hospitalized within the past
3 month.

4 Now, what is important about that is that
5 the frequent ER visits involve increased exposure to
6 CT scans and x-rays and result in that jockey having
7 10 to 15 times the radiation exposure than the
8 general population, which probably accounts for what
9 we perceive to be a high rate of head and neck
10 cancer.

11 Let's talk about the medical care for
12 injured jockeys.

13 Twenty-six percent told us there was no
14 physician available at the track to provide
15 emergency care. Six jockeys claim to have suffered
16 permanent physical harm that could have been
17 prevented if better medical care was available.
18 Three said their injuries were career ending.

19 The prevalence of selected chronic
20 conditions that we looked at, over 30 percent report
21 arthritis. Some 15 percent report cardiac
22 arrhythmias, 15 percent with asthma. 13 percent

1 report ulcers, and some 15 percent report kidney

2 stones.

3 That's at least five times higher than the
4 general population. And, again, we think this is a
5 healthy worker effect, so we suspect that those
6 problems are a little bit higher. And Bonne
7 Farberow will present some data that would also
8 suggest that.

9 Let's take a look at some of the other
10 chronic conditions or symptoms.

11 Twenty-six percent report limitations of
12 daily activity by symptoms of arthritis.
13 Seventy-seven report low back pain. Thirty-six
14 percent say they have had migraines or severe
15 headaches within the past three months.

16 Sixty-two percent say that they have had
17 symptoms of depression. Thirteen percent say they
18 have tried but were unable or unsuccessful at having
19 children. That, again, is four to the five times
20 higher than the general population.

21 Track and jockey safety issues.

22 About two-thirds of the tracks, according

1 to these jockeys, have safety rails. About 90
2 percent use a flak jacket. Only about 10 percent
3 use the European safety helmet, and only about 2
4 percent use safety reins.

5 In spite of the fact that 56 percent of
6 the jockeys tell us they have had reins snap during
7 the race, still only 2 percent use these safety
8 reins. Whether that's the choice of the jockey or
9 the trainer, we are unclear on.

10 Let's look at some lead exposure from dust
11 wipe data that was collected in 2003.

12 Two dust wipes were done, one on the scale
13 and the other where the saddles are placed between
14 races.

15 The EPA says that 350 micrograms per
16 square foot is the upper limit of allowable lead
17 concentration for floors, and 200 micrograms per
18 square foot is the upper limit for window sills.

19 This is what we find at 42 tracks across
20 the country. The mean is 3,521 micrograms near the

21 scale. The valet table, the mean is some 1,339

22 micrograms.

23

1 Notice that the 25th percentile for the
2 scale is still five times above, or six times, six
3 and a half times above the recommended limit for a
4 floor.

5 The 25th percentile is acceptable for the
6 valet table, but look what we find for the 50th and
7 75th percentile, incredible levels of lead.

8 As a matter of fact, there is one track of
9 the 42 that has 18 grams per square foot, when the
10 recommended limit is 50 micrograms.

11 More than 90 percent of the jockeys in our
12 survey tell us that they have never had a blood lead
13 level done. That, we think, is a significant issue
14 that needs to be addressed.

15 And given the fact that the valet table
16 and other areas within the jockeys room is an area
17 where jockeys' kids congregate and where others in
18 the industry congregate, we think it is essential

19 that others be tested as well, which include the
20 jockeys' children and significant others.

21 Let's look at the behavioral risk factors.

22 40 percent smoke, but 54 percent are exposed to
24

1 secondhand smoke in the jockeys room. The 40
2 percent who smoke, that's about 1.9 times the
3 national average, which is about 22 or 23 percent of
4 the individuals smoking.

5 As for alcohol, 89 percent use alcohol.
6 25 percent say that they drink daily.

7 Now, let's look at the highest and lowest
8 body mass index for the past year. This I think is
9 a very telltale and very informative slide. If we
10 can take just a minute to work our way through it.

11 The red line indicates the distribution of
12 body mass index. For their lowest BI, which is
13 weight divided by height squared, this is the lowest
14 level that they have achieved over the past year,
15 and this is the highest level that they have
16 achieved over the past year.

17 The mean is 19.3 for the lowest, 20.9 for
18 the highest.

19 What is really significant is the value of
20 18.5, which is this line here, is the -- below that
21 is what we call underweight. This is morbidly
22 underweight.

25

1 And you will notice that that's 32 percent
2 of the jockeys, or 32 percent of the distribution
3 for jockeys at their lowest level, which is probably
4 the level that they were at if they were actively
5 riding.

6 The highest level, of course, is most
7 likely to be in the off season. Off season, still
8 nearly 10 percent are morbidly underweight.

9 In order to make weight, what would a
10 typical jockey do? We asked them, If you gained a
11 pound, what would you do? Well, some 22 percent say
12 they would purge. Some 35 percent say they would
13 skip a meal. 31 percent said they would use the
14 sauna, and 41 percent said that they would run.

15 Certainly running would be the best

16 option.

17 Sauna is dangerous, and there are

18 instances of serious health problems resulting from

19 excessive use of the sauna. Certainly skipping a

20 meal is not a wise way to proceed.

21 And when it says 22 percent or 21 percent

22 purge, that's, we believe, a significant

26

1 underestimate of the true prevalence of purging

2 behavior.

3 As you probably are well aware, purging

4 behavior results in a number of other health

5 problems, including Barret's esophagus and loss of

6 teeth, or at least the reduction of the enamel on

7 the teeth. Many jockeys who purge end up with false

8 teeth.

9 In addition to -- or those behaviors are

10 in addition to those regularly used behaviors each

11 week.

12 Sixty percent take diet pills. Another --

13 60 percent also take water pills, particularly
14 Lasix. Sixty percent use laxatives, and some 58
15 percent use other medications.

16 Let's look at the use of the hot box.

17 Some 80 percent say what they use the hot
18 box, at least occasionally. Twenty-five percent say
19 they use it daily. In spite of that, only some 15
20 percent say that the hot box that they use is
21 cleaned on a regular basis. And, nevertheless, 50
22 percent still report that they see mold in the hot

27

1 box that they are in at the track. That's a
2 correctable problem.

3 Let's look at the issue of being fit to
4 ride.

5 Forty-one percent of jockeys say they have
6 experienced a dizzy spell or passed out just before
7 a race. Twenty-four percent said they felt dizzy
8 during a race. Forty-eight percent said they felt
9 dizzy or passed out following a race. Seventy-five
10 percent reported at least one instance of not

11 feeling well enough to ride.

12 Only 53 percent said that the stewards
13 would allow them to take off from their mount. And
14 in less than 30 percent of the cases was there a
15 physician available to evaluate the jockey's fitness
16 to ride, clearly a problem, and a problem that one
17 of my colleagues and I are addressing with some
18 research.

19 Peter Quesada is an engineer at the
20 University of Louisville in the speed school,
21 automechanical engineer. He and I are doing some
22 studies with healthy volunteers working with one of

28

1 our medical students to look at performance on a
2 wobble board, which is a board atop of a hemisphere.
3 And when the individual tries to balance, we assess
4 this evidence, and we can calculate all of their
5 movements in an attempt to maintain their balance.

6 And we are doing this over the course of a
7 day, asking them to fast.

8 So we are looking at blood glucose levels

9 and the relationship between blood glucose levels,
10 as well as some electrolytes, and their performance
11 on the balance board.

12 The interesting part about that, the
13 implications of that are that if we find low levels
14 of glucose and poor electrolytes lead to balance
15 problems, you can imagine what that means to a
16 jockey who has probably fasted most of the day
17 during the racing season.

18 Let's look at a study that we propose
19 doing. We have entitled this, Health Examination At
20 the Race Track, or HEART.

21 It is a grant application that we made to
22 NIOSH that we submitted back in February in response

29

1 to a program announcement from Occupational Safety
2 and Health Research. It's an RO1 grant for some --
3 close to \$2 million we have requested. It's a
4 collaborative effort involving myself and Peter
5 Quesada at the University of Louisville.

6 We also employ two graduate students, one

7 from the School of Public Health and one from
8 engineering. And the School of Public Health
9 student we have already identified, who as a degree
10 in physics and biology from the University of
11 Virginia.

12 The study also involves Lee Goldberg and
13 Bonne Farberow from the University of Pennsylvania,
14 and Dave Seftel and Barry Broad from the Jockey's
15 Guild.

16 We also have an advisory board, and I
17 recognize the names of some of you from your
18 introductions.

19 From the Jockey's Guild, there is John
20 Velazquez. From NTRA, Craig Fravel.

21 Craig, we look forward to working with you
22 on the study.

30

1 From the Grayson-Jockey Club Research
2 Foundation, Ed Bowen. We look forward to working
3 with you.

4 From Magna Entertainment, Mr. Mills. From

5 Churchill Downs, Inc., Mr. Sexton.

6 The California Medical Association is
7 represented as well as the California Horse Racing
8 Board. That's the advisory board to oversee what we
9 want to do.

10 Here are the objectives of what our
11 research interests are in this field.

12 First, to assess jockey health history and
13 their current health status, at least of the sample
14 jockeys, and assess changes by repeat physical and
15 examinations and health interviews at a year. We
16 propose to do about 12 tracks across the country,
17 and probably 20 to 25 jockeys at each of the tracks.

18 We want to determine the prevalence of
19 occupational, environmental, and behavioral risk
20 factors, particularly those related to mandated
21 weight limits; and we want to assess their impact on
22 the jockey's health status.

31

1 Thirdly, we want to determine physiologic
2 changes in hemodynamic parameters and blood glucose

3 that occur over a typical race day and assess their
4 impact on balance and muscle fatigability.

5 That's part of the research we have
6 already begun these in pilots with Peter Quesada and
7 our medical students.

8 And finally, we want to compare health
9 status and occupational, environmental, and
10 behavioral risk factors within and between states to
11 identify potential ways in which federal agencies,
12 particularly NIOSH and OSHA, state and industry
13 stakeholders can improve the safety and health of
14 thoroughbred racehorse jockeys.

15 And with that, I would be glad to answer
16 any questions, or would you prefer we hold questions
17 for later?

18 I'll take questions now.

19 Yes?

20 MS. HENDERSHOT: You said you had 51
21 participants?

22 MR. HORNUNG: I'm sorry?

1 MS. HENDERSHOT: Fifty-one participants.

2 Is that...

3 MR. HORNUNG: Fifty-one, that's correct.

4 MS. HENDERSHOT: Have you-all considered

5 mailing the survey to the Jockey's Guild members or

6 something else? Because I believe there is more

7 than a thousand --

8 MR. HORNUNG: Yeah, there is probably

9 about 1,600.

10 Yes, there are some discussions underway

11 and some intentions of doing that, but at this

12 point, we have not had the time or the opportunity

13 to do it.

14 But, yeah, but there are certain kinds of

15 problems that will be -- that that will introduce,

16 not the least of which is the language problems.

17 So we will have to mail them probably in

18 both in English and Spanish and figure out some way

19 of assisting them.

20 But, yeah, we do need more. We do need

21 much more data.

22 Any other questions?

1 MS. HENDERSHOT: You said 90 percent had
2 never had a blood lead, which implies that if you
3 had had a blood lead taken, do you know the results
4 of those blood leads that -- of people who had them
5 drawn?

6 MR. HORNUNG: No, I don't. No, I don't.

7 Dr. Seftel will have some comments on that
8 a little later, I'm sure.

9 Bonne, did you have something?

10 MS. FARBEROW: Actually, the additional
11 percentage, we didn't know whether... (inaudible).

12 MS. HENDRICKS: May I ask everybody to
13 please just speak up so that the court reporter can
14 hear?

15 MR. HORNUNG: Anything else?

16 Well, thank you very much for your
17 attention, and I hope that was helpful.

18 MS. HENDRICKS: Thank you. Next we have
19 Craig Fravel from the Del Mar Thoroughbred Club. He
20 is going to be talking about on-track safety

21 programs in thoroughbred racing.

22 ON-TRACK SAFETY PROGRAMS FOR THOROUGHBRED RACING

34

1 MR. FRAVEL: Thank you.

2 What I thought I would do coming in here,

3 I gave some testimony in front of the select

4 committee in Congress a year ago that related to a

5 jockey in California by the name of Alex Solis.

6 On July 24, 2004, Alex was riding a dark

7 brown four-year old mare named Golden KK in a

8 \$32,000 claiming race at Del Mar. Although Alex's

9 mount was fading from contention in the race in the

10 seventh position, an apprentice rider also out of

11 contention cut Alex off along the rail.

12 Those two horses clipped heels, a term in

13 horse racing that basically means they tripped over

14 one another. And the apprentice's horse and Alex

15 fell to the ground.

16 While the horse was fine, Alex rolled

17 under the safety rail near the quarter pole and

18 remained there, as his training as well as instincts

19 led him to do.

20 In about 30 seconds, he was attended by
21 two emergency medical technicians that were in an
22 ambulance following the race, as is true with every
35

1 single race in California that is conducted under
2 California licensed racing association.

3 Alex was placed on the board, immobilized,
4 and transported to an onsite medical clinic at the
5 racetrack where he was immediately attended by a
6 physician who determined that Alex should be
7 transported to a local emergency room for further
8 evaluation.

9 While Alex was being transported, the
10 races resumed with a backup ambulance that is also
11 located at the racetrack during the time. And no
12 race would continue without an ambulance, who are
13 also required to go to a hospital or elsewhere.

14 Alex was eventually diagnosed with a
15 fractured vertebra, three broken ribs, and was
16 operated on at the University of California, San

17 Diego Medical Center.

18 Unfortunately, he was unable to return to
19 work for six months, yet still managed to rank
20 nationally -- ninth nationally in total purse
21 earnings in 2004 with about eleven and a half
22 million dollars.

36

1 While this is an unfortunate story, the
2 silver lining is in the fact that the trainer of the
3 horse that Alex was riding was required by the rules
4 and regulations of the California Horse Racing Board
5 to maintain a policy of workman's compensation
6 insurance. That policy covered Alex to the same
7 extent as any other worker in California would be
8 covered in the event of a work-related injury.

9 Under the terms of that policy and
10 California law, Alex's medical expenses were covered
11 to the extent required to cure or relieve the
12 effects of the injury with no deductible or co-
13 payments by Alex.

14 He was also entitled to temporary

15 disability benefits to replace lost wages. And had
16 he been unable to return to his profession, he would
17 have been entitled to permanent disability benefits
18 and supplemental job displacement benefits.

19 The cost of that insurance was paid by the
20 trainer of Golden KK, and in the case of the rider,
21 billed to the owner of the horse.

22 Under two programs authorized by
37

1 California law, the cost of that insurance is
2 subsidized by racetracks and horsemen through a
3 variety of funds derived from wagering on California
4 races.

5 In addition, every California track pays
6 for supplemental catastrophic injury insurance
7 through a TRA sponsored group program that would pay
8 significant benefits in the event of permanent
9 disability or death.

10 What this story illustrates in part is the
11 leadership role California has assumed in the
12 thoroughbred racing industry with regard to jockey

13 safety and health issues.

14 It is one of five states that currently
15 mandates workers' compensation coverage for jockeys
16 and exercise riders, and one of two that provides
17 funding through wagering dollars to provide health
18 insurance for jockeys and their dependents. And
19 that may have expanded by one more recently, but I'm
20 not quite positive about that.

21 But at least all of our -- California
22 provided health insurance for jockeys and their
38

1 families.

2 Racetracks, horsemen, and representatives
3 of the riders have worked together for years in
4 California to develop legislative and regulatory
5 standards for track safety and to contribute
6 significant funding to the Disabled Jockeys
7 Endowment.

8 Del Mar was the first racetrack in the
9 United States to install the Fontana safety rail on
10 its dirt track, an innovation that has saved lives

11 and prevented serious injury since its installation.

12 More recently, we have worked closely with
13 the California Horse Racing Board and Barry Broad,
14 whose name you have heard mentioned here, to pass AB
15 1180, a bill signed into law by Governor
16 Schwarzenegger in 2005. That new law memorializes a
17 number of safety and health initiatives for the
18 benefit of California riders, including a peer
19 reviewed academic nutritional and health assessment
20 designed to provide a scientific basis for future
21 policy decisions concerning the jockey scale of
22 weight and nutrition and weight management programs.

39

1 We have completed the first phases of that
2 through Dr. Dan Benardot of Georgia State
3 University, and hope to begin releasing some of that
4 data and information as soon as the data analysis is
5 completed.

6 Moreover, California is the only -- to my
7 knowledge, is the only state in the country to
8 require a postmortem examination of every horse that

9 dies on the grounds of a licensed racetrack or
10 training center.

11 That requirement has enabled the industry
12 to support and benefit from research into the causes
13 of catastrophic injuries to horses conducted by the
14 University of California at the Davis School of
15 Veterinary Medicine.

16 That research most recently led to the
17 adoption of a regulation limiting the use of
18 horseshoes with toe grabs on California racing
19 surfaces based upon findings that there is a
20 significant correlation between the use of medium
21 and high toe grabs and the incidence of catastrophic
22 limb failure in horses while racing or training.

40

1 Similar research has and will continue to
2 contribute significantly to our ability to increase
3 safety for horses and riders as we are more able to
4 confidently identify the causes of injury and
5 attempt to prevent them before they occur.

6 This is a point I think worthy of some

7 amplification here.

8 There is an intricate relationship,
9 obviously, between horse and rider, not only for the
10 success of a racehorse and a rider, but also for
11 their mutual safety. And I think that is something
12 that we tend to overlook a little bit.

13 Every time most -- and I think probably
14 the research that has been conducted would verify
15 that most of the musculoskeletal injuries that
16 happen with riders is a result of either the horse
17 breaking down or falling off.

18 And to the extent that we can reduce or
19 eliminate injuries to the horses, we can have a
20 marked and positive effect on the number of jockey
21 injuries as well.

22 To that end, California has recently been
41

1 the only state in the country to mandate the
2 installation of what have been referred to as
3 engineered synthetic racing surfaces. They are used
4 for both racing and training purposes.

5 Del Mar has just completed the
6 installation of a surface known as polytrack. And
7 while the data is green in the insurance returns, we
8 firmly believe, based on earlier results, that the
9 reduction in the level of injuries to horses and
10 riders can be reduced through these surfaces by as
11 much as 80 percent.

12 And I think if your NIOSH team has spent
13 any time on the racetrack, you would have seen
14 probably the preeminent example of a polytrack
15 surface in action where injury rates have been
16 dramatically reduced in the past year and a half.

17 I personally am incredibly encouraged by
18 the development of these racetracks and their
19 ability to reduce the kinds of minor injuries that
20 ultimately result in major and significant injuries
21 to horses through training and exercise.

22 As I mentioned earlier, racetracks in
42

1 Southern California operate under probably the most
2 comprehensive series of safety and -- safety

3 requirements of any in the country.

4 We have annual safety inspections by the
5 California Horse Racing Board to ensure our
6 compliance with those regulations. We meet
7 regularly the Jockey's Guild representatives to
8 identify problem areas and work together on those.

9 The new legislation I mentioned also
10 contains provisions in it requiring the Racing Board
11 to certify from a safety standpoint the jockey vest
12 as well as safety helmets. And I believe there is a
13 conference going on simultaneous with this one to
14 begin -- or to make some progress on the work of
15 enhancing the level of equipment that is used by
16 jockeys in races, and, of course, also evaluating
17 the use of safety rings in California.

18 I did want to take a brief opportunity, if
19 it is okay with you, Kitty, to introduce Robert
20 Colton, who works with the jockeys at Delaware Park,
21 and Robert has instituted some novel approaches with
22 management there to enhance safety cooperation

1 between racetracks and jockeys.

2 And I thought it would be helpful for him

3 to kind of finish off my portion of this.

4 MR. COLTON: Thank you, Craig, for putting

5 me on the spot here.

6 If it seems like I'm slightly prepared, I

7 am. And hopefully I won't make my thoughts too

8 incoherent, just a little too much wine last night.

9 Anyway, a lot of problems that -- I should

10 say a lot of issues that come up with safety are

11 local.

12 Now, I think that as we move forward in

13 trying to design protocols for a safer racetrack and

14 working environment for riders, a lot of it has to

15 be done locally.

16 What we did in Delaware last year, I went

17 to management, Bill Paisley (phonetic), and said, We

18 need a safety committee. We have a lot of issues

19 locally, and there are a lot of idiosyncrasies at

20 any track in terms of maintenance, the rail, you

21 know, things that happen at that particular track

22 only.

44

1 And I said, We really need all of the
2 departments there so we can get things done very,
3 very quickly.

4 In addition, you know, to gain -- that's
5 to gain the respect of the participants around the
6 horse. And also we need some serious backbone to
7 this, some serious bite on the racing commission.

8 We dealt with a lot of different issues.
9 We have a state-of-the-art warning system that has
10 lights and sirens, for example. And when those
11 sirens go off, there is a loose horse in the
12 morning, you have no second warning.

13 You are to immediately pull your horse up,
14 and we implemented an enormous fine. It is \$2,500
15 because that's how serious we take the safety issue
16 in Delaware. But we have resolved a lot of other
17 issues.

18 We have kept a lot of accidents from
19 occurring. We have given our outriders enormous

20 power. At any time they feel anybody out there on
21 the track or any horse is unsafe, they can
22 immediately remove that horse or rider.

45

1 Some of the other issues that we have
2 dealt with, we are in the process of designing some
3 new whips -- we have tested a few already and didn't
4 like them -- which would be a Pro Cush whip, trying
5 to be a little more humane, and not pushing the
6 horses past their capability.

7 On the insurance side, we did institute a
8 program recently that expanded our on-track coverage
9 from 1 million to 2 million. And more importantly,
10 the coverage went from two years to five years.

11 And we really took a different approach to
12 this in that we went to management and said, Look,
13 we are independent contractors, and we can't keep
14 going to -- our horse -- the tracks keep expanding,
15 coming up a cost. And that -- the cost of the
16 additional insurance is picked up by the riders.

17 So, you know, we now have workers' comp,

18 arguably the best on-track insurance in the United
19 States.

20 I'm just trying to think of some of the
21 other issues that we deal with.

22 We got to developing Philadelphia Park, a
46

1 track that is only about 60 miles away from us. And
2 I participated yesterday in their first safety
3 committee meeting, and hopefully we resolved a lot
4 of issues.

5 As you look over with solving these -- you
6 will find a very, at times, dysfunctional industry.
7 And just getting us to the table to speak together
8 at times is very difficult.

9 And that's part of what the safety
10 committee, part of the idea that I had behind us in
11 Delaware, and even in Philly where they tend to
12 get -- you know, it's year round racing, tend to get
13 along less than Delaware because we only have
14 seasonal, just wanted to get them to the table and
15 work forward on a common issue.

16 And I think that we have been able to get

17 a lot of -- a lot of issues resolved.

18 One of the biggest hurdles that you will

19 face moving forward, you know, is jockeys

20 themselves.

21 And I have run into this in this industry

22 repeatedly, in that, you know, as any time you try

47

1 and legislate or force health changes or personal

2 changes or the personal life choices, it's

3 difficult, and it's going to take a tremendous

4 amount of education to slowly change the riders.

5 It's not going to happen overnight.

6 I experienced many of those weight

7 reduction methods and many of those accidents that

8 were on that screen. And, you know, it's really

9 hard to teach an old dog a new trick when you have

10 become so used to pulling your weight a certain way,

11 and because of the time constraints and sometimes

12 because of, you know, your personal choices of

13 not -- you know, we won't use the word lazy, but not

14 doing things the right way, it becomes easier to use
15 those methods.

16 So, you know, as we move forward, a lot of
17 the responsibility is going to have to be put on the
18 riders. They have to be responsible.

19 That's what we have done in Delaware in
20 the morning, to cut down our accidents, is that
21 everybody is responsible out there.

22 Everybody on or near a horse has to, you
48

1 know, use common sense or at least horse sense.
2 It's best to prevent the accident than, you know, to
3 deal with the injury afterwards.

4 Thank you.

5 MR. FRAVEL: Thanks, Robert, for filling
6 us in on the East Coast version of what we do in
7 California.

8 One more thing I did want to mention
9 before I finish.

10 And in California, three years ago we ran
11 into a huge crisis in the workmans' compensation

12 world. California in general was subjected to
13 dramatic increases in workers' compensation costs,
14 not just for horse racing, but for every industry
15 across the board.

16 Canada carriers stopped wanting to write
17 insurance in California because the levels of fraud
18 and excessive medical charges and other factors were
19 overwhelming the system. And Governor
20 Schwarzenegger and the legislature got together and
21 drafted some comprehensive workers' compensation
22 reforms that dramatically cut costs.

49

1 But at the same time, the racing industry,
2 as I mentioned earlier, got together and formed a
3 consortium that was designed, not only to subsidize
4 workers' compensation costs, but also to institute
5 safety programs that would reduce the levels of
6 injury as well.

7 And I can tell you from standing here that
8 that was directed, not just at backstretch workers
9 alone, but also riders, exercise riders. Because,

10 candidly, the vast bulk of our workmans'
11 compensation costs in the backstretch arena is
12 related to either jockeys or exercise riders.

13 And by instituting a very aggressive
14 hands-on risk management program for trainers, who
15 are the employers on the backstretch for riders and
16 exercise riders, we have managed to cut back our
17 claims levels dramatically.

18 There are some accidents, like horses that
19 just clip heels with one another. They are simply
20 unavoidable for the most part.

21 But many other accidents, simply by virtue
22 of exercising better care and good risk management

50

1 programs within the industry, have developed and
2 resulted in dramatic improvements in claims.

3 And I think our friends from AIG can
4 probably confirm that for me.

5 That program is not green anymore. I
6 think it is well established, and the data has
7 proven that you can, if you put your mind to it,

8 reduce the levels of risk. You can't eliminate them
9 altogether, which I think is true of every industry,
10 but you can make dramatic improvements.

11 So if you have any questions, I would be
12 happy to answer them. Otherwise, I'll turn it over
13 to the next speaker.

14 Thank you.

15 MS. HENDRICKS: Thanks.

16 Next we have Ed Bowen with the Grayson
17 Jockey Club Research Foundation. Mr. Bowen is going
18 to be speaking on ongoing horse safety research
19 within the industry.

20 ON-GOING HORSE SAFETY RESEARCH WITHIN THE INDUSTRY

21 MR. BOWEN: Good morning. I am Ed Bowen,
22 and I am president of the Grayson-Jockey Club

51

1 Research Foundation. We are a 501(c)(3)
2 organization and an affiliate of The Jockey Club.

3 The Jockey Club is the body with which all
4 American Thoroughbreds are registered.

5 In this context, the word "thoroughbred,"

6 of course, is not merely a synonym for "purebred,"
7 but refers to the specific breed of horse maintained
8 primarily for racing and other athletic endeavors
9 since being developed in England some 300 years ago.

10 The Jockey Club, with headquarters in New
11 York and offices in Lexington, Kentucky, not only
12 maintains the Thoroughbred registry, but operates a
13 family of various companies providing information
14 services and technical support to breeders, owners,
15 fans, and racetracks.

16 The mission of our division, the
17 Grayson-Jockey Club Research Foundation, is to
18 identify and fund the best projects available in the
19 various fields of veterinary medicine aimed
20 specifically at improving the lot of horses.

21 This includes musculoskeletal
22 considerations, the cardiovascular system,

52

1 communicable and other diseases, reproductive
2 problems, and nutritional advancement.

3 The origins of the Foundation date from

4 1940, when leaders of the Thoroughbred racing
5 community created the Grayson Foundation. There was
6 recognition that, even at a time when millions of
7 horses were still used in warfare, as it was
8 conducted at the time, the federal government would
9 stress research on the food chain animals more than
10 on horses. The private sector would always be
11 called upon to fund advances in care of horses.

12 The name Grayson was used for the
13 foundation in appreciation of the advice given the
14 organizers by Admiral Cary Grayson. Admiral
15 Grayson, whose family still owns a breeding farm,
16 Blue Ridge Farm outside Middleburg, Virginia, was a
17 unique and interesting American citizen.

18 He had been personal physician to
19 President Woodrow Wilson during his presidency, and
20 later Admiral Grayson was head of the American Red
21 Cross. He was a friend of both Presidents Theodore
22 and Franklin Roosevelt.

1 The Grayson Foundation was capitalized in

2 1940 with an endowment of \$100,000. Years later, it
3 merged with a separate entity created by The Jockey
4 Club. And thus since 1989, we have had the unwieldy
5 title of the Grayson-Jockey Club Research
6 Foundation.

7 Over the years, fundraising through
8 memberships, private donations, and special events,
9 along with portfolio management, has created
10 considerable growth.

11 This year we were able to provide \$1.1
12 million in payments for research. This is small by
13 some Washington standards, I realize, but it is a
14 record for any horse-specific foundation as far as I
15 know.

16 Typically, we have about 20 projects being
17 funded at any given time. In the last 24 years, we
18 have provided \$14 million to fund 230 projects at 32
19 universities.

20 I want to stress that all our income is
21 derived from the generosity of people in the horse
22 world, people who care about the animal and

1 understand the importance of research. We do not
2 receive any automatic check-off from registration
3 fees, pari-mutuel wagering, or any other source.

4 More than two million Americans own more
5 than 9 million horses. Our support comes from a
6 tiny portion of those owners, while there are other
7 organizations, such as the American Quarter Horse
8 Foundation and the Morris Animal Foundation, which
9 also derive a share of funding from individual horse
10 lovers.

11 And of course when you say 32 universities
12 that have an equine research component, there is
13 also a great deal of support for those universities
14 from individuals in their areas or for their alma
15 mater.

16 As is true from the beginning, the
17 Grayson-Jockey Club Research Foundation funds
18 research beneficial to all horses and ponies, not
19 just Thoroughbreds. However, since the
20 preponderance of our funding has come from the
21 Thoroughbred sector, the health and soundness

22 concerns of the racehorse have always been a high
55

1 priority.

2 It is easy to make the connection, as
3 Mr. Fravel said, between the horse and jockey, as
4 well, since riding a sound racehorse to compete at
5 35 to 40 miles an hour is a far rosier proposition
6 than being aboard a horse that's about to break down
7 or fall.

8 The Foundation board consists of 23
9 individuals noted and well-respected in the
10 Thoroughbred world. They serve without pay.

11 Annually, we set a deadline of October 1
12 for proposals, and we receive around 50 in most
13 years. They are reviewed by individual teams among
14 our overall Research Advisory Committee of 32
15 researchers and veterinarians, who also serve
16 without pay.

17 We then bring all 32 together to determine
18 which projects are most highly regarded in terms of
19 impact on most horses, excellence of scientific

20 methodology, and proper budgeting.

21 We track all projects funded and expect

22 that each one results in a paper published in a

56

1 peer-reviewed journal, which is the gold standard

2 for proof of successful research.

3 In any given budget cycle, a high priority

4 goes to projects that are directly involved with

5 either preventing or treating the sort of injuries

6 which mean that the safety of the jockey coincides

7 with the safety and soundness of the horse.

8 This includes work on proper shoeing and

9 maximal design and maintenance of racing surfaces,

10 as well as those things physically connected to the

11 actual horse itself.

12 Among projects in recent years that I am

13 most encouraged by is a study we funded at Colorado

14 State University which undertook to discern when the

15 soundness issues were developing, prior to an injury

16 actually being culminated.

17 This project built upon knowledge gained

18 from human studies of osteoporosis and sought use of
19 serum markers to determine when the metabolic
20 profile of a horse was changing.

21 As you know, blood can tell many things.

22 And when and if this study leads to a commercially
57

1 available test kit of some sort, we will have handed
2 horse trainers and managers a major new early
3 warning system.

4 Knowing that change is taking place and
5 being able to distinguish between change caused
6 merely by training and change of a negative nature
7 will be a major step in protecting the horse from
8 injury.

9 And, to repeat the obvious, a horse safe
10 from injuring himself at high speed is the best
11 thing we can hand over to his/her jockey or exercise
12 rider.

13 In recent years, as we said earlier, the
14 use of synthetic racing surfaces rather than dirt
15 tracks has come into vogue. Early indications are

16 that the safety of these tracks to horse and rider
17 is markedly improved.

18 Our Foundation funded a study which is
19 analyzing the stride of horses as it relates to
20 grass courses, dirt courses, and these synthetic
21 surfaces.

22 This will help steer the industry toward
58

1 maximal safety of racing surfaces.

2 Similarly, work done over the years with
3 Foundation grants has determined that certain
4 popular horseshoe designs create increased risk for
5 injury.

6 As is often the case, what is intuitive
7 can be at odds with what is real.

8 For many years, conscientious horse
9 trainers have felt they were increasing the safety
10 of their charges by fitting their front shoes with
11 extensions, known as toe grabs at the bottom of the
12 leading edge of the shoe.

13 The thought was that this provided

14 traction and protected the horse from slippage.

15 However, our studies have shown that the
16 real impact of the toe grab is to abrogate a helpful
17 slide segment of the stride, causing the hoof to
18 undergo heavy loading that compresses the heel
19 unnaturally.

20 This research was important in a recent
21 decision by the California Horse Racing Board to
22 eliminate use of front toe grabs of more than four
59

1 millimeters for horses racing in the state.

2 Last year, The Jockey Club and the
3 Grayson-Jockey Club Research Foundation organized
4 and underwrote a Welfare and Safety of the Racehorse
5 Summit. One of the committees created from this
6 effort worked with the California Horse Racing
7 Board.

8 A recent presentation by the chairman of
9 that committee helped the Racing Commissioners
10 International convention approve a model rule
11 against toe grabs in all states.

12 As you probably know, and I'm sure you
13 know, Thoroughbred racing is regulated state by
14 state. So it is a matter now of urging each racing
15 commission to follow through on this model rule.

16 The end result, we hope, will be more or
17 less universal recognition that banning high toe
18 grabs in front reduces the chance of injury.

19 Another aspect where what is intuitive is
20 not in fact the case is the visual suggestion that
21 an injury to a horse, especially a leg fracture or
22 ligament or tendon trauma, is a spontaneous event.

60

1 There certainly are some cases where a
2 totally sound and healthy horse either takes an
3 awkward step or is bumped or tripped accidentally by
4 a competitor and suffers a spontaneous injury. The
5 famous case of Barbaro is a prime example.

6 However, research at the University of
7 California at Davis, which we have helped fund, has
8 found that the majority of injuries actually are the
9 culmination of some pattern of pathology, or

10 abnormal change, which leads eventually to something
11 giving way.

12 Educating even experienced professionals
13 that this is the case will be an ongoing effort
14 growing out of the summit, which I mentioned
15 earlier.

16 In my profession, specifically trainers, I
17 think it is very important for them to realize that
18 when you have an accident, the jockey, all of them
19 say, it seemed like the horse stepped in a hole.
20 Well, they don't put holes in racetracks. That's
21 just what it seems like to the jockey.

22 And this whole idea, this understanding

61

1 that there is an ongoing problem rather than a
2 spontaneous problem in so many cases, I think it's
3 something that we really want to help the trainers,
4 help the tracks. Going to the racing commission has
5 helped the trainers.

6 Another area where health of the horse and
7 the jockey go hand in hand is determination of what

8 medications are safe and appropriate for racing.

9 As is well documented in other sports,
10 this is a regulatory matter as well as an issue of
11 safety and just plain determination to protect the
12 sanctity of the competition.

13 And in this case, I'm talking about
14 medication to the horse itself.

15 The Grayson-Jockey Club Foundation two
16 years ago took under its auspices a committee known
17 as the Equine Drug Research Institute. Through a
18 separate channel of funding, again by concerned
19 horse owners, we have contracted to provide Dr. Don
20 Catlin more than \$2 million over three years to seek
21 tests for medications which are, one, illegal; two,
22 potentially dangerous to the horse, and, three,

62

1 cannot be detected by current state of technology.

2 Dr. Catlin is well known as probably the
3 world's top expert in medication testing for the
4 Olympics and other sports. He is now devoting a
5 considerable amount of his time to this effort on

6 behalf of horse racing.

7 Once Dr. Catlin's lab develops a test for
8 any of a sequence of illegal substances, the
9 methodology of that test will be provided free of
10 charge to any and all labs across the country which
11 have contracts with state racing commissions to
12 conduct drug testing.

13 Again, because racing is regulated state
14 by state, most state legislatures prefer that money
15 on testing is done at an in-state lab. So there is
16 no central lab, as there is with Olympic testing in
17 most countries.

18 Now, I understand your agency is
19 interested in all aspects of health in people in
20 racing, not just the riders. Therefore, I would
21 like to spend my remaining minutes with a sort of
22 addendum to my presentation.

63

1 The Jockey Club also operates a separate
2 foundation devoted to helping people with health and
3 financial difficulties. This Foundation is created

4 on behalf of people in racing and their families.

5 This Jockey Club Foundation distributed
6 \$540,000 in grants during 2006 and. Since 1985, the
7 foundation has been able to help more than 1,000
8 needy individuals and their families involved in the
9 Thoroughbred industry by providing nearly \$12
10 million in support.

11 Grants through its monthly assistance
12 program totaled \$300,00 last year and helped cover
13 the basic living expenses of 45 individuals beset by
14 hardship, including those out of work due to serious
15 injury or health issues.

16 One-time or short-term grants were made to
17 65 individuals last year for another quarter of a
18 million dollars.

19 These grants covered a wide range of
20 expenses, such as medical bills not covered by
21 insurance; physical therapy and equipment for
22 in-home rehabilitation; child-care providers in

1 extenuating circumstances; and emergency travel for

2 family health matters.

3 The industry knows of this work primarily
4 through word of mouth or the website. But, also, we
5 are alerted to many cases by individuals and the
6 racetrack chaplains across the country who see and
7 know the workers on the backstretch on a daily basis
8 and are familiar with their needs.

9 Again, these are two separate foundations.
10 The Grayson-Jockey Club Research Foundation for
11 horses, the Jockey Club Foundation for people.

12 I appreciate the opportunity to describe
13 these foundations, and I look forward to answering
14 any questions have now or later.

15 Thank you.

16 MS. HENDRICKS: Next, we have Anthony
17 Bahno with AIG. Anthony is going to be speaking
18 about improving protective vests for exercise riders
19 and jockeys.

20 IMPROVING PROTECTIVE VESTS FOR EXERCISE RIDERS

21 AND JOCKEYS

22 MR. BAHNO: Good morning. Thank you very

1 much for the opportunity to address this meeting.

2 This is an issue that's been very
3 passionate for me as a safety professional, and --
4 but I wanted to first start off by making a major
5 disclaimer. By no means am I any kind of expert in
6 this industry.

7 I have learned a lot since I have worked
8 with people in this industry, and only way I
9 guarantee that I will become an expert is if I ever
10 get on the horse within an official race, and that's
11 never going to happen.

12 I actually go by Tony, and I work for a
13 company called AIG Consultants, which is part of AIG
14 Insurance Company, better known as American
15 International Group. I have been working within the
16 horse racing industry as a safety professional since
17 2003.

18 AIG has a pretty prominent placeholder
19 within this industry. For example, we have workers'
20 compensation insurance programs in three states

21 within the United States, and some of those workers'
22 compensation insurance programs cover employees at
66

1 all levels, meaning not only is it jockeys and
2 exercise riders, but, in fact, some of the
3 backstretch workers.

4 We also, through one of the AIG programs,
5 we provide accident and health insurance for
6 jockeys, which is better known as an on-track
7 accident insurance policy, and we probably have
8 about, say, roughly 90 percent of the tracks within
9 the United States to the best of my knowledge.

10 Some of my things that I have worked with
11 previously within the horse racing industry,
12 primarily within California, have been several
13 things.

14 One of the first things that we got
15 together with my California client is we determined
16 that there was a need for major improvements in
17 terms of safety and education programs. And through
18 the help of them and an outside video production

19 company, we produced a 12-minute safety video that
20 covers all aspects, from backstretch workers to
21 exercise riders, and also jockeys.

22 And that was produced as DVD, and it is
67

1 also in English and Spanish. And we are fortunate
2 to involve two prominent jockeys who I believe are
3 Hall of Fame members. One was Chris McCarron and
4 also was Lafitt Pincay.

5 So some of the other things I have been
6 involved in, most recently, I completed a safety
7 best practice audit at six major racetracks across
8 the United States. I was out in California, so that
9 broadened my overall level of experience and
10 knowledge.

11 And we have gotten into some recent things
12 in terms of testing both helmets and also vests.
13 And the thing I'll be talking to you today is really
14 about -- really about the issue that's associated
15 with the protective vests.

16 This is a risky business, as other people

17 talking before me have no doubt expressed. It's
18 perhaps the only industry to my knowledge that I
19 have ever worked with in 25 years as safety
20 professional where an ambulance follows the workers
21 as they go to work.

22 Think about that. There's no other
68

1 industry that I know of -- or maybe you folks can
2 kind of shed some light on that, but it's truly a
3 unique industry. And also, perhaps, within the
4 insurance world in terms of workers' comp, you have
5 a highly motivated work force that wants to get back
6 to work no matter what.

7 So that obviously causes some major
8 problems down the road.

9 This picture kind of sums it up to follow
10 up on the risky business aspect.

11 Imagine yourself in the position of that
12 jockey who was not fortunate enough to get out of
13 the way and to roll underneath the safety rail, but,
14 in fact, had to tuck into the ball and hope to God

15 that that horse didn't step on him.

16 What I want to talk about now is a little
17 bit about some accident data that we have collected.
18 And I know there will be some other speakers within
19 this room that will also have some accident data to
20 share with us.

21 Falls from horses, within our experience,
22 accounted for 24 percent of the total injuries
69

1 during 2003 to 2006, and about over \$4.2 has been
2 incurred in terms of workers' compensation claims.

3 The exercise riders and jockeys accounted
4 for 72 percent of the total number falls from
5 horseback. These occurred during training and also
6 live racing.

7 The highest percentage of falls within our
8 experience were at racetracks located within
9 Southern California. And for those of you who don't
10 know, there are five major racetracks within
11 California.

12 It just so happened that the majority of

13 them happened at the Southern California tracks.

14 Why? We are really not sure.

15 A little bit about injury by body part.

16 Injuries to the upper trunk and extremities were

17 perhaps the most common, including chest, shoulder,

18 and spine. Secondary body parts included leg, arms,

19 and ankles.

20 One comment here is that there's not

21 much -- the availability of data on horse racing

22 injuries to jockeys is not readily available within

70

1 the United States. I believe the last study was

2 done by the University of North Carolina I think

3 back in the 1990s.

4 In some of my research through my own

5 efforts -- thank goodness for the internet because

6 we would not be able to find things then -- there

7 has been major studies that I'm aware of that has

8 been done in Australia and also Ireland.

9 And for myself as a safety professional, I

10 have actually tapped into some of those sources.

11 However, some of the things are obviously the same,
12 and then some are perhaps different.

13 So we talked about the injuries, and,
14 within the safety world, you always look for how you
15 can control things, how you can reduce what the
16 overall exposure is.

17 And actually several people have commented
18 upon this one issue is that there's not much to be
19 done when you have a 1,200-pound animal that is
20 running 35 to 40 miles an hour from a true exposure
21 standpoint.

22 So from a risk standpoint, I would look at
71

1 other ways to possibly reduce that.

2 So what we did with the group, I started
3 off in conducting a series of meetings with the
4 jockeys and exercise riders beginning April 2006 at
5 the racetracks within California. And the objective
6 of these meetings was to increase the awareness and
7 level of education regarding limitations, proper
8 fit, and care of protective vests and also helmets.

9 Now, recognizing that I did not have that
10 base of knowledge, I contacted several equipment
11 manufacturers and wanted to utilize their overall
12 background and knowledge within this -- within that
13 category.

14 And I was able to really get one that
15 really took -- really took the bit and said, Sure,
16 we will come out. And so we had a series of
17 meetings at each of the California tracks where we
18 had both exercise riders and also jockeys
19 participate.

20 I got to tell you, I think it was really
21 interesting in several aspects. Probably -- perhaps
22 the most major one was it first started out as a

72

1 lecture series more or less.

2 We talked about, okay, how you properly
3 care for a vest? Do you leave it in the back window
4 of your car to dry it out? Do you -- you know, do
5 you buy it from someone you don't know? Those kinds
6 of things.

7 And really, probably about the third or
8 fourth meeting, the jockeys really, I guess, just
9 kind of sat up and took notice. And what I mean by
10 that is what they started to do is give us critical
11 feedback. It became an excellent forum for two-way
12 communication, which I think is essential within
13 this industry.

14 And so we got feedback regarding, okay, my
15 current vest does this. My current helmet does
16 this, and really got some major suggestions in terms
17 of making some improvements to increase the
18 functionality of the vest itself.

19 And we looked at a variety of vests from
20 pretty much all over the -- I would have to say all
21 over the world.

22 Yes, there are U.S. manufacturers, but

73

1 there is also manufacturers within Japan, Argentina,
2 Chile. And some of these, if you would look at
3 them, you would just say, Well, how can this
4 possibly offer any degree of overall protection?

5 So one conclusion that we came to was that
6 the construction and the materials used in the vests
7 does not provide a high degree of flexibility in
8 terms of a jockey being able to tuck and roll when
9 falling off a horse.

10 And, Robert, you can probably back me up
11 on this, but that was the foremost comment that came
12 from the jockeys.

13 Now, there's a vest out there, if you look
14 at the standards, which I will give you just a
15 little bit of insight into -- that's in a second
16 here.

17 But if you look at the standard that would
18 require somebody to actually wear the vest that is,
19 quote, certified by the ASTM, that vest is almost
20 like a flak jacket that would be worn by a police
21 officer, for example. It's very thick padding and,
22 most importantly, very, very restrictive.

74

1 And we were fortunate in California to
2 kind of enlighten the California Horse Racing Board

3 of this fact because they were about ready to
4 mandate that the jockeys were supposed to wear that
5 ASTM version of the vest, which probably would have
6 caused a lot more problems. I will get into a
7 little bit next as to where we are.

8 So one other thing that came out of this
9 series of education things is that the manufacturer
10 of the vest had the first opportunity to get key
11 critical feedback from the actual user.

12 I mean, imagine making a product and never
13 talking to the users. It is just kind of insane,
14 but, I got to tell you, it happens time and time
15 again.

16 So I think that was one of the key aspects
17 for the manufacturer, as well as myself.

18 These are some of the interested groups
19 that we have in our little task force that we are
20 working with. The California Horseman Safety
21 Alliance. Craig gave some insight into that.
22 That's the group that was formed when AIG put

1 together the workers' comp program.

2 We have had the great benefit to work with
3 the Jockey's Guild, both in the U.S., and Canada,
4 AIG.

5 Phoenix Performance Products. They are,
6 in fact, the vest company that we are -- that we are
7 working with. And they are -- they manufacture the
8 Tipperary brand of vest, which is the product in
9 90 -- I think it is used by about 90 percent of the
10 riders out there.

11 Charles Owens is one of the other
12 companies that we have gotten some feedback in.

13 And then from the testing standpoint we
14 have used a company called Dynamic Research. And
15 then also our efforts have been known, and also we
16 have gone to the California Horse Racing Board, as
17 we said, to get some of their feedback and most
18 importantly, their endorsement, involvement, if you
19 will.

20 Little bit about the history of what I
21 will call protective vest standards. There's -- the
22 major one that was out there and still exists today

1 is the BETA standard, which is British Equestrian
2 Trade standard. And there are several levels of
3 protection, or at least there used to be.

4 And believe it or not, a lot of the
5 states, when you go to research what the state
6 regulations say in terms of, A jockey must wear this
7 level of overall protective equipment, are way
8 outdated.

9 For example, in California and many other
10 states still specify a BETA 5 vest, which is totally
11 irrelevant because they don't make them anymore.
12 Because back in the year 2000, BETA revised their
13 standards to basically incorporate three levels of
14 protection, and what they consider appropriate for
15 jockeys is actually Level 1.

16 Then there's some international standards
17 as well. There's the SATRA standard. There's the
18 Australian Horse Racing Association standard. As I
19 have indicated before, the ASTM, American Standards
20 and Testing Materials. And these standards are in

21 fact generic and apply to all equine sports.

22 That's the major area of perhaps the
77

1 greatest opportunity for us as a group to really
2 change the face of protective vests.

3 The interesting thing about the protective
4 vests, too, for -- as I alluded to before, spending
5 a lot of time within some of the jockeys' rooms and
6 just talking to them, you get the sense that, one,
7 the quality of these vests vary from manufacturer to
8 manufacturer.

9 The actual condition of the vest that
10 is -- is wide ranging depending upon age and also
11 care.

12 One of the more challenging aspects to
13 this level of overall protective equipment is that
14 you have a user population that, some are making a
15 lot of money, and very many are not making a lot of
16 money.

17 So you have an entry barrier for a vest
18 that costs 200 to 300-plus dollars. Sometimes more

19 because somebody happens to get a, quote, deal on
20 them because they are selling them out of the back
21 of their car. Some people just can't afford it.

22 So you see vests that have been there well
78

1 past their prime in terms of overall condition.

2 I have also seen vests that, believe it or
3 not, despite the best efforts of all these standards
4 and all these manufacturers, that the padding has
5 been removed. Okay?

6 That -- just for whatever reason, they
7 take the padding out.

8 I have also seen vests where I have seen
9 additional padding put in, okay, just for comfort,
10 you know, factors, you know, for whatever other
11 reasons.

12 One or the things that we are able to do
13 as a result of working with the jockey's groups was
14 we got that feedback and, actually, Phoenix
15 Performance went back to the drawing board, and they
16 created one or two prototypes of vests that offered,

17 I guess, a high degree of overall flexibility
18 perhaps, especially from the shoulder region, so
19 that the jockey was able to get down within that
20 riding position, that vest would not ride up within
21 a certain aspect. So there are manufacturers that
22 are willing to go basically back to the drawing

79

1 board, if you will.

2 So to kind of summarize where we are, is,
3 again, a team comprised of jockeys, vest
4 manufacturers, horse racing industry. Research
5 consultants, along with myself, are now working --
6 we are actually part of the ASTM community for
7 protective body padding, that's F 08.55.

8 And where we are now, believe it or not,
9 is the vote is actually going to be taking place to
10 adopt our draft standard, and our draft standard is
11 going to be essentially for body protective use
12 within equine competition and racing.

13 So it's not going to be an overall generic
14 standard, but perhaps our efforts are hopefully

15 going to -- hopefully going to succeed because we
16 want to get one that is more specific to this
17 industry.

18 So -- and, like I said, the vote actually
19 takes place I think tomorrow and Thursday. So after
20 I leave here, I'm headed down to Norfolk, Virginia,
21 and we will see what happens.

22 Thank you very much for giving me the
80

1 opportunity to talk with you.

2 Are there any questions.

3 Craig?

4 MR. FRAVEL: Tony, do you have a similar
5 project underway for the helmets?

6 MR. BAHNO: Comment about helmets. Within
7 my experience, about 90 percent of the population,
8 jockeys and exercise riders are wearing a helmet,
9 called the Caliente, which I believe is no longer
10 manufactured since 1985. But yet they still somehow
11 survive out there.

12 Recognizing that, I believe, Kentucky is

13 the only state within the country that has mandated
14 a new ASTM helmet. What we are doing right now,
15 Craig, is we are -- we are actually testing both
16 helmets, the Caliente and also the ASTM approved
17 helmet.

18 What I mean by testing, the company that
19 we are using is Dynamic Research. They are in
20 Southern California, and they done a ton of research
21 for the automotive industry and for motorcycle
22 helmets and stuff like that.

81

1 So what we have done is we have gotten
2 actually a -- what do you call it? I guess it's
3 called a headform with a sensor. And we are
4 dropping them from a height of eight feet, and we
5 are dropping them down on both the turf, synthetic,
6 and also the dirt, and we are going to see what the
7 levels are.

8 So we are still kind of about halfway
9 through that. So that will also hopefully
10 provide -- shed some light on -- as to what our

11 direction should be with respect to that.

12 Does that answer your question?

13 Anybody else?

14 Robert?

15 MR. COLTON: Tony, do you have a time line

16 on how long to implement from the draft standard to

17 where the product will be available?

18 MR. BAHNO: From what the folks at ASTM

19 tell me and the chairman of our little group, if

20 it's passed during this meeting, and -- I guess it

21 gets put out to a vote. And then you have to

22 address each and every negative.

82

1 So if it's passed this time, then I think

2 it comes up for one more final vote in the Fall

3 meeting, which is September/October time frame.

4 So if everything goes according to plan, I

5 would say probably by the end of this year.

6 Okay?

7 All right. I thank you very much.

8 MS. HENDRICKS: We will have short break

9 right now. If we could reconvene back here by 11.

10 (A recess was taken.)

11 MS. HENDRICKS: Next we have Dr. David

12 Seftel, medical advisor to the Jockey's Guild

13 presenting on examining environmental health and

14 safety factors at equestrian race courses

15 nationwide.

16 EXAMINING ENVIRONMENTAL HEALTH AND SAFETY

17 FACTORS AT EQUESTRIAN RACECOURSES NATIONWIDE:

18 RATIONALE, APPROACH AND PRELIMINARY FOCUS

19 MR. SEFTEL: Thank you, Kitty.

20 I want to extend a special vote of thanks

21 to Frank Hearl and Kitty Hendricks for the

22 extraordinary act in pulling together this meeting

83

1 on very short notice and to bring literally the

2 who's who of the industry to the table.

3 What I'm going to be doing with you today

4 is walking you firstly through the common

5 pathologies that we have experienced and seen at the

6 racetracks and then try to put it into some kind of

7 organized framework so that we can examine the
8 various areas that need to be studied.

9 Firstly, improved jockey care will benefit
10 everybody, not just jockeys.

11 And we see that accidents are a
12 combination of human error, horse health and
13 behavior, from our colleagues at Grayson, track
14 conditions, and trainer practices. Ultimately fewer
15 accidents will save money and lives. Workers' comp
16 premiums will be reduced as well as the track
17 catastrophic liability.

18 It obviously needs to be guided by an
19 objective picture of needs. And a few of you have
20 pointed out, there is an enormous amount of state
21 and national momentum for this comprehensive study
22 that we plan to engage upon. We have to have some

84

1 good peer review studies in this industry in order
2 for everybody to have a sense of direction.

3 The National Jockeys Health Initiative is
4 the first comprehensive look at both health and

5 fitness of the jockey population on and off the
6 track.

7 As has already been pointed out, it is
8 going to be modeled on the NIH study process, such
9 as that used for the landmark Women's Health
10 Initiative study.

11 Fundamentally, there is sort of the
12 conflict in the understanding about jockeys. People
13 look at them and they see, oh, they are physically
14 fit, but at the same time, there are many serious
15 medical maladies.

16 In my own data, 20 percent of my jockeys
17 have kidney stones, 40 percent have hematuria and
18 proteinuria in the urine, an early sign of
19 nephritis, and 25 percent have immune compromise
20 with persistent and repeated infections that I will
21 detail shortly.

22 In addition, when you look at the National
85

1 Health Claims Data when our jockeys get to the
2 hospital, we see a lofty number of very, very

3 serious morbidities. Head and neck cancers,
4 leukemias, lymphomas, as well as the kidney stones,
5 pancreatitis.

6 We even have jockeys with kidney failure
7 requiring transplantation, including one defined in
8 an HBO special.

9 I had one patient that required a complete
10 heart transplant because of heart failure that
11 resulted from pulmonary hypertension from the use of
12 amphetamine related medications such as phen-fen.

13 Two categories of what ails jockeys, their
14 medical conditions and obviously occupational issues
15 and trauma.

16 Very quickly, the medical maladies. We
17 see recurrent upper respiratory tract infections, an
18 enormous amount of gastro-esophageal reflux disease,
19 the kidney stones that I mentioned previously, a
20 huge excess and incidence of asthma and COPD related
21 to smoking, dust, and dirt.

22 And then a panoply of skin infections,

1 viral, bacterial, and fungal, which are related not
2 only to the immunocompromised, but also to poor
3 working conditions.

4 This entire picture is complicated by a
5 terrible trio: Dehydration; malnutrition; and
6 weakened immunity.

7 What are the top ten traumas that we see?

8 Obviously muscle and tendon injuries are
9 right at the top of the list. But if you see No. 2
10 is concussion.

11 And indeed the only good peer review
12 published article from the field was an article from
13 1996 which did some retrospective analysis of
14 jockeys' health accident data and showed that
15 concussion and head injuries was by far the
16 commonest of these serious injuries affecting this
17 population.

18 All the others, we will see shortly just
19 why they are just such a significant problem.

20 The problem related not only to the
21 stresses of the profession, but also due to a

22 variety of environmental occupational factors.

87

1 One of the key tenets is that riding is an
2 extremely high torsion profession.

3 When you talk about riding on a horse,
4 it's very easy to think of them as just sitting
5 there at the top tips of their toes and holding on
6 with their fingertips.

7 Every single muscle in a jockey's body is
8 stressed to the max. Jeff Johnston will tell you a
9 little bit later on about just how stressful that
10 can be.

11 One of the key maxims again, rest is
12 medicine and must be taken seriously.

13 The jockeys have an population which is a
14 pure paid-for-performance profession. If they don't
15 ride, they don't get paid, unlike any other
16 profession. So there's an enormous stress on them
17 to get back to work before they are ready to get
18 back to work. And this obviously complicates the
19 occupational and environmental health picture.

20 Jeff will talk to you about how many
21 times -- how many times did you have a collarbone
22 broken, Jeff?

88

1 MR. JOHNSTON: Zero.

2 MR. SEFTEL: He is an extremely lucky guy.

3 How many of your colleagues had a

4 collarbone fracture?

5 MR. JOHNSTON: I'm seeing it every day

6 now.

7 MR. SEFTEL: The collarbone is the

8 Achilles heel of most riders.

9 It only takes eight pounds per square inch

10 of direct torsional force on the collarbone to snap

11 it. And collarbone fractures reflect a fundamental

12 problem with the flak jackets and the torsional

13 forces on the chest wall.

14 It is also a very debilitating injury

15 because it takes you out of work for four to six

16 weeks and obviously has significant economic

17 potential.

18 One thing that is not readily known is
19 that there is another threat that is being borne out
20 by the latest jockey health claims data. It is
21 invisible, but it indispensable, radiation, the
22 silent killer.

89

1 Every X-ray adds to the risk, as you know.
2 Jockeys are subject to 10 to 15 times the radiation
3 as the general public. Because they have so many
4 spills, they have more and more x-rays. And that is
5 one of the reasons that we believe that we are
6 seeing an excess in the cancer rates.

7 So obviously, to avoid excess cancer, we
8 need to think about things like low dose x-ray
9 systems at the tracks or facilities that treat
10 jockeys.

11 I think a beautiful example that Craig
12 brought up is the excellent medical care that is
13 being provided at certain tracks. We would like to
14 see what Craig described at every single track in
15 the country.

16 The sad truth is, there is there is a lack
17 of standardization of quality of medical care across
18 four racetracks. I'm one of the very few physicians
19 who actually has the privilege to work with this
20 community.

21 The issues aren't very complex. Riders
22 migrate frequently. There is no communication about
90

1 medical issues amongst providers. This
2 discontinuity of care leads to poor care.

3 The same thing that we see mirrored in the
4 rest of the population is amplified in the jockey
5 community. Obviously, we need to think about issues
6 such as common electronic medical records going
7 forward.

8 In summary, improved racetrack care will
9 benefit all players. Jockeys getting rapid
10 evaluation of their injury status, our workers' comp
11 providers will enjoy lower claim costs, will avoid
12 unnecessary ER visits. And ultimately these lower
13 claim costs will filter down to reduced premiums

12 these facilities along with enormous challenges.

13 But understanding about the way that horse
14 racing works has really helped me understand the
15 some of the factors we need to study.

16 Firstly, racing is a highly time sensitive
17 industry. Everything happens to a schedule. It's
18 like putting on a Broadway show every single day of
19 the week. And, therefore, you have the additional
20 time constraints.

21 So let's see what happens.

22 The grooms get up at 4 a.m. in the morning
92

1 to prepare the horses for the exercise riders and
2 the jockeys to go on to the track between 4 a.m. and
3 5 a.m. An exercise rider and jockey will exercise
4 between five and 14 horses until approximately 10
5 a.m.

6 You will see some pictures and video about
7 just how irascible some of these horses can be.
8 Because, remember, there are many levels of
9 training.

10 These horses, some of them are very young,
11 and others are old, but they are -- it is always an
12 extremely challenging situation, and we always see
13 more injuries in the morning exercise period than we
14 see during the race day itself.

15 Particularly important, during the
16 wintertime, reduced visibility. The tracks try the
17 best they can with their lights up. The reduced
18 visibility contributes to a lot of accidents.

19 An ambulance crew is usually on the
20 sidelines. The big problem is, the ambulance crew
21 members are usually basic life support certified,
22 not ACLS certified. This is a fundamental problem

93

1 that we need to address.

2 Exercise riders weigh between 140 and 150
3 pounds, but yet they ride the same horses that the
4 jockeys ride that afternoon. And there is no
5 adverse increase, no increase in adverse events as a
6 result of that excess weight.

7 This has implications for our studies on

8 the weight limits for jockeys.

9 So what happens after 10 o'clock? It's a
10 process called "reduce to ride." If you don't
11 reduce, you won't ride.

12 The jockeys go into what is
13 euphemistically referred to as a "hot box," but
14 basically is a place where you have induced
15 hyperthermia. In addition to that, they may take
16 laxatives and diuretics or practice self-induced
17 vomiting.

18 Secondly, they dress for success, but not
19 safety.

20 You will see the jockeys donning these
21 featherweight boots, silks, protective helmets, flak
22 jackets, and goggles for each race, but there is

94

1 still an extraordinary high rate of serious head and
2 chest and extremity injuries.

3 At this point, what I would like to do is
4 actually show you some of the helmets that jockeys
5 wear.

6 And, Jeff, if you come up and just share
7 with them the differences.

8 This, what I have in my hand, is the most
9 commonly used helmet.

10 MR. JOHNSTON: This is the Caliente helmet
11 that was referred to earlier. This is used by
12 approximately 90 percent of the jockeys out there
13 today.

14 I think they mentioned that one state has
15 rules regarding helmets. It is actually two.
16 Indiana had on its books the same -- they had copied
17 the rule in Kentucky to use ASTM certified helmets.

18 The director of the racing commission gave
19 me an opportunity to address the issue and explain
20 to him why we didn't want, or the jockeys didn't
21 want ASTM helmets.

22 I effectively approached the commission,

95

1 and they introduced a law to not only recommend,
2 require -- they require ASTM helmets as well as
3 other industry worldwide standards, such as EN 1384,

4 the British standard, BAC 015, and the Australian
5 standard, ASNZ 3838.

6 So it gives the problem with the ASTM
7 helmet, which is -- the only one currently on the
8 market is the Charles Owen.

9 The study took place -- I went down, and I
10 witnessed the study in Lexington, Kentucky by
11 Lexington Safety Products, which was introduced
12 through the Jockey's Guild, the management at the
13 time, and the Kentucky Racing Commission, did the
14 study to convince that they need an ASTM approved
15 helmet.

16 But that helmet was, excuse my language,
17 crap. It didn't stand up. And you could drop it on
18 the floor, it would break. The jockeys hated it.
19 Effectively, they said they weren't going to ride if
20 they had to use that helmet.

21 So the Kentucky commission loosened up,
22 said, Okay, we will look into it more. But then the

1 Charles Owen company came around and introduced this

2 helmet, which jockeys were okay with, but they still
3 don't like it.

4 It's made -- it was essentially made for
5 equestrian activities, such as hunter jumper events,
6 things -- stuff like that. It's not made for the
7 posture that a jockey assumes in a riding position.

8 Other industry or other racing industries,
9 such as steeplechase industry, racing in Britain,
10 France, Australia, have effectively done studies
11 since 1985 on helmet research.

12 The EN 1384 standard is effectively the
13 same standard as the ASTM. However, they have maybe
14 one test that is different. One has a penetration
15 test that's a little more severe. One tests the
16 frontal lobe as compared to the rear of the helmet a
17 little more. The standards are a little higher, but
18 effectively they are the same helmets.

19 The padding circumvents the entire head.
20 It has the same polystyrene lining that absorbs the
21 impact, instead of -- the Caliente, the impact goes
22 directly -- there is nothing to take the impact

1 away. It goes directly to the brain. The
2 polystyrene will dent, but it disperses the energy,
3 so instead of going to the brain, it goes to the
4 helmet.

5 The bad part is, once you are in a spill
6 with one of these helmets, you have to throw the
7 helmet away.

8 And some of the helmets range -- when I
9 was doing a presentation for Indiana, I got helmets
10 from all over the world. I got a helmet from Japan,
11 one from Australia, one -- a couple of different
12 favorite brands used in Italy, France, and Britain.

13 And one of those helmets cost \$900. Most
14 of them range between 3 and 400.

15 It's hard to discard a 3 or 400 dollar
16 helmet, let alone a \$900 helmet.

17 So it's going to have to be considered if
18 the commissions or the racing industry puts new
19 helmet laws into effect, they are going to have to
20 consider the cost of the helmet.

21 I'm certainly for it. I don't mind. The

22 jockeys I represent are, as Robert Colton said,
98

1 resistant to change.

2 There will be have to be some
3 documentation to go along and explain to them why
4 it's in their best interests, but I'm certainly for
5 it. And I'll fight against the industry to make
6 sure that we get these helmets out of the jockeys
7 room and get the new improved helmets, especially
8 the British helmets designed for racing on the heads
9 of the jockeys.

10 MR. SEFTEL: Thank you, Jeff.

11 I just wanted to show from a medical
12 perspective, I almost lost a patient because of one
13 of these helmets. And I going to actually walk this
14 around because it is very important that you see
15 what I'm going to be showing you.

16 This helmet I'm holding above me, you will
17 notice that it has padding over the occipital and
18 the frontal lobes, but it has no padding over the
19 temporal reaches of the brain.

18 I will hand these around so you can look.

19 So what happens after they dress for

20 success?

21 Then they go up the scales where there's a

22 really vigilant weight watcher. He is not there to
100

1 maintain their physical health, but he is there to

2 make sure that every jockey leaves the room at their

3 published weight.

4 But the jockeys are weighed in and out

5 each race by the clerk of scales.

6 To equalize the amount of weight borne by

7 every horse who is in the same race, a combination

8 of saddle pads and lead weights are used. This

9 titrating weight is done by the jockeys, their

10 valets, and sometimes even the clerk of scales.

11 Multiple individuals will handle these

12 weights.

13 Unfortunately, throughout the country,

14 there is variable protection which risks very

15 significant lead toxicity. And this is basically a

16 preview of the discussion that we will have after
17 lunch in more detail.

18 The lead weights are often unprotected,
19 have very friable edges, and they visibly shed lead
20 dust. Some are sewn into leather pockets, while
21 others are covered in a minimally protective paint,
22 and we'll show you photographs.

101

1 Heavy rubber mats placed under the saddle
2 are supposed to be the primary weight equalizing
3 units. The lead weights, unfortunately, are almost
4 always still needed to top the weight up for fine
5 balancing.

6 Interestingly, if you look at the history
7 of these rubber mats, they were originally designed
8 to save horses from leg related pathology, but no
9 concern was raised about the valets and the jockeys.

10 Clearly horses are mammals, too, and I
11 think they ought to be treated equally.

12 At this point, I'm going to show you a
13 short video presentation called, A Day At The Races.

14 This is more like a YouTube video. It's
15 not professional, but I think it captures the flavor
16 of what we have spoken about and sets the stage for
17 the further discussion.

18 (The video began playing.)

19 MR. SEFTEL: Very often, as the horses
20 slow down, a lot of dangers occur. Horse racing is
21 like riding an airplane. The most dangerous times
22 are leaving the gate and coming to the finish line

102

1 and after the finish line. And cornering is also
2 tricky.

3 What you see here is a shot of one of our
4 the jockey's rooms. You can see that the quarters
5 look relatively constrained. Jockeys do not have a
6 great deal of privacy.

7 This is the box of lead weights. And you
8 see it positioned right next to the scale and next
9 to all of the blankets and combs.

10 And you can see the lead dust in this
11 particular shot, quite visible. You see the friable

12 edges of the lead weights right there, and you see
13 how these edges have become eroded.

14 Now, how are these lead weights actually
15 used? We will see shortly how they are slotted in
16 to this particular area of the saddle.

17 And there are a number of different
18 pockets they use either the leather-encased lead
19 weights or the raw lead weights.

20 There is a lot of pharmacy. A lot of the
21 jockeys will abuse pseudoephedrine containing
22 compounds.

103

1 These are some of the shots of the flak
2 jackets. And they are pitifully thin, offering very
3 little protection. One of the recurring themes is
4 that we need biomedical engineers to join us in this
5 research effort to develop and design better
6 protective technologies.

7 Again, an inside shot of the helmet that
8 we spoke about.

9 Now that -- this is one of the exercise

10 riders. He is going to determine what his weight
11 is, and how this works.

12 (Short colloquy on video)

13 These are shots of the exercise riders
14 working in the morning.

15 As he pointed out, he is riding the exact
16 same horse as the jockey will have to ride, at
17 two-thirds the weight. We don't see any excess of
18 breakdown as a result of that.

19 You can see how irascible the horses can
20 be. It doesn't take much for the rider to be
21 dismounted, particularly in the morning sessions.

22 This is another example of an unruly
104

1 horse.

2 This is a valet, and he is placing the
3 leather-encased lead waits into the saddle at this
4 point.

5 One thing should be mentioned, the fact
6 that the lead is encased in leather does not seal or
7 prevent the lead from leaching through the seams.

8 This is not a watertight seal at all.

9 (More colloquy on the video)

10 Just a quick commentary, that is a
11 19-year-old apprentice rider, Kyle Kaenel, whose
12 helmets are circulating here. He very kindly let us
13 lend them.

14 Kyle suffered a very severe neck fracture
15 approximately two years ago.

16 So it's not atypical that the riders get
17 head injuries and neck injuries. He is also five
18 foot ten. So you can see how thoroughly emaciated
19 he is. He is typical of the riders that really have
20 to struggle with their current weight limits.

21 What we see here is another valet who is
22 actually inserting the naked lead weights or the
105

1 slightly paint covered lead weights with his bare
2 hands.

3 (More colloquy on the video.)

4 This is the clerk of scales demonstrating
5 how he does the balance.

6 We have got a Windows problem.

7 What this is meant to illustrate is that

8 the fact that, even though we have science

9 personally about the dangers of lead, even though

10 efforts are been made to contain and seal lead

11 weights, fundamentally having lead in the workplace

12 of this concentration and this level and this

13 friable is an untenable situation, and we will talk

14 about it more this afternoon.

15 Once the race is over, they use a series

16 of water trucks and irrigation trucks to resurface

17 or reprepare the surface for the next race. And I

18 will talk about the implications of this process

19 from an environmental perspective shortly.

20 I want you to notice that these particular

21 trucks are spraying very close to the horses and the

22 humans as well as to the fans.

106

1 We have a problem with dust and debris

2 disposal spreading disease. In between races, the

3 jockeys clean track debris from their faces with

4 standing water and sponges that are located next to
5 their cubicles. Dedicated sinks for each jockey
6 cubicle are relatively rare.

7 There's frequent reuse of contaminated
8 water and sponges, which leads to self-inoculation
9 with bacterial, fungal, and viral pathogens, and
10 also exacerbates underlying allergic dermatoses.

11 I have seen virtually every imaginable
12 dermatological condition in this population. And,
13 again, it is exacerbated by medical conditions and
14 underlying immunocompromised.

15 The second bucket unfortunately usually
16 serves as a heaving bowl or a spittoon for
17 self-induced vomiting.

18 Crowded cubicles ultimately contribute to
19 communicable conditions. Unfortunately, the cubicle
20 upholstery is rarely cleaned and serves as a very
21 rich polypathological culture medium.

22 It's important to point out that jockeys,

1 unlike other professional athletes, spend anywhere

2 from seven to ten hours a day in these jockey rooms.

3 So this is a critical environment for -- that needs

4 to be healthy and safe.

5 Unfortunately, many are antiquated and are

6 cramped with poor ventilation. This obviously

7 contributes to the rapid spread of airborne and

8 contact mediated communicable diseases. And because

9 many are immunocompromised, these diseases and

10 conditions are more persistent and severe.

11 As you saw, after the end of each race,

12 you have track grooming. And, again, there's not a

13 lot of research and not a lot of study of this, but

14 it is something that bears proper investigation.

15 The water that is used to spray on the

16 surfaces is usually recycled from barnyard effluent

17 bioremediation ponds.

18 You may have seen those little fountains

19 that the horses can -- in the center of the field.

20 Those are actually sewage disposal plants that the

21 facilities are required to manage. And the water

22 that comes from those is used to fill these tanks.

1 Now, by law, they are supposed to do
2 coliform counts to make sure there are a certain
3 minimal level of coliforms before spraying this
4 water. Unfortunately, racetrack scientists are
5 rare.

6 At our facility, I make sure that the
7 coliform count is low, but clearly, without proper
8 regulation, we could have problem with many
9 facilities around the country.

10 Depending on ambient wind, humidity, and
11 temperature, a proportionate amount can be carried
12 into the workspace of the jockeys, the outriders,
13 and the breathing air of thousands of fans who line
14 up close to the track side.

15 This is again something that we need to
16 work on in a team fashion.

17 Remediation will involve installation of
18 airborne sensors to monitor, measure, and report
19 excessive coliforms or other airborne particulate
20 and gaseous pathogens that are released from the

21 dirt and synthetic surfaces as a result of grooming
22 and horse riding activities.

109

1 And this is an important segue into the
2 issue of synthetic surfaces.

3 There is a very strong movement in the
4 industry to test synthetic surfaces because of
5 anecdotal evidence that it is less traumatic for
6 horses and leads to fewer soft tissue injuries.

7 Certainly it seems like, from a historical
8 perspective, the European tracks have had great
9 success with this.

10 The problem from my own professional
11 health perspective is that no good independent peer
12 reviewed studies have been done to document the
13 safety of the plume that's produced by horses'
14 hooves or tractors.

15 These are synthetic surfaces made up of
16 silica, hydrocarbons, motorcycle tires, a whole
17 variety of compounds that are known carcinogens and
18 respiratory pathogens.

19 This is one area where I believe that we
20 need to have federal and state standards. And we,
21 importantly, need to have independent peer reviewed
22 studies to demonstrate that the surface is actually

110

1 safe and healthy for horses and humans before it is
2 ubiquitously deployed across the country.

3 As I mentioned before, the jockeys are
4 fundamentally constrained by regulatory weight
5 limits.

6 The jockeys are the only professional
7 athletes in American that are subject to
8 state-mandated malnutrition. It is in the
9 regulations. There is no other member of the U.S.
10 population that has to meet these kind of mandates.

11 Unfortunately, as Americans are getting
12 bigger, jockeys are being forced to remain within
13 the same weight limits.

14 Obviously, a rational science-based
15 approach is needed to illuminate this issue and
16 provide general guidance to all participants.

17 The medical challenges that we outlined
18 earlier are very close linked to environmental and
19 occupational factors.

20 Thoroughbred horse racing is the most
21 dangerous professional sport, with more severe head
22 injuries, clavicular fractures, spinal fractures and

111

1 ankle and wrist injuries than any other sport.

2 If we look at the factors, we have to look
3 at them one by one.

4 First of all, horse factors. And I think
5 my colleague from Grayson Research has pointed out
6 there is an enormous amount of effort being made
7 into this area as it is important and critical that
8 it has to be done.

9 Unfortunately a lot of trainers and owners
10 and veterinarians still are distributing steroids,
11 which weaken the bones and tendons of the horses and
12 contribute to horse breakdowns, accidents, that
13 obviously result in the horse's demise and serious
14 injury.

15 There is an issue of poor training and
16 poor breeding, inconsistent and unforgiving running
17 surfaces, which is one of the motivations for the
18 synthetic surfaces, inadequate diagnosis and
19 treatment of horse stress fractures, and
20 overrunning. That is the economic drive for owners
21 and trainers to run the horses before the horses are
22 rested and reconditioned and ready to ride.

112

1 Horse racing is an enormously expensive
2 business for owners and trainers. There is an
3 incredible pressure to make sure that they get a
4 return on their investment. They shouldn't get a
5 return on the investment that leads to the death of
6 a horse and morbidity and mortality for the rider.

7 We need to have a proper balance.

8 The human factors that contribute to the
9 situation are jockey inexperience, incoordination,
10 cognitive compromise. Again, these are factors that
11 may be related to malnutrition and hypoglycemia.

12 They are emaciated, so they have myopathy.

13 Their muscles are not as strong and as flexible as
14 they need to be.

15 I have jockeys coming to my office, and I
16 take their blood sugar, and it's 35. You and I
17 would be in a coma if our blood sugar was 35.

18 Obviously things like lead poisoning can
19 lead to hypertension. The dehydration can lead to
20 hypernatremia. A lot of them are chronic smokers.
21 You compound that with particulate matter from the
22 track, you get hypoxic.

113

1 Hypokalemia, that is the decrease in
2 potassium, is frequently seen due to diuretic abuse.
3 And, as you know, they are predisposed to
4 arrhythmias.

5 We see hypomagnesemia, and a combination
6 of hyper and hypocalcemia depending on whether the
7 jockeys are overdosing on medications like Tums in
8 order to suppress the acids that are being produced
9 by self-induced vomiting.

10 Cardiomyopathy, as I mentioned before, I

11 had a patient that had a heart transplant because of
12 behavioral and substance abuse that led to
13 irreversible cardiac failure.

14 And very sadly, acute and chronic renal
15 failure. There is nothing worse than for somebody
16 to be on dialysis. It is one of the most difficult
17 and painful processes in medical care.

18 The alcoholic factors, the alcoholic
19 intoxication and pulmonary hypertension secondary to
20 stimulant abuse.

21 In terms of the environmental factors that
22 we need to study, obviously, variable track

114

1 conditions, consistency, and shock absorbing
2 capability.

3 Do these toxic dust plumes affect the
4 health of the horses and humans adversely? Is there
5 a presence of excessive coliforms that can
6 contribute to a panoply of pathology?

7 Does poor ventilation in the jockeys rooms
8 contribute to the rapid spread of infectious disease

9 and the concentration of airborne toxins, including
10 lead?

11 The occupational factors.

12 These include these contra-physiological
13 weight limits which leads to cascading
14 immunocompromise due to malnutrition.

15 So if we had a framework of causation, a
16 way to look at all of these factors and how they
17 interact with each other.

18 Horse, human, environmental and
19 occupational factors all contribute to this cascade.
20 You have weight limits that promote anorexia,
21 bulimia, stimulant abuse, laxative abuse, alcohol
22 abuse, and narcotic abuse.

115

1 These conditions may acutely and
2 chronically precipitate electrolyte abnormalities
3 and cognitive and muscular compromise.

4 You will have impaired coordination; your
5 concentration is off; your balance and judgment may
6 be impaired; and this is all in a sport that has

7 absolutely no room for error.

8 So these physical and mental challenges
9 may well contribute to risky jockey behavior and
10 poor responsiveness to dangerous riding situations.

11 One of the things that I have thought to
12 appreciate is a horse is always a wild animal. We
13 like to believe that we train them, that they become
14 our friends.

15 But they are beautiful in their power and
16 their unpredictability. And Jeff can tell you
17 innumerable situations which bear this out.

18 So we have to have, for jockeys to be
19 safe, they have to respect the animal, and they have
20 to be able to take of it, and they need to have all
21 of their faculties in order to do this.

22 So the Jockey's Health Initiative is

116

1 actually an unprecedented coalition of the horse
2 racing industry, Craig Fravel, the Grayson-Jockey
3 Club, California state regulators, horse owners,
4 trainers and jockeys, all searching for science

5 based solutions.

6 But it's very important for us to have the
7 guidance and support and leadership of NIOSH and the
8 CDC to help us conduct the required research and
9 obviously to implement the best solutions.

10 We look forward eagerly to the opportunity
11 to partner with NIOSH to supplant mythology with
12 methodology and replace fiction with fact.

13 Thank you very much.

14 MS. HENDRICKS: Thank you, Dr. Seftel. We
15 are going to break for lunch now.

16 I think we are running just a little bit
17 late, but we are going to take an hour for lunch.
18 If we could get back here by quarter to 1.

19 (A luncheon recess was taken.)

20 MS. HENDRICKS: We are going to go ahead
21 and get started. It looks like we are short a few
22 people.

117

1 Our next presenter will be Bonne Farberow
2 from the University of Pennsylvania, and she will be

3 presenting preventive medicine with remote

4 telemedicine and case studies in jockeys.

5 PREVENTIVE MEDICINE WITH REMOTE TELEMEDICINE;

6 CASE STUDIES & JOCKEY'S HEALTH

7 MS. FARBEROW: Thank you all for coming.

8 It's a pleasure to be here.

9 Basically, I would like to share with you

10 all my experience over the past two years to be

11 fortunate enough to work with Dr. Seftel out at

12 Golden Gate and Baymeadows.

13 Basically, what I realized pretty

14 quickly -- and I ended up meeting Dr. Dave, or

15 Dr. Seftel, purely by accident testing a medical

16 device that I was helping the device company achieve

17 validation data for their FK and 52K approval

18 (phonetic).

19 So we worked together very critical under

20 their IRB approval at Golden Gate Medical

21 approximately 12 to 18 months testing these remote

22 technologies out in the clinics there.

1 What I realized is that the racetrack
2 basically is a family practice setting. There were
3 not only the jockeys, but also the employees of the
4 track and fans of the track that were in and out of
5 the clinic multiple times throughout the day.

6 It also became an acute triage clinic and
7 a remote emergency room, either trackside or in the
8 clinic.

9 Some of the medical treatments that we
10 actually observed out there during the study -- I
11 was out there usually Fridays through Sundays,
12 multiple times throughout the year -- were acute
13 asthmatic attacks, fractures, multiple concussions,
14 dehydration, pretty much on a daily basis, acute
15 trauma, hypertension, and arrhythmias.

16 But I also learned pretty quickly through
17 interacting and being at the Jockey's Guild meeting
18 that there is physicians at some of the tracks.

19 There's nurses at some of the tracks. And there are
20 EMTs, Dr. David Seftel said this morning that are
21 not ACLS, are not paramedics, track side.

22 Jockeys are an athlete, a partner, a

1 parent, a friend, a colleague. And that many have
2 families that were all the around the track, in the
3 jockey's rooms throughout the day.

4 And the study that we actually conducted
5 included 43 supposedly healthy volunteers, testing
6 2D and 3D ultrasound, EKGs, and spirometry, and we
7 were uploading taking images, comparing these two
8 devices.

9 The jockeys were, again, at both Golden
10 Gate and Baymeadows -- the device and the equipment
11 moved between the two clinics, depending on which
12 track was live.

13 Currently ultrasounds are only done at the
14 hospital, so this was an interesting learning
15 experience as well because normally it is done
16 outpatient. The jockeys would be have to be
17 transported over to an outpatient radiological or
18 emergency room, hospitals, and the transmitting be
19 completed by ultrasonographers and radiologists.

20 We were fortunate enough to be able to do,

21 both in emergency settings and in screening, the
22 jockeys, and to transmit remotely to remote
120

1 radiologists for reading. As well as Dr. David
2 Seftel read them, and all of the ultrasounds were
3 performed by an ultrasonographer at the track.

4 The data ended up being healthy,
5 supposedly, volunteers. Actually, astonishing, we
6 found multiple renal calculi. We also found cysts,
7 hydronephrosis, which is enlarged there by the
8 kidneys.

9 I know that during the time I was there,
10 Dr. Seftel ended up having to send two of the
11 jockeys to the hospital. One had surgery, I
12 believe, on their bile duct, on an occluded bile
13 duct.

14 So again, these healthy athletes had
15 multiple anomalies. Unexpected findings that we
16 found were 15 jockeys out of the 43 showed evidence
17 of renal pathology, either diminished kidney size,
18 multiple micro or macro renal calculi.

19 Five had significant cholelithiasis, which
20 is of the gallbladder.

21 There were abnormalities in seven out of
22 28 of the jockeys due to hypo and hyperkalemia.

121

1 Seven also had an obstructive or asthmatic type lung
2 disease, and these were the only ones that came in
3 for the spirometry testing.

4 I observed, as I shared with you earlier,
5 multiple other jockeys that ended up coming in for
6 nebulizer treatments by Dr. Seftel.

7 One important aspect is basically seeing
8 that there is increased importance and focus needed
9 on safety of the jockeys, proactive versus reactive
10 treatment, which is currently happening so
11 frequently. Identifying the health issues and
12 treating them, and increasing the quality life for
13 this population of athletes.

14 Very interesting, which Carl actually
15 called me and said that the documentary was on -- it
16 was produced and filmed back in 2004. It's running

17 currently until June 3. I think I sent the link to
18 Kitty.

19 But it's of three jockeys that actually
20 helped film the documentary from Churchill Downs,
21 and it really, really got into a lot of the things
22 that I was able to observe and share with Dr. Seftel

122

1 over this past two years.

2 So if you have opportunity to be able to
3 watch it, again, it's on HBO On Demand until June 3.

4 I tried to see if we could get any clips
5 from it, which we could not.

6 And that is the basic data. Does anybody
7 have any questions?

8 Thank you, again.

9 UNIDENTIFIED SPEAKER: Actually, I have a
10 question.

11 On your study, have you published the
12 results of that, or are those data available
13 elsewhere?

14 MS. FARBEROW: Actually, we haven't. I

15 just presented it down at ATA, American Telehealth
16 Association, and it was written in the proposal that
17 we actually submitted to NIOSH.

18 We have not had the opportunity -- I'm
19 presenting again in June at the Drug Information,
20 DIA Association.

21 So those are the two so far that it's been
22 publicly presented.

123

1 UNIDENTIFIED SPEAKER: Great.

2 MS. HENDRICKS: Thank you, Bonne.

3 Next we are going to have another
4 presentation by Dr. Seftel on workplace lead
5 exposure in the equestrian race environment.

6 While we get through our technical delay
7 result, we are going to go ahead and skip to the
8 next presenter, Scot Waterman, from the Racing
9 Medication and Testing Consortium.

10 Scot is going to be presenting an overview
11 of medication control in horse racing.

12 AN OVERVIEW OF MEDICATION CONTROL IN HORSE RACING

13 MR. WATERMAN: Good afternoon. My name is
14 Scot Waterman.

15 I received my Doctor of Veterinary
16 Medicine from the University of Illinois in 1990 and
17 also completed the bachelor's program of the
18 University of Arizona racetrack Industry Program in
19 2001.

20 I have been working on medication issues
21 in racehorses for six years now. Currently I am the
22 executive director of the Racing Medication &
124

1 Testing Consortium.

2 The Consortium is a 501c3 organization
3 that was developed by the industry four years ago to
4 focus exclusively on issues related to the use of
5 drugs, both therapeutic and non-therapeutic, in all
6 three racing breeds, thoroughbred, quarterhorse, and
7 standardbred.

8 Our mission is to develop, promote, and
9 coordinate at the national level policies, research,
10 and educational programs which seek to ensure the

11 fairness and integrity of racing and the health and
12 welfare of racehorses and participants and protect
13 the interests of the betting public.

14 The Board of Directors of the Consortium
15 consists of twenty-three racing industry stakeholder
16 groups, including the Jockey's Guild, that bring a
17 wide range of experiences and expertise to the table
18 in order to build consensus on a unified, national
19 approach towards the use, regulation, and detection
20 of drugs.

21 Our Board members are all volunteer and
22 give freely of their time in order to help this

125

1 industry move forward on these very important
2 issues.

3 I appreciate the opportunity to be here
4 today, and my remarks will hopefully provide you
5 with a broad overview of the resources the industry
6 uses to combat the illegal use of drugs and to
7 regulate the use of medications of therapeutic
8 benefit to the horse.

9 There is little doubt that the industry
10 views the welfare of the racehorse as inextricably
11 linked with the welfare of the rider. In fact, I
12 think we have heard that three times already this
13 morning.

14 This is best evidenced by the purpose
15 language found in all state rulebooks establishing
16 the regulatory authority of the state racing
17 commission on matters of equine welfare.

18 To quote from Chapter 11 of the Racing
19 Commissioners International Model Rules on Racing,
20 entitled Equine Veterinary Practices, Health, and
21 Medication, the purpose of the chapter is to
22 describe requirements and procedures used to ensure

126

1 the health and welfare of racehorses and to
2 safeguard the interests of the public and
3 participants in racing.

4 The first topic I would like to cover is
5 the forensic testing system employed by states to
6 identify prohibited drugs and to regulate permitted

7 concentrations of therapeutic drugs in equine
8 samples.

9 Overall, approximately \$30 million is
10 spent on an annual basis by the industry for drug
11 testing.

12 To put this figure in some context, the
13 2005 testing budget for the United States
14 Anti-Doping Agency, the organization financially
15 responsible for all amateur athletic testing in the
16 United States, was \$5.6 million.

17 And the budget for the World Anti-Doping
18 Agency, which pays for out-of-competition testing
19 for Olympic athletes for the same year was \$2.1
20 million.

21 Every winner of every race in the United
22 States has a post-race sample, either blood, urine,
127

1 or in many cases both, collected. And in most
2 states, the stewards who act as sort of onsite
3 referees have the power to send additional horses to
4 the test barn following the race. This means that

5 over 150,000 horses are sampled throughout the
6 country every year.

7 Equine testing laboratories analyze
8 samples for a far wider variety of drugs than their
9 counterparts on the human athletic side.

10 At any given time, laboratories may be
11 screening for hundreds of chemical compounds,
12 including local anesthetics, tranquilizers and
13 anesthetic agents, narcotics, stimulants, beta
14 agonists, non-narcotic analgesics, non-steroidal
15 anti-inflammatory drugs, corticosteroids, anabolic
16 steroids, and other classes of potentially
17 performance altering drugs.

18 Historically, the screening of samples
19 relied on thin layer chromatography, which is a
20 non-specific and not very sensitive technology.

21 However, over the last ten to fifteen
22 years, enzyme-linked immunosorbent assays, or

1 ELISAs, have become the backbone of sample screening
2 in horse racing.

3 ELISAs offer both increased sensitivity
4 and specificity and have been a step forward in
5 terms of the industry's ability to identify
6 prohibited drugs.

7 Many laboratories are now also employing
8 mass spectral methods, most notably liquid
9 chromatography/mass spectrometry, which allow the
10 detection of drugs down into the low picogram per
11 milliliter concentration range.

12 This phenomenal sensitivity is a huge
13 advancement in the detection of illicit drugs but
14 presents a dilemma to the industry because the
15 detection of minute concentrations of therapeutic
16 drugs pushes appropriate veterinary treatment
17 further and further out, potentially impacting the
18 normal care of the equine athlete.

19 The Consortium is currently working to
20 find that balance between allowing the proper
21 veterinary care while at the same time protecting
22 the horse, rider, and the betting public.

1 There are currently 18 laboratories
2 conducting post-race sample analyses in the United
3 States, five of which have been accredited to the
4 International Standards Organization 17025 document,
5 which is entitled "General Requirements for the
6 Competence of Calibration and Testing Laboratories."

7 There are two quality assurance programs
8 for laboratories in the industry administered by the
9 Testing Integrity Program and the Interstate Drug
10 Testing Alliance and overseen by the Racing
11 Commissioners International.

12 The challenge for the Consortium going
13 forward will be in how we can improve the industry's
14 post-race testing efforts. Our focus will be on the
15 fact that our testing dollars are divided amongst 18
16 laboratories rather than concentrated at one or two,
17 as is the case with most other sports.

18 Laboratory consolidation will be a
19 politically difficult issue, but the Consortium is
20 exploring strategies that will achieve this effect.

21 The second topic I would like to cover is

22 the equine medication and health rules themselves.

130

1 Mr. Waldrop will provide you with an
2 overview of how racing is regulated, but just to
3 give you a preview; pari-mutuel wagering is a state
4 regulated enterprise, and there exists no national
5 organization in our sport with any ability to
6 mandate rules to the states.

7 Therefore, as pari-mutuel wagering has
8 been made legal across states over a number of
9 years, probably 60 years, differing rules have been
10 put in place.

11 The rules on medication and equine welfare
12 are no different. This is no doubt a frustration to
13 licensees who move across state lines. But while
14 the rules may be different, the purpose of each
15 state in regulating equine medication and health is
16 not.

17 States make decisions on rule language
18 regarding the use of medication and detection
19 strategies based on input from scientific experts

20 within or utilized by the state; typically
21 practicing and regulatory veterinarians,
22 academicians, and analytical chemists.

131

1 It is reasonable to expect that opinions
2 may differ between groups of experts, as often
3 happens in any scientifically based field, but that
4 does not mean there was an intent to be different or
5 adopt language that would negatively impact the
6 horse or the rider.

7 There may also be legitimate geographic
8 and climate reasons for rule variations.

9 That being said, one of the chief goals of
10 the Consortium is to harmonize as many of the
11 medication rules across the country as possible.

12 While we go to great lengths to ensure
13 that policies developed by the Consortium for
14 national implementation are based on the best
15 available science and protect the welfare of the
16 horse, the main purpose in trying to accomplish this
17 goal is not because our organization believed that

18 the existing framework of rules did not protect the
19 welfare of the horse and rider adequately. Instead,
20 the intent was more to make state-to-state movement
21 of horses a simpler process and to bring racing more
22 on par with how other sports operate.

132

1 As of this day, 30 of the 38 pari-mutuel
2 states have adopted the Consortium's first phase of
3 the model rules. And, because of this, the
4 medication rules in United States are as close to
5 being uniform as they ever have been. Our
6 organization expects to see continued progress
7 towards uniformity over the next few years.

8 Third, I would like to discuss the
9 industry's effort at research and development. As
10 Mr. Bowen noted this morning, there is comparatively
11 little money for equine research in general, just
12 for the general horse population compared to other
13 species and for human research.

14 The Consortium has worked to supplement
15 existing sources of funding and has awarded over

16 \$600,000 in grants to universities and laboratories
17 since 2001 to fund studies that seek to support
18 regulations involving the use of therapeutic
19 medications and also that seek to develop new
20 testing methods for substances of abuse.

21 In addition to the Consortium funds,
22 several states set aside funding out of the
133

1 pari-mutuel handle via various mechanisms to fund
2 drug and drug testing research.

3 Kentucky, Florida, Ohio, and Pennsylvania
4 are the most prominent states in this regard and, in
5 aggregate, raised over \$1 million for research in
6 2005.

7 Dollars raised by a state are typically
8 restricted for use by a university within the state,
9 which does place some limitations on what types of
10 studies are conducted.

11 Mr. Bowen also mentioned the formation of
12 the Equine Drug Research Institute and the
13 participation of Dr. Don Catlin, which we believe

14 will produce science that will assist the industry
15 in the detection of prohibited substances.

16 Looking at aggregate dollars devoted to
17 funding research, the racing industry is on par with
18 other sports agencies. As a comparison, United
19 States Anti-Doping Administration had a research
20 budget of \$2 million in 2005.

21 It is a goal of the Consortium to
22 continually grow our research budget because many of
134

1 the challenges we face on medication and testing
2 issues can be resolved by following peer reviewed
3 science.

4 I will close with a couple of projects the
5 Consortium is working on that we feel will allow us
6 to make strides in several areas that are currently
7 challenges for the industry.

8 First, the Consortium has created a
9 business plan for a research stable of twenty-five
10 horses that would be housed in Lexington, Kentucky.
11 The horses would be maintained at race training

12 fitness levels and managed similarly to horses you
13 would find on backstretches throughout the country.

14 This stable will allow us to administer
15 therapeutic medications to a population of horses
16 with more similar demographics and collect far more
17 data than is available from the standard university
18 study which typically employs five to six horses
19 that are either sedentary or treadmill trained.

20 We believe the resulting data will allow
21 us to make more accurate assessments in terms of the
22 regulatory indices for the appropriate use of

135

1 therapeutic medications.

2 The Consortium is in the process of
3 exploring a partnership with Kentucky Equine Drug
4 Council, which is funded by a percentage of
5 pari-mutuel handle in Kentucky, to fund this stable
6 and we are actively looking for other possible
7 funding sources, including federal, in order to
8 establish the stable.

9 Second, a longer term goal of the

10 Consortium is to establish an industry-owned
11 reference and research laboratory, which will be
12 dedicated to method development and the creation of
13 laboratory minimum standards for equine forensic
14 laboratories.

15 It is anticipated that 4 to 5 million
16 dollars will be required to equip and staff the
17 laboratory, and the annual budget will likely be in
18 the 1 to 2 million dollar range.

19 The Consortium is currently seeking
20 industry sources of funding for this project which
21 we have tentatively added to our 2009 budget.

22 That concludes my prepared remarks. I
136

1 would be happy to address any questions.

2 Thank you very much for your attention.

3 MS. HENDRICKS: Thank you, Dr. Waterman.

4 Next we are going to have Dr. Seftel, I
5 believe.

6 WORKPLACE LEAD EXPOSURE IN THE EQUESTRIAN
7 RACING ENVIRONMENT -- PRELIMINARY DATA

8 AND REMEDIATION STRATEGIES

9 MR. SEFTEL: Yes, we are.

10 Thank you, again.

11 What you will be hearing in the next 15
12 minutes is looking at some of the specifics of lead
13 in the racing workplace, what the diseases -- how it
14 manifests itself and what action should be
15 potentially be taken.

16 I think everybody in this room recognizes
17 that lead is probably one of the most significant
18 environmental toxins that we have to deal with, and
19 all steps must be taken to reduce both aerosol and
20 particulate exposure to this very important toxin.

21 For those that are schooled in lead
22 toxicity, lead causes both acute and chronic

137

1 toxicity that you get from ingesting it and
2 inhalation.

3 Poor ventilation and cramped quarters can
4 amplify the ingestion and inhalation of lead.

5 What is not really appreciated is that low

6 level lead can exacerbate major medical morbidities
7 as well as, obviously, traditional -- traditionally
8 seen high level lead toxicity.

9 And interestingly, low level lead exposure
10 can exacerbate the pre-existing kidney problems that
11 jockeys face because of all of the other challenges,
12 the dehydration, the chronic hyperthermia, and
13 malnutrition.

14 So, again, this is a co-factor for
15 accelerating and exacerbating the kidney disease in
16 this population.

17 There's a strong link between kidneys
18 disease, hypertension, and gout with lead poisoning.

19 Recent studies show that exposure to even
20 low levels of lead may have potentially hazardous
21 effects on the speed of progression of kidney
22 failure.

138

1 Lead causes a characteristic typical
2 pattern of iron-deficiency anemia with hypochromia
3 and microcytosis, with iron deficiency as well. It

4 also has profound reproductive and carcinogenic
5 effects. One of the problems at least talked about
6 in this population is infertility.

7 Jockeys have many reasons for being
8 infertile. And one of them is the prolonged
9 hyperthermia, which, as you know, affects the
10 spermatogenesis. Add to that the malnutrition,
11 dehydration, all those co-factors. But you add lead
12 into the equation, and it obviously amplifies the
13 problem.

14 We know that lead has been classified
15 apparently as a Group 2B carcinogen in animals.

16 So who handles the lead? We have shown
17 you some of the people in the video this morning.

18 It is handled on a daily basis by valets,
19 jockeys, the clerk of scales, the cleaners,
20 sometimes even the children of the jockeys that have
21 access to the jockey's room, grooms, and exercise
22 riders.

1 And as we know, children are especially

2 vulnerable because of their developing bone

3 structure to the impact of environmental lead.

4 How often do they handle lead? Well, we

5 did a very small observational study just watching

6 and documenting how many times lead was used by the

7 various participants. And we showed the valet

8 handled lead up to 14 times a day, that is for every

9 race.

10 Jockeys will handle lead up to five to

11 eight times per day to adjust their own saddles if

12 the valet is not available. And the clerk of scales

13 may handle the lead, too, four times a day to top up

14 a particular saddle.

15 In addition, the cleaning population in

16 the evenings is also exposed to that lead, both

17 handling it and inhalation. But interestingly, as

18 we saw in the video, much of the lead dust remains,

19 and the cleaners do not remove that.

20 And, again, how do they handle it? They

21 handle it with their hands, which is probably one of

22 the worst ways to handle lead. It is a major

1 problem with not washing after contact.

2 One of the greatest challenges we have,
3 not only eliminating lead from the workplace, but
4 also making a pronounced educational campaign in
5 order to get people to realize the dangers and take
6 remedial action.

7 How is that lead stored? You saw it.
8 Most of it is in open air, which aids in
9 aerosolization.

10 The storage box often contains visible
11 particulate lead. You saw how they threw the lead
12 weighs into the box, and that's a major mechanism
13 for breaking what the -- breaking the lead, causing
14 it to fracture, generate more particles, and
15 obviously aerosolize.

16 The coating that was used is very frail
17 and friable.

18 Most of the facilities do not have a
19 designated lead safety officer who is available to
20 provide constant supervision.

21 If you are going to have lead in the

22 workplace, it seems reasonable that you have

141

1 somebody who is well versed in the toxicity and

2 intervention strategies.

3 And, as you saw, the lead weights are

4 fundamentally very difficult to encase robustly.

5 And even the leather-sacheted weights continually

6 leak lead particulate material from the seams.

7 I have something to show you today because

8 a lot of people don't believe it.

9 Bonne.

10 This is bagged in five plastic bags.

11 These are the lead weights.

12 You take them around and show people, just

13 show them exactly what we are talking about.

14 You see how the blue one is friable and

15 breaking down, and the other one is the one encased

16 in leather, and yet lead is seeping out through the

17 seams.

18 So what should be done?

19 I believe it is imperative that we issue

20 an immediate and binding directive to remove all
21 lead from the workplace.

22 The reality is these lead weights can
142

1 inexpensively replaced with cast iron.

2 Now this is very critical. Jockeys who
3 have their own personal lead weights must surrender
4 them and ensure that all household contact,
5 especially children, are tested and their household
6 environment detoxified.

7 I want to ask Jeff to come up and tell you
8 a little story which is illustrative of the depth of
9 the problem.

10 MR. JOHNSTON: I came in last night, and
11 we were going over -- they were telling me what they
12 were doing to discuss here today.

13 I had an interesting story from -- I guess
14 some of you probably know Mike Manganello, who was
15 the Kentucky Derby winning jockey on Dust Commander.

16 I was telling him that they were looking
17 into the lead issue, and he said, Well, I have a

18 story to tell. When I was riding in Cleveland, at
19 Thistledown in Cleveland, they had a kitchen
20 upstairs. He said he would go up to the kitchen,
21 and the cook was using the lead weights to keep the
22 bacon flat.

143

1 So with it in the room, it is -- everybody
2 is exposed to it. So I'm glad, thankful that
3 everybody is starting to look into the different
4 issues that we need to address in the jockeys'
5 quarter.

6 MR. HEARL: Can I ask a question?
7 Are lead weights are also part of your
8 personal equipment?

9 MR. JOHNSTON: You get them when you
10 buy -- most jockeys have three different saddles.
11 They will have a light saddle for your lighter
12 horses, a medium saddle that makes you most
13 comfortable for the midrange horses.

14 And then you have a saddle with pockets in
15 it like you saw on the video, that when you have

16 your horses with your high weights, you will put the
17 lead in the pockets.

18 And most of the saddles when you buy them,
19 come with the weights.

20 Most of them now are leather coated. But
21 in the jockeys room, they have the exposed lead that
22 you saw that was in the box. And they chip off, and
144

1 you -- Keeneland is the only factory that I been to
2 that has the best pads, which I think you saw in the
3 video as well. Those are -- there is an easy remedy
4 for the lead weight issue.

5 And I know some jockeys are getting -- we
6 are getting into resistance by the jockeys who have
7 changed, don't like the weighted pads.

8 But, again, a period of change, and it's
9 something for their best interest, in their best
10 interest, and for the betterment of all the
11 participants in racing.

12 MR. SEFTEL: Thank you, Jeff.

13 So obviously, particularly if you just

14 dined, no cooking should be performed with lead
15 weights.

16 All potentially exposed persons should be
17 submitted to hematological testing for lead levels.
18 We found this relatively inexpensive. We negotiated
19 a price of \$4.19 per person. At that rate, we could
20 test everybody multiple times, if necessary.

21 For the high risk -- especially high risk
22 high exposure population, that is the valets and the
145

1 jockeys, we should consider bone lead studies using
2 techniques like x-ray fluorescence analysis in order
3 to assess cumulative exposure. As you know, blood
4 levels only give us an idea of current, but not
5 cumulative lead exposure.

6 So please, people, this is not one of
7 those sit-back-and-think-about-it decisions. We
8 must act now to prevent a legacy of disease and
9 disability. Please let's put lead to bed.

10 Finally, just to summarize on the points
11 that we discussed this morning, remediation avenues

12 to potentially consider.

13 Firstly, a comprehensive study to develop

14 composite physiological criteria to judge jockey

15 fitness to ride.

16 Jockeys should not be judged just on the

17 basis of weight. We need a composite measure, which

18 we will determine through our research, whether that

19 is a measure of hydration, glycemia, coordination,

20 body fat, or some other measure that would come out

21 of structured research, but we need to have a better

22 way to judge jockeys fit or unfit to ride.

146

1 We need to introduce mandatory nutritional

2 education and counseling for all jockeys as part of

3 the qualification process.

4 Before they get their jockeys license,

5 they must show that they understand the principles

6 of nutrition because it's a critical element that we

7 have discovered in our work, that there is a poor

8 level of knowledge about nutritional standards and

9 behavioral practices that are consistent with good

10 health.

11 We need to develop and implement
12 comprehensive and consistent trackside emergency
13 care standards and avoid unnecessarily poor outcomes
14 after injury.

15 That young man we saw in the video, Kyle
16 Kaenel, almost died waiting for an ambulance when
17 his neck was fractured. This is an unacceptable
18 state of affairs when you have a sport that is the
19 most dangerous of all sports out there. They
20 deserve to have the same level of care as NFL
21 players and NHL players. They have the right to
22 qualified doctors, paramedics, and appropriate

147

1 emergency care at all tracks.

2 We need to develop and implement solid
3 standards for trackside medical care staffing and
4 facilities to bring horse racing in line with the
5 medical standards and facilities provided by other
6 professional athletic sports.

7 We need to consider low dose x-ray and

8 ultrasound in more circumstances to reduce the
9 currently high cumulative radiation exposure
10 suffered by jockeys with the apparent excess of
11 malignancies that we know are in the historic
12 medical records.

13 We should mandate use of strong but
14 flexible safety reins.

15 Jeff, could you show them the safety
16 reins?

17 One of the biggest problems that occurs on
18 the track is the reins may snap in the middle of the
19 race.

20 You can imagine, the rider is essentially
21 balancing on the tips of his toes and his
22 fingertips. And if the reins snap, he has no

148

1 control.

2 Could you explain to them what the
3 principles are?

4 MR. JOHNSTON: This is another easy fix in
5 my mind.

6 Ohio actually implemented a rule,
7 regulation a few months ago that goes into effect in
8 the summer of 2008 that implements -- that mandates
9 the use of safety reins.

10 This is what they are. They are reins,
11 just like the reins they use today.

12 They have a cable, a parachute cord, a
13 piece of nylon attached to the hook that runs to the
14 end of the rubber.

15 So what happens, in the daily use of this
16 equipment, you can want see what happens, what the
17 leather looks like underneath the rubber grip. And
18 then they wash it, it rains, the water drips down
19 in, and you can't see.

20 When a rein breaks, 90 percent of the time
21 it breaks inside this leather. And I'm sure both of
22 you have seen it in, I think, Black-eyed Susan last

149

1 year. Many riders have been years or -- months,
2 even years off recuperating from injuries suffered
3 from a rein breaking.

4 And it is unbelievable how quick the
5 ground comes up when you have all of your pressure
6 against one of these reins, the rein breaks, and
7 immediately you are on the ground. You have got
8 horses running over you.

9 This is a simple fix. And they have been
10 independently tested.

11 There's another -- there's plenty of
12 providers that make the reins available. The guy
13 who invented it, who has the patent on it that made
14 them available to many manufacturers, they are
15 working on increasing production for many states. I
16 believe it's trying to go through in California.
17 And they are also working on passing a regulation in
18 Oregon. And I hope that all of the other states
19 will consider passing it as well.

20 I know the RCI, the recent RCI convention,
21 they made a standard rule, or a standard provision
22 that they should be passed and implemented in all

150

1 states.

2 I will bring these around so that
3 everybody can look at them. And, again, I think
4 this is an easy fix to increase the safety and
5 protection of jockeys.

6 UNIDENTIFIED SPEAKER: Jeff, just a
7 question.

8 Is it jockey choice not to use them, or is
9 it trainers who say they don't want them used?

10 MR. JOHNSTON: Well, the jockeys equipment
11 consists of the saddle, helmet, whip, and vest and
12 girths.

13 The equipment the horse comes over with to
14 the paddock is the choice of the trainer or owner.

15 MR. BAHNO: Yeah. Right now, I have
16 several tracks in California. We are running a test
17 program on those.

18 And they are about \$25 more than the other
19 costs, I believe, of the conventional reins. But we
20 are working with the manufacturer to get some sort
21 of discount, you know, arrangement.

22 Interesting thing, at least within my

1 personal experience, is that I'm not aware of any
2 jockey injuries that are associated with the
3 California program that actually involved a broken
4 rein, but, nonetheless, I basically concur what with
5 what you said. I think that's a great idea.

6 The interesting thing is when the first
7 test ones came back from the manufacturer, there was
8 some sort of a miscommunication because, here,
9 again, to my earlier comment about if you make
10 protective equipment, talk to the population that's
11 going to use it.

12 When the first set of reins came back from
13 California, they were too short. What I mean by too
14 short, the rubber piece was not long enough.
15 Because I think the first set that they sent us was
16 used, I believe, in the harness racing world.

17 And, you know, basically jockeys taking it
18 in such a way that you knot them up; right, that you
19 kind of --

20 MR. JOHNSTON: Right. You tie the ends of

21 them in case they come unbuckled, you wouldn't drop
22 one rein.

152

1 MR. BAHNO: So then, in fact, we went back
2 to the drawing board with the manufacturer, and now
3 we have another shipment that is basically long
4 enough for those jockeys to be able to do what they
5 need to do.

6 MR. JOHNSTON: Any other questions?

7 I'll pass these around so everybody can
8 see what they consist of.

9 MR. SEFTEL: Remediation avenues 3, remove
10 all lead from the workplace.

11 We also need to work with the industry to
12 improve horse running surface consistency and shock
13 absorbing capability.

14 The efficacy of these synthetic tracks
15 should be demonstrated for horses and humans before
16 they are ubiquitously deployed to replace the dirt
17 surfaces.

18 We also need to develop and implement a

19 comprehensive nationwide injury and illness package
20 system to be able to prospectively detect trends and
21 drive an effective and expeditious corrective
22 intervention.

153

1 This is just some pathology, and I think
2 most people are familiar with that.

3 Thank you, again, everybody. If there are
4 any questions, I will be happy to entertain them.

5 MS. HENDRICKS: Next we have oversight
6 regulation of the pari-mutuel horse racing industry
7 by Alexandria Waldrop from the National Thoroughbred
8 Racing Association.

9 OVERSIGHT AND REGULATION OF THE PARI-MUTUEL
10 HORSE RACING INDUSTRY

11 MR. WALDROP: First, I want to thank you
12 for this opportunity to address the National
13 Institute of Occupational Safety and Health
14 regarding safety and health issues in the
15 thoroughbred industry.

16 The NTRA is a not-for-profit member-based

17 trade association that represents a broad spectrum
18 of owners, breeders, horsemen, racetracks and other
19 horse racing interests.

20 The purpose of the NTRA is to strengthen
21 the Thoroughbred racing industry by increasing
22 public awareness, creating a centralized national

154

1 structure, implementing comprehensive marketing
2 strategies, and enhancing the industry's economic
3 condition by achieving revenue increases and cost
4 reductions for its membership.

5 To accomplish these objectives, the NTRA
6 represents its members in marketing and television
7 contracts, public affairs, sponsorship sales, and
8 group purchasing programs.

9 The NTRA also serves from time to time as
10 something we call a convening authority to address
11 national issues. Over the years, the organization
12 has impaneled industry groups to address a variety
13 of issues, including equine medication and drug
14 testing, wagering integrity, industry economics,

15 governmental deregulation, and most recently, jockey
16 insurance.

17 The NTRA does not contract with jockeys,
18 backstretch workers, or exercise riders. However,
19 like all members of the racing community, we
20 recognize the important role that these individuals
21 play in our industry and the risks that they incur
22 each year while discharging their duties in
155

1 connection with the care, exercising, and riding of
2 some 74,000 racehorses participating in 58,000 races
3 and making a combined total of 487,000 starts.

4 The horse racing industry is committed to
5 ensuring that the racing environment is safe for
6 both equine and human athletes as a matter of
7 routine. The safety features may vary between
8 jurisdictions, but generally they include:

9 Pre-race inspection exams by
10 state-licensed veterinarians for all race-day equine
11 competitors; post-race equine drug testing under an
12 industry-sponsored program; track maintenance that

13 includes harrowing, soil conditioning, and watering,
14 as needed, to produce a safe and consistent surface;
15 safety rails designed to minimize injuries to horses
16 and riders should a racing accident occur;
17 engineered racing surfaces such as Polytrack, which
18 are designed to reduce the incidence of on-track
19 injuries for horses; an ambulance that follows each
20 racing field from starting gate to finish; padded
21 starting gate stalls and professional handlers for
22 each of the horses at the starting gates; on-track

156

1 alarms to alert jockeys in the event of an emergency
2 during a race or during training hours; and
3 protective helmets and vests for jockeys.

4 Members of our industry meet regularly to
5 exchange ideas and information regarding numerous
6 issues, including racetrack safety. These industry
7 conferences include the University of Arizona's
8 annual Symposium on Racing, the Thoroughbred Racing
9 Association of North America's annual convention,
10 the Asian Racing Conference; the International

11 Federation of Racing Authorities. The American
12 Association of Equine Practitioners also has an
13 annual convention, and there are conferences
14 conducted by the Association of Racing Commissioners
15 International, just to name a few.

16 Most recently, The Jockey Club organized a
17 Horse Health and Safety Summit to identify critical
18 issues that affect horse health and/or shorten the
19 career of racehorses. The summit resulted in a
20 strategic plan for assuring the health and safety of
21 the Thoroughbred.

22 The participants drafted action plans in
157

1 six areas to improve conditions in various facets of
2 the Thoroughbred industry.

3 The six areas include education and
4 licensing; racing conditions/racing office;
5 research; health and medical records; racing
6 surfaces, shoeing, and hoof care; and breeding
7 practices.

8 Among the recommendations coming out of

9 that two-day summit included a need to research,
10 development, and publication of additional
11 statistics that will provide insight into the
12 durability and longevity of progeny of breeding
13 stock; make efforts to have scientific research more
14 widely distributed among industry stakeholders;
15 examine the use of or ban of certain horseshoes,
16 such as toe-grabs, in the wake of presentations and
17 research by Dr. Sue Stover and other participants;
18 develop a uniform on-track injury reporting system
19 for horses and humans; provide continuing education
20 for all horsemen, exercise riders, farriers; and
21 make initiatives like the Groom Elite Program more
22 available throughout the country.

158

1 The summit was coordinated and
2 underwritten by the Grayson-Jockey Club Research
3 Foundation and The Jockey Club, and it was hosted by
4 The Keeneland Association in Lexington, Kentucky.

5 Numerous industry organizations provide
6 assistance for jockeys and other members of the

7 racing community. Again, The Jockey Club
8 Foundation, established in 1947 there to assist
9 industry workers, including injured jockeys; the
10 Shoemaker Foundation, formed in 1991 with a mission
11 to provide financial assistance to any individual in
12 the racing industry who has suffered a catastrophic
13 illness or accident after exhausting available
14 workers' compensation and insurance benefits.

15 The Don Macbeth Memorial Fund, providing a
16 wide range of assistance to riders, from purchasing
17 medical equipment to providing monetary assistance.

18 And the NTRA Charities' Permanently
19 Disabled Jockeys Fund, which provides disability
20 payments for 54 permanently disabled jockeys.

21 In addition, numerous benevolent groups
22 exist among horsemen's associations to assist

159

1 backstretch workers in need, while organizations,
2 such as the Winners Federation and the racetrack
3 Chaplaincy, provide substance abuse counseling and
4 other social services.

5 As the speakers before me have noted, the
6 racing industry also supports a substantial amount
7 of research that is geared toward the health and
8 safety of horses and riders.

9 Reducing the incidence and severity of
10 racetrack injuries is a critical component of risk
11 management for our sport and for preserving its
12 reputation for integrity and safety.

13 Dr. Waterman has already covered the work
14 of the Racing Medication and Testing Consortium, so
15 I will not go into any detail regarding the RMTC and
16 its important work.

17 There is the regular medical research
18 focusing on equine health and safety, funded largely
19 by the industry itself through the Grayson-Jockey
20 Club Research Foundation, which is the world's
21 largest private funder of equine medical research.

22 The NTRA recently formed our own NTRA
160

1 Charities, the Barbaro Memorial Fund, as a memorial
2 to the 2006 Kentucky Derby winner Barbaro, who

3 battled bravely to survive the effects of a
4 catastrophic injury suffered in the 2006 Preakness
5 Stakes before he died of laminitis eight months
6 after his injuries.

7 The NTRA Charities Barbaro Memorial Fund
8 seeks to raise money for equine health and safety
9 research as well.

10 Additionally, the University of
11 California-Davis conducts a racehorse necropsy
12 program funded by the racing industry to determine
13 the nature of catastrophic injuries to horses and
14 develop injury prevention strategies.

15 Ongoing scientific research into racetrack
16 injuries is aimed at identifying causal factors for
17 injuries with the goal of reducing the incidence and
18 severity of equine injuries, and indirectly, the
19 safety of jockeys and exercise riders.

20 Horse racing supports a pari-mutuel
21 industry that generates over \$15 billion in annual
22 wagers throughout North America. It also is a major

1 economic driver for the horse industry as a whole,
2 which supports a \$39 billion agri-business and a
3 half-million full-time jobs.

4 Racing also produces \$2 billion, that's a
5 B, \$2 billion in tax revenues at the federal, state,
6 and local level, making government one of the prime
7 economic beneficiaries of racing.

8 I am tempted at this point to launch into
9 a diatribe about the over-regulation of racing by
10 state governments, especially economic regulation
11 and what some have called confiscatory excise taxes,
12 tax rates that drain this industry of much needed
13 revenue, revenue that could be used to address some
14 of the problems we are talking about today, but I
15 will resist that temptation.

16 Horse racing has a strong presence in more
17 than 30 states, including California, New York,
18 Florida, Pennsylvania, Maryland, Kentucky, Texas,
19 and Louisiana, to name but a few.

20 In California, for example, the horse
21 industry, as a whole, carries an economic impact of
22 nearly \$7 billion. In Florida and Texas, the

1 industry's impact is \$5 billion. In Kentucky, it is
2 \$3.5 billion.

3 More than 58,000 races were run in North
4 America in 2006, carrying gross purses of \$1.2
5 billion. The public auction market for Thoroughbred
6 bloodstock is worth more than \$1.2 billion.

7 As we have said many times today, racing
8 is heavily regulated in every state where it is
9 conducted. State racing authorities regulate, not
10 only pari-mutuel wagering, but also, in these
11 states, almost every aspect of racing, from
12 concessionaire contracts to backstretch living
13 conditions.

14 Let me assure this body that the horse
15 racing industry, in concert with state regulatory
16 agencies, is committed to ensuring that our sport
17 continues to operate in a manner that will protect
18 both its participants and its public.

19 Can we do more to ensure the safety and
20 health of our athletic participants? Yes.

21 Are we committed to do doing more?

22 Absolutely.

163

1 We at the NTRA are encouraged by
2 collaborative business and industry solutions to
3 enhance safety and health, such as the safety
4 committee at Delaware Park, discussed previously by
5 Mr. Colton and Mr. Fravel.

6 These types of innovations are critical to
7 our industry's ability to respond to its safety and
8 health challenges.

9 The NTRA is committed to supporting and
10 promoting these efforts, not only because it is good
11 business and important to promote safety and health
12 of our equine and human athlete, but it's also the
13 right thing to do.

14 Information gathering is critical, but the
15 implementation of solutions will be a challenge
16 without broad industry support.

17 The National Thoroughbred Racing
18 Association is uniquely positioned to build that

19 support.

20 I thank you very much for your time. I

21 will take any questions, if you have them.

22 Yes, sir.

164

1 MR. HORNUNG: Yeah, I have one.

2 One of the things that was brought up to

3 me when I originally spoke with some of the members

4 from the oversight committee that were interested in

5 this topic was the state rules regarding slot

6 machines and gambling require racing dates through

7 months when the weather is inclement, where

8 perhaps -- you know, I was wondering if you could

9 comment on whether those kinds of work requirements

10 are required to run races at times when it might be

11 a little bit more hazardous than on a clear day.

12 MR. WALDROP: I'm not aware of any state

13 law or regulation that requires that racing be

14 conducted.

15 Every state that I'm familiar with in the

16 United States allows racetracks and horsemen to

17 apply for racing days.

18 And so there are historic times on the

19 calendar when racing is conducted.

20 For instance, in Kentucky, there are races

21 in the winter, and it's very important to the

22 economic viability of the horse industry that there

165

1 be a year-round circuit, a racing circuit.

2 And so the demand of the media and the

3 industry participants, those winter days, if you

4 will, are critical, and they are applied for and

5 highly coveted. Granted they are perhaps not the

6 ideal times, but the economics of the industry are

7 such that to field the competition year round, those

8 days are necessary.

9 So I don't think that winter racing or

10 inclement weather racing is mandated. I just think

11 that the economics of business encourage that people

12 take advantage of those dates on the calendar.

13 Any other questions?

14 If not, I thank you very much for your

15 time.

16 MS. HENDRICKS: Next we have Dr. Karin

17 Opacich from the University of Illinois presenting

18 collecting and translating incidents and injury data

19 in the horse racing industry.

20 COLLECTING AND TRANSLATING INCIDENT AND INJURY

21 DATA IN THE HORSE RACING INDUSTRY

22 MS. OPACICH: Thank you.

166

1 Some of you are curious about why I'm

2 here, so I will share you just a little bit about my

3 background.

4 My clinical background is occupational

5 therapy and occupational science. I have also have

6 a degree in health professions education, and my

7 doctoral degree is in public health.

8 I also happen to be a horsewoman who has

9 been a participant/observer in the industry for well

10 over 20 years. So this is a convergence of both my

11 interests and my concerns.

12 I always like to know who I'm speaking to,

13 so how many in the room are scientists?

14 How many of you are scientists who have

15 been to the races? Okay.

16 How many of you are horsemen? How many of

17 you are horsemen who are also scientists?

18 I know at least one over there.

19 So, okay. We have different groups of

20 people who come from very different cultures. And I

21 think one of the professionals missing in the group

22 is an anthropologist. Do we have any

167

1 anthropologists in here?

2 It is necessary to understand both the

3 culture and the science to really understand racing,

4 so I imagine for some of you, particularly those of

5 you in NIOSH, that you're facing a big learning

6 curve. So if there are things that you don't

7 understand about this, please do ask us.

8 What I'm going to present to you is really

9 a work in progress, and it is a work that has been

10 in progress for over two years now.

11 We are not going to meet, those of us who
12 have an interest in racing, and we recognize both
13 some of the opportunities and some of the problems.

14 We are beginning to recognize each other.

15 It's been a little difficult to identify human
16 scientists in this community, and so, as we do that
17 and we identify each other's interests, we are
18 finding more opportunities to collaborate.

19 This particular endeavor resulted from
20 meeting new colleagues who are both equine
21 scientists and human scientists who were preparing
22 for the welfare summit and who had interests in

168

1 epidemiology and some of the safety issues in the
2 industry.

3 So here's a statement of the problem.

4 Currently there are no uniform mechanisms
5 for capturing underlying causes of the incidents and
6 injuries in the horse racing industry.

7 While -- I mean, there are many tracks and
8 many racing corporations who gather data, that data

9 is generally not systematic in the way that it needs
10 to be for scientists to analyze that data, nor is it
11 uniform.

12 So we are looking at apples and oranges
13 and trying to make sense out of that.

14 All of you who have done literature
15 searches on the internet know that there are only
16 two published jockey injury studies in the
17 literature. There is one eminent, but it's not in
18 the literature yet.

19 So we have a bit of a dissemination
20 problem in the science that we have already
21 accumulated.

22 One of the advantages of being a later
169

1 presenter is being able to identify the themes of
2 the meeting. So resoundingly, one of the themes
3 here has come both from the literature and from
4 others who have spoken, including Dr. Seftel, who
5 said what we really need is a database.

6 What we really need is to systematically

7 collect data that can support us when we raise
8 questions and formulate hypotheses.

9 And that, even though the studies that do
10 appear in the literature are very old in terms of
11 science and significantly flawed, they both -- both
12 teams of investigators recommended long ago that we
13 have a national databank of information about
14 accidents and injuries for humans.

15 In designing a system, any kind of
16 surveillance system, the rule, the cardinal rule is
17 that the quality of the available data and its
18 utility in decision making is based on the breadth
19 and depth of the data collection process.

20 So one of the problems that many concerned
21 people have had when they try to do retrospective
22 studies of injuries is that the data is so limited,

170

1 that you come up with a number of conclusions, and
2 you cannot see trends predicated on a small group of
3 incidents and accidents.

4 This just reiterates some of the things

5 that you already heard about the industry that made
6 this kind of endeavor challenging.

7 Each racing corporation is autonomous.
8 There is some skepticism in the industry about how
9 information will be used and why it needs to be
10 collected and shared. State racing boards are
11 autonomous, and there is no national jurisdiction.

12 We have national agencies and national
13 associations, but we don't have a national
14 jurisdiction the way that Canada does or some other
15 venues do globally.

16 For those of you who have tried to acquire
17 epidemiological data, you know that the databases
18 are very limited.

19 Right now, if you look at equine injuries
20 in the Bureau of Labor Statistics, you will find
21 only very cursory broad information that does not
22 inform this industry in the development of

171

1 interventions.

2 State EMT data is available, but it too is

3 very general. A few IC9 codes about broken bone,
4 concussion, whatever, it doesn't give us that rich
5 array of data necessary to analyze the situation.

6 It's not contextual.

7 Racing industry injuries are usually
8 excluded from state agricultural industry injury
9 databases. You will find things about carriage
10 turnovers among the Mennonites and the Amish
11 communities, but you won't find racing related
12 injuries in most agricultural databases.

13 And, again, the data currently collected
14 for those who collect it may not be the right set of
15 data or broad enough or profound enough data to
16 really inform decision making.

17 This theme has emerged in virtually every
18 presenter's material here. And, once again, I would
19 like to reiterate that accidents and injuries are
20 multifactorial. Rarely are they attributed to one
21 single cause.

22 And so here we have a combination of

1 equine attributes, human attributes, you know,
2 infrastructure, and training practices.

3 Another theme that has clearly emerged,
4 and I think it is understood by both the NIOSH
5 health and safety folks and the industry people, is
6 that there is an inextricable symbiotic relationship
7 between human and horse. And the health of one and
8 the well being of one affects the well being of the
9 other.

10 So for a full understanding, we really
11 have to have a full picture.

12 So the kind of data that we need should be
13 triangulated data, and that's both quantitative and
14 qualitative and across those four parameters of
15 human attributes, equine attributes, infrastructure,
16 and training practices.

17 This is just a visual depiction of where
18 racing occurs in the United States. And I want to
19 make sure that you understand that it does not
20 necessarily represent major racetracks or tracks
21 where pari-mutuel betting occurs or tracks with

22 extensive meets.

173

1 This is just a depiction of counties where
2 racing occurs that has some impact and economic
3 impact on those communities. And you can see that
4 it's not just a Delaware problem or a Florida
5 problem or a New York problem. It's really pretty
6 well distributed throughout the United States.

7 So the workhorse is well distributed
8 throughout the United States as well.

9 I want to share with you that certainly my
10 interest and that of the other scientists here
11 probably didn't emerge just yesterday. Many of us
12 have been traipsing down this road for a long period
13 of time.

14 And my current work is funded through an
15 EXPORT center, and then to the EXPORT center that
16 specializes in rural health and health disparities.

17 And that will come to a conclusion at the
18 end of August, and I'm looking at publications
19 associated with that right now.

20 The highlighted parts are those
21 presentations that have in -- specific to
22 surveillance in the industry. So this is also
 174

1 something that didn't just pop up yesterday.

2 You will see that -- politically, I try to
3 approach this as building enthusiasm for accident
4 and injury surveillance in horseracing, and then
5 illuminate it to our regulators because they seem to
6 have the most authority to implement this kind of
7 thing at this point in time, through the RCI.

8 You will notice that oral health is also
9 included. A lot of my work, my team and physicians,
10 dental physician, dental epidemiologists, an
11 occupational science nurse, myself and a whole
12 plethora of other people who have been involved to
13 date.

14 I participated in a welfare summit for the
15 racehorse, not primarily because I'm concerned about
16 horses, but primarily because I wanted to represent
17 human interests there.

16 Wayne MacIlwraith, who is an orthopedic
17 epidemiologist, veterinarian epidemiologist.

18 Mick Peterson, who is an engineer for
19 racing services, and I'm sure you have read some of
20 his work.

21 Dr. Chip Petrea, who is an agriculture
22 safety expert, and I believe NIOSH was one of the

176

1 founders of his very seminal study about the
2 policies, procedures, and recommendations for
3 agriculture, which also is a very widely variant
4 sort of an industry.

5 And Dr. Mary Scollay, who is the
6 regulatory vet that generated the on-track injury
7 reporting system.

8 Specific objectives of this project, the
9 first one is micro compared to the others, but we
10 have a rare opportunity here to look at the impact
11 of synthetic track services before and after.

12 There are only a handful of tracks who
13 have synthetic surfaces at this point in time. So

14 it would be really important to look at recent

15 history and what's happening right now.

16 I know that in Illinois, Arlington

17 racetrack has written a letter of support, and they

18 are willing to let me look at that data. So we will

19 probably go in and do that throughout some portion

20 of this meet.

21 To field test and refine the utility of an

22 on-track system. Every system has its quirks and

177

1 flaws. And in order -- before you implement

2 something on any large scale, of course, you have to

3 test it and get a lot of input.

4 To explore relationships -- and this one

5 is huge -- among these attributes, these different

6 parameters and their relative contribution to

7 incidents and human injuries. And ultimately, that

8 would lead to some sort of a regression model that

9 could apply and help us to predict and prevent

10 injuries.

11 Certainly, it would appear that the

12 information that we would get from such a system
13 would be more valuable than the systems that we
14 already employ, but we don't have any evidence of
15 that at this time.

16 And finally most importantly would be to
17 use the results of scientific data to inform
18 decision making and risk management in order to
19 improve health and safety of human participants in
20 horse racing.

21 I concentrate on humans because it gives a
22 variety of expertise.

178

1 So here is some of the proposed
2 strategies, and you can see by this big laundry
3 list -- I won't bore everybody to death -- but there
4 is a kind of a process, and it really takes a long
5 period of time, a lot of people and money in order
6 to develop a very sound surveillance system that can
7 be applied across the industry and that has utility
8 and longevity because we certainly don't want to do
9 this -- don't want to create this system every year.

10 The development of this particular tool
11 has been informed by the current literature. And
12 the literature tells us that we need to collect data
13 broadly and specifically as well.

14 So we need to collect, not only the nature
15 of the injury or the illness, but the part of the
16 body affected, the source of the injury, illness,
17 the exposures or the event that resulted in injury
18 or illness. And then the secondary source of injury
19 or illness.

20 It is not at all uncommon for a jockey who
21 may have one of these chronic conditions or may be
22 malnourished to fall off their horse and then to be

179

1 trampled by three horses behind him. So those are
2 those secondary kinds of injuries.

3 Injury surveillance also tells us that we
4 should identify in the data what is the absolutely
5 minimum data that you have to have to make sense of
6 accidents and injuries, and you should reach beyond
7 that.

6 this, certainly the analysis of the data and data
7 input and so on, but, you know, the data collectors
8 are already available.

9 Dr. Scollay will probably also get some
10 assistance from the Jockey Club because these are --
11 this is clearly a very important source of data that
12 was identified in the welfare summit and it's
13 appropriate for their funding streams.

14 Now, there's also some concern in the
15 industry about -- and we will talk about some of the
16 concerns later, but you see this last point, the
17 unique identifiers. This applies to horses as well.

18 In a surveillance system, it would be
19 necessary to protect the identity of those horses
20 and only aggregate data would be available in any
21 known public format.

22 And you can see the form. This form has
181

1 been streamlined. The data goes beyond what is
2 usually examined by regulatory vets, but much of it
3 is part of the routine that's already been

4 established on those tracks. It's just matter of
5 capturing that data.

6 And then I would imagine that there are
7 many different parameters on this that most of the
8 human scientists would be even aware of because,
9 again, this is a very different culture with very
10 different concerns.

11 One of the places to start with is
12 identifying what an injury is or at least what time
13 frame it occurs, and we have, both in horses and the
14 humans, identified the injury, the whole time
15 period, the immediate time period surrounding racing
16 and racing as the appropriate time frame to look at
17 injuries.

18 One of the issues with human injuries at
19 this point in time is that many of the minor
20 injuries go unreported. Unless the paramedic is
21 required, we don't even know about it. So it looks
22 like there's a disproportionate number of

1 catastrophic or very serious injuries when that

2 probably isn't the case at all.

3 Some issues in the process for completing
4 human forms would be potentially the paramedics, but
5 we'll talk about that in a moment -- could be
6 engaged to attempt the entries during racing and be
7 trained to complete the form during some sort of
8 pilot period.

9 The second point is a bit of sticky wicket
10 because forms will be completed for both minor and
11 major injuries, and there are always minor injuries
12 during racing or riding. Having suffered many of
13 them myself, there are always minor injuries.

14 A neutral third party would be necessary
15 to deidentify the data and link the two systems to
16 deidentify the data, and then it would be analyzed,
17 and aggregate data reported.

18 Here is one part of the human form, and
19 you will see many different parameters here.

20 Including safety equipment, observable
21 things because we know what -- we would be dealing
22 with necessary physicians or nurse-practitioners who

1 are examining these people. So some indication of
2 neurological status and respiratory status are
3 included in this. Some visual indicators, where the
4 people are injured, whether or not there were
5 visible fractures and visible lacerations, and so
6 on.

7 There's a pain scale and dispensation.

8 Most people who have -- if you can, sort
9 of the horseman's rule. If you can put yourself
10 together with duct tape, you get back on. That is
11 just, again, part of the culture. And there is a
12 tremendous incentive for people to ride through an
13 injury.

14 And there's some follow-up data that would
15 be helpful here.

16 Now, here's some challenges and issues
17 remaining to be addressed.

18 Even in my current inquiries about this,
19 there is disagreement about what level of IRP
20 approval that would be required for this kind of a
21 system and some HIPPA regulations.

20 competition, and it's based on sort of keeping your
21 cards close about your horses and who is healthy,
22 who is likely to win, and so on.

185

1 When this data becomes too accessible to
2 other horsemen, we create issues that -- issues in
3 the selling, trading, buying, and running of horses.

4 And there is some concern about
5 discoverability and who is going to be held
6 accountable for having collected that information
7 and shared.

8 So some of those ethical and legal issues
9 need to be worked out in managing the data system
10 that may not be as prominent in some other
11 environments.

12 Who should be the data collectors? You
13 have already heard that there are different levels
14 of care available to even the racing population, the
15 jockeys on track, some of them being paramedics with
16 no advanced life support, others being physicians.

17 There is also different levels of

18 investments in those people in collecting the data
19 and making sure that the data is accurate.

20 In many cases, as is the case in Illinois,
21 companies are contracted to provide paramedics. So
22 you have different paramedics virtually every day.

186

1 So it would make more sense to train a constant
2 cohort of data collectors, and that also entails
3 cost.

4 Assuring confidentiality of that data
5 would be better managed by a constant cohort of data
6 collectors as well.

7 And then we would have to decide what
8 information, education, training should be provided
9 to those data collectors.

10 And what are the perceived -- we have to
11 deal with very transparently what the perceived or
12 real harms would be to participants in the racing
13 industry.

14 If owners believe that having this
15 information would be harmful to their businesses, we

16 have to deal with that, and we have to deal with and
17 discuss that initially.

18 If jockeys believe that having this
19 available will affect their careers and people will
20 select them as riders on their horses, we need to
21 deal with that.

22 I also believe that there are -- there is
187

1 such a small number of human scientists who are
2 interested in this venue that we would be far better
3 off collaborating rather than competing for what is
4 already a shrinking pot of scientific money.

5 And that we need some sort of mechanism
6 for communicating and identifying who we are, what
7 our interests are, and sharing the research
8 announcements, and -- I think last year, I have met
9 virtually I think all of the scientists who were
10 involved in the Grayson industry.

11 Getting buy-in from the horsemen and
12 racetracks is no small task either. It's easy to
13 talk to the willing and the brave and the folks at

14 the welfare sign were all very enthused.

15 However, we have everybody from the small

16 trainers in the industry to trainers of, you know,

17 multiple stables at multiple tracks.

18 And just changing the thinking -- again,

19 the anthropologist part of me says the cultural

20 shift from competition to collaboration is really

21 very different in the racing industry.

22 So selling this widely, collected, share
188

1 this information, again, we would have to proceed

2 very cautiously.

3 Valuing what collaboration can render

4 would be very important. And communicating the

5 message -- and I heard this here in a couple of

6 presentations, but I don't think it is well

7 understood, nor is it demonstrated economically nor

8 do we have the data to actually substantiate this

9 now, but from other industries, we know that a

10 healthy work force contributes to a robust industry.

11 And so the investment on the one side

12 really should pay off on the other side.

13 Oh, details, details, details.

14 You know, these things are all under

15 development. Right now, that's what this is, this

16 design, so far is an idea. What's necessary, you

17 know, is being nimble, responding to the needs of

18 the industry, establishing that this project would

19 really be best as a two-year pilot.

20 A two-year pilot would cost money. And by

21 my worksheets and estimations of the costs of both

22 people, power, and data development and data

189

1 analysis, it would be about a half a million dollar

2 project over two years.

3 And funding sources, we need direction in

4 terms of the funding sources and the potential for a

5 RO1 structure as well. Certainly there are enough

6 ideas in progress in this room that we could

7 assemble something or another. So any facilitation

8 that NIOSH could provide in that area would be

9 greatly appreciated.

10 And that's concludes my remarks.

11 Questions?

12 MR. COLTON: On the legal issues, first of
13 all, I want to commend you for taking this project
14 on. It would be monumental to get it off the
15 ground, especially to get all of our dysfunctional
16 family together.

17 Talking about right perspectives on
18 dealing with confidentiality of the horse. I just
19 don't see where that exists.

20 I get on the horse. I certainly should
21 have the right to know what medical procedures, what
22 drugs, everything in this horse. To me, the

190

1 confidentiality should be out the door.

2 And I know I am probably annoying a lot of
3 horsemen saying that.

4 And, you know, just to give you an
5 example, the utility of this database, we have a
6 starters list. We have a stewards list from the
7 horses. They keep it on a clipboard.

8 The minute that that horse is taken off,
9 there is no record of it. The horse is claimed. We
10 have a migrant business.

11 I'm on the horse a year or two later.

12 This horse had a habit. Horses are creatures of
13 habit. All of a sudden, I'm close to the gate, he
14 bolts.

15 You know, so having that information
16 available should be, you know, absolute your ability
17 to have it.

18 I know that probably bogged it down
19 somewhat, but I know that we are probably looking
20 for is what they do in England. In England, they
21 have a database on all horses, that if they get a
22 soft tissue, bone injury, that that is on record.

191

1 And before that they can come back and race them,
2 they have to be cleared.

3 MS. OPACICH: The problem, some of the
4 problem as I understand it, both with humans and
5 with horses, is how much is enough in deciding who

6 should access to that particular data set.

7 And I think that we -- well, there are
8 mechanisms for controlling all of that, but we need
9 to discuss them, and we certainly need to look at
10 them on both sides of the fence.

11 Now, Dr. Seftel mentioned something that
12 has also been another -- everybody in the horse
13 racing work force across the board could benefit
14 from electronic medical records.

15 You know, a good proportion of the
16 population, they do move from racetrack to racetrack
17 from the top to the bottom.

18 And that is a problem always because, not
19 only for the horses, but with the people. You're
20 starting at ground zero every single time.

21 So there are certainly some systems
22 being -- Indian (phonetic) Health Service actually

192

1 has one of the best electronic records that has been
2 developed to date. So I don't think we need to
3 reinvent the wheel on that. The software and the

4 opportunity to develop this does exist.

5 There was some talk about microchipping
6 horses, and you might want to talk to others who
7 were at RCI and who were at the welfare summit that
8 had that particular issue and what kind of data they
9 would start with for chipping.

10 Because, again, this would be a huge
11 cultural shift for this industry.

12 MR. COLTON: On a slightly different note,
13 we are going to start implementing here in Delaware
14 soon, we borrowed it from the National Steeplechase
15 Association, we are going to require all riders to
16 carry a medical ID card, with a picture that has all
17 the medical emergency contact information on there,
18 in addition to the insurance card.

19 MS. OPACICH: Go ahead.

20 MR. HORNUNG: Karin, just a comment on
21 vocabulary.

22 One of the terms we use quite frequently

1 in discussions of physicians is the term accident.

2 MS. OPACICH: Yes.

3 MR. HORNUNG: The problem with that is the
4 term "accident" implies that it is a random event,
5 that it's unexplainable or unpredictable.

6 The point of the matter is, it is not
7 random. It's not unexplainable. It is indeed
8 predictable, and, therefore, you know, we really
9 need to change that kind of vocabulary.

10 MS. OPACICH: I would agree except where
11 it pertains to the horses.

12 And I have used all three of those terms.
13 And the title of the presentation was incident and
14 injury reporting because I firmly believe that in
15 the sphere of which humans control, I would agree
16 with you.

17 I also ride horses, and I know that there
18 is a degree of unpredictability with the horses,
19 whether or not -- there is certainly human
20 attributes that would help us to reduce -- look at
21 attributes and responses and infrastructure that
22 would help us to reduce those accidents, but I don't

1 think that there will ever be a way to absolutely
2 predict and control the horse end of it.

3 It's the interaction piece.

4 UNIDENTIFIED SPEAKER: You talk about
5 collecting injury data for equine and humans, but
6 what about other conditions, track conditions --

7 MS. OPACICH: Absolutely. There is -- on
8 the equine input, injury input form, there are --
9 there are slots that indicate that, the track
10 conditions, the track surface.

11 And actually for Equibase, for the Jockey
12 Club systems, you need -- the intent is to extract
13 that information and download it into the equine
14 injury report.

15 UNIDENTIFIED SPEAKER: What about -- other
16 than the horse's health, their racing history.

17 MS. OPACICH: Absolutely, same thing.

18 UNIDENTIFIED SPEAKER: -- as well as the
19 jockey's racing history.

20 MS. OPACICH: Well, that would be

21 interesting.

22 UNIDENTIFIED SPEAKER: And experienced
195

1 versus inexperienced jockeys.

2 MS. OPACICH: Yes. As opposed to
3 apprentices and whatever, absolutely.

4 Because I'm sure there will be
5 relationships. How strong the relationships and
6 associations are, we don't really know, but I'm sure
7 of us have many hypotheses.

8 Thank you.

9 MS. HENDRICKS: Our final speaker for
10 today is Andrew Staniusz from the Magna
11 Entertainment Board. He will be presenting on
12 insurance data and injury prevention.

13 INSURANCE DATA AND INJURY PREVENTION

14 MR. STANIUSZ: Well, there is nothing like
15 being the last speaker in a day-long event, so I
16 will try to keep my comments brief and try not to
17 pull out the next break by too much.

18 My name is Andrew Staniusz. I'm a legal

19 counsel with Magna Entertainment Corporation. As
20 many of you are aware, Magna Entertainment owns and
21 operates ten thoroughbred tracks in the United
22 States.

196

1 As part of my responsibilities, I oversee
2 health and safety for both -- from an employee
3 perspective and also from a sports participant
4 perspective.

5 Four of our tracks are in workers'
6 compensation states, California and Maryland. And
7 six are nonworkers' compensation states, and they
8 run the gamut from former Breeders' Cup venues such
9 as Gulf Stream Park and Lone Star Park to tracks in
10 smaller markets, such as Great Lakes Downs in
11 Muskegon, Michigan, and Portland Meadows in
12 Portland, Oregon.

13 What I'm here to talk to you about is,
14 once again, a work in progress.

15 With the six nonworkers' comp states, they
16 are all covered on the on-track accident insurance

17 with AIG. And back during the Congressional
18 testimony, hearings, I should say, our then chief
19 operating officer, Don Amos, in his testimony before
20 Congress, outlined MEC's intention to conduct safety
21 audits at the six tracks that are under the AIG
22 policy.

197

1 So to give you an update of where that's
2 at, we did -- Magna did retain AIG Consulting. And,
3 in fact, Tony, who spoke earlier today, was retained
4 to conduct these audits.

5 All six of these tracks have now been
6 visited with the last audit being performed in
7 April. So a report is due later this month or
8 sometime next month.

9 So we will get those results shortly.

10 One preliminary indication that we were
11 given was that each one of our tracks, whether it's
12 the smallest or the largest of the sample group,
13 each one had at least one best practice that can now
14 be conveyed to the rest of the Magna family.

15 So once the report is received, we are
16 going to disseminate the best practices throughout
17 the whole group. So we are going to use a
18 methodology pretty much in the way Tony described
19 his projects in California.

20 So with that, with that knowledge base, we
21 will be able to communicate and then extend that out
22 throughout the industry. So that's basically a
198

1 starting point.

2 So the key point that I want to make
3 regarding this -- the whole issue here of loss
4 control and risk management is that there is a
5 process that is underway, and it's going on right
6 now.

7 And with AIG having the California policy
8 and the majority of the tracks in the United States
9 in the nonworkers' compensation states, they are
10 developing a critical mass of information regarding
11 incidents and injuries, both frequency and severity.

12 And, you know, with that data being

13 gathered, you can start analyzing, and you can start
14 improving things based on empirical evidence rather
15 than what we have been historically left with is
16 anecdotal evidence, or incomplete evidence.

17 And this is not an endorsement of AIG,
18 although they have done a great job of helping this
19 industry in a couple of the California crises in the
20 issue of on-track insurance, but any other insurers
21 that will come onto the market will have the ability
22 to gather that information as well from their group.

199

1 And the reality is that insurance is going
2 to be a key driver to this whole process.

3 We may be considered to be proactive
4 because we went up and commissioned the audits and
5 started the process.

6 But even the most reactive operator is
7 going to be forced by the dictates of the market to
8 implement certain, you know, implement remedial
9 efforts, improvements, and what have you, to be able
10 to get affordable insurance.

11 And I think, you know, one of the
12 things -- it's without debate that one of the key
13 drivers of changing behavior, both individual
14 behavior, institutional behavior, business behavior
15 are the dictates of insurance.

16 So that process is there, and we think
17 that, you know, I think you got a good glimpse of
18 the comprehensive methodology that AIG Consulting
19 takes to this.

20 So we are quite confident that in -- you
21 know, over the next little while, there's going to
22 be a, you know -- there will be changes being made
200

1 based on two things.

2 One is, you know, empirical data, which
3 can then be correlated with our, you know, with the
4 accidents, accident reports that we can prioritize,
5 which needs to be -- you know, what would create the
6 most amount of safety, what then -- and then you
7 take your priorities that you can do everything else
8 in life, you know, the top priorities, subordinate

9 priorities, and then, you know, whatever is left
10 over.

11 So, as they say, there's an approach that
12 is underway, and the -- and basically the approach
13 that the market is being guided by are the dictates
14 of the marketplace.

15 Now, in my remaining time, I want to spend
16 just a few minutes addressing the issue of -- the
17 whole issue of lead exposure.

18 The first time we were -- MEC was made
19 aware of the lead issue was in November of 2005.

20 And the context in which this happened was I
21 attended the offices of the Jockey's Guild for the
22 purpose of discussing implementation of on-track
201

1 insurance, but at that time, we had a proposal and
2 basically a cautionary proposal.

3 And Dr. Gertmenian -- and this was just a
4 couple of -- just after the first set of
5 Congressional hearings, where Dr. Gertmenian
6 testified and before the second set, where the other

7 stakeholders testified.

8 And Dr. Gertmenian interposed himself into
9 my meeting because I was meeting with other people.

10 And, as some of you are aware, Dr. Gertmenian has a
11 good ability to change the agenda of any meeting.

12 What the meeting turned into was him
13 seeking an endorsement from Magna Entertainment that
14 we have, as an organization, been able to work with
15 him on a businesslike relationship.

16 But the criticism with Dr. Gertmenian was
17 that he didn't get along with anybody. So he is
18 trying to prove -- you know, have the board members
19 call me, and I would say that, no, we have always
20 had a good businesslike relationship, which by and
21 large are true, but -- they weren't productive, but
22 they were at least cordial.

202

1 He then in that context said to me, By the
2 way, I want to give you some information as a
3 heads-up.

4 He then gave me three lead sample results

5 from our -- three of our tracks. And those of you
6 who know Dr. Gertmenian know his proclivity for
7 hyperbole, and he said basically that we had a huge
8 issue. I'm just, you know, giving you a heads-up on
9 it.

10 Now, a more cynical man would say this is
11 an attempt to extort an endorsement by keeping this
12 information quiet. Not being cynical, you know, he
13 said he was my friend, so why I would think that?

14 Anyway, in our programs, I wasn't too
15 concerned because we conducted health and safety
16 audits and inspections as part of our program.

17 Magna Entertainment came as a subsidiary from a
18 manufacturing company, so we had those types of
19 processes in place.

20 So I thought, you know, I called the Magna
21 safety -- the director of safety and said, Do we
22 have a problem with lead? He said, no, none has

203

1 come up in any audits.

2 I said, Well, double check. And I

3 explained the meeting.

4 I found out later, was informed that, you
5 know, we probably didn't inspect, you know, take a
6 close enough look in those jockeys room, you know,
7 that we would have liked.

8 So in light of that, we commissioned
9 samples at the three facilities that I was given
10 test results for, and -- with the instruction that
11 Magna needed to know the answer before we were going
12 to go up before Congress.

13 So we got the three results back. One of
14 them, Remington Park, the sampling results that we
15 received from -- that I was handed to by
16 Dr. Gertmenian showed two samples, one 60 times
17 above the limit. Another sample, the second sample,
18 nine times above the limit.

19 The sample was conducted by Liberty
20 Mutual. They took eight samplings using an OSHA
21 standard approach on lead sampling.

22 We have -- first of all, there was no

1 airborne exposure detected. So that was the primary
2 thing. In fact, our safety director, he told me, we
3 don't really have a major issue here. It is
4 manageable. And that was his biggest concern, was
5 the airborne.

6 We had two -- one was six times above, one
7 was two times above.

8 Then Lone Star Park, the results given to
9 me by Dr. Gertmenian had one sample 75 times above,
10 another 20 times above. Once again, no airborne
11 exposure was detected.

12 We had two -- one was a hot spot. It was
13 65 times higher, but that's the saddle cleaning
14 area, which makes sense. And one was slightly above
15 the standard. It was 0043 instead of the standard I
16 think it's 004. Once again, it was manageable.

17 The third one was Great Lakes Downs, two
18 samples, one 18 times above the standard, another
19 130 times above the standard.

20 In fairness, Great Lakes Downs, at that
21 time, was no longer -- the racing season was over.

22 But the point to be made from this is,

1 once you clean the place up, you don't have anything
2 left over.

3 So, you know, proper hygiene would take
4 care of that finding in Lone Star if you used proper
5 hygiene and procedures.

6 So we were told that whatever issues you
7 have with lead are manageable. And what we did in
8 June of 2006, we rolled out a rough procedure, which
9 I have copy here. If anyone is interested, I would
10 be happy to provide you with a copy.

11 And in a health and safety conference,
12 which we held by webcast, we basically said, Either
13 adopt the procedure, or you will replace the lead
14 weights.

15 Now, in fairness, we were thinking of
16 terms of plastic encapsulation. We didn't really
17 consider the leather. We will take that under
18 advisement whether that -- you know, whether that's
19 sufficient. Once again, we will deal with it there.

20 So, once again, our only point here, when

21 it comes to workplace hazards, they exist. The goal
22 is to eliminate. Where they are not eliminated, you
206

1 manage it. You manage it by prudent means within,
2 you know, government regulation, government
3 standard, which, you know -- and I realize that, you
4 know, in the long run, you know, replacing -- you
5 know, getting the lead out of our workplaces is a
6 logical goal, but there are steps that can be taken
7 that are quite acceptable under OSHA to manage that.

8 And so what we are going to do is we have
9 our audits and inspections. We run fairly
10 decentralized, so we will give you an option of how
11 to run your business, but we are going to take a
12 look at how you do it.

13 And so when our audits and inspections go
14 through there, that's one of the things we will look
15 at. And, no doubt, somebody, you know, will not
16 have done what we have asked them to do, and we will
17 force them into that.

18 So as I say, that's how we have dealt with

19 the lead issue. I mean, we have got the lead
20 procedure. We are going to give them a choice of
21 how to do the lead procedures.

22 You know, if put you up postings and
207

1 surveil people and do all of that stuff, that's
2 fine, but I think it is probably easier for you to
3 replace.

4 And the only other thing I think we need
5 to do is replace the cook at Thistledown, you know,
6 because -- now, the one point about that is, it
7 would be nice to know about that.

8 How long -- when did that happen? Who
9 talked about it? And did anyone ever, you know,
10 tell management that that's going on? Did any of
11 that -- because I can tell you that the person who
12 was the general manager at Thistledown is now our
13 general manager at the Gulf Stream Park.

14 I mean, common sense would have prevailed.

15 So I think, like, all of these issues that
16 we have here in both areas that I have talked upon

17 in my comments, there is a process where you can
18 manage through these issues with data. And
19 that's -- you know, that's how safety is done in all
20 workplaces, where you need here, because we are
21 dealing with athletic activity, but still the means
22 are there and they are underway already in
208

1 addressing many of these issues.

2 So with that, I'm not too late for your
3 break.

4 Any questions?

5 MR. BAHNO: Do I have your permission to
6 share one best practice that I think the group would
7 benefit from hearing?

8 MR. STANIUSZ: Sure.

9 MR. BAHNO: We talked a lot today about
10 medical treatment aspects. And one of the things
11 that I was asked to take a look at was actually who
12 responds to an on-track injury accident, incident,
13 whatever you want to call it, and how do they do
14 that.

15 And interestingly enough, one track,
16 Thistledown, which is out in Ohio, actually does
17 something which is going to be one of my major
18 recommendations, not only to the Magna tracks, but
19 perhaps every track that I come across.

20 And what they are required to do by the
21 state of Ohio, and mandated from a regulatory
22 standpoint, is they need to have annual accident
209

1 simulation exercise.

2 What I mean by that is they basically get
3 the ambulance crew out there, they have got the
4 jockey out there. They get the horse out that.
5 They put the jockey down in the mud, whatever the
6 conditions are. They get the outriders.

7 Each and every person who is in fact
8 responsible for responding to that incident gets
9 trained all at the same time. But most importantly,
10 they videotape it.

11 Now, they have to send it into I think the
12 State of Ohio Racing Commission. But that is a

13 practice that time and time again other tracks can
14 certainly benefit from. Because it's videotaped, it
15 can be used as a training tool for those basic EMT
16 responders, perhaps, and also, you know, some of the
17 paramedics.

18 Because sometimes there is, you know,
19 somebody who only has one or two years of
20 experience, and sometimes those guys on the
21 ambulance crews maybe have never worked around a
22 horse before, too.

210

1 That was one key thing that I think just,
2 by going to six individual locations, was really
3 beneficial. The light went on, You know, everybody
4 should be doing this.

5 MR. SEFTEL: Tony, I think that is an
6 important point, but we also need to step away from
7 tokenism. Working the emergency medicine one demo a
8 year is not going to cut it.

9 And the other big problem is all of these
10 ambulance crews that are independent contractors.

11 They are kids coming from college. They have a
12 volume of people rotating. If you have one demo a
13 year, there is no way you can train a crew.

14 You cannot have a racetrack relying on a
15 temporary rotating work force. You have to have
16 permanent staff that are trained and experienced
17 exactly the way Tony mentioned to be able to handle
18 it. Having a demo a year, absolutely useless for
19 emergency medicine.

20 I work in the ER all the time. You have
21 to draw once a month, once every two weeks. Once a
22 year doesn't cut it.

211

1 Just a comment on Andrew's point about the
2 lead. Maybe you're not privy to all of the
3 machinations of the past. In coordination with the
4 Jockey's Guild, Dr. Gertmenian, an economics
5 professor, essentially ransacked the Guild.

6 I had nothing to do with him particularly,
7 but the reality is that the lead issue is completely
8 above and beyond the politics of the situation.

9 That comes from your racetrack, Andrew.

10 There were no signs up there, no procedures in
11 place.

12 Obviously we have work to do. Let's work
13 together and fix it.

14 That's what I would like to recommend.

15 MR. STANIUSZ: I have no problem with
16 that.

17 I was just saying there is a mechanism to
18 deal with it and to manage it. And by auditing and
19 inspecting, we will deal with efficiencies that way.

20 Any other questions?

21 Thank you.

22 MS. HENDRICKS: We are going to go ahead
212

1 and take a short ten-minute break.

2 (A recess was taken.)

3 PUBLIC COMMENT

4 MS. HENDRICKS: If everybody would take a
5 seat, we are going to get started again.

6 We are going to have a brief time to open

7 it up for comments right now before we move onto
8 discussion.

9 Once again, I would like to remind
10 everyone that we do have transcription services, so
11 if you would please speak loudly enough that he can
12 hear you, and if you can please identify yourself
13 before speaking.

14 Does anybody else wish to make a comment
15 for the record?

16 Would you like to come up?

17 MR. COLTON: No. Just from here is fine.

18 First of all, I want to thank you and
19 NIOSH for holding this conference, looking after the
20 health and welfare of not just jockeys, but all the
21 other trackside workers.

22 In addition, on the transcript, will most
213

1 of the reports and the presentations, the overhead
2 presentations be there?

3 MS. HENDRICKS: They will be available.

4 After the docket closes, they will all be submitted

5 to the docket, and they will be posted on the web
6 after the docket is closed.

7 Anyone else have anything they would like
8 to say?

9 GENERAL DISCUSSION

10 MS. HENDRICKS: Okay. We can go ahead and
11 move on to just general discussion. If anybody has
12 a topic that wasn't covered earlier that they would
13 like to bring up or any just general discussion
14 about some of the general presentations that we
15 heard earlier.

16 Well, I have just a couple of questions I
17 would like to bring up, if anybody would like the
18 weigh in on some of these issues.

19 One of the first things, I know that Ohio
20 recently increased the age for jockeys to be
21 licensed from 16 to 18. I believe that's the first
22 date to do that. And I just -- if anybody has an

214

1 opinion on that? Anybody have any ideas if it's a
2 good thing, a bad thing, something other states

3 should adopt?

4 MR. JOHNSTON: Jeff Johnston, Jockey's
5 Guild.

6 First of all, I would like to thank NIOSH
7 and all of the attendees here today. I think we all
8 have the same goals in common to increase the safety
9 of the industry.

10 I heard a lot today on research that's
11 being done on horses, and I have been aware of the
12 research. And hopefully, now, through NIOSH and
13 other organizations, we can help to improve the
14 safety of jockeys.

15 A lot of these issues just haven't been
16 brought to the attention of the industry before, and
17 jockeys themselves don't realize that they are
18 putting their health at risk.

19 They are so worried about their careers.
20 The racing industry is just a cutthroat industry.
21 And they have seen -- through history, they have
22 seen their fathers abuse their bodies in the same

1 way, but not under the same conditions.

2 So hopefully if we can bring it to their
3 attention, they will be at the forefront with the
4 racing industry trying to improve the conditions.

5 As far as the racing age, as a
6 representative of the Jockey's Guild, we don't have
7 a set proposal or recommendation at this point.

8 Personally, I think Ohio did the right
9 thing increasing the age to 18. Many jockeys are
10 still individuals still in high school at the age of
11 16. I think probably maybe 95, maybe a hundred
12 percent of them are in high school at age 16.

13 I know of some that have taken accelerated
14 courses to graduate so they could go on to ride.
15 Those jockeys are few and far between.

16 For the most part, I think you find more
17 jockeys that drop out of school in the 8th grade or
18 9th grade, especially a lot of the riders in
19 Louisiana where they have racing at push tracks or
20 different competitive events that lead those jockeys
21 away from school to ride.

20 And if they were small enough or wanted
21 to, they became riders and alleviated any other
22 opportunities that they would have had.

217

1 And I know of riders that for some reason,
2 whether it was an injury or weight restrictions or
3 something like that, that left the racetrack and
4 went and did something else, whether it be the
5 airport or painting or construction or something
6 like that.

7 And when they come back to the racetrack,
8 they are happy. The stress level was so intense in
9 the racing industry, so competitive, that when they
10 go out and they do other things and they find that
11 they can earn wages and they can have health
12 benefits and weekends off and holidays off, that
13 they don't return.

14 And that's one of the things that, as they
15 learn this, I hope we can realize as an industry
16 that we need to help these guys to stabilize their
17 lifestyles and their finances and their health.

18 Yes.

19 MS. OPACICH: I would suggest that many of
20 the people who come to racing as jockeys are already
21 members of the health disparate populations. So
22 that they come -- health disparate populations are
218

1 people who are vulnerable by virtue of poverty or
2 education or race to a poor quality of health, so
3 when they already enter vulnerable -- they won't
4 develop the health literacy and social and
5 behavioral sorts of background to be able to cope
6 with some of these issues.

7 It may be a chicken-and-the-egg issue, but
8 many of them are representative of their population.

9 MR. JOHNSTON: Exactly right. And it's
10 becoming ever worse and prevalent. Because as the
11 weight restrictions remain the same -- this is just
12 another issue that we have addressed today.

13 As the weight restrictions remain the same
14 and the human population is bigger, the industry is
15 being forced to go outside its borders to obtain

16 riders who are small enough.

17 And they bring -- when they come, they

18 come from, what you just said.

19 MS. OPACICH: Disparate populations.

20 MR. JOHNSTON: Disparate populations.

21 And they bring -- so their standard, their

22 level, their standard of living is not what we are

219

1 used to here in the United States.

2 So they are a group that, too, we need to

3 educate when they come over here.

4 I think it was brought up earlier, a

5 school that needs -- they should have to go through

6 some type of training or school or certification

7 process, even when they come from other countries or

8 have a certification from such a school in Peru,

9 where they have some formal training and some

10 education.

11 So when they come over here, they are

12 ready to ride. Or when they get over here, maybe

13 they can pass an equivalency exam of the school that

14 we have in the United States that teaches these kids
15 about health issues, diet, finances.

16 Yeah, Robert.

17 MR. COLTON: Yeah, Jeff, I wholeheartedly
18 agree with you, and I really think that the issue
19 should be brought across the United States.

20 There are theories (inaudible) physically
21 because an additional two years. And you know, you
22 have experienced the life, and I don't think anybody
220

1 else here ever has.

2 It's unfortunate that many jockeys retract
3 into a 14- or 16-year-old mentality. And part of it
4 is a requirement of the job, to shun responsibility.
5 If you are responsible to the individual, you are
6 not going to your job because, you know, it's not a
7 matter of if. It's when you are going to be
8 injured.

9 It would give a lot more maturity level to
10 the riders.

11 MR. JOHNSTON: One other issue I would

12 like to bring up is -- and I know we have gone over
13 this as well -- but the ambulance crews, to be
14 certified ambulance crews.

15 I know, for example, some of the tracks --
16 let me outline first what my position now is.

17 I rode for 20 years. I retired last
18 August to take a job as regional manager with the
19 Jockey's Guild. Currently I represent six states,
20 including Kentucky, Illinois, Ohio, Indiana, Iowa,
21 and Minnesota.

22 And in visiting these racetracks, I find
221

1 it funny that I don't watch a single race.

2 I'm talking to these riders and finding
3 out the issues that they have in the room or around
4 the track or with the stewards or different things,
5 so basically either putting out fires or answering
6 questions.

7 And I don't watch the races. So as far as
8 an industry, you know, I'm not -- they're not
9 gaining anything from me.

10 But some of the problems that I have
11 envisioned or seen when I go to these racetracks,
12 for instance, the ambulance, their response times
13 need to be improved. The people on the ambulances,
14 the crews, need to be certified.

15 I have got some crews -- and I think
16 Dr. Seftel brought up, that they are independent
17 contractors, or they are commissioned by, for
18 instance, one track.

19 It's like a local fire -- they have EMTs,
20 but they are not able to perform some of the duties
21 that potentially need to be performed.

22 In New York last year, there was a rider
222

1 who would have died had the paramedic not been able
2 to give him a tracheotomy on the way to the
3 hospital.

4 Once some of the jockeys found that out,
5 they went to their paramedic crew, their ambulance
6 crews and found that they weren't accredited. They
7 wouldn't be able to that. Had that kid been at that

6 doctor cannot allow them, cannot inspect them and

7 say, Okay, you are approved to ride.

8 Those jockeys have to go to an independent

9 physician off the racetrack, sometimes on Saturday

10 or Sunday, or an emergency room, and spend the money

11 to get a physical to say that they are able to ride,

12 when maybe the day before, it was a stomach ache or

13 diarrhea or a cramp or whatever, female problems.

14 If they are not able to -- they can go to

15 the doctor, and he can excuse them from their mount.

16 But when they come back the next day, the stewards

17 won't accept his word that they are able to ride.

18 MR. SEFTEL: I mean, essentially these

19 jockeys are being penalized for medical conditions

20 that are brought on by appointment.

21 And understanding my situation is a very

22 rare one. There are probably only three or four

224

1 track physicians in this country. And the jockeys

2 at my track are able to get immediate turnaround

3 service and are not penalized for conditions --

4 medical conditions that are outside of their
5 control.

6 Jeff raises an absolutely critical point.

7 For an industry that is so accident prone and so
8 many potential dangers, the least the jockeys
9 deserve is consistent board certified and accessible
10 medical treatment.

11 MR. JOHNSTON: Also, I think I brought up
12 the jockeys tend to come back too early off of
13 injuries.

14 I just recently -- this week in fact, a
15 jockey had a broken collarbone. He came back after
16 four weeks. He walks around with a limp. He is
17 taped up. He is sore. But he was forced to come
18 back because, just the disability payments, the
19 timeliness it takes for him -- he hadn't received
20 any payments yet. There was a glitch in the system,
21 so it wasn't his mistake. It was a racetrack
22 mistake. Somebody didn't receive a fax.

225

1 The racetrack had done it properly. The

2 jockey was there when they did it, but somewhere in
3 the system, it got screwed up. So he was yet to
4 receive a disability payment.

5 He borrowed money to pay his rent. He
6 borrowed money to pay his car payment. He borrowed
7 money to buy groceries.

8 He was forced to go back to riding to earn
9 a living.

10 And, again, that's probably not something
11 that NIOSH is able to look into, but it's an
12 industry problem and a problem that the jockeys
13 face.

14 I don't know what the solution is to make
15 sure that these guys give themselves enough time to
16 heal.

17 And there's some injuries that have
18 been -- for instance, myself. I was fortunate, very
19 fortunate in my career to -- although I suffered
20 some severe spills, the worst injuries I sustained
21 were a broken pelvic bone, broken fingers, a bruised
22 tailbone, and a bruised liver.

1 But I was fortunate. I have run into
2 people that have broken virtually every bone in
3 their body. I was with Tony Donito (phonetic) after
4 a spill at Churchill where they had virtually glued
5 every one of his ribs back in place.

6 The doctor came in and he said, Tony, the
7 good news is I put your ribs back. He said, but the
8 other news is I don't know if I got Rib 1 where Rib
9 3 is supposed to go, or Rib 6 where Rib 7 is
10 supposed to go, but you have a rib cage again.

11 MR. SEFTEL: This is another important
12 predication for universal workers' comp. And I mean
13 that would have gone a long way to meeting the
14 issues of child support or supporting the families
15 during these periods of required recuperation.

16 MR. JOHNSTON: Is there anything -- I
17 don't mean to be up here on my soapbox, but if
18 there's any questions specific to jockeys that
19 anybody has, I would be glad to answer them.

20 Otherwise I will sit down and let somebody
21 else take over.

22 MS. HENDRICKS: Do we have any other --
227

1 anyone else who would like to speak, any other
2 discussion?

3 MS. HENDERSHOT: Can you just explain what
4 the next steps are after this meeting, what NIOSH
5 will do with this information or with the
6 transcripts? What happens next?

7 MS. HENDRICKS: Well, we will wait -- I
8 should just go ahead and put this -- I know have I
9 asked most of you already that presented to make
10 sure that your presentations are submitted to the
11 docket.

12 Anyone else who would wish to provide
13 written comments, the docket will remain open a
14 month after the meeting. Once the docket is closed,
15 it will be posted on the web -- I believe I'm
16 telling you correctly here -- along with the
17 presentations.

18 After that, we plan on using this meeting
19 to help us formulate what our next steps will be.

20 UNIDENTIFIED SPEAKER: Can you assess the
21 range of those possible steps?

22 MS. HENDRICKS: Frank, do you want to do
228

1 that?

2 MR. HEARL: Sure.

3 I think, for me, it is little bit
4 difficult to give you the full range of what the
5 possibilities are, but, you know, one thing that we
6 have talked about is the possibility of putting
7 together a guidance or some kind of an alert type of
8 a document that could be used by the industry and so
9 forth to be eliciting best practices.

10 I think we really are interested in having
11 you submit information to the docket. Particularly
12 we heard a lot of presentations that there were
13 other identifiable best practices out there, and we
14 would hope that people could submit specific
15 examples to us because that would be the basis for
16 us to be able to dig into that a little bit deeper.

17 There also was discussion of research

18 papers had been published in peer review journals
19 that folks alluded to, and we would be very
20 interested in following up and looking into those in
21 some greater detail as well.

22 And to the extent that even a simple email
229

1 message with the reference IDs and so forth would be
2 helpful to us.

3 I mentioned in my hoping statement that we
4 did do a literature, exhaustive literature review.

5 And, you know, we have had to look in places that we
6 know by our own experience -- and as I also
7 mentioned, we don't have a whole lot of experience
8 with the horse racing industry.

9 So we are hoping that you could open our
10 eyes to perhaps some other resources that we are not
11 aware of so we can take a look at that.

12 Beyond that, you know, I think it would be
13 premature for me to try to speculate on what we
14 might be able to do from there.

15 I know there's a grant application in the

16 process, and we do have open announcement that we
17 keep regularly available for -- as a program
18 announcement for people who have research ideas.

19 I think, you know, this particular docket,
20 if you go to the NIOSH website, I don't think the
21 web address is up there right now, but I will tell
22 it to you. It's www.cdc.gov/niosh.

230

1 That will bring you up to the NIOSH home
2 page. And if you go down on the right-hand side of
3 the page in the bottom corner, there's a listing of
4 dockets.

5 And if you pull up Docket No. 104 on that
6 list, you will find hopefully all of the
7 presentations here, plus everything that people
8 submit to the docket, plus the transcripts that we
9 have taken today, all of that will be there.

10 And that can also help serve as,
11 hopefully, as a resource to others from there.

12 We also could, you know -- again, I don't
13 want to speculate, but one of the things that we

14 like to do is to use the internet to our advantage.

15 And we have created various topic pages on
16 different subjects if we feel like we have enough
17 material that would merit that, then we might put
18 together a topic page that might draw together some
19 of these ideas.

20 So this is our range of immediate
21 possibilities.

22 Yes.

231

1 MR. WALDROP: I think you said at the
2 beginning that this was probably by a letter from
3 Congressmen Whitfield and Stupak?

4 MR. HEARL: That's correct.

5 MR. WALDROP: Will what you do involve
6 some response to them?

7 MR. HEARL: Well, I think what we will do
8 is actually go back to them and offer them a
9 briefing on what we have learned from our
10 experiences with this meeting.

11 Again, it will be sometime after we finish

12 evaluating what goes into the docket after it closes

13 on June 22, I think is the date that it closes.

14 So, you know, that will be something that

15 we will offer them.

16 MR. WALDROP: Any idea anybody how long

17 that might be after June 22? Five years? 30 days?

18 MR. HEARL: I would say it will be weeks

19 to a couple of months, probably, to assess what

20 comes in.

21 Of course, if thousands of pages of stuff

22 comes in, it would take me on the longer side of

232

1 that months. But I would think it would be not too

2 long after that we would be advising him, or at

3 least offering him the briefing.

4 Any other questions?

5 Before I return the microphone back to

6 Kitty, I want to say thanks to Kitty and to Dawn

7 Castillo, Elena Page, Virgil Casini, Nancy Stout,

8 and Terri Schnorr, the NIOSH folks who have been

9 involved in putting this meeting together.

10 And I'm sure there are other folks back in
11 Morgantown and also Cincinnati who assisted, and I
12 want to offer my thanks to all of them for pulling
13 that together.

14 I want to say a special thanks to all of
15 you who came today, especially our speakers who
16 provided us with a wealth of information and opened
17 our eyes to some new problems that we hadn't
18 anticipated in the industry that we may need to take
19 a further look at.

20 So thank you all. And I ask for a round
21 of applause from those who participated, for the
22 speakers, and for Kitty Hendricks and the NIOSH

233

1 staff for putting the meeting together.

2 Kitty, do you want to close the meeting
3 out?

4 CLOSING COMMENTS

5 MS. HENDRICKS: Sure.

6 I would just like to add my thanks to all
7 of you for attending. And again, much thanks to all

8 of our presenters. You have obviously given us a
9 lot of think about today, and we will take that back
10 with us and hopefully make some progress in those
11 areas.

12 Once again, the docket, please remember to
13 submit everything you can to the docket and please
14 remember to reference Docket NIOSH 104 so it gets to
15 the right place.

16 If there aren't any other comments or
17 discussion, I think we are finished for today. Once
18 again, thanks for taking the time out of your busy
19 schedules to help us.

20 UNIDENTIFIED SPEAKER: Can you email us a
21 list of who attended the meeting today, just the
22 participant group?

234

1 MR. HEARL: We can do that.

2 MS. HENDRICKS: As long as I have your
3 email address, I think we can probably take care of
4 that.

5 Anything else?

6 MR. HEARL: It will be in the docket

7 anyways.

8 MS. HENDRICKS: It will be in the docket,

9 but I can email that to you.

10 Thank you all for attending.

11 (Whereupon, the proceedings in the

12 above-captioned matter were concluded at 3:27 p.m.)

13

14

15

16

17

18

19

20

21

22

235

1 CERTIFICATE OF REPORTER

2 I, Joseph A. Inabnet, do hereby certify

3 that the transcript of the foregoing proceedings was

4 taken by me in Stenotype and thereafter reduced to
5 typewriting under my supervision; that said
6 transcript is a true record of the proceedings; that
7 I am neither counsel for, related to, nor employed
8 by any of the parties to the action in which these
9 proceedings were taken; and further, that I am not a
10 relative or employee of any attorney or counsel
11 employed by the parties thereto, nor financially or
12 otherwise interested in the outcome of the action.

13

14

15

Joseph A. Inabnet
Court Reporter

16

17

18 Original transcript provided by the commissioned court transcriber
19 was modified on 6/21/2007 to correct an obvious error on page 5,
20 line 5 of this document. The last name of the speaker was incorrect
21 and the first name was misspelled. Also spelling error was
22 corrected on page 157, line 1.