

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

MEETING ONE

WORLD TRADE CENTER HEALTH PROGRAM
SCIENTIFIC/TECHNICAL ADVISORY COMMITTEE

VOL. II

DAY TWO

THURSDAY, NOVEMBER 10, 2011

Jacob K. Javits Federal Building
26 Federal Plaza New York, NY

The verbatim transcript of the
Meeting of the Scientific/Technical Advisory
Committee held at the Jacob K. Javits Federal
Building, New York, New York, on November 10, 2011.

C O N T E N T S
November 10, 2011

PUBLIC COMMENTS	9
COMMITTEE BUSINESS	13
ELIZABETH WARD, PhD, CHAIR	

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

1 Committee Members

2

3 Occupational Physicians with Experience in Treating
4 WTC Rescue and Recovery Workers:

5 Steven Markowitz, M.D.

6 Professor of Environmental Sciences and Director of
7 The Center for The Biology of Natural Systems at
8 Queens College, City University of New York, New York
9 City.

10 William Rom, M.D., M.P.H.

11 Professor of Medicine and Environmental Medicine, New
12 York University School of Medicine
13 Director, Division of Pulmonary and Critical Care
14 Medicine, School of Medicine, New York University,
15 New York City.

16 Occupational Physicians:

17 Robert Harrison, M.D., M.P.H.

18 Clinical Professor of Medicine, University of
19 California, San Francisco;
20 Chief, Occupational Health Surveillance and
21 Evaluation Program, California Department of Public
22 Health, San Francisco.

23 Virginia Weaver, M.D., M.P.H.

24 Director, Occupational and Environmental Medicine
25 Residency, Bloomberg School of Public Health, Johns
26 Hopkins University, Baltimore.

27 Physician with Pulmonary Medicine Expertise:

28 Thomas K. Aldrich, M.D.

29 Professor of Medicine and Director of The Pulmonary
30 Training Program, Albert Einstein College of
31 Medicine, Yeshiva University, New York City.

32

33

1 Representatives of WTC Responders:

2 Stephen Cassidy
3 President, Uniformed Firefighters Association of
4 Greater New York, Local 94 I.A.F.F. AFL-CIO

5 Valerie Dabas
6 Human Resources Analyst, Patrolmen's Benevolent
7 Association of the City of New York, Inc., New York
8 City.

9 Guillermina Mejia, M.P.H
10 Certified Health Education Specialist, Principal
11 Program Coordinator, Safety and Health Department,
12 American Federation of State, County, and Municipal
13 Employees, District Council 37, New York City.

14 Representative of Certified-Eligible WTC Survivors:

15 Kimberly Flynn,
16 Co-Founder, Director, 9/11 Environmental Action

17 Catherine McVay Hughes
18 Vice Chairman, Community Board 1 World Trade Center
19 Redevelopment Committee, Lower Manhattan World Trade
20 Center Redevelopment, New York City.

21 Susan Sidel, J.D.
22 Resident of New York City and volunteer WTC
23 responder.

24 Industrial Hygienist:

25 John Dement, Ph.D.
26 Professor, Community and Family Medicine, Duke
27 University Medical School, Durham, N.C.

28 Toxicologist:

29 Julia Quint, Ph.D.
30 Research Scientist Supervisor II and Chief, Hazard
31 Evaluation System and Information Service (HESIS),
32 Occupational Health Branch, California Department of
33 Public Health (retired), Oakland.

34
35
36
37

1 Epidemiologist:

2 Elizabeth Ward, Ph.D.

3 National Vice-President for Intramural Research,
4 American Cancer Society, Atlanta. (Advisory Committee
5 Chair-Person)

6 Mental Health Professional:

7 Carol S. North, M.D. M.P.E.

8 Professor, Department of Psychiatry, University of
9 Texas Southwestern Medical Center, Dallas.

10 Environmental Health Specialists:

11 Glenn Talaska, Ph.D.

12 Certified Industrial Hygienist, Professor, Department
13 of Environmental Health, University of Cincinnati,
14 Cincinnati.

15 Leonardo Trasande, M.D., M.P.P.

16 Associate Professor in Pediatrics, Environmental
17 Medicine and Health Policy, New York University;
18 Associate Attending in Pediatrics, Bellevue Hospital
19 Center, New York City.

20
21
22 Designated Federal Official:

23 Paul J. Middendorf, Ph.D., CIH

24 Senior Scientist

25 CDC/NIOSH/Office of the Director
26 Cincinnati, Ohio
27
28
29

P R O C E E D I N G S

(8:29 a.m.)

1

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

DR. MIDDENDORF: Good morning. Here we are for the second day of our meeting. The first thing we need to do are some of the administrative tasks again. I'd like for each of you to identify yourselves for the purposes of taking a roll call. So Dr. Ward, if you'd like to start.

DR. WARD: Elizabeth Ward.

DR. NORTH: Carol North.

MR. CASSIDY: Steve Cassidy.

MS. HUGHES: Catherine McVay Hughes.

DR. ROM: Bill Rom.

MS. SIDEL: Susan Sidel.

DR. QUINT: Julia Quint.

DR. WEAVER: Virginia Weaver.

MS. MEJIA: Guillermina Mejia.

DR. MARKOWITZ: Steven Markowitz.

MS. DABAS: Valerie Dabas.

MS. FLYNN: Kimberly Flynn.

DR. DEMENT: John Dement.

DR. WARD: So before we start the public comment period, I'd just like to give a very brief overview of how we think the agenda

1 should be today. We'll have the public comment
2 period and then we'll ask John and Emily to
3 come to the table and give us an overview again
4 of the options regarding how to respond to the
5 petition regarding cancer, so everyone's clear
6 in our mind what the options are for that. And
7 also the Committee can ask any questions about
8 -- that might have arisen yesterday regarding
9 the criteria for a condition to be listed among
10 the World Trade Center-related conditions, as
11 well as any other procedural or legal questions
12 that came to mind.

13 We'll then move on to reviewing some of the
14 criteria that's used to determine
15 carcinogenicity. Specifically we'll look
16 through the Bradford-Hill criteria, which is in
17 our notebook, and some of the material from
18 IARC and NTP.

19 We'll then start a substantive discussion of
20 the cancer question, and probably spend up to
21 an hour and a half on that topic before we move
22 on to discuss research.

23 And for the research component, what we'll do
24 is we'll think about -- we'll really try first
25 of all to identify all of the main ideas or

1 topics for research that came up during the
2 discussions yesterday, and then flesh those out
3 a bit.

4 **PUBLIC COMMENTS**

5 So we'll move now immediately to the public
6 comment period, and the first person is Micki
7 Siegel de Hernandez.

8 DR. MIDDENDORF: If I can break in for just a
9 second, I just want to check -- Dr. Talaska,
10 are you on the phone line?

11 (No response)

12 There was no response. So for the public
13 comment period, as it was yesterday, each of
14 the public commenters is -- who will be
15 speaking signed up earlier on a first come-
16 first served basis. They will each be given up
17 to five minutes to present. And I'll remind
18 them that it's often surprising how quickly
19 five minutes goes by, so as we're going through
20 that -- well, at the beginning I will be
21 holding up the five-minute green sign. When we
22 get to one minute left I'll be holding up the
23 yellow one-minute sign. And when time is up
24 I'll hold up the red card to let you know that
25 time is up, and I will have to rudely interrupt

1 and, again, I will apologize for that but we
2 have to follow those rules.

3 MS. SIEGEL DE HERNANDEZ: Okay, thank you very
4 much. I wanted to take these few minutes to
5 expand on one of the bullet points that we had
6 in the PowerPoint presentation yesterday, and
7 that is the bullet point relating to looking at
8 all of the evidence that's available, not just
9 an epidemiological study, in order to build a
10 case around inclusion of cancer. And it looks
11 like that's the way this Committee is going.
12 We think that there are enough pieces of the
13 puzzle right now. Taken separately they don't
14 make that case but, put together, we think that
15 there is much stronger evidence. And I know
16 that this Committee is in a very tough position
17 right now, and we also know that waiting is not
18 an option for all of the studies.

19 A few things that I want to mention. The
20 studies that are pending from both Sinai and
21 the registry, I think that there are also some
22 limitations to what those studies can tell you,
23 and they may not be the be-all and end-all that
24 everybody is expecting. In June of 2010 New
25 York City Department of Health and FDNY pulled

1 together a group of cancer experts,
2 statisticians, to look at analytic methods
3 related to cancer -- analysis of cancer. One
4 of the things that was very clear from the
5 expert meeting, and John Dement was part of
6 that group, was that in terms of cancer
7 epidemiology each of these cohorts is actually
8 a very small size. We're usually looking at
9 much larger numbers. And so detecting an
10 increase is very, very difficult.
11 And we also know that there are cases -- that
12 there are reasons why we believe that cases are
13 missing, including the matching to cancer
14 registries which are two years behind, which
15 are much better at detecting solid tumors but
16 not as good as recording cases of hematologic
17 cancers, which are the ones that we would
18 expect -- and Jacquie Moline mentioned that
19 yesterday. So this issue of the power of the
20 cohorts is very important.
21 And while we look forward to those analyses,
22 and they will be -- they will add to the
23 knowledge, there will still be limits. And I
24 think you also need to look at that when you
25 look at the FDNY study.

1 What we do have is the FDNY study. Steve
2 yesterday -- Steve Markowitz -- had suggested
3 really taking a careful look at that, and I
4 think that Steve Cassidy's comment about
5 looking at it in a broad sense about what it
6 says about exposure, not just about one
7 particular population, and how that might apply
8 is very important.

9 This issue of biologic plausibility, that
10 really has not been explored at all, and a
11 careful look at at least the toxicants that we
12 know about and that there is some evidence --
13 historical evidence in terms of disease
14 causation, I think that this Committee needs to
15 take a careful look at that piece in the
16 development of disease, as well as the issue of
17 sentinel and unusual cases.

18 Jacquie Moline mentioned the multiple myeloma
19 cases that were in an earlier age group that
20 were kind of surprising. There were mention of
21 some other cases of cancer that are just
22 particularly rare cancers and, again, by
23 themselves don't give you the answer. But put
24 together into a bigger piece, they do.

25 So as you move forward -- and there may be

1 more. I mean I think that this Committee will
2 probably come up with more pieces of evidence
3 that could be brought into the record to make
4 this case.

5 I think this Committee -- you have a limited
6 time frame in terms of meeting, but the
7 Committee has other powers, I believe, in terms
8 of soliciting information that may be helpful.
9 So if there's information about exposures,
10 about particular cases -- I'm not sure exactly
11 the procedures for that, but I think that that
12 is possible, as well as subcommittees, sort of
13 continuing work, between the regular Committee
14 meetings.

15 So thank you. That's my comment.

16 DR. WARD: Our next commenter is Lee Clarke.

17 DR. MIDDENDORF: While Ms. Clarke is coming to
18 the table, I'll just note to the record that
19 Dr. Trasande has joined the Committee.

20 MS. CLARKE: Micki Siegel de Hernandez
21 expressed my thoughts and I appreciate it.

22 Thank you.

23 **COMMITTEE BUSINESS**

24 DR. WARD: Okay, so we're going to ask Emily to
25 join us at the table and first for Emily to

1 give us an overview of the options that we have
2 for responding to the petition, or for making
3 our recommendations to Dr. Howard of how to
4 respond to the petition.

5 MS. HOWELL: Hello. I was asked to speak with
6 you all about questions that had arisen
7 yesterday regarding what your path forward at
8 this time may be regarding submitting a
9 recommendation to the program administrator on
10 the petition request that you've received. I
11 think under tab 8 you have a copy of the letter
12 that Dr. Howard submitted to the -- to Dr.
13 Ward, the Chair. In that letter he asks for
14 the STAC to review the available information on
15 cancer outcomes associated with exposures
16 resulting from the September 11th, 2001
17 terrorist attacks and provide advice on whether
18 to add cancer or a certain type of cancer to
19 the list specified in the Zadroga Act. He
20 provides you with the two reports, the first
21 periodic review of cancer by NIOSH, as well as
22 the FDNY contact that has come out -- I'm
23 sorry, the FDNY study that has come out, and
24 this letter was in response to a petition
25 received from the Congressional delegation of

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

New York State.

A recommendation from the board would typically take the form of an up or down yes or no vote. However, as a Committee you, in your recommendation letter, Dr. Howard has specifically asked you to give rationale and scientific basis for what you are recommending. So in this instance it's foreseeable that you could choose to say 'We don't see a basis for adding cancer at this time, given the two studies we have in front of us and the other information, and we are aware of future studies that will be coming out that we think will shed more light on this.' It's also possible that you not vote today. You have until March 2nd, and you may feel that more information will be coming forward between this time and that time. You could vote yes today, but you would need to give a rationale that the program administrator can rely upon in making his own determination. Because once he receives a recommendation from you all, he then has the option of moving forward with proposing a rule to add the condition or publishing a determination that it's not warranted at this time.

1 I also wanted to clarify that of course what
2 you're voting on is a specific petition. So if
3 for some reason, whether it's through --
4 regardless of how the Committee votes, but if
5 this condition were not added at this time
6 there's always the possibility, and we fully
7 anticipate future petitions on a range of
8 conditions to come forward. So if this
9 particular petition does not result in an
10 addition of perhaps all cancers, we could
11 receive a petition tomorrow on another specific
12 type of cancer or broadly cancer, or any number
13 of other medical conditions and the
14 Administrator would then have at his discretion
15 sending you all a request to consider that
16 petition.

17 So just to make it clear that this is not
18 necessarily the only opportunity that you will
19 have to discuss the condition. It's just the -
20 - this would be your opportunity to discuss
21 this specific petition. So I just wanted to
22 make that clear.

23 DR. MIDDENDORF: And could I ask a quick
24 question or make a point? I think it isn't
25 just that Dr. Howard would need to have a

1 petition. If there's evidence that comes out
2 he could, of his own volition, come to the
3 Committee --

4 MS. HOWELL: Yes --

5 DR. MIDDENDORF: -- and ask for it.

6 MS. HOWELL: -- that's also true. He can self-
7 initiate consideration of an addition. And if
8 he does that, he could also choose to submit
9 that to you all.

10 One of the other things that came up during
11 discussion yesterday was some reference to the
12 language in the statute about the
13 'substantially likely to be a significant
14 factor' and 'aggravating, causing, contributing
15 to' test that's in the statute. We wanted to
16 make sure that the board was aware that that
17 language actually pertains to the
18 individualized consideration and linkage
19 between 9/11 exposure and an individual's
20 condition to their being covered for treatment.
21 When you all are looking at adding a condition
22 to the covered list of conditions, that really
23 doesn't figure into your consideration. What
24 you're looking at is whether or not a condition
25 could be associated with the kind of exposures

1 that you understand to have been present at
2 9/11. And then it's up to the individual
3 physician to look at their patient's particular
4 case and link the exposure to 9/11 with their
5 diagnosis of that condition, which has been
6 sent to the Administrator and the Administrator
7 certifies that for treatment.

8 So you all, as a Committee, are welcome to
9 discuss the kind of standard of evidence and
10 burden of proof that you all would like to see
11 used. But it's separate and not linked to the
12 'substantially likely to be a significant
13 factor' test that's in the Zadroga Act for an
14 individual's condition being linked to 9/11 for
15 certification of treatment. So we just wanted
16 to make that clear.

17 Are there any questions on that? I have --
18 yes, Dr. Markowitz?

19 DR. MARKOWITZ: To clarify that last point, you
20 said that we would provide advice based on --
21 about a relationship between WTC exposures and
22 a condition, if it could -- if it could be
23 caused by WTC exposures. Which I interpret
24 'could' actually is meaning 'possible', not
25 even probable or definite, but possible.

1 MS. HOWELL: I think it's up to the Committee -
2 -

3 DR. MARKOWITZ: Right, no, no, and then you
4 said that we actually need to decide and define
5 on the criteria we would use to make that
6 decision.

7 MS. HOWELL: Yes.

8 DR. MARKOWITZ: So it's the latter instruction
9 which pertains. Right?

10 MS. HOWELL: Yes.

11 (Pause)

12 DR. TRASANDE: I apologize, I wanted to be
13 courteous in being acknowledged first. Thank
14 you, that's extremely helpful.

15 I wanted to ask for some historical context.
16 The World Trade Center Health Program is not
17 the only program of its kind historically and
18 legally. And I have to imagine there have been
19 decision processes not unlike the one that
20 we're undertaking that have been done before
21 and there are perhaps criteria by which
22 inclusions were made or not made. And while I
23 find the Bradford-Hill reference in the first
24 report extremely helpful, required reading,
25 required context for thinking, and something

1 that is routinely done in the epidemiologic
2 literature, I think that relates very well to
3 Dr. Markowitz's point that at some level I'm
4 wondering to my-- the same question: What
5 degree of causation, what degree of linkage,
6 epidemiologic data do we need to build upon to
7 include such a condition in the historical
8 context as well.

9 Thank you.

10 MS. HOWELL: I'm really not sure how to respond
11 to that. I mean other programs that are
12 compensation programs, whether they're
13 providing financial compensation or health
14 care, often do have standards, but oftentimes
15 those standards are either statutory in nature
16 or regulatory, so they've been set out and
17 that's what a committee may have to rely on.
18 Or there is no committee and that's what the
19 program relies on, which in this case the
20 program has a standard that it has applied in
21 certifying individual conditions. However, in
22 terms of the standard that the program
23 Administrator will apply in determining whether
24 or not to add a condition to the list, that has
25 not been articulated in the statute, and also

1 has not yet been articulated in the
2 regulations. So while I understand, you know,
3 how it might be helpful to have other examples,
4 there are legal and policy bases for those
5 examples that aren't applicable here, so I
6 don't want to muddy the water by pulling in a
7 lot of other examples of other causations that
8 have been used when that hasn't been done in
9 this case.

10 Now you're welcome as a Committee -- I know
11 that yesterday there was some discussion about
12 the standard that the New York State Workers
13 Comp uses in their -- in making their
14 presumptive determination. If you guys wanted
15 to look at that as a committee, you could.
16 Again, the reasons that they're choosing for a
17 presumption might be very different and have a
18 really different underlying rationale when
19 you're talking about workers comp versus this
20 kind of a health compensation program. So
21 those are things that I think there's really
22 not a shortcut to. That's the kind of
23 discussion that, as a Committee, you may want
24 to have. Or you may want -- you may have a
25 very clear idea of some standards that are

1 appropriate in the scientific or medical fields
2 that you wish to apply, and then the program
3 administrator will be struggling with those
4 questions for himself about what the program
5 standards to apply will be.

6 DR. TRASANDE: Thank you. I appreciate very
7 much that this is a unique series of
8 circumstances, but for all of us, who come from
9 different backgrounds, I think that historical
10 and legal context would help at least how I'm
11 thinking about it. I would want to be
12 somewhere in the range of historical context
13 with regard to a judgment that a condition
14 should be included or not included insofar as
15 this Committee has a unique role in potentially
16 adding -- playing a role in adding a list to --
17 a condition to the list.

18 DR. ROM: Thank you, Leo. I think now I have
19 three questions instead of just the one. The
20 first is sarcoidosis. So there's the
21 prescribed list of conditions in the Act, and
22 I've heard that sarcoidosis has been added and
23 I want to find out if it really has and what --
24 what the process was for that.

25 And then second of all, this list in the

1 Zadroga Act lists conditions fairly broadly,
2 like chronic respiratory disease. I mean that
3 can cover a lot of possible conditions, and has
4 that been clarified or do we clarify that.
5 And then the third thing is, NIOSH has had the
6 nuclear workers program for years, and there
7 are conditions that are compensated, like
8 chronic beryllium disease and cancers, and can
9 we get some information about that program that
10 would inform us on how we recommend things,
11 because that should have plowed this ground
12 ahead of time. And it would be very helpful if
13 John or someone could inform us about this.

14 MS. HOWELL: Okay, I will take -- let me see if
15 I can remember all these questions. The second
16 question was in regard to whose job it is to
17 kind of define what the medical terms that are
18 outlined in the Zadroga Act might cover since
19 they are so broad.

20 That is within the sole discretion of the World
21 Trade Center Program Administrator and his
22 medical staff. So obviously that might be
23 something that you all have opinions on, but --
24 and may want to discuss, but it's something
25 that he would be in charge of, figuring out how

1 broadly that's applied.
2 In terms of whether -- I think your first
3 question as to whether anything's been added to
4 the list. Nothing has been added to the list.
5 Sarcoidosis has not been added to the list at
6 this time. I am not aware of specific
7 instances where it may have been determined to
8 be a medically-associated condition that
9 therefore has received coverage. That's
10 something that would be specific to an
11 individual patient and therefore would not be
12 discussed in this forum. But nothing has been
13 added to the list at this time because rule-
14 making would be required for any addition to
15 the list, even with an advisory committee
16 recommendation, et cetera, and that's a pretty
17 long process. So the list is as it stands in
18 the Zadroga list.
19 Your third question about the Energy Employees
20 Occupational Illness Compensation Program Act,
21 or EEOICPA as we refer to it at NIOSH --
22 EEOICPA has its own burden of proof that's
23 statutory, which is what I was kind of hinting
24 at with Leo there -- or Dr. Trasande. And so -
25 - I mean I can discuss what that burden is, but

1 I have a hard time with you all using something
2 that was established by statute as their basis
3 that was not included in the Zadroga Act to try
4 and figure things out. I just -- there's a
5 hesitation there.

6 Now if you all discuss and decide that that's
7 what you want to do as a Committee, that's one
8 thing. But I just don't want for the absence
9 of direction in the statute to then force you
10 to look specifically at another one that was
11 written for another purpose.

12 The standard of proof in the Energy Employees
13 Occupational Illness Compensation Program Act
14 is whether or not it's feasible to reconstruct
15 an individual's dose, radiation dose, with
16 sufficient accuracy. And there are standards
17 that were then put into rule-making for what
18 they have, which is a Special Exposure Cohort,
19 and there's also dose reconstruction -- it's a
20 different program.

21 There are two different -- two different ways
22 in which somebody can be compensated. And this
23 is a program -- for those of you who are
24 unaware, EEOICPA is a program that compensates
25 nuclear energy workers who were exposed -- or

1 may have been exposed to radiation on the job
2 in weapons work. And the first way that
3 individuals can be compensated, and it is a
4 financial compensation as opposed to health
5 care program like ours, is through a dose
6 reconstruction which goes through and looks at
7 the actual dose received. And using a variety
8 of estimation measures, figures out whether or
9 not the person had over a 50 -- met over a 50
10 percent threshold for their dose. And there
11 are certain speci-- there's a list of cancer
12 that's included to that. Until recently it
13 only excluded a few, such as chronic
14 lymphocytic leukemia which is now potentially
15 being added. And then where there was not
16 enough information to reconstruct dose with
17 sufficient accuracy, there was a second way
18 that someone could receive compensation through
19 something called a Special Exposure Cohort, and
20 that is where they show that as a class this
21 group of individuals' dose cannot be
22 reconstructed with sufficient accuracy.
23 There's a list of 22 specified conditions,
24 cancers, that are covered for that. You
25 mentioned beryllium or silicosis, those are

1 under parts of the Act that are not under
2 NIOSH's purview. They're run by the Department
3 of Labor and NIOSH is not involved in those
4 medical determinations generally.

5 So that's a very brief background on that.
6 Again, like I said, those standards were
7 established by that statute and the regulations
8 from it, and so it's a very different system
9 than this one is.

10 DR. WEAVER: So I guess I'm less concerned
11 about legal differences in some of these other
12 compensation systems, but given the complexity
13 of having to grapple with the cancer issue as
14 our very first charge, I'm looking for any
15 boilerplate that we could come up with. And
16 I'm not sure if I'm allowed to ask something
17 this specific, but Dr. Melius is in the room
18 and he has worked for a number of years on the
19 atomic energy issue, and I'm wondering if it
20 would be possible for him to give us any of the
21 medical background or the scientific background
22 that could have been involved that ultimately
23 resulted in the legal acts following it.

24 MS. HOWELL: I mean I think what you're
25 describing is someone giving you legislative

1 history on another act -- I mean because -- I
2 mean, you know, if the Committee wishes to hear
3 from Dr. Melius and he wishes to share, I'm
4 just -- again, I'm struggling with the direct
5 usefulness of something when it was a statutory
6 provision that was put in place by Congress.

7 DR. WARD: I have a thought on that which is
8 just a comment, it's not a decision by the
9 Chair, but from what I understand, with the
10 Department of Energy Act it was -- there was a
11 huge amount of epidemiologic data available on
12 which to -- you know, to work from in terms of
13 --

14 MS. HOWELL: They had 50 years' worth of data.

15 DR. WARD: -- dose reconstruction and lots of
16 data on radiation-associated cancers. So I
17 don't know how helpful -- how specifically
18 helpful discussing that particular program
19 would be. I think the one that's probably a
20 little bit more relevant to our situation is
21 the -- if there's a background on how the comp
22 decision was made, because even though it's not
23 a precedent, there was a line of reasoning that
24 -- that was -- that led to that decision and
25 might be helpful -- I know we have several

1 members of the working group here on the panel
2 and in the room, so that I think might be more
3 helpful to the Committee than talking about the
4 Department of Energy workers. But let's hear
5 Guillia's comment and then we can decide what
6 we want to do.

7 MS. MEJIA: I believe that the presumption on
8 cancer for Workers Comp -- there is no
9 presumption in terms of the Workers
10 Compensation. The presumption comes in on the
11 pension aspect of it, so I just wanted to clear
12 that up.

13 Maybe you could clear this up for me, too. And
14 I'm simplifying it. If we were to include
15 cancer, recommend that cancers be a covered
16 condition, the treatment is still left up to
17 the program administrator? Is that...

18 MS. HOWELL: An individual -- although cancer
19 would be a covered condition, or a specified
20 cancer -- and I do want to clarify as well that
21 it is within the Committee's purview to split
22 the cancers; you know, to say there's a
23 specific type of cancer which you believe at
24 this time you have enough evidence to say
25 should be -- to recommend it being added to the

1 list, but maybe not other cancers. I don't
2 think I made that clear before. But once
3 cancer, or a cancer, is added to the list, an
4 individual member of the World Trade Center
5 Health Program would go to their physician.
6 The physician would examine them, diagnose them
7 as having cancer and document their World Trade
8 Center exposures, and then the physician would
9 have to put together a determination that
10 linked their World Trade Center exposures with
11 the cancer using the substantially likely
12 standard that the program has in place. That
13 determination is then sent to the program
14 administrator. The program administrator
15 applies his own application of the
16 substantially likely test to certify that
17 condition for treatment.

18 But in terms of what treatment is received, the
19 program has protocols for treatment that are
20 established in consultation with the data
21 centers. And so the actual -- you know, what
22 kind of treatment is best for that patient is
23 kind of a separate question. But in order for
24 a specific individual to receive treatment for
25 cancer, they have to have received a

1 determination from their physician that's been
2 certified by the program administrator.

3 So anyone who is eligible for the program who
4 has cancer is not necessarily going to receive
5 treatment. They first have to take this
6 additional step of having that condition
7 certified as being substantially likely related
8 to their 9/11 exposure.

9 Is that helpful?

10 DR. DEMENT: With regard, I guess, to the
11 parallel with the DOE process, I'm not so sure
12 that it's actually that much different, if you
13 look at the Special Exposure Cohort side of it.
14 And I think the criteria there -- and maybe Jim
15 could speak to this -- is the inability to
16 reconstruct a dose. I think clearly we have
17 inability to reconstruct a dose here.
18 The other thing is that after you meet that
19 threshold, the list of cancers are presumed to
20 be compensable basically through an
21 administrative process. And so I think there
22 is a reasonable parallel here to some of it.
23 And I think certainly we have, in the list of
24 exposures, materials that -- if you look even
25 at the IARC criteria for causality -- would

1 drop into that category. So I'm not sure it's
2 inappropriate to think about that process.

3 DR. WARD: Thanks for that comment. I stand
4 corrected, and I do think that would be an
5 important thing to discuss, just as -- again,
6 looking for precedents, 'cause I think many
7 members of the Committee feel that we don't
8 have -- you know, the framework for this
9 situation is fairly unique, and I don't think -
10 - while I think it's worthwhile discussing the
11 IARC processes and NTP processes, it's just not
12 a parallel situation, and so that might be one
13 of the more parallel situations that would
14 provide more precedence.

15 DR. MIDDENDORF: I just want to get back to
16 Bill's question about sarcoidosis. I
17 understand that's an interstitial lung disease.
18 Is that correct? Okay. So it has the
19 potential to be covered because interstitial
20 lung disease is specifically listed as a
21 covered condition.

22 DR. WARD: So -- so is -- I mean let's go
23 through the questions and then we can see if
24 there's someone in the room who perhaps could
25 give us a little bit more background on the

1 specialized cohorts in the DOE process. Tom?
2 DR. ALDRICH: Did you want to know about the
3 New York State -- the cancer was included from
4 the very beginning as a -- one of the
5 conditions that provided presumption of
6 eligibility for pension, and that's all.
7 There's no treatment component of the New York
8 State program, and there is Workers
9 Compensation, which has -- as been mentioned,
10 does not include cancer as one of the
11 presumptive conditions.

12 DR. WARD: So that means that if you were
13 exposed at the World Trade Center, you're
14 considered eligible for a pension if you get
15 cancer, but if you were not exposed, you're not
16 -- cancer is -- you're not el-- you --

17 DR. ALDRICH: If you're not exposed, you don't
18 have the presumption, which doesn't necessarily
19 mean that you don't get a pension. But it
20 means that you're going to have to go through
21 additional hoops to qualify for a pension.

22 DR. WARD: Okay.

23 MS. MEJIA: But I do -- if you don't mind, I do
24 have to -- it's a matter of determining whether
25 it's an accidental disability or a regular

1 pension, and that's where the difference comes
2 in, so...

3 MR. CASSIDY: I was involved in actually
4 negotiating this with then-Governor Pataki.
5 The way the bill works, and I think it was
6 signed in 2004 or 2005. The way the bill works
7 is for workers who have proven, have been
8 certified to have been at the site working for
9 40 hours, documented by their employer, they
10 are -- they are registered under the World
11 Trade Center Presumptive Bill. If they get
12 sick and -- then it is presumed that that
13 illness is related to their work at the World
14 Trade Center site. But you have to be
15 documented by your employer. You have to
16 qualify. They required you to be there for 40
17 hours, so that's the exposure component of it.
18 If you get ill, it is for pension purposes
19 only. It is presumed that it is related to
20 that. There is a process that you go through
21 in your individual agency; therefore whatever
22 pension plan you're covered under -- I do this
23 all the time with firefighters. So it's not a
24 guarantee, but that's the process. You have to
25 have qualified. You have to have had worked 40

1 hours at the site to qualify. And then if you
2 get sick, you get to apply before your pension
3 fund and that pension board will then take that
4 into consideration and make a decision. So you
5 can actually get your pension upgraded -- you
6 can be retired, get sick, file for an upgrade
7 of a disability pension under the World Trade
8 Center Presumptive Bill, and you were covered
9 based on being part of the covered group that
10 spent 40 hours down at the World Trade Center
11 site. I think I could have done better if I
12 had another cup of coffee, and I apologize.

13 DR. WARD: That was great. I have one follow-
14 up question. So who maintains the list of
15 people who have qualified?

16 MR. CASSIDY: It is now shut, so you -- there
17 was a time frame that was extended for a few
18 years. Anyone who had -- obviously the site
19 closed. It's only covered from 9/11 through
20 June of 2002, you had to work 40 hours during
21 that time period, and you had to get certified
22 by your employer. The bill didn't get passed
23 till 2004 or '05 -- I think it was '04 -- and
24 subsequently you had I think two years to get
25 your paperwork in and get certified through

1 your employer. Once that was done, once the
2 deadline was cut, nobody else has added to that
3 list. You were either qualified or not
4 qualified. If you get sick in the future or
5 you were already -- been sick and covered under
6 the presumptive bill, so be it. But it's a
7 limited group. It's not an expanding group.
8 DR. WARD: And how many people are in that, do
9 you know?
10 MR. CASSIDY: I don't know the answer to that,
11 but we certainly can find that out.
12 DR. WARD: The reason I'm following up on this
13 is, when we get to research recommendations
14 later, I think one of the things that's really
15 important to think about doing is ways to
16 recreate denominators. Not -- you know, I
17 think all of the information that's coming from
18 the treatment programs is important and all of
19 the information that's coming from the
20 voluntary programs is important, but really,
21 you know, the most impor-- the most meaningful
22 epidemiologic data is generated when you start
23 with a defined population and follow it. So I
24 think, you know, one of the things we may be
25 recommending as a Committee is that we look for

1 opportunities to define cohorts of people in
2 the past and so that we can get clear
3 enumerators and denominators for future
4 studies, and that sounds like such an
5 opportunity. Yes?

6 MS. DABAS: (Off mic) ...there within the first
7 48 hours, you would also -- so if you didn't
8 meet 40 hours but you were at the site within
9 the first 48 hours, you are also presumed --
10 covered under the presumption.

11 MS. MEJIA: I just want to clarify that this
12 only covers public sector workers. It does not
13 cover private sector workers at all. And there
14 is a registration that does occur, so it's not
15 automatic. The worker still has to go through
16 the system. There's still a lot of papers that
17 have to be filed. There's a lot of notices --
18 records that have to be reviewed. So it's
19 really the extension that -- right now it's
20 true it was closed, but we're looking at
21 opening the extension for additional people to
22 be covered under this, but -- so...

23 DR. MARKOWITZ: I suggest that actually we're
24 going to need to carry on this conversation
25 about criteria that we want to use into the

1 future, because -- in part because of the DOE
2 precedent, in part because of Agent Or--
3 treatment of Agent Orange and veterans of
4 various wars, so we need some mechanism
5 actually for continuing this so we don't deci--
6 you know, this is a crucial decision, what set
7 of criteria -- accepting Emily's instruction
8 that there's no prescription here as a
9 particular set of criteria we need to use, but
10 the utility also of looking at precedents in
11 terms -- just in terms of considering the
12 universe of criteria to be used, whether it's
13 NTP, IARC, IOM, DOE, et cetera. So I think
14 we're going to have to put this into some sort
15 of committee that we can carry on and -- the
16 conversation.

17 DR. ALDRICH: To make a few points that I think
18 are relevant to ways that we can start to make
19 a decision, the first point is that, you know,
20 a cancer diagnosis is tragic, no matter whether
21 it's World Trade Center-related or not World
22 Trade Center-related. And the purpose of the
23 World Trade Center Health Program is to deal
24 with the World Trade Center-related conditions,
25 and so it is important to know if there's a

1 major increase in cancer. A minor increase,
2 tragic for the individual, is not something
3 that the Committee should be tremendously
4 concerned with because -- well, I -- that's the
5 one point I wanted to make.

6 I think we have to acknowledge that the state
7 of our knowledge is just not good enough, and
8 is not going to be good enough in the next
9 several years, to make a determination if
10 there's a major increase in cancer as a result
11 of the World Trade Center, and which cancers
12 those are. We're just not going to have that
13 information. It's been only -- well, the data
14 from the fire department is only seven years.
15 The data from the registry and Mt. Sinai is
16 only going to be about eight years. And that's
17 -- given the latency of most cancers, that's
18 just not going to be enough. We have to wait
19 five, ten more years to really know the answers
20 to the questions that we want to know.

21 Another sort of related point is that there's
22 been a lot of discussion about multiple myeloma
23 and whether or not it could be World Trade
24 Center-related, and the data are only
25 anecdotal. The data come from a study that

1 showed a small increase in persons under 45
2 years of age, and a small decrease in persons
3 over 45 years of age. Is that decrease over 45
4 years of age supposed to tell us that the World
5 Trade Center exposure was protective for older
6 people? Of course not. And so we shouldn't
7 make too much of a very small increase under 45
8 years of age in a cohort that has serious
9 concern about selection bias.

10 And so I think our consideration should be only
11 -- or should be, from an epidemiologic point of
12 view, based on data where we can have some
13 understanding of selection bias, denominators
14 and things along those lines. We have to be
15 concerned with other issues like biological
16 plausibility and exposures, and that's very
17 important.

18 The final point that I wanted to make is that -
19 - well, I think it's sort of related to what
20 we've already talked about. There's perhaps a
21 20 to 30 percent increase in total cancers from
22 the one epidemiologic study that doesn't have
23 selection bias nor a problem with denominators.
24 And among those, the best estimate of odds
25 ratios greater than two were for pancreas,

1 kidney, thyroid and close to two for non-
2 Hodgkin's lymphoma. But all of those odds
3 ratios -- the confidence intervals crossed one,
4 so we still don't know whether those things are
5 related.

6 I think our final decision for now ought to be
7 in some -- should not be irrevocable. Either
8 we should decide that some cancers or all
9 cancers should be covered but that can be
10 revisited in the future if it turns out that
11 there's no substantial increase, or we should
12 decide that they're not coverable at the
13 present time but that decision should be
14 revisitable in the future.

15 DR. WARD: So let me just ask -- one other
16 comment 'cause I think inherently these
17 decisions can be revisited in the future. In
18 other words, we can respond to this specific
19 petition -- let's say we said 'No, we don't
20 think there's enough evidence to cover cancer'
21 in response to this petition. Then the issue
22 can be raised again at any time by another
23 petition, or by decision of the World Trade
24 Center Administrator. Is that -- that's
25 correct, right? So certainly we're not being

1 asked to make a decision that's irrevocable.

2 DR. ALDRICH: But I think we should explicitly
3 acknowledge that we're not going to be able to
4 make a fully informed decision and that we
5 should plan on revisiting, not just wait for
6 another petition.

7 MS. HOWELL: You can't revisit the issue at
8 your own initiative. I mean there is a
9 deadline associated -- there's a statutory
10 deadline associated with the request you've
11 received from the Administrator. However, Dr.
12 Ward is correct that, you know, at any time the
13 same condition could be put forth to you
14 through a petition, by a request from the
15 Administrator either through a petition or at
16 his own initiative, so it is likely that the
17 issue would not be over. But I just want to
18 clarify that the Committee, at its own
19 initiative, can't take something back up after
20 that -- you know, after it's voted and/or the
21 time has elapsed.

22 DR. ALDRICH: But surely we could present as
23 the sense of the Committee that this would need
24 to be addressed.

25 MS. HOWELL: Certainly. And you know, I think

1 we're all aware that this is a very thorny
2 issue. I think the program knows that, the
3 Administrator knows that, and the sense is that
4 this is not going to be the end of it.

5 I think they've been waiting over here for a
6 while.

7 DR. WARD: Susan?

8 MS. SIDEL: And my question is, each time we
9 see a cancer where there are people going to an
10 oncologist, does it get started with their
11 occupational medicine doctor and then they go -
12 - I mean I don't understand what the process is
13 and what -- how the cancer committee is
14 involved in this.

15 MS. HOWELL: That's probably a question for
16 someone from the program.

17 MS. DABAS: (Off mic) ... you guys because I
18 work with a lot of people that have been
19 diagnosed with cancer. Most people are going
20 to their oncologist, and the reason being is
21 that back in 2004 and early on many of these
22 physicians were saying that it was improbable
23 for them to develop cancers. So one of the --
24 when people say that, one of the things that
25 happens is we get a lot of people that are

1 going to physicians and these physicians are
2 not looking for these things. So a lot of
3 people felt that -- from what I've been
4 hearing, that a lot of their conditions were
5 overlooked and not properly addressed at the
6 beginning. I have always advised members when
7 I speak to them to go to another physician if
8 they feel like their conditions have not been
9 properly addressed.

10 So from there, the way our program -- the way
11 we've been working with Mt. Sinai is we get a
12 call saying that they've been diagnosed with
13 cancer. I send them a HIPAA release form to
14 try to get them into the program at Mt. Sinai.
15 The hurdle that we've come upon now is that Mt.
16 Sinai's cancer study is saying that they are
17 not going to include you in the study if you
18 are not part of the treatment and monitoring
19 program. Now they have to get certified in
20 order to get into the monitoring and treatment
21 program, which can take six to eight weeks, and
22 then Mt. Sinai will then consider them for the
23 cancer study once they have first filled out a
24 HIPAA form, and then there's a second form that
25 they must fill out in order to get into the

1 study. So there is about now -- to date, if
2 you've been diagnosed and you call me, I would
3 say the lag to get into the cancer study at Mt.
4 Sinai is possibly three months, the earliest.

5 DR. TRASANDE: Thank you. I'm going to wear my
6 pediatrician hat with these questions, which is
7 going to probably develop another dust storm,
8 if you will, about this issue.

9 My understand -- these are questions directed
10 to you, so -- is there any history with regard
11 to pediatric exposure setting or pediatric
12 disease monitoring and/or inclusion program?

13 And then my second question is, is a decision
14 of an included condition applicable to all age
15 groups or all subgroups of populations?

16 There's been a little murmur through this
17 discussion about talking about subpopulations
18 with cancer, but my read of the statute is that
19 if you include cancer, you include all cancer.
20 Thank you.

21 MS. HOWELL: Okay, so the first question as to
22 pediatric groups, I'm aware of financial
23 compensation programs that are largely -- I'm
24 not sure that they're solely directed at
25 pediatric exposures or patients. I'm thinking

1 of the vaccine compensation program. However,
2 that is largely for pediatric patients and that
3 is again a financial program. I would have to
4 look further to see if there were any programs
5 that made health care available to pediatric
6 patients. And again, the standards used in
7 that program may be different.

8 The second question, you're correct. In terms
9 of adding a condition to the list, it's not a
10 ratified list. It's not -- if a condition is
11 added to the list, it's a condition that would
12 be covered for responders and survivors, or
13 adults and children, for people within the
14 World Trade Center disaster area, people who
15 are eligible within the physical geographic
16 bounds of the program, so it's not something
17 where the Committee needs to look at that
18 there's certain people -- the place where that
19 comes into -- plays a role is going to be in
20 the individual physicians' determination and
21 the World Trade Center Program Administrator's
22 certification of that condition, that there is
23 a link between the exposure and the condition.
24 DR. TRASANDE: Brief follow up in that regard
25 in that I know from old work history, having

1 worked a little bit on the Vaccine Injury and
2 Compensation Program, that the specificity of
3 adding a condition to the so-called vaccine
4 table is very regimented, much more so than
5 what we're dealing with here. There's a
6 condition that is added, but it relates to --
7 it asks specific questions regarding the nature
8 of the condition, timing with regard to
9 vaccine, particularly associated symptoms,
10 fever level, things like that, for example. So
11 we're -- I agree, we're in a very different
12 situation, but that clarification is still
13 nonetheless very helpful. Thank you.

14 DR. WARD: Four tents up, and I would suggest
15 we go through your comments, and then I think
16 it might be helpful if we asked Dr. Melius to
17 give us a description of the Department of
18 Energy program, if he's willing to do that.
19 Okay, great.

20 So let's go through the comments, and I'm not
21 sure who -- I think -- who was next? Okay,
22 Guilla?

23 MS. MEJIA: I just wanted to know whether we
24 can make a recommendation that we actually need
25 additional time to look at this matter? I mean

1 we are under a time constraint. We have to --
2 I believe we have to have a recommendation by
3 March. Why can't we just make a recommendation
4 that we need additional time to look at, you
5 know, whatever literature might come out?

6 NOTE: Extreme electronic interference with
7 dial tones, sounds of dialing, et cetera
8 throughout the following comments.

9 DR. MARKOWITZ: I just wanted to comment on
10 Tom's remark that major versus minor increase
11 and relates to actually something Bill said
12 yesterday, that -- you know, let's talk when we
13 have a three-fold increase in cancer, not a 20
14 percent increase in cancer. I think it really
15 relates to the criteria that are used for
16 deciding. We could decide, absent any
17 epidemiology, that it's reasonable to conclude
18 that cancer is likely among WTC-exposed
19 workers. That wouldn't be a crazy decision.
20 In fact, if you look at National Toxicology
21 Program criteria, they're reasonably
22 anticipated to be a carcinogen; all you need is
23 animal evidence. If you look at IARC, they're
24 -- probable carcinogen; all you need is animal
25 evidence and maybe -- maybe a little bit of

1 limited -- what they call limited human
2 evidence. So we don't necessarily need
3 epidemiology. This is really -- so this is why
4 I'm suggesting that we need to take a careful
5 look at the range of possible criteria and then
6 deliberately decide how we want to approach
7 that.

8 DR. WARD: Julia?

9 DR. QUINT: My comment was very similar. You
10 know, I said yesterday it seems to be a heavy
11 reliance on epidemiological data. And you
12 know, we have the latency, you know, as an
13 issue, and these studies are hard to do.
14 I just had a question since, if we do list
15 cancer -- and in response to Leo's question
16 that survivors, children, all of these folks
17 would -- I mean all of these W-- exposed people
18 would be a part of that, and we -- talking
19 about getting denominator data, which I think
20 would be helpful, are those studies being
21 planned, or -- you know, I don't underst-- I
22 know about the firefighter study. There has
23 been some reference to a Mt. Sinai cancer
24 study. But I'm not sure if the survivors --
25 who's involved in -- what these studies are.

1 Because if we revisit this, if we make a
2 decision and we can revisit it, it should be
3 based on some possibility of getting more data
4 or -- or something. And I'm not sure where we
5 are in that spectrum so I -- you know -- if
6 we're even able to get studies done.

7 DR. WARD: I think that the two epidemiologic
8 studies that we heard about yesterday, one was
9 being done by the New York City Health
10 Department, and that registry included
11 residents of lower Manhattan. No? People are
12 shaking their heads. Right, right, okay.

13 Well, anyway, but just to -- I mean all of
14 these studies will have limitations, but just
15 to address the question so we have that one
16 study and then we have a study that's being
17 done by Mt. Sinai, which is...

18 UNIDENTIFIED: (Off microphone) (Inaudible)

19 DR. WARD: Yeah, so what we'll -- what we'll do
20 when we turn to the research -- and so I think
21 if we reviewed the slides that were presented
22 yesterday we'll -- you know, we can -- I think
23 the nature of those studies was explained, but
24 I think when we get to the research part of the
25 discussion there may be a recommendation for

1 additional epidemiologic studies or
2 epidemiologic studies done differently than
3 those that are currently being done. But as
4 far as I know, there's at least those two,
5 which have large population sizes -- relatively
6 large -- and which are attempting to link with
7 the National Death Index and the cancer
8 registries to ascertain cancer incidence.

9 DR. MARKOWITZ: (Off mic) ... next study of the
10 FDNY, which is going to be smaller than the
11 firefighter study, but similarly conducted --
12 although I'm not sure they have pre-9/11 data,
13 but in any case, that's the third.

14 DR. WARD: But I do think it would be help--
15 that one of the things that would be helpful
16 for us would -- as homework is to really come
17 up with a summary of all of the existing -- all
18 of the ongoing epidemiologic studies -- you
19 know, who's -- you know, what population is
20 included, its strengths and limitations. I
21 think that's something that the Committee will
22 be looking at in the future as well. So --
23 yes?

24 MR. CASSIDY: So I'd like to comment a little
25 bit on what Dr. Aldrich said and what Dr.

1 Markowitz said, and to kind of summarize what I
2 think we know for sure. Right? The fire
3 department did a study. It's a seven-year
4 study. By all accounts, most experts don't
5 expect it -- would not expect to see a
6 significant cancer spike for 10, 15 years,
7 maybe longer. So we could say, until we have
8 the numbers, come back and see us in ten years.
9 We could take the approach, which I think is
10 reasonable and common sense, to look at those
11 statistics -- 32 percent, or 23 percent,
12 depending on how you look at it -- and factor
13 in the one thing that we know for certain,
14 which is shocking, that New York City
15 firefighters lost 12 years' lung capacity in
16 the blink of an eye. Now that's a documented
17 fact. That cannot be dismissed.
18 So if we're going to say that we know that's
19 amazing and startling, but we're here to talk
20 about cancers and we don't really have the
21 numbers for cancers, we're just going to have
22 to wait. But I think common sense would say to
23 anybody that those numbers are so startling
24 that you can't possibly think that you could do
25 that kind of permanent damage to your lungs

1 through this, you know, unbelievable exposure -
2 - which hopefully is a once in a lifetime thing
3 -- that there is no comparison to, and say the
4 cancers aren't really where they need to be for
5 us to say yes now. I hope we're not there. I
6 hope we take a much more common sense approach
7 and look at it and say 'Of course cancers are
8 likely to come.' Of course they are plausible
9 to say we're going to have a spike in probably
10 a wide range of cancers. I mean the blood-
11 bornes seem to be jumping out more than any
12 others right now. And I'm not a scientist, but
13 I do know that the damage that was done to
14 people who were there, with the severe
15 exposure, is unmistakable. And I hope we take
16 a common sense approach and do not dismiss the
17 12 years' lung capacity which was lost on New
18 York City firefighters. And I would say
19 anybody who was there for an extended period of
20 time probably has similar results, so I don't
21 want this -- I don't want everybody to think
22 that I'm saying firefighters and firefighters
23 only. But I will say the 12 years on average -
24 - think about that. There are firefighters who
25 were there for 400, 500, 600 hours. They

1 didn't lose 12 years' lung capacity; they lost
2 18 years' lung capacity.
3 Now if you lost 18 years' lung capacity and you
4 get sick, but we're going to say 'We don't
5 really have the data to say that your cancer is
6 related to your exposure', I say that's crazy,
7 and I think that a plausible response, a common
8 sense response, is to say 'Of course it is
9 linked to this horrific event.' And I hope we
10 consider that when we decide where we're going.
11 DR. WARD: So Jim, can -- is -- come up to the
12 microphone?
13 DR. MELIUS: I will try to be brief. This is
14 EEOICPA-like. I think Emily actually gave a
15 fair amount of good background, and Emily and I
16 have talked about this a lot in public
17 meetings. I serve on the advisory board that
18 deals with that.
19 Legislatively the DOE workers, the EEOICPA Act,
20 deals with cancer, and it bases -- as it has
21 been mentioned, though a dose reconstruction
22 process. That dose reconstruction process uses
23 a methodology that was developed by the
24 National Cancer Institute, essentially a --
25 sort of a life table approach for calculating

1 your risk of developing cancer based on what
2 your past exposures to radiation were. And the
3 data that -- the epidemiological data that went
4 into that approach, calculation was based on
5 the people in Japan -- Hiroshima/Nagasaki, the
6 lifetime follow-up study that was done there --
7 plus the uranium miners study that was done by
8 NIOSH and NCI over many years and follow-up of
9 those workers. And it then, for an individual,
10 can make a calculation that, based on a certain
11 radiation exposure, you will have a certain
12 risk of developing cancer. And the criteria
13 that is used for the -- determining whether or
14 not you get compensated in that process is a --
15 that the calculation that's done through this
16 what's called IREP model is greater than 50
17 percent chance that you will develop cancer.
18 So roughly a two-fold risk.

19 However, the IREP model as applied through this
20 legislation takes into account the error in
21 making that estimate, both the error in terms
22 of the epidemiology estimate of risk which,
23 despite all we know about radiation -- I mean
24 it's probably studied as much as anything in
25 terms of cancer, epidemiologically, when it

1 comes down to estimating individual risk, the
2 error is quite large. And on top of that, it
3 also takes into account the error in the dose
4 reconstruction, the dose estimation. So
5 essentially the greater uncertainty there is
6 about your -- what your dose -- actual dose was
7 that was calculated based on your work history
8 at these atomic facilities, which is nuclear
9 bomb facilities, which is very complicated
10 exposures, they -- is also quite large.
11 So it ends up being a -- won't say -- don't
12 know if generous is the right term, but it is -
13 - certainly does not require that you have --
14 demonstrate that you have a very -- a
15 significantly high risk epidemiologically of
16 developing cancer. In fact, you can -- the
17 actual studies that have been done of
18 Department of Energy workers would probably not
19 document the same degree of risk that has been
20 provided through the compensation program.
21 Now there are problems doing those studies, and
22 basically because of past dose records, size of
23 populations, all the usual caveats on that, and
24 of doing epidemiological studies, but the
25 fundamental model that's used here is one that

1 does not require the worker show that they were
2 -- would have been at very high risk -- you
3 know, really far below a two-fold risk of
4 developing cancer, you know, as measured
5 through some sort of an epidemiological study.
6 This was adopted from legislation and
7 methodology was being used for atomic --
8 military veterans, people -- veterans that were
9 involved in some of the atomic testing, where
10 there's a presumption that if you were --
11 worked or were stationed within a certain
12 distance of the above-ground testing that you
13 would be compensated for certain cancers if you
14 developed certain cancers. Again, this was
15 post facto -- after the -- many years after the
16 testing was done. And if you were -- actually
17 had other forms of cancer or if you were a
18 little further distance away where you were
19 stationed, then there was a dose reconstruction
20 process that was established, in some ways more
21 simple to do than what NIOSH now has to do in
22 terms of providing and estimating dose --
23 exposures now in this program 'cause these DOE
24 facilities are so complicated.
25 There's also a provision that was put into the

1 legislation that -- so-called Special Exposure
2 Cohort, which is in instances where NIOSH found
3 that they were unable to reconstruct dose for a
4 particular group of workers, those workers were
5 then automatically compensated if they had
6 worked essentially at least one year at the
7 facility and had a list of 22 cancers that were
8 sort of broadly defined as radiogenic. There
9 was a list developed within NIH many years
10 earlier, but radiogenic is sort of a slippery
11 term for this -- you know, and what's
12 radiogenic changes over time and -- depends on
13 your perspective, what you're looking at and so
14 forth. So -- but that's provided.
15 To give you some, again, perspective of the
16 people that have received cancer compensation
17 through this program, I recently looked at the
18 data, about a third of them received it through
19 dose reconstruction, about two-thirds have
20 received compensation through the SEC process.
21 I think it's roughly 15,000 and 30,000 or 18
22 and 36, something like that, that have received
23 compensation there. But it's -- again, I think
24 the differences to keep in mind, it's based on
25 radiation which is certainly obviously a known

1 and proven, you know, carcinogen -- do that.

2 It's a relatively -- not a very strict criteria
3 in terms of proving that your cancer is related
4 to your work or you're at great risk -- greater
5 risk -- significant risk because of your
6 exposures at that facility. There simply isn't
7 enough data to be able to do that, even though
8 these DOE facilities actually have been -- many
9 of them have been fairly well studied, but the
10 amount of information it takes to develop one
11 of these tables and make, you know, somewhat
12 accurate predictions of cancer risk is quite
13 large, so it's just not possible to do with it
14 -- and the system works.

15 The committee I now chair, been on for ten
16 years, we spend a lot of time trying to figure
17 out when you cannot do dose reconstruction,
18 which is also quite common.

19 For other parts of the program there are some
20 other diseases that are covered. The criteria
21 are in some cases specified in that, and then
22 there's a basic sort of compen-- Workers
23 Compensation for other diseases that these
24 former nuclear facility workers have that then
25 the requirement is substantial likelihood that

1 their disease is related, and it gets quite
2 compli-- you are -- you can be sort of doubly
3 compensated through this.

4 But that's sort of EEOICPA light -- do that. I
5 think there's some good background information
6 on the NIOSH web site under -- that would
7 explain some of that. As I said, the
8 legislative history is they took the criteria
9 from I think legislation -- sort of adopted it
10 from what was already going on for atomic
11 veterans, but essentially upgraded. And then
12 the Special Exposure Cohort was added because
13 it was, you know, documented that DOE's records
14 were extremely poor in terms of even keeping
15 track of what materials went to what sites.
16 While the legislation was under consideration
17 they suddenly discovered three of the major
18 sites had handled significant amounts of
19 plutonium and nobody had bothered to tell
20 anybody about it, so...

21 Any questions? Anything I misstated or --
22 clarify, Emily?

23 DR. ROM: Could you recall whether multiple
24 myeloma was part of that list of 22 radiogenic
25 cancers?

1 DR. MELIUS: I believe it is, yeah.

2 DR. ROM: Do you remember any others on that
3 list?

4 DR. MELIUS: Oh, it's the, you know, lung,
5 leukemias, the -- that it -- it goes fairly
6 down the list -- I mean it's broad categories
7 of cancer on that. The list is on the NIOSH
8 web site under the -- it's called the DCAS
9 program, Division of Compensation and Analysis
10 or -- is that right, John?

11 DR. MIDDENDORF: I just want to make sure that
12 they understand, the list of cancers are listed
13 in the statute that are covered. Is that
14 correct?

15 DR. MELIUS: Correct, it's a -- it's a --

16 DR. MIDDENDORF: And it's based on a lot of
17 scientific data which has a fairly high degree
18 of scientific certainty. Is that an accurate
19 statement?

20 DR. MELIUS: Well, it's based on radiation
21 epidemiology. The criteria for the list is not
22 what risk you -- what risk needed to be found
23 in epidemiological studies of radiation is not
24 clear, and you -- if you look up -- if you look
25 at various review articles on radiogenic

1 cancers, various lists, they vary quite a lot.
2 It depends on sort of which kind of exposure
3 you're looking at and what criteria, so -- so
4 they adopted something that the NIH had used
5 and was -- it had been used, I believe, in one
6 of the atomic veteran compensation programs.

7 DR. MIDDENDORF: And just a last point, I think
8 what you were saying is that whether or not an
9 individual is compensated is based on their
10 individual exposures.

11 DR. MELIUS: Or the fact that one can't
12 reconstruct their exposure, it's one or the
13 other. It is different in that and is
14 certainly different in it is based on a, you
15 know, carcinogen that's -- you know,
16 substantial amount of other evidence for, but
17 it's not based on epidemiological studies of
18 those particular workers. The criteria's not
19 that they have to meet, you know -- you know, a
20 study at Hanford doesn't have to show a two-
21 fold risk of lung cancer to demon-- for those
22 people to get compensated. It's based on their
23 exposures.

24 DR. MIDDENDORF: And I was just trying to make
25 the distinction that what this Committee needs

1 to deal with is whether or not to make it --
2 something a covered condition, which is similar
3 to the list that was in the statute for the
4 EEOICPA. That's what they're --
5 DR. MELIUS: Yeah, yeah -- no, I -- yeah, fine.
6 Any other -- yes?
7 MS. FLYNN: I actually have a Zadroga-related
8 question. I know that you were involved, as
9 other people in the room were, in the crafting
10 of the Zadroga bill, and I guess I would -- I
11 would hazard this statement that in fact it is
12 the intent of the statute, out of a recognition
13 of the unprecedented nature of the exposures
14 and also the lack of comprehensive
15 environmental measurements, to provide for
16 great flexibility, that the statute recognizes
17 that we are on uncharted territory. And I'm
18 not saying that we should not entertain any
19 useful precedents. I think we should. But I
20 also think we have to recognize that we are on
21 some new ground here and that the -- and that
22 in many ways it sounds like this Committee is
23 being asked to structure these deliberations in
24 recognition of the unprecedented nature of
25 exposures and resulting illnesses. And I'm

1 think that there should be a group that gets
2 together before the next meeting that comes up
3 with position papers -- possibly two, maybe
4 more, position papers expressing the different
5 points of view. And then the Committee will
6 have a chance to digest that in advance of the
7 meeting. And rather than just start from
8 scratch, we'll have some starting point.

9 MS. SIDEL: I just wanted to say that there's
10 so -- you know, we all know what the
11 carcinogens were that were at the World Trade
12 Center site, and there's so much information
13 about how so many of those cause cancer that I
14 just don't understand why this is such a
15 stretch to say that they caused cancer in some
16 people and they caused certain cancers. I mean
17 I'm not saying that everybody and every cancer
18 should be covered, but there's -- you know, for
19 example, NIOSH's own guide, chemical guide,
20 what is it called, the chemical -- guide to
21 chemical hazards. And you know, I have a copy
22 and it's like Zagat's only it lists the
23 chemical and then what -- you know, what --
24 what the health effect is of exposure to that
25 chemical. So I just wanted to put that out

1 there.

2 MS. DABAS: I actually wanted to see if we
3 could go around the room and kind of just get
4 where each person stands because I'm kind of --
5 I know where some people stand, but -- on --
6 they've been vocal, but I'm not sure where
7 everybody stands on how they -- what they would
8 need to make this decision or whether they've
9 already kind of come to a conclusion.

10 DR. WARD: Someone make a motion and second it,
11 and then we could do that. Do -- well, maybe
12 you can phrase -- frame the --

13 DR. ROM: I think Elizabeth phrased the motion
14 best. I'll try to rephrase it.

15 I move that we have considered cancer as a
16 listed condition and that we have not found
17 enough evidence to either list it in favor or
18 against, and that we need an additional round
19 of information to our next meeting before
20 deciding further.

21 DR. TRASANDE: Can I suggest a potential minor
22 amendment to that in that I would just simply
23 move that we have a subsequent discussion --
24 I'm just concerned that if we state there's no
25 evidence either way at this time, that that --

1 wondering, Dr. Melius, if you could reflect on
2 that.

3 DR. MELIUS: Only I think it's been stated
4 already -- I mean it is a unique situation and
5 the criteria for -- I think the statute was
6 developed in a way that it was expected that
7 there would very well be additional conditions
8 that would be added as time went by because --
9 just simply latency and follow-up of these
10 people and the natures -- unknown nature of
11 their exposures and effects, and so it was left
12 open and it is -- it is something -- you know,
13 it was not -- there was no model that would --
14 legislatively that would -- was an exact fit
15 for this.

16 I would urge you, having been through this
17 process at the other end with the DOE program,
18 it is important what Emily and John have told
19 you. It is -- I think it is important when you
20 make a decision to include your rationale for
21 that decision because that's important in
22 carrying this forward through the process and
23 in the decision that the Administrator has to
24 make. So some careful thought to how you're
25 approaching it is also important -- and

1 important to document.

2 MS. FLYNN: Thank you.

3 DR. MELIUS: Thank you.

4 DR. WARD: So I think at this point it would
5 make sense to take our short morning break, and
6 then reconvene. We do need to get on to some
7 of the other items on the agenda, but first we
8 need to make a plan for how we're going to
9 proceed on the cancer petition when we get back
10 from break.

11 (Recess taken from 9:55 a.m. to 10:08 a.m.)

12 DR. MIDDENDORF: As I was just -- it was just
13 pointed out to me that -- and I've noticed it,
14 I just haven't said anything about it, is that
15 there's about a one-second lag time between
16 when you turn the microphone on and when it
17 actually starts picking things up. So if you'd
18 either turn it on early or, you know, just wait
19 a second or two before you actually start
20 speaking so that our reporter can take down
21 what you're saying.

22 Okay, for purposes of the roll, just a note to
23 the record that all the Committee members are
24 at the table. Dr. Talaska, did you happen to
25 join us on the phone?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

(No response)

I guess not. Okay.

DR. WARD: So in this phase of the meeting we will be trying to wrap up the cancer discussion and figure out what our next steps are. It is -- you know, there is a provision for us to follow the formal procedure of someone making a motion, the motion being seconded and voting. So it may be appropriate to do that in the course of this discussion.

I can summarize what my sense of -- from the Committee discussions is and -- I mean my sense is that most people who've spoken do not feel comfortable making a recommendation to include cancer or to not include cancer in the -- among the covered conditions based on the evidence that we have in front of us and based on our discussions today. So that my sense is we probably will want to have at least another meeting to discuss that issue, probably one in person where there can really be good communication, in part because (a) it's a very difficult issue, it's a complicated issue. Our group is just forming. We're really still struggling to understand the exact nature of

1 the Act and what our determination means in
2 that context.

3 I also think, though, that we need as a
4 Committee today to define what are the pieces
5 of information or perspectives or data that we
6 really would have -- would like to have in
7 front of us when we come to that final
8 determin-- our final recommendation so that we
9 can have workgroups or individuals working on
10 pulling that information together for us. We
11 do have the oppor-- the possibility of forming
12 workgroups that we can have -- you know, we can
13 have workgroup telephone calls in between
14 meetings, and we can have those open to the
15 public and transcribed if we feel necessary.
16 So that's my general sense from the group, and
17 I don't know if that is true for all of us or
18 if people want to speak to that, but go ahead.
19 DR. ALDRICH: I think that, from my point of
20 view, that's correct, that we're not quite
21 ready to make a decision. But I think we have
22 to say something. And we're going to have to
23 have another meeting. I think we should -- you
24 know, in advance of that next meeting, we
25 should have some material to react to. And I

1 I'm not -- just for a process protector, I'm
2 not sure whether that's already information to
3 the Administrator. I would actually rather
4 have the time to have another meeting, and I
5 was also going to further suggest that -- you
6 know, in scientific conferences you can pre-
7 release information for discussion among groups
8 in a privileged fashion. And I'm wondering why
9 the entities that are pursuing such research
10 might not be willing to do that in this
11 context. I think that that -- it could be
12 tremendously important, and there is precedence
13 for this.

14 DR. MARKOWITZ: So actually I don't see the
15 need for a motion. We have till March 2nd. We
16 haven't made any decisions. So I'm not sure
17 that, you know, what we would accomplish by
18 moving ahead on any sort of motion. I'm not
19 sure that gets to Valerie's request to get us
20 sort of a preliminary sense of where people
21 sit.

22 DR. DEMENT: I think -- to address your
23 question, I think we need a -- before we form
24 committees to do this and that, I think we need
25 a discussion of a criteria or what criteria

1 will we use to make this decision. For
2 example, if it's just going to be the epi
3 studies, then we may as well go home because
4 it's not there. I think the question is, given
5 the list of exposures -- some of which are
6 reviewed pretty well in the NIOSH document --
7 what of those exposures do we -- and what do we
8 know about those exposures and the risk of
9 cancer, and will we consider those exposures'
10 biological plausibility in coming up with our
11 final decision. So perhaps there are two
12 committees, one to look at the epi data and
13 evaluate -- particularly the new study that
14 came out. Maybe the other committee is the one
15 to look at the issues of exposures and what
16 data do we have and the plausibility that these
17 will increase certain types of cancers but
18 probably not all.

19 MS. DABAS: (Off mic) to make a motion and was
20 actually piggyback offing -- piggybacking off
21 the generalization that was made that it seems
22 that there was a consensus, there was some
23 reason to believe that people -- and I just --
24 there were some people I haven't heard what
25 their take on this was, and I was interested in

1 their opinion and not necessarily a vote on it.
2 DR. WARD: That's the -- you know, the three
3 ways we can go in this decision would be to
4 vote to include it as an eligible condition, to
5 vote to not include it as an eligible
6 condition, or to decide that we need further
7 information and another meeting to make that
8 determination. So maybe -- why don't we start
9 off by maybe asking a raise of hands, how many
10 people would support the notion that we should
11 defer the decision and have another meeting to
12 make this recommendation?

13 (Committee votes by show of hands.)

14 So that's a pretty large majority. But I
15 really like the idea that we may want to
16 approach -- I mean one approach that we might
17 want to take is the position paper approach,
18 because I think very clearly we have, you know,
19 a difficult question here and the way you --
20 and so I think it would -- that would be very
21 helpful to articulate all the reasons why, you
22 know, one would argue that it should be less
23 considered a World Trade Center-related
24 condition and all the reasons why -- you know,
25 all the evidence and rationale why we don't

1 have sufficient evidence to do that at this
2 point. That might be a helpful approach in
3 this.

4 DR. MIDDENDORF: Just a note to the record that
5 when Dr. Ward asked for people to raise their
6 hands, 13 people raised their hands and two did
7 not.

8 MR. CASSIDY: If we're going to look for
9 position papers or additional information,
10 we're going to come back and discuss it in the
11 future, I think that it might be helpful if we
12 have -- someone could do a review of other
13 major exposures and how long it took for
14 cancers to show up. I don't know -- there is
15 no, obviously, similar event to the World Trade
16 Center. There's nothing quite like it. So I
17 don't mean to imply that we can find something
18 that's similar and therefore do an A/B
19 comparison. But maybe there are some large
20 exposures that happened, and when did cancers -
21 - if cancers popped up, when did they pop up?
22 Because if, as some experts have said, you're
23 looking at 15 to 20 years and this Committee is
24 going to make a decision strictly on numbers,
25 then somebody already said it: We might as

1 well go home.

2 But I think if we have some background that

3 shows that previous disasters and/or serious

4 exposures -- cancers came, but they didn't come

5 for 15 to 20 years, then I think it gives us

6 some leeway to be more flexible in terms of

7 using the common sense that -- I think most

8 people expect us to come out with some kind of

9 approach that includes a common sense look at

10 what we know now. And what we know now is

11 really a seven-year study. It's not 2011, it's

12 July of 2008. And the only real study that has

13 pre- and post-9/11 is the fire department, and

14 you can't dismiss the 12 years lung capacity,

15 there's nothing quite like that. So I think if

16 we're going to come back, I think it's

17 important if there's other -- if somebody can

18 do some research for us that would present to

19 us similar events -- there are no similar

20 events -- disasters that resulted with cancers

21 and how long it took for it to happen.

22 DR. DEMENT: You know, there aren't any similar

23 events. The major events that occurred that

24 are these rapid exposures, then follow-up,

25 largely are radiation-related events. And

1 there are a few others, but not to any great
2 extent like this one. I'm not advocating that
3 we use the epi. I think we use epi only to
4 substantiate a positive. To go the other way
5 and say there's no risk I think is not
6 appropriate. And I think whatever review of
7 the studies that would be done by a
8 subcommittee needs to point out the limitations
9 of the epidemiology in trying to make this
10 decision. That's all.

11 MS. MEJIA: You know, there's a saying in the
12 field of occupational safety and health that an
13 injury to one is an injury to all. And we know
14 that there are cancer cases out there, they've
15 been diagnosed. We have members who have that
16 diagnosis. They may not have made it on a
17 chart or on a pie graph or been assigned a dot
18 somewhere on an X/Y axis, you know what I mean,
19 as -- so we can't ignore the fact that there
20 are people out there that have the diagnosis.
21 So with that said, my question is how much
22 weight can we put on the clinical observations
23 that were made by -- at the -- you know, by the
24 doctors that are treating these workers? Now
25 clinical observations were the basis for

1 establishing the original list of covered
2 conditions, so why not -- you know, can we
3 consider that as, you know, as a way to look at
4 this?

5 DR. DEMENT: I think the clinical observations
6 are helpful for some conditions, and
7 particularly those that we, a priori, know
8 they're related to dust exposures. But when
9 you come to cancers, the clinical observations
10 may or may not be helpful. If it's a very rare
11 cancer and we know the relationship with an
12 exposure and you see the sentinel event, then I
13 think it is, you know, very helpful. But
14 simply observing lung cancers in a population
15 over time doesn't tell you what the risk really
16 is. It just simply says you have a numerator,
17 but you don't know what you would expect in a
18 normal population. So that's just the limits
19 of epidemiology. It's not to dismiss the
20 importance of these observations. So I think
21 we have to back up and look at the exposures,
22 are they biologically plausible with regard to
23 these outcomes, and make some determination on
24 how we're going to use that prior body of
25 information. The Bradford-Hill criteria -- you

1 know, we're not going to be able to apply that
2 to our studies in any real meaningful way. I
3 think it's going to be supportive information
4 from the epi studies, but to use a negative is
5 not the way to go.

6 DR. WEAVER: You know, I think the diversity
7 reflected on this Committee is a really good
8 thing because it illustrates the complexity of
9 the exposure. It's -- we're very polarized.
10 You know, we have the community members who
11 very eloquently have stated that they've had --
12 you know, this massive exposure has occurred
13 and cancers will result. And you know, I kind
14 of think that's true.

15 But then we have the scientific view where
16 we've sort of been entrenched in looking for P
17 values of .05, and so I think maybe we should
18 see where we can find middle ground, and Mr.
19 Cassidy's comment about latency may be one such
20 area. Because we could look at the exposure
21 data to the extent that we have it, and that's
22 challenging, too. You know, we have a huge
23 range in who was exposed and where they were
24 exposed and how they were exposed, and it was a
25 disaster so there are no exposure data that

1 were carefully taken like there would have been
2 in a factory. It was mixtures. We don't know
3 very much about mixtures.

4 And we learned yesterday that it's
5 controversial. There's a lot of concern about
6 the exposure assessment and how adequate it
7 was. And so I think that's kind of where we
8 have to start.

9 But I think then moving forward and thinking
10 carefully about latency and what kind of short-
11 term cancers might we expect to see, and then
12 whether -- whether we move from being strictly
13 scientific, even though that's our title, to
14 addressing the fact that this is an incredibly
15 unique exposure and people are caught, given
16 our current health care system, in a situation
17 where they may lose their jobs and they may not
18 have health care to support their cancer care.
19 So you know, that's not strictly scientific and
20 it doesn't have a P value of .05, but that's
21 what I'm thinking.

22 DR. ROM: Well, I'm a scientist. For better or
23 worse, I'm stuck with myself. There are things
24 that would move me off the dime. And about
25 case series reports for rare tumors or uncommon

1 tumors, I could be moved on those kinds of
2 things. Multiple myeloma, I'm not there yet
3 with eight cases and 6.8 expected. But if
4 those twos and twos and twos that are 16 are
5 really cases, and there are 16 over there at
6 Mt. Sinai, that's getting more impressive. And
7 if that's published as a case series, then I
8 think that's more compelling.

9 Non-Hodgkin's lymphoma is another one, and
10 these are related to the polycyclics and
11 benzene and the mixtures that were in the fires
12 and in the aviation fuel and it's biologically
13 plausible, so non-Hodg-- so multiple myeloma
14 did not come up in the FDNY study. It was not
15 significant, it was way down there. Non-
16 Hodgkin's lymphoma was significant, and it
17 almost made it when it was corrected for bias.
18 But I think a case series on non-Hodgkin's
19 lymphoma would also be compelling.

20 The other ones that came up in the firefighter
21 study, thyroid came up -- you know, that's
22 radiation-induced, and I have a hard time with
23 that one. And melanoma came up, and it's the -
24 - FDNY play basketball all the time, gets UV
25 exposure, you know. And then the third one

1 that came up was prostate, and prostate had 30
2 excess cases -- it was 90 observed over 60
3 expected, and that made the whole paper and
4 that got them in The Lancet. It was all
5 prostate, and prostate has nothing to do with
6 anything other than you're a male and you're
7 old, and that's the most difficult for an
8 environmental exposure. So prostate, I have a
9 hard time compensating those folks.

10 So we may have some sentinel cancers that might
11 be doable, but I don't think we're there as of
12 today to do that.

13 And the other thing is that there are
14 tremendous opportunities here for research,
15 'cause this dust is really -- I don't know if
16 toxic is the word, but it's caustic and it's
17 got a lot of things in it and it's very
18 inflammatory. It's a good inflammagen, if you
19 will. And we know that inflammation and cancer
20 live right next to each other, and COPD lives
21 as the third agent there, so there's
22 opportunities for research on COPD and
23 inflammation and cancer that you wouldn't
24 believe.

25 One of the problems of this is that we can't do

1 animal studies very well because this mixture
2 is hard to reproduce. I mean we can take WTC
3 dust and expose animals to that, but it was the
4 fires and all these polycyclics and everything
5 else, and that we can't do. And I'm not so
6 sure just the WTC dust itself would be that
7 convincing to cause cancer, so animal studies
8 are kind of out.

9 So we're really left with human studies, and so
10 we have a lot of opportunity to do human
11 studies, but to really get at the answer we
12 have to do pretty invasive things, like
13 bronchial brushings and stuff like that. Maybe
14 sputum would be something that you could do,
15 but these invasive studies get you the samples
16 that you can then study for inflammatory
17 markers and mediators and gene expression and
18 mutations and all of these things, and it opens
19 up a very interesting door. But I'm getting a
20 little bit -- I'm segueing into the next
21 session on research.

22 So those are my thoughts.

23 DR. WARD: Is there anyone with their tent up
24 wanting to speak? I just want to double check
25 that nobody's -- okay. I don't know who's

1 first. We'll have time for everyone, so why
2 don't we just go in order around the table.
3 Leo?

4 DR. TRASANDE: Thank you. I just wanted to
5 make a couple of generic comments about
6 pediatric cancer because that needs to be in
7 the discussion. First of all, we'll never get
8 a three-fold increase in the context of any
9 population that one could study, so I think our
10 threshold for including that category of cancer
11 -- and I'm not arguing that should be our basis
12 for deciding whether to include that condition,
13 but I just wanted to voice that, that for all
14 environmental cancer studies that I've seen for
15 children, with the rare exception of some
16 radiation, you're never going to get to a
17 three-fold increased risk factors. I wanted to
18 put that reality check in there because I keep
19 hearing three-fold as a -- as a criterion, and
20 I find that a little hard to accept.
21 So I'm going back to Dr. Dement's comment that
22 we need to look at biological plausibility and
23 the scope of exposures we best can characterize
24 it as our guiding force here. So I'll leave it
25 there for now. Thank you.

1 MS. FLYNN: Yeah, I mean I am coming from very
2 much the same place as Leo. I think that we
3 need to look at bio plausibility, and I
4 actually -- and of course we would also need to
5 think separately about pediatric cancer -- bio
6 plausibility in the context of pediatric
7 cancers. And I'm wondering if this Committee
8 should seek expertise -- you know, seek the
9 most advanced thinking in making its bio
10 plausibility arguments on the impact of
11 synergies. So yes, I agree, we have PAHs, we
12 have benzene, we have, you know, known bad
13 actors. But we also have concentrations and
14 combinations that haven't been seen before and
15 I think that that could very much strengthen a
16 bio plausibility argument.

17 MS. DABAS: My concern has been, one, I think
18 Mt. Sinai has benefited and scientists will
19 benefit from the ability to treat some of these
20 ailments. And if we don't allow them to treat
21 the cancers, it makes their research that much
22 harder. When you have people -- the people
23 that are studying in one institution at Mt.
24 Sinai, and the people that are treating at
25 Sloan-Kettering, who has not really been part

1 of this discussion, there is a bridge that's
2 just not there. So the information will always
3 be muddled. And so if we keep asking for this
4 information and this information and we don't
5 build the bridge to get the information by
6 looking at cancers and creating an avenue for
7 the physicians that are studying these cancers
8 to actually treat these cancers so they can
9 learn more, then we're crea-- we are becoming
10 part of the problem. We are kind of -- you
11 know, Dr. Rom says he's a scientist. We're
12 preventing scientists from doing what
13 scientists do, and I think that we need to be
14 careful that, in trying to prove something that
15 seems to be, you know, 25 years from now before
16 we can make a definite scientific proof and not
17 provide the tools for science to do what it
18 needs to do, then we're really going to hurt
19 the process.

20 DR. MARKOWITZ: I am not terribly hopeful about
21 the epidemiology that's -- we're going to get
22 in the next year because those study designs
23 are not as favorable as FDNY. The EMS study's
24 going to be smaller, and even the fire
25 department study clearly had some problems with

1 statistical power and having enough people.
2 And then there are problems with Sinai and DOH
3 having to do with selection and et cetera.
4 That's not to say that they won't be worth
5 something, just that it's not necessarily such
6 a hopeful situation in terms of clarifying it.
7 So then we're left with the rest of the case.
8 And for me, the rest of the case -- I think
9 about a hypothetical. If this were -- if we --
10 if Ground Zero were opened for ten years and
11 there were benzene down there and people -- we
12 knew what the benzene level was, and then six
13 years later somebody developed leukemia, we
14 wouldn't be even thinking about epidemiology.
15 We would say that yeah, the exposure was there,
16 there's a known relationship, the disease
17 occurred on time and we're good. And so the
18 question is -- in my mind, is in nine months,
19 which is how long it was open -- Ground Zero
20 was open, is a short period of time for
21 occupational studies. It was a long period of
22 time for people down there, given the pictures
23 of what we saw their exposure was like, but in
24 our normal occupational epidemiology it's very
25 sma-- it's very short.

1 So this hinges on are there data we can point
2 to, not our feelings about it, but a scientific
3 argument we can point to that acute or sub-
4 acute exposures, relatively short-term
5 exposures, can cause cancer, and can cause
6 cancer in an accelerated time frame. And if we
7 can find something that supports that, then I
8 think that builds an argument. And if we
9 can't, then we're stuck with this is a unique
10 situation and -- which is acknowledged, but
11 what do we say next when we say it's a unique
12 situation? What can we say beyond that, that
13 it's unique, we haven't seen it before, and
14 therefore we conclude -- what?

15 MS. HUGHES: Hello? I agree with a lot of what
16 you say, but I just want a point of
17 clarification as someone who's lived downtown,
18 one block from the World Trade Center, for the
19 last 23 years. The exposure did not
20 necessarily stop after nine months. A lot of
21 this -- the chemicals dripped into the
22 surrounding area. There's been construction
23 and digging for the last ten years. Deutsche
24 Bank was finally only down, not even the
25 foundation, not even the complete foundation,

1 and transferred so -- not even transferred, it
2 was -- Port Authority was given access this
3 January in 2011. And so the concrete -- even
4 R. J. Lee with their \$30 million toxicology
5 study on contaminants, showed contaminants in
6 the concrete. And so the surrounding area --
7 they had been digging it for the Vehicle
8 Security Center so I don't think we need to be
9 bounded by just the nine-month exposure. It
10 might be nine months for -- depending on
11 certain type of occupational exposure, but I
12 believe it's a lot longer and even people in
13 surrounding buildings that were not necessarily
14 cleaned out in nine months.

15 For example, I don't know about the Verizon
16 building, which is right there, or the World
17 Financial Center. This -- only recently --
18 also about Fehrman (ph) Hall was there for
19 years. You know, maybe it was finally
20 completed two years ago, and you have all the
21 debriding truck through the community.

22 DR. MARKOWITZ: I overlooked that point, and I
23 apologize. And I thought that Jo Polett did an
24 excellent presentation yesterday portraying the
25 continued -- the likelihood of continued

1 exposure. Obviously it does not apply to the
2 workers who ended in mid-June, but for the
3 residents, sure.

4 MS. SIDEL: I just wanted to say something
5 really quickly about the combination of
6 chemicals that I just find -- nothing good is
7 going to come from the combination of
8 chemicals. So if it was like, you know,
9 benzene and dioxins, I -- and they're pushed
10 together, it's not going to be good. They're
11 not going to cancel each other out, so it's
12 just going to make it worse. And I mean I
13 don't know how you prove that scientifically,
14 or even why that's important because obviously
15 it's just going to be more caustic. It's not
16 going to be good. So when everyone keeps
17 talking about the combination and we don't know
18 how that affects people, it's going to be
19 worse, that's going to be the effect. I mean I
20 -- thank you.

21 DR. WARD: There's at least two large issues.
22 I guess one is, you know, what can we infer
23 from what we know about the material that was
24 there and the extent of exposure to that
25 material. And I think -- you know, a lot of

1 the information that we have is basically a
2 list of what was there, and there's some
3 exposure concentrations, but it really -- I'm
4 not sure what additional extrapolation or data
5 you would need to kind of come up with a
6 probabilistic statement about 'we believe that'
7 -- I mean do -- what kind of chains of evidence
8 would you need to say that, given the nature of
9 this exposure, we believe it's not only
10 possible but likely that this -- I mean I think
11 already there's probably enough to say it's --
12 it could happen. So how do -- is there -- you
13 know, is there someone who would like to
14 volunteer to kind of either be on a workgroup
15 or try to address the question of how much
16 inference can be made about cancer from the
17 composition and the exposure data that's
18 available to date and bring that back to the
19 Committee? Or --

20 DR. MARKOWITZ: You're asking -- you're asking
21 about exposures, about taking a new look at
22 exposures?

23 DR. WARD: Well, I mean we have data on
24 exposures, and I think many people have said is
25 it biologically plausible that these exposures

1 could cause cancer. And I think for -- many
2 people would say yes, it's biologically
3 plausible. The question is how likely is it.
4 I don't know if -- I mean I think one thing we
5 need to do is frame the -- you know, we've made
6 assertions about what we know -- we've made
7 assertions about we can -- what -- we can make
8 inferences from the exposures, but I guess the
9 question is to refine a little bit what
10 inferences -- how to make those inferences and
11 what those inferences are. So is it -- is it
12 the fact that, you know, eight known
13 carcinogens were present? Do we need more data
14 to develop a rationale based on levels of
15 exposure or concentration or -- you know, what
16 is it that we need beyond what we have now to
17 make more -- firmer conclusions about that?
18 Leo?

19 DR. TRASANDE: Let me take a step back. How
20 I'm thinking about this is maybe a bit
21 different. There is a medical certification
22 that follows from listing that needs to be
23 performed before a condition would actually be,
24 in practice, covered. So I'm -- to me, that
25 takes some of the burden off of us insofar as

1 we might add a condition to the list. There
2 still is a step, a medical certification. I'm
3 about to start filling these out myself in my
4 own work, and they are serious -- from what
5 I've read, they are very serious documents.
6 Now if that represents a conflict, I'm laying
7 it right on the table, just in terms of saying
8 it. But anyway, so what I'm struck by, rather
9 than going into a workgroup I think we -- I
10 still -- I'm still struggling on what are our
11 core criteria for inclusion first as a
12 condition. And the only other point that I
13 would like to make about epidemiologic evidence
14 is there are some suggestive other studies that
15 don't themselves look at outcome but look at
16 biological markers, and especially -- I'm
17 always struck by Dr. Ricky Preher's (ph) study
18 on PAH DNA adducts in relation to World Trade
19 Center proximity. Now that was not an
20 occupationally exposed population. I'm not
21 saying PAH DNA adducts jumps you down the line
22 to cancer, but it's a marker of PAH exposure.
23 So you know, I'm not answering the question
24 that I posed to the group about criteria just
25 yet, but I think what I'm also suggesting

1 nonetheless is that if there's -- there's going
2 to be very weak environmental monitoring data
3 that we can work from, there's probably not a
4 need to revisit the literature in full and come
5 back with a consensus. There are a lot of
6 review publications that have examined this,
7 including the first report. But I -- and so I
8 would urge us to think about what might be
9 enough to push us over that -- push us off the
10 dime, to use what Bill Rom said. And I'm
11 already signaling that if you had decent
12 biological plausibility in the context that we
13 -- we can't identify a subpopulation that
14 actually has an increased risk of cancer, it's
15 not our job -- that if we can identify it
16 within a subpopulation that we think is highly
17 exposed, that that may move us off the dime
18 onto the list. And if there are other
19 suggestive evidence of sufficient carcinogen
20 exposure to potentially increase risk, then
21 that might push me off -- off of that dime. So
22 I don't know if -- I'm not being completely
23 eloquent, but I think I'm starting to move --
24 try and move us towards a definition of what
25 would lead to an inclusion of a condition.

1 Others should feel free to amplify, criticize
2 and comment. Thanks.

3 DR. WARD: I mean, you know, one of the things
4 that IARC considers is that when there's animal
5 evidence of carcinogenicity but no human
6 epidemiologic studies or weak epidemiologic
7 studies, they look at mechanistic data and they
8 specifically look at evidence that a mechanism
9 that can -- you know, that whereby something
10 causes cancer in animals or known to cause
11 cancer is -- is present. So looking at the
12 biomarker studies, DNA adducts for example,
13 would be one of those indicators that would
14 make the link between potential carcinogenicity
15 based on what's in the mixture, and the fact
16 that the population had exposure at a level
17 that is increasing this marker, you know,
18 that's related to cancer. So I think that is
19 something that we should definitely look at
20 more carefully, as well as consider in our
21 research recommendations, is what biomarkers
22 have been looked at and do they in any way
23 contribute to how we evaluate the existing
24 data.

25 Tom?

1 DR. ALDRICH: Well, I acknowledge the weakness
2 of the epidemiologic data, and the issues of
3 latency are a really big problem. But I don't
4 think we should be too sanguine about the
5 exposure data at all. I mean we are all
6 exposed to asbestos. We are all exposed to
7 benzene. It's a matter of dose, and we just do
8 not know the doses that workers or residents or
9 anybody received of any of these potential
10 carcinogens. And so I just don't see that
11 knowing a list of chemicals that were present
12 is really all that helpful.

13 MS. SIDEL: I just want to say that I'm not
14 sure why dose -- as a scientist, I can
15 understand why that's an issue, but everybody
16 is so different, everyone's body is different,
17 so the way you respond to the same dose that I
18 get could be totally different. And you know,
19 I may have a genetic predisposition to
20 something and this exposure triggers that
21 predisposition. I just think people are too
22 different and to say that one dose is going to
23 affect everybody the same when there's just
24 such a varied population there, I don't
25 understand how that works and why that's

1 critical. We do know that there was -- we do
2 know that there was a -- we have like all that
3 information about the data of what was out in
4 the neighborhoods, what was done on the Pile,
5 you know, and what percentages. We have a lot
6 of information about that stuff.

7 DR. TRASANDE: I was going to comment -- and
8 maybe I'm in a middle place between the last
9 two commentators, but I'll -- but try me here.
10 I'm of the philosophy that if you're above --
11 environmental monitoring levels need to be
12 above background. That drives me in a way that
13 if they're in the range of background, that's -
14 - that's important to me. And I think there
15 are a number of studies that we have that
16 suggest that for a number of key chemicals of
17 concern for carcinogenicity, we do have
18 evidence of levels above background. Now we
19 also have biomonitoring data for dioxin and for
20 perfluorinate, if my memory serves me
21 correctly, in at least one population of
22 biomonitoring evidence above background as
23 well. And now that doesn't sway me for the
24 whole population of WTC-related exposees, but I
25 think it -- we don't have that -- the luxury of

1 dividing up the population with regard to
2 what's an eligible condition at this point. We
3 either have to or -- or don't. And I think we
4 have to act in that mode, and I think then from
5 there it goes back to biological plausibility
6 and some of the other arguments that we've had
7 before. At least that's how I'm thinking about
8 it. Now I may not be on base there.

9 DR. DEMENT: I think in some ways we're at the
10 limits of what we can say about cancer risk
11 related to dose. I'm yet to know a cancer
12 where there's actually a threshold. Certainly
13 we have background exposures and we have some
14 risk. Take some examples that came from this
15 exposure, asbestos and benzene. It's been
16 controversial for years whether or not there's
17 actually a level of exposure that you can have
18 that you don't have some risk. The more
19 studies we've had going on over the years, that
20 level where you can actually demonstrate risk
21 has gone down and down. And with benzene you
22 go back to some of the models that look at the
23 mechanistic process in terms of activation or
24 deactivation of metabolic pathways, and there's
25 still no evidence that benzene has a threshold

1 for the -- especially for leukemia.
2 So I -- you know, I like the idea of exposures
3 that are significant being related to potential
4 cancer outcomes. If you ask me down the road
5 do I think that we'll have excess cancers in
6 this population demonstrated by epidemiology,
7 yes, I do. To say, a priori, which ones
8 there'll be is quite another question. I would
9 probably guess we're going to probably see some
10 lung cancer excesses out of it down the road
11 for sure.

12 DR. WEAVER: I just wanted to ask John if --
13 apparently you were on a cancer committee that
14 met within the last year relating to World
15 Trade Center? Can they bail us out at all with
16 this?

17 DR. DEMENT: I think one of the studies you
18 have before you actually came about -- at least
19 a part of the discussion of the design for that
20 and how it would go forward and some of the
21 others that are already planned were -- that
22 was the object of that discussion -- how would
23 you characterize exposures, and maybe across
24 the studies you can actually compare them a
25 bit, and sort of the methods for linking up

1 with some of the registries.

2 DR. WARD: So I mean it -- it sounds like, in
3 terms of forming the workgroups, that we could
4 have one or we could have two. And I would say
5 that maybe we do think about framing it,
6 because I think a lot of -- ultimately we're
7 really going to be -- it is going to be an
8 opinion, no matter what. I mean there isn't
9 enough data to say, based on any external
10 criteria that already exists, yes or no. But I
11 think it's going to be an opinion, and I think
12 what's -- so I think we would charge the
13 Committee to really develop a case in favor --
14 what are all the arguments that could be made
15 in favor of including cancer as one of the
16 conditions, and what are all the arguments or
17 the factors about the existing data that would
18 make us hesitate to make that recommendation at
19 this point, because I really think in the end
20 it's going to be -- this recommendation is
21 going to be built on opinions. And then I do
22 think it's critical for us to try to identify
23 what are the pieces -- the most critical pieces
24 of data that could be used to make a more -- to
25 have a more informed decision and to look at

1 whether those studies are underway or they
2 actually need to be initiated or recommended by
3 the Committee.

4 Does that sound reasonable to folks? Does
5 anyone have an opinion as to whether we should
6 have two committees, one focused on exposures
7 and toxicology and another focused on -- I
8 would say epidemiology, biomarkers, with a
9 little toxicology, because I think toxicology's
10 relevant to both. Leo?

11 DR. TRASANDE: I don't know what others'
12 thoughts are, but my sense is that this is a
13 job for the Committee of the whole. I think
14 segmenting -- I don't think this is something
15 that the epidemiologists should go into one
16 corner, the medical people should go into
17 another corner, and the community advocates
18 should go into another. I just think that's a
19 dangerous proposition. This is a tremendously
20 significant decision for the group, and I think
21 in the interest of enhancing transparency and
22 having open dialogue like this that's been
23 really helpful, I would prefer we go forward
24 with this as an ongoing conversation. If that
25 means teleconferences, if that means

1 alternative modes of communication, so be it.
2 DR. WARD: I think that's a great point. I do
3 think that we do need some people to commit to
4 do some actual work, and so in that sense I was
5 proposing workgroups, but you know, it's fine
6 if the Committee wants to do that, as long as
7 we have people who are tak-- you know, are
8 willing to take on some defined tasks to
9 prepare between the meetings for specific
10 discussion topics.

11 DR. TRASANDE: I'm struggling a little bit with
12 what work tasks. I mean I think we're at the
13 point that -- you know, if we -- you know, one
14 of the things that I prepared for this meeting,
15 knowing that cancer was going to be a point of
16 discussion, was the Administrator's first
17 report, and I actually think that's a fairly
18 thoughtful, fairly presented discussion of what
19 we know to date. I just hesi-- I'm just not
20 sure what the work products are going to be. I
21 think we need to have more dialogue discussion
22 about criteria and start to move towards a
23 judgment call. I think that would be a more
24 fruitful process. So my own opinion is we need
25 dialogue, not reports on reports on reports. I

1 respect that mode and at some point when we get
2 to writing, I think we're going to want a
3 companion opinion, maybe there's a small
4 subgroup of people composed on this Committee
5 who do the actual writing. That's just my
6 perspective.

7 MR. CASSIDY: I think Leo's right. I think it
8 needs to be the entire group. One thing I --
9 you know, we can't get away from is that there
10 really is a failsafe built into this system.
11 Right? So if we were to decide to include
12 cancers, there is a failsafe. It's not like we
13 then green-lighted this process where anybody
14 who lives below Canal Street is in. We can't
15 dismiss that because it's critically important
16 to the process. I mean it's almost like when
17 one of my kids comes to me and says is it okay
18 if I go to the movies tonight, and I always say
19 yeah, it's okay with me as long as you get your
20 mother's approval. So in effect, you know,
21 I've given like a half a green light. And
22 there's some sense of reality to that because -
23 - you like that, Leo? Good -- because that's
24 the truth. I mean we're making a decision
25 based on common sense.

1 If there was no failsafe, if -- if there was no
2 failsafe, if no individual -- if we were to
3 grant cancer or add cancers and there was no
4 failsafe, then I think it would be reasonable
5 for a lot of people in this Committee to be
6 skeptical about that decision. But because
7 there is a failsafe, a real failsafe that
8 requires a review and a confirmation by a
9 physician, and an ultimate decision by the
10 Administrator, I don't think that that is such
11 a great leap that we are making, given the fact
12 that we know what happened. We all watched it
13 unfold on TV. It is a disaster of unknown
14 proportions. And the exposure to thousands and
15 thousands of people are documented, and some
16 results -- although preliminary on cancers --
17 show an increase. The lung disabilities for
18 firefighters is documented beyond belief. And
19 I think when you factor all that in and you
20 have a failsafe, I think that gives us leeway
21 to make a decision to include it. But no
22 matter what, I think that should be part of the
23 discussion when we talk about where we're
24 going.

25 DR. ALDRICH: Well, I generally agree that we

1 should not be segmenting into an epi group and
2 a toxicology group. I think what would be
3 useful, though, is position papers taking -- I
4 don't want to say extreme positions, but
5 defined positions. And for me personally, I
6 believe that the data at some day is going to
7 show that there are increased cancers related
8 to World Trade Center exposure. I have little
9 doubt about that.

10 But I think that there are some -- that there's
11 good reason to be cautious, and that is -- and
12 there's very good reason to base our decisions
13 on evidence. And furthermore, I think that
14 it's not all or nothing. I think that it's
15 extremely unlikely that a cancer that comes up
16 in December of 2001 -- a lung cancer, let's say
17 -- is related to World Trade Center. It's
18 extremely unlikely and we should acknowledge
19 that, along with the other things, that there's
20 -- that the further out we get, the more the
21 chances that a given cancer is related to World
22 Trade Center exposure. The closer to the time
23 of exposure, the less likely. And that has
24 some importance for public policy, I think.
25 I don't know what's the right answer, but I

1 think we should stake out some positions, even
2 if they're a little bit more extreme than we
3 really believe, just to take positions so that
4 people can react to them.

5 DR. ROM: All of us have time constraints, so
6 joining working groups is something that's
7 almost impossible. But I do think there's a
8 program administrator who does have a staff and
9 could provide us with some information. And I
10 would suggest two or three areas where we need
11 more information.

12 First, we have these exposures, and there's a
13 lot of measurements on benzene, polycyclics,
14 asbestos and perhaps some other carcinogens,
15 like dioxins, that could be brought together.
16 And how much was in the building, like how much
17 asbestos was there, and then all these
18 measurements I've seen by the EPA -- generally
19 they don't find anything. But I'd like to know
20 what measurements have been made and have one
21 piece of paper or a couple of pieces of paper
22 that tells us what the exposures -- what the
23 exposure data is.

24 And the second thing is -- so we'll have the
25 FDNY study, the Mt. Sinai study and the

1 registry study on cancer coming out in early
2 '012, but I think it would be nice if somebody
3 is going to capture that data and whatever else
4 is out there and -- and have that for us at our
5 next meeting.

6 And the third thing is there may be additional
7 biomarker data that's out there that would be
8 nice to have, that could help us make a case.
9 And I think staff helping us is not unusual for
10 advisory committees, that that would be
11 helpful, and we certainly would have the time
12 to review documents, and to try to generate the
13 documents ourselves would be more of a
14 challenge.

15 DR. WARD: In the report that was generated by
16 NIOSH there's a compendium of exposure data,
17 but you're asking for another level of
18 analysis, or an evaluation of each of the
19 elements?

20 DR. ROM: (Off microphone) (Inaudible)

21 DR. WARD: Okay. So Steve, and then Leo.

22 DR. MARKOWITZ: I would propose a compromise.
23 The request to us -- in the request to us, Dr.
24 Howard wants us by March 2nd to include a
25 description of our evidence, the quality of the

1 data, description of the methods used to
2 formulate the advice, so we're going to have to
3 write something up and we might as well begin
4 sooner rather than later. So we could have
5 workgroups that are open to everybody and that
6 achieves, you know, both purposes. And those
7 workgroups could try to take -- consider
8 positions perhaps more extreme than they might
9 naturally move to as a way of getting out all
10 the issues, and I would volunteer to be on one
11 of the workgroups.

12 MS. FLYNN: I just want to respond, Bill, to
13 what you were saying. We went through, many of
14 us in this room, a nearly year-long process
15 with the EPA's World Trade Center Expert
16 Technical Review Panel --

17 UNIDENTIFIED: (Off microphone) (Inaudible)

18 MS. FLYNN: What?

19 UNIDENTIFIED: (Off microphone) (Inaudible)

20 MS. FLYNN: Yeah, yeah. So the data -- let's -
21 - let me just state it this way. The data from
22 indoor environments that the EPA gathered was
23 widely discredited by the experts on the panel
24 and by people in the community who got up, who
25 had -- had done their own environmental

1 auditing. The Stuyvesant Parent Association
2 had hired a very well-known, highly accredited
3 environmental auditor, and there also were a
4 wide range of narrative accounts, eyewitness
5 accounts by residents, about how the EPA's --
6 that the actual people entering buildings to do
7 testing would not run fans and leaf blowers,
8 would turn fans directly against the wall -- I
9 mean it's -- the problems were legion, so I'm
10 just going to -- you know, a very, very big red
11 neon cautionary note on utilizing EPA data to
12 draw conclusions about the exposures of
13 residents, students and area workers.

14 DR. TRASANDE: Just thinking about the day and
15 a half -- day and change so far, the one aspect
16 of the World Trade Center disaster that we've
17 not discussed in great depth, like what Bill
18 said, is the environmental exposures themselves
19 and what data we have for or against certain
20 chemicals being above background, for instance.
21 And there are experts in the area specifically
22 who have thought about this in extremely great
23 depth. There are some on this very FACCA, as
24 well. And that may help move our discussion in
25 a facile way, in addition to what the Committee

1 -- the Administrator's staff can provide. I
2 think that would really be helpful. At least
3 that's the area I think I'm hearing of greatest
4 uncertainty perhaps about exposure.

5 I think from there we could probably move
6 through the biological plausibility, and other
7 components of the logical chain to cancer, more
8 carefully.

9 DR. WARD: I think we are going to have to wrap
10 this up or we won't be able to discuss research
11 at all. I mean I hear a couple of people
12 making the specific proposal that we -- I mean
13 I think in general people agree that the main
14 body of work will need to be done by the
15 Committee as a whole, but there might be some
16 preparatory work that could be done either by
17 making requests to NIOSH staff for specific
18 information or bringing in experts to advise us
19 on specific topics. And I think the idea of
20 dividing up into two groups just to maybe draft
21 the arguments for and against has kind of
22 resonated with a couple of people, so -- so if
23 -- I mean -- so if someone wants to make a
24 motion to proceed in that way -- but I guess
25 the -- essentially what we're saying is the

1 group wants to continue to meet, possibly by
2 telephone, to deliberate on this further. But
3 -- and people are opposed to workgroups going
4 off in isolation and doing a lot of work just
5 off on their own, but that they are not opposed
6 to having groups that would help prepare
7 position statements for discussion by the
8 group.

9 Is that correct, Steve?

10 DR. TRASANDE: May I ask a question and then
11 possibly propose a motion?

12 As far as I know, we haven't defined a next
13 meeting date, and presumably that meeting would
14 have to happen by March 2, so I'm -- I'm a big
15 fan of walking back from the date certain and
16 potentially working out a strategy to get to a
17 point where there's -- where we do our job. So
18 I guess one proposal would be to actually
19 suggest a potential meeting date and try to
20 march backwards from there, but that's just a
21 thought.

22 DR. WARD: I don't know if we'll be able to
23 decide an actual date, but we could say that
24 we'd probably plan an in-person meeting
25 sometime in February -- I mean if we worked

1 under that assumption. Yes?

2 MR. CASSIDY: I agree with Leo, we should work
3 back, and I think sooner rather than later so -
4 - you know, I do think there's a consensus that
5 we all get in the same room. I don't like the
6 idea of dividing into camps for or against
7 because, to be honest, I want to hear the
8 arguments of both sides and could change my
9 opinion. And I don't want to think that I'm
10 predetermined to be in a particular camp
11 without hearing other people's arguments.
12 But having said that, I don't think we should
13 wait till February. I think we should try to
14 get a meeting in January, in case it doesn't go
15 as well as we would hope. And March 2nd -- you
16 know, if you're in February, you don't really
17 have a time to get another one going. So I
18 think we should try to get something early/mid-
19 January which would give us time to get back
20 late February to finalize something, assuming
21 we're building a consensus. And if we're not
22 building a consensus, we've probably got to get
23 back in a room and try to figure out where
24 we're going.

25 DR. MARKOWITZ: At least to clarify, make a

1 motion that we do have two workgroups, one
2 focusing more on the epidemiology and the
3 toxicology as it approaches the epidemiology,
4 and the other on the other side more on the
5 exposure and then related toxicology; both
6 workgroups be open to all, and both workgroups
7 consider the various sides of the arguments,
8 and that the workgroups produce a preliminary
9 write-up that would serve the purpose really
10 just of furthering and focusing the discussion
11 so that we can advance more quickly.

12 MS. FLYNN: I think I mostly agree, as long as
13 working group conversations would -- everyone
14 would be privy to those.

15 But before we move -- and I'm sorry to do this,
16 but before we move in the direction of defining
17 a working group around exposure, I'd actually
18 like to ask Micki Siegel if you could just
19 briefly give us an overview of what's available
20 by way of exposure data, 'cause I think
21 everybody needs -- I really do think this is
22 very important.

23 DR. WARD: Right, but I do think -- we have a
24 motion on the table --

25 MS. FLYNN: Okay, we have a motion on the

1 table. We'll redefine the mission of the
2 working group, the one that includes exposure
3 data, after --

4 DR. WARD: No, and I also think it's important
5 to understand that the group that addresses
6 exposure data is going to look at the quality
7 of data, look at the limitations of the data,
8 and you know, people will have an opportunity
9 to be -- to be represented and to share
10 information. So -- so it -- but it's -- it's
11 really just that that committee will focus on
12 exposure data.

13 MS. FLYNN: I'm just not entirely sure that the
14 people sitting around this table can -- because
15 I'm not -- it's unlikely that the majority of
16 people sitting around this table understand
17 just how limited those data are.

18 DR. WARD: Well Paul, this is kind of a
19 procedure question, so when we have these
20 meetings they will be announced -- the
21 telephone meetings of the workgroups, they
22 would be announced in the Federal Register and
23 open for public comment, and we also would have
24 the option of asking specific individuals to
25 come and speak to specific issues. And I think

1 that could be recommended by some -- anyone on
2 the STAC, that we -- if we -- so I think it's
3 covered. Tom?

4 DR. ALDRICH: I don't think it's going to be
5 helpful to have two separate approaches,
6 because I think we're pretty much in agreement
7 about the epi data, that -- well, we're in
8 agreement that there's some value to it, but
9 it's not going to be definitive. And so what
10 our decision really hinges on is the
11 toxicology. And so I think we should focus on
12 that and just have a -- because if we have too
13 many groups, we're just going to have -- it's
14 going to impede our coming to a decision. I
15 think we should have a single discussion,
16 clarify the toxicology, acknowledge the
17 weaknesses in the data, try to determine if
18 there are any data that are reliable, and
19 present what we have and go from there.

20 MS. MEJIA: I really don't want anybody to
21 leave this room thinking that there's a lot of
22 exposure data out there because there really
23 isn't. There's a big void there, and so let's
24 not hang our hats on all this data that may not
25 be there because there was -- there was no data

1 captured, I think from day one. There wasn't
2 any environmental monitoring done on day one.
3 There was no personal monitoring done on day
4 one. So let's not -- you know, don't walk out
5 of here thinking that you're going to find a
6 whole bunch of data out there that we haven't
7 really tapped into.

8 DR. DEMENT: This is at least the third meeting
9 that I've been to, maybe the fourth, where data
10 on exposures has been discussed, and the same
11 theme comes across every time, that they are
12 limited. And frankly, I think the publications
13 that are already out there summarizes what
14 we're going to know. I think we could waste a
15 lot of time trying to dig into these data, and
16 the people who really know it very well,
17 they've already done that and some of it
18 summarized in the NIOSH report is in reference
19 to the original publication. So I don't know
20 where we're going to go beyond that.

21 DR. WARD: Given what you know and where you've
22 been, do you have a recommendation on how to --
23 we should proceed to come up with this
24 recommendation?

25 DR. DEMENT: I think we're overwhelmed by the

1 exposure, both with regard to the initial
2 magnitude of it and that which existed for a
3 number of months, but also the complexity of
4 it. Now there were like almost 300 different
5 compounds that were -- and materials that were
6 measured into the exposure, identified, and we
7 can't -- there's no way possible for us to deal
8 with that.

9 Now I think a sensible approach, at least in
10 the way I see it, is pick the ones -- major
11 ones which had a theme that went across most of
12 the exposures, the ones for which there was
13 reasonable exposure measurement data at least
14 showing the exposures, and Paul LeRoy's papers
15 have summarized a lot of that. And I think we
16 have to base our decision on whether to include
17 or not include cancer on those exposures.

18 DR. WARD: If we want to do that at our next
19 meeting, we would really try to focus on those
20 exposures and look at whatever limited quanti--
21 I mean look at the cancer sites that have been
22 associated with those exposures in prior
23 studies, and look at the extent to which we
24 have data on exposure levels.

25 DR. DEMENT: Exposure is a three-part scenario.

1 Exposure levels, we don't have a lot of that.
2 It's also where you were at the time. It's
3 your duration of it -- frequency, duration and
4 level, and we don't have a lot of personal
5 exposures. The thing about occupational
6 exposure measurement that you find typically is
7 the general environment may or may not be very
8 high. It's the environment that the
9 individual's in. The breathing level samples,
10 for example, closer to the source are typically
11 much different from those that are far away.
12 People generate their own micro-environments
13 based on what they're doing.
14 So for us to hang a determination on some
15 required exposure level I think is not doable.
16 What I was suggesting, though, there are
17 certain compounds -- and I think NIOSH has
18 listed a fair number of them in their report --
19 where there's some repeated measures. The
20 levels certainly were above background in many
21 cases, most cases, so you can -- I think with a
22 fair degree of confidence -- say these are
23 exposures that most people at the site would
24 have had.
25 Then the next question is what do we know about

1 those in the risk of cancer from NTP/IARC,
2 largely.

3 DR. WARD: So that makes sense. I think what I
4 was thinking of in terms of exposure is, and
5 some of the things on the list are like vinyl
6 chloride, for example, and I don't -- you know,
7 you do need to get a sense of is this an
8 important exposure in this setting or not, and
9 I don't know if there's any data to know. But
10 certainly we have benzene, we have asbestos, we
11 have the silica-type compounds, we have --
12 there's actually a limit-- a pretty limited
13 list of group ones, and then I guess we could
14 go and include the 2-As and 2-Bs, and maybe
15 start from that approach. Is that agreeable to
16 everyone, so at least we have a direction that
17 we're moving in, is look at those specific
18 compounds that have substantial data on
19 carcinogenicity?

20 DR. TRASANDE: Could I -- I don't know if we're
21 still on a motion or not, so I'm a bit
22 perplexed. But it might be good to continue
23 this conversation on a call where we try to
24 focus on a list of ten or so -- or something --
25 something that we can grab our hands onto and

1 get some committee help with regard to giving
2 us maybe some -- a synopsis with a little bit
3 more depth about exposure as we know it with
4 regard to the World Trade Center, recognizing
5 that we may only be able to do a binary above
6 background/below background assessment as a
7 Committee. And then, you know, I -- my
8 instinct is that the rest of it from there is
9 fairly judgmental. I mean it's based on -- you
10 have IARC data, you have NTP, you have all
11 these sources, and we have to just decide well,
12 what class evidence are we going to accept as a
13 basis for taking us to plausibility, at least
14 at some level, from the standpoint of whether
15 there was an exposure or not, recognizing that
16 we can't even sub-segment the population, our
17 task before us is quite straightforward.
18 (Interruption regarding conference connection)
19 What a pleasant interruption. So that's just
20 my -- my suggestion is that we might move
21 towards a conference call where we as a
22 committee try to hone down. And then my
23 suggestion would be to try to, after that
24 conference call, start writing the -- start
25 writing the document. It might be a very small

1 subgroup of lead writers, but then it would
2 always be done in an inclusive fashion towards
3 actually -- and I think inherently it would
4 include abstracted data from the staff reports
5 about the exposure with regard to these key
6 chemicals and the implications based on
7 knowledge from IARC, NTP, et cetera. Those are
8 just some thoughts that I have.

9 DR. WARD: Then I think where we stand with
10 respect to the motion is Steve made a motion
11 for the two committees, and I don't think it
12 was formally seconded, and then there were --
13 other people put forth different motions, so --
14 can't do that? Okay.

15 MR. CASSIDY: (Off microphone) (Inaudible)

16 DR. WARD: Okay. So how do we correct this?

17 DR. MARKOWITZ: Why don't we have a restatement
18 of the motion.

19 MR. CASSIDY: Let him restate his motion and
20 then you see if there's a second, and then it's
21 open for discussion.

22 DR. MARKOWITZ: I'm not going to restate the
23 motion because -- I think the motion died. But
24 I would like to say something else.

25 So we have these group one carcinogens.

1 Everyone know-- everyone recognizes they're --
2 there are human carcinogens down there --
3 benzene, asbestos, PAHs, a couple of others.
4 We know there was exposure. We believe there
5 was exposure. We believe the epidemiology is
6 not going to really help us yet. So what else
7 do we need? And that's sort of a restatement
8 of what Susan said. There's something else we
9 need, and otherwise we're not comfortable, but
10 apparently there is some level of comfort that
11 I've heard two scientists say here that they do
12 believe in the future that cancer will -- could
13 be produced from those exposures and it will
14 have evidence thereof. So whatever else we
15 need, then let's focus on that.

16 Now maybe that's just a restatement of what Leo
17 said, but let's get there and hone in on that -
18 - you know, either way. I'm not prejudging the
19 decision. I'm just saying let's get there.

20 DR. DEMENT: If you were to ask me my opinion,
21 that should we include cancer as something that
22 would occur as a result of this exposure, my
23 answer would be yes.

24 But back to ask the next level is which sites
25 are going to be included or not, I think that's

1 the more difficult question. Maybe it's not a
2 question that we actually need to address, but
3 it is an important question.

4 MR. CASSIDY: I just want to remind people,
5 with reference to what Dr. Markowitz just said,
6 that there were fires burning at the World
7 Trade Center on St. Patrick's Day, and I was
8 there, March 17th, 2002 -- March 17th, 2002, we
9 were still putting out fires. So everybody
10 knows that when you have fire, you have
11 carcinogens in the air. The fact that stuff
12 was still burning, you know, six months after
13 the attack should say something about the level
14 of exposure in the 22-acre site. And I think
15 it speaks to -- you know, sometimes we can get
16 bogged down in the technical data, the numbers,
17 the benzenes. How in God's green Earth were
18 things burning six months after? And the
19 answer is: This is a once-in-a-lifetime event,
20 and the exposures suffered by those who were
21 there is, unfortunately, a once-in-a-lifetime
22 event. And to think that cancers are not going
23 to come out of it I just think are flat-out
24 silly. They are. The early documentation
25 indicates that. The fire department study on

1 lungs is -- is definitive. All these bad
2 things can happen to you. You cannot be in a
3 site six months after an attack and still fires
4 burning, and think maybe nothing's going to
5 come from this. So I don't want us to get away
6 from the common sense and facts that are maybe
7 not scientific, but real.

8 DR. WARD: But it is incumbent on us, if we
9 make this recommendation, to rigorously define
10 the scientific rationale for that
11 recommendation. And so I don't think we've
12 laid the basis for doing that at this meeting.
13 It could -- and I think what we're trying to do
14 is struggle with how to approach this large
15 body of evidence and, you know, apply it to
16 making this recommendation. Susan.

17 MS. SIDEL: This might be a situation where a
18 lot of the legal community that's involved in
19 this might be helpful because the writing
20 recommendations for like say the victim's
21 compensation fund or just briefs that they're
22 doing, past briefs, Joel Kupperman's briefs,
23 things that, you know, Michael Barish wrote for
24 Zadroga when he was representing him, there's a
25 lot of -- you know, where they had to connect

1 the dots to make a case, and that's essentially
2 what you're saying here is that you're sort of
3 making a case. And so what I sort of see is
4 that we have the chemicals and now we're going
5 to start -- you know, we have all this -- these
6 things and we're just going to be connecting
7 those dots. It's really like a brief, in a
8 way. I mean it -- is that bad because it's not
9 scientific? I mean but it is -- it's
10 connecting the dots, putting it -- putting it
11 together, sort of.

12 MS. DABAS: My question is, it goes back to
13 Steve's question, which is what is it that is
14 still missing that people need to -- for the
15 science? What is it that is likely to be
16 available within the next time that we meet
17 that will make this case? And I pose that to
18 the scientists. Like if -- if there is
19 something that we feel that could be available
20 or that will be available before March 2nd, I
21 would -- I would love to know what it is
22 because it doesn't seem that the fire
23 department, Mt. Sinai or the WTC Registry will
24 provide any information before then. It
25 doesn't seem that there's anybody else that's

1 going to provide any further scientific
2 information until then. So I'm wondering if --
3 what -- what would we need? And if that
4 information is even available.

5 DR. ROM: Valerie was looking at me. All
6 right, I'll tell you what I want. For lung
7 cancer, which is really possible 'cause this
8 was an inhalation exposure and we have defined
9 carcinogens that are great for making lung
10 cancer, so the firefighters had nine lung
11 cancers in seven years and they had 21
12 expected, and their SIR, this incident ratio,
13 was .42, so you know, we have a long way to go.
14 I think lung cancer is not going to be seen to
15 be increased for years. For at seven years, I
16 don't think we're going to see much -- I don't
17 -- ten years, 15 years, so it's going to be too
18 long to wait. And the firefighters were the
19 most heavily exposed for lung cancer.

20 I find the multiple myeloma and non-Hodgkin's
21 lymphoma a little bit more likely and plausible
22 'cause they -- there's literature on them and I
23 mentioned the numbers of cases. I'm not quite
24 there yet. I'd like to see some at least case
25 series reports, which probably will be

1 forthcoming in the not too distant future.
2 I don't think that we should list other kinds
3 of cancers, like prostate or even thyroid or
4 melanoma. You know, there's a biological
5 plausibility for these cancers related to
6 exposure, and I have a real problem with
7 prostate and a pretty big problem with
8 melanoma, and maybe a little less problem with
9 thyroid. And then for other sites like breast
10 or colon or -- or maybe larynx I could think
11 of, but brain -- I mean these sites just aren't
12 biologically connected. The dots don't
13 connect. So -- and to go for all cancers, I
14 think that's too much of a stretch. So that's
15 where we are with the science.

16 MS. DABAS: I just want to comment in that you
17 said that firefighters were the most exposed.
18 I think a lot of the pictures show you that
19 firefighters and police officers worked side by
20 side on that day, so to differentiate between
21 fire and police or fire and whoever was on that
22 Pile is going to be a hard differentiation to
23 make. That would be one of my things.

24 Second, there are about four or five cases
25 making their way through the courts right now

1 dealing with cancer, and I think it's important
2 that the Committee kind of look at those cases
3 as they come along. One of them that I'm
4 familiar with is Mackery (ph) where somebody
5 had a lung scan done two or three days prior to
6 September 11th and it was a clear lung scan.
7 And when they did it again in August 2002,
8 their lung cancer showed. There might be some
9 scientific reason for that, but that case is
10 currently pending in the courts.

11 DR. ROM: Okay. I think the police would be an
12 excellent cohort to study because, as you said,
13 they were heavily exposed and I would make a
14 research recommendation for someone to write a
15 proposal to study all police in New York City
16 and define the exposures and look at some of
17 these outcomes.

18 As far as individual legal cases, there's --
19 you know, in the FDNY study there were nine
20 lung cancers so, you know, there could be nine
21 individual cases out there. I think that a
22 surveillance program for lung cancer might be
23 kind of interesting in some of this heavily-
24 exposed cohort, like CT scans and maybe
25 biomarkers, but that's a research study and I

1 think that would be a compelling one to look at
2 and possibly fund. I didn't write one, by the
3 way.

4 DR. ALDRICH: One -- you know, I agree with
5 much of what Bill said about that not all
6 cancers are likely to be consequent to World
7 Trade Center exposures, but there are some that
8 are. But there's also a time element, too. I
9 mean notwithstanding the change in CTs between
10 whenever it was and 2002, it's still quite
11 unlikely that a lung cancer originating -- or
12 discovered in 2002 started after 2001. So
13 whatever recommendation we make should take
14 that into account, that it's quite unlikely
15 that a solid tumor in 2001 or 2002 was World
16 Trade Center-related. Not impossible that a
17 hematological malignancy was, and so there are
18 important differences in that regard that make
19 some sense to pay attention to from a public
20 policy point of view.

21 DR. WARD: Any response to that?

22 DR. DEMENT: Yes. Yes.

23 DR. WARD: Okay.

24 DR. DEMENT: But I think that gets to the sort
25 of the second level, that's the attribution of

1 individuals -- a cancer to the exposure. I
2 don't think we've been asked to do that. I
3 think we're just -- we're being asked if this
4 exposure, or these exposures, can cause cancer,
5 are likely to cause cancer. The attribution
6 comes at the next level when you have your
7 cancer and you're with your doctor and you say
8 'I was exposed last year; can this be related
9 to exposure?' You know, doctors say a lot of
10 things, but hopefully an informed doctor would
11 say 'Very unlikely.'

12 DR. WARD: Leo? And that'll be the last
13 comment and then I'll make a proposal, we won't
14 make it a motion, of how to proceed.

15 DR. TRASANDE: Just a quick comment that if we
16 start -- and I agree philosophically with what
17 Bill said, as well, except to say that there --
18 we also have to consider the fact that cancers
19 get chemo and there's such a thing as secondary
20 cancer to consider as an associated
21 consequence, which is in the language of the
22 Zadroga Act.

23 And the other thought that I had has since
24 evaporated so I will defer.

25 DR. WARD: Well, I mean what I would propose is

1 that we should set up a phone call sooner
2 rather -- phone meeting sooner rather than
3 later. We have not gotten to the research
4 recommendations and I'd like to do that in a
5 time frame that we can remember what we've
6 discussed today.

7 I think we have gotten some suggestions about
8 how to focus the discussion. One is to look at
9 those specific carcinogens for which there is
10 some data on exposure at the site and we can
11 focus some discussion around that. We have a
12 specific idea of maybe looking at particular
13 cancers -- lung and NHL and multiple myeloma --
14 looking at those specifically, and perhaps
15 there may be some others -- obviously
16 mesothelioma. You know, that there -- that if
17 we're not ready to make the recommendation to
18 include all cancers, there might be specific
19 cancers for which we would be more inclined to
20 make a recommendation.

21 So I think what we can do is we'll need to
22 still do some work to develop the agenda for
23 that meeting, and maybe we could do that
24 through exchanging e-mails and so on, but --
25 DR. MIDDENDORF: I would point out that for you

1 to have a meeting it takes at least one -- I'd
2 have to have an agenda, or at least the matters
3 to be discussed, at least one month ahead of
4 time to be able to get it into the Federal
5 Register in time.

6 DR. WARD: Okay.

7 DR. MIDDENDORF: So we have that kind of a lag
8 time, and that doesn't include my time for
9 developing the information or developing the
10 Federal Register notice. So probably five to
11 six weeks at least.

12 DR. WARD: Okay. So in the meantime is there -
13 - is there a way that we could collect from the
14 Committee, let's say their key -- the key
15 things they captured from yesterday's
16 discussion on research, or is it -- do we have
17 to wait till the next meeting to get input from
18 the Committee on -- or on their perspective on
19 the discussion regarding cancer?

20 DR. MIDDENDORF: I think it might be helpful
21 for us to delay the discussion of research,
22 simply because it's one of those potential
23 areas of conflict of interest and we need to
24 review that more carefully in light of the
25 individuals on the board -- on the Committee

1 and make sure that we can appropriately and
2 properly address the conflict of interest
3 issues there.

4 DR. WARD: Okay.

5 DR. MARKOWITZ: Paul, what's the timetable for
6 that?

7 DR. MIDDENDORF: The timetable? We'll clearly
8 be -- I think we'll be able to handle that
9 probably within the next month.

10 MS. FLYNN: And when are the next BAAs issued?
11 I just want to make sure that we have this
12 discussion about priorities before --

13 DR. MIDDENDORF: Yeah, I'm not sure --

14 MS. FLYNN: -- the process happens around
15 funding research going forward. No date?

16 DR. MIDDENDORF: I'm not certain when that date
17 would be. Nothing's been established yet, so
18 it'll be a while.

19 DR. WARD: Okay, so is there anything that we
20 should -- can do -- I'm sorry. Leo?

21 DR. TRASANDE: Can I make a motion that we
22 sketch out an agenda for our next call now?

23 DR. WARD: Yes, excellent motion. I'm sorry.

24 DR. TRASANDE: Yeah, is that seconded?

25 (Motion seconded by multiple Committee

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

members.)

DR. TRASANDE: Okay. Can I move we -- do we need a discussion -- this is Chair-- do we need a discussion about -- can we start to just -- I'll -- if I can, I'll just try to speed things up and suggest some items.

So I think clearly we need some staff input about a list of chemicals of exposure -- at least this is how I'm thinking, but maybe I'm an individual perspective on this panel, not the consensus. But if we could focus on a list of chemicals of concern, and focus on a list of -- that would fol-- so that would be one item. Would -- we have a discussion of a more in-depth description of what we know to date about exposures and the aftermath of the World Trade Center disaster.

Then I think what we would probably have is an agenda item that would follow that, hopefully would be a discussion of the carcinogenicity of those elements.

And then a third would be potentially going where Bill was going, potentially looking at what types of cancer might be on a suggested list if indeed we are to proceed to make a

1 suggestion to the Administrator of causation.
2 I would also like to further suggest that we
3 move quickly to, once we get the conflicts
4 issue sorted, to have a research-focused call
5 fairly soon in tandem, recognizing a five- to
6 six-week lag, so I'm probably attaching that to
7 the motion, but I apologize for doing that if
8 I'm out of order.

9 DR. MIDDENDORF: And I'll say that you need to
10 have just one meeting, not two separate
11 meetings. One phone call, which is a meeting,
12 and then it needs to be an open meeting.

13 DR. TRASANDE: I'm just suggesting that we try
14 to schedule the two consecutively, but not have
15 one meeting and then schedule another meeting
16 with a lag. I'm just sensing that we -- that
17 would take us to March or...

18 DR. MIDDENDORF: What I'm saying is that we
19 will have -- we should have one meeting in
20 which we discuss both the research needs and
21 these other issues.

22 DR. TRASANDE: Thank you. That clarifies it.

23 MR. CASSIDY: If we have an approximate six-
24 week lag time, then we really can only meet
25 twice before March 2nd. Now that's the

1 reality, right?

2 DR. MIDDENDORF: Well, what I could -- if you
3 make decisions on the need to have two
4 additional meetings, we could put that into one
5 Federal Register notice.

6 MR. CASSIDY: I mean I'm just thinking out
7 loud, but it seems to me that we -- we should
8 strongly consider, before we leave today,
9 agreeing that we need a physical meeting, face-
10 to-face, sometime in February as a follow-up to
11 this phone meeting, so that -- you know, maybe
12 we won't need it, but we should plan on having
13 it. Everybody's busy. We should get it on a
14 calendar. We should leave here either knowing
15 shortly that we're going to have a meeting
16 scheduled in February, we're going to have a
17 phone conference four to six weeks from now,
18 and that those two events are going to be what
19 we have left before March 2nd, and I think we
20 should do both of those things.

21 MS. HUGHES: So many people already in New
22 York, the conference call -- maybe there's a
23 room where people who are in New York, to save
24 you money, can be in the room, because somehow
25 a conference call is not as effective as face-

1 to-face dialogue, and money seems to be an
2 issue.

3 DR. WARD: That's a great suggestion that we
4 should go ahead and plan a face-to-face meeting
5 in -- well, it's January or February, whatever
6 is most feasible, in addition to a telephone
7 meeting.

8 DR. MIDDENDORF: My suggestion would be that if
9 you want to have a telephone meeting, we do
10 that maybe in mid-January so you can get the
11 Federal Register notice up and out. With the
12 holidays coming up things tend to get slid a
13 little bit. And then plan for something pos--
14 face-to-face possibly in mid-February.

15 DR. WARD: Yeah, I think -- I mean I think the
16 Committee would prefer not to wait that long,
17 because we want to be able to have some
18 continuity of thought. Are the two of you
19 commenting specifically on the meetings, the
20 meeting schedule, or... Okay.

21 DR. WEAVER: Just in terms of moving the
22 research agenda along, I'm wondering if it's
23 allowed for us to e-mail our top three
24 suggestions for research priorities so that
25 those could be compiled. We could look for

1 areas of commonality, and then conflicts could
2 be addressed.

3 DR. ALDRICH: That's exactly my point.

4 DR. WARD: That sounds like a great idea to me.
5 We'll have to see if it works with the FACA.

6 DR. MIDDENDORF: Okay, I think the answer to
7 that is, in part, what we can do is you can
8 identify areas of research and send it in an e-
9 mail, but the information will need to be
10 discussed publicly at an open meeting. Okay?
11 And the other thing is that individuals should
12 not be putting things on their list, things in
13 which they have the potential for possibly
14 getting research grants so that they would
15 potentially benefit directly.

16 DR. WARD: Does anybody else feel is it silly
17 for Emily to have to whisper in Paul's ear,
18 'cause is there --

19 DR. MIDDENDORF: Yeah, this is the way she
20 wants to do it so that's not a problem. The
21 point Emily was making to me is that the e-
22 mails all need to be one-way. It's not a
23 dialogue. So if you set up your list, you
24 should send it to Liz. Liz can compile the
25 list and then that list will be discussed at

1 the telephone meeting.

2 DR. WARD: So we have a proposal for the draft
3 agenda for the telephone meeting, which is to
4 discuss the exposures and the aftermath of
5 9/11, the list of chemicals of concern with
6 respect to carcinogenicity, to discuss what
7 types of cancer might be associated with those
8 exposures and therefore on the suggested list,
9 and then to move quickly to discuss the
10 research.

11 Is there any other addition to the agenda or --
12 DR. MIDDENDORF: I do want to make the point
13 that it's probably not appropriate for the
14 Committee to assign tasks to the program, which
15 is what it sounds like has been done -- or an
16 attempt to do. That's not something that was
17 in the Committee's purview, so we can't give
18 the program required activities. So I guess
19 what I'm saying is that if the information
20 that's already been developed for the report of
21 cancer, you can use that for -- to address your
22 things about exposure, the things that you want
23 to learn. You can go to the literature. But I
24 don't know that we can go back to the program
25 and say you need to do this for us for our next

1 meeting.

2 DR. MARKOWITZ: Can we request assistance?

3 DR. MIDDENDORF: We can request, but we can't
4 expect it. And Liz was putting it on the
5 agenda as something that was going to be
6 coming, so I don't think we can promise that.

7 DR. WARD: I'm not sure how we can do that
8 based on the information that you have. I mean
9 I wasn't even -- I mean you basically gave us a
10 list with the IARC and NTP classifications, and
11 you gave us summary data on exposure measures.
12 And I do think -- and we have information on
13 the sites of carcinogenicity from IARC, so I
14 don't think that's an extensive preparation
15 task that we're talking about.

16 DR. MIDDENDORF: So what, in addition to what's
17 in the report on cancer, would the Committee be
18 requesting?

19 DR. WARD: John, did you want to speak?

20 DR. DEMENT: Well, you have the classifications
21 listed. And I think in addition, listing the
22 sites where the cancers were found to be
23 increased or suspected to be increased based on
24 the available data would be helpful. It's
25 certainly -- and I wouldn't say do it for this

1 whole list. I think there's a smaller list of
2 exposures that are actually discussed back in
3 the paper and back in the document itself that
4 would be appropriate to spend our time on. We
5 can't deal with this whole list.

6 DR. MIDDENDORF: Okay. So if we were to
7 extract those that were identified by IARC as
8 categories one, 2-A and 2-B, and extract from
9 the documentation of the IARC categorization
10 the animal tests and epi tests that were done
11 and identify what was found from those, is that
12 what you're asking for?

13 DR. DEMENT: That's correct. I think we're
14 looking for a little more direction. I started
15 making my own list based on my recollections of
16 some of the documents -- you know, sites that
17 were found to be increased. I mean basically
18 IARC has to make a decision, and the decision's
19 generally based on either human data showing an
20 increase or some animal data showing an
21 increase, and so that's what we're looking for,
22 those sites where the data show increase,
23 either one, 2-A, 2-B.

24 DR. MIDDENDORF: We'll go ahead and put in that
25 request to the program.

1 DR. WARD: Okay then, so is there -- yes?

2 DR. QUINT: I just want to point out, for the
3 animal evidence the sites won't mean very much
4 because it's not concordant necessarily with
5 the human sites, so it -- that would only be
6 relevant for the epi data -- the sites.

7 DR. WARD: Yeah, group ones, you typically
8 don't have some specific sites, but --

9 DR. QUINT: Yes.

10 DR. WARD: -- the data will be limited, but I
11 think the group ones will be the most
12 informative.

13 DR. TRASANDE: Can I also suggest that perhaps,
14 and recognizing that the hour's very late, that
15 we might want to focus the program's attention
16 on a certain sub-list of chemicals of concern?
17 I mean I could rattle off a list of ones that
18 come to my mind, but -- and I've mentioned some
19 of them, but -- and that may not be helpful as
20 what others might do. I think -- PHs, dioxins,
21 perfluorinateds, particulates are some that
22 jump out as of concern to me. That's not a
23 complete list, that's just off the top of my
24 head -- silica, asbestos, benzene -- thank you
25 -- one three butadiene would be on my list as

1 well. John, are you saying cesium? Diesel,
2 sorry, diesel, thank you. Absolutely right.
3 And we're doing this in extremely rapid
4 fashion. I don't mean to push it that hard,
5 but --

6 DR. WARD: Well, it is a good point because
7 when I look at the -- I was thinking it would
8 be pretty straightforward to look at the list
9 and look for the ones and 2-As 'cause those
10 will be the strongest ones, but then I noticed
11 that diesel is not -- I mean I don't even see
12 diesel on the list. Is it a 2 -- I don't
13 remember if it's a 2-B, so I'm not sure -- so
14 these were -- this list was based on things
15 that were measured, but maybe some things -- I
16 mean if diesel isn't specifically measured, it
17 would not be on this list, so we may -- you
18 know, we may have to look at the list and make
19 sure that there are not things like that that
20 aren't on it that need to be added.

21 DR. TRASANDE: I would also double check -- and
22 we may need to do this informally -- that there
23 aren't chemicals not on the list that were used
24 as part of the cleanup or rescue or fire
25 extinguishing efforts that aren't otherwise

1 mentioned in here. I think the list is
2 complete, but I'm putting a 'think' there for a
3 reason.

4 DR. WARD: Okay. Yes, Susan?

5 MS. SIDEL: All of the oil that was burning
6 from, you know, those -- I forget how many
7 hundred thousands of gallons, but there was all
8 this oil that was being stored in the basement
9 for OEM. You know, there's all that -- okay,
10 diesel, sorry. All right. I mean that was a
11 big...

12 DR. WARD: So I think that's -- you know, I
13 don't know if there's a mechanism for this, but
14 if we're using the list that was put together
15 earlier as our basis, I think we're adding
16 diesel, including the stuff produced from
17 burning diesel fuel. And I imagine it's okay
18 for the Committee -- if they look at this list
19 on the plane home and see something missing,
20 they can e-mail you and ask -- make that
21 sugges-- or -- e-mail me and I'll make it to
22 Paul to add.

23 MS. HUGHES: So in the World Trade Center,
24 that's all, I just want to add plastics.

25 DR. WARD: Yeah. Susan?

1 MS. SIDEL: Yeah, because every floor of the
2 World Trade Center site is basically an acre,
3 and every acre had hundreds of computers, and
4 think about the carpet on the floor, the boxing
5 for the computers, so all that has to be
6 included, too.

7 DR. WARD: So we're at 11:55. I think we've
8 had some very productive discussions today and
9 yesterday. I think we're worn out. So if
10 anyone has any additional suggestions for the
11 call-in agenda, send them to me. I'll convey
12 them to Paul, Paul will work on setting up a
13 time for the next telephone meeting and an in-
14 person meeting, and we'll work on getting the
15 agendas together and the Federal Register
16 notices.

17 Okay, so we are going to need dates of
18 availability from people. Paul, do you want to
19 send out a poll with potential dates and then
20 have people fill them in, or -- that might be
21 the most efficient way.

22 DR. MIDDENDORF: Yeah, I just need to remind
23 you that we need to look at availability of
24 personnel to support the meeting. We have very
25 limited support and they have other tasks as

1 well, so that will be one of the
2 considerations. And for the face-to-face
3 meeting will be the availability of the
4 location, so the sooner you can get me dates,
5 the sooner I can make some decisions.

6 DR. WARD: So you want people to send you dates
7 when they're absolutely not available or dates
8 when they are available?

9 DR. MIDDENDORF: Probably not available.

10 DR. WARD: Okay, not available. Okay.

11 MR. CASSIDY: What time of day?

12 DR. WARD: Well, I think for the phone
13 conference -- well, I guess that's -- it was
14 brought up that maybe we should have the
15 meeting -- the face-to-face meeting at a time
16 when working people can attend, so we did have
17 the idea of maybe starting let's say at 2:00 in
18 the afternoon, going into the evening, and then
19 continuing the next day, so that's a
20 possibility. But a telephone meeting, I would
21 assume it'll be probably at least three hours.

22 DR. MIDDENDORF: Yeah, we would probably start
23 in the afternoon to accommodate our west coast
24 folks so they don't have to get up at 5:00
25 o'clock in the morning.

1 DR. WARD: Okay, so -- yes.

2 MR. CASSIDY: What's -- this is a silly
3 question. What's the -- for the face-to-face
4 meeting, why would we start in the afternoon?
5 Why wouldn't we start 8:00 o'clock in the
6 morning?

7 DR. WARD: The idea would be to have part of
8 the meeting off regular work hours. I guess
9 the other option would be to do it on a
10 Saturday, but I doubt that that's feasible if
11 we want to hold it in the federal building with
12 all the staff support, so that was the only
13 idea is to allow some time for the public to be
14 here when they're --

15 MR. CASSIDY: When it's open to the public.

16 DR. WARD: Yeah, yeah.

17 MS. MEJIA: For the telephone meeting, are we
18 precluded from meeting with some of the
19 Committee members in one room to handle that
20 telephone call? Can we do that?

21 DR. MIDDENDORF: Yes, you can do that.

22 DR. WARD: Thanks everyone. We'll bring the
23 meeting to a close now, and I appreciate all of
24 your participation and input, and I guess you
25 are free to send me any suggestions via e-mail

1
2
3
4
5
6

and I will convey them to Paul. Thank you.

(Meeting adjourned at 11:57 a.m.)

1

CERTIFICATE OF COURT REPORTER
STATE OF GEORGIA
COUNTY OF FULTON

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of November 10, 2011; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither related to nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 6th day of December, 2011.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC
CERTIFIED MERIT COURT REPORTER
CERTIFICATE NUMBER: A-2102