

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes

WORKING GROUP

ADVISORY BOARD ON  
RADIATION AND WORKER HEALTH

PROCEDURES REVIEW

The verbatim transcript of the Working Group Meeting of the Advisory Board on Radiation and Worker Health held in Cincinnati, Ohio, on March 13, 2008.

STEVEN RAY GREEN AND ASSOCIATES  
NATIONALLY CERTIFIED COURT REPORTERS  
404/733-6070

C O N T E N T S

March 13, 2008

OPENING REMARKS	6
DR. CHRISTINE BRANCHE, DFO	
INTRODUCTION BY CHAIR	9
MS. WANDA MUNN	
SC&A: NEW MATRIX FORMAT	10
MS. KATHY BEHLING	
NIOSH: RESPONSE TO OTIB-0017 SC&A WHITE PAPER	116
NIOSH: OTIB 0019-10	131
NIOSH: REVIEW OF OTIB-0012	134
NIOSH: PROC-0092	135
NIOSH: PROC-0090 MATRIX ITEMS	143
NIOSH: TIB-011-01 AND -02	153
SC&A: REVIEW OF PER-9	161
CALENDAR ITEMS	198
COURT REPORTER'S CERTIFICATE	207

### TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

-- "^" denotes telephonic failure.

**P A R T I C I P A N T S**

(By Group, in Alphabetical Order)

DESIGNATED FEDERAL OFFICIAL

BRANCHE, Christine, Ph.D.

Principal Associate Director

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, D.C.

MEMBERSHIP

GIBSON, Michael H.

President

Paper, Allied-Industrial, Chemical, and Energy Union

Local 5-4200

Miamisburg, Ohio

GRIFFON, Mark A.

President

Creative Pollution Solutions, Inc.

Salem, New Hampshire

MUNN, Wanda I.

Senior Nuclear Engineer (Retired)

Richland, Washington

ZIEMER, Paul L., Ph.D.

Professor Emeritus

School of Health Sciences

Purdue University

Lafayette, Indiana

**IDENTIFIED PARTICIPANTS**

ADAMS, NANCY, NIOSH  
ANIGSTEIN, BOB, SC&A  
BEHLING, HANS, SC&A  
BEHLING, KATHY, SC&A  
BURGOS, ZAIDA, NIOSH  
CHANG, CHIA-CHIA, NIOSH  
ELLIOTT, LARRY, NIOSH  
HINNEFELD, STUART, NIOSH  
HOMOKI-TITUS, LIZ, HHS  
HOWELL, EMILY, HHS  
KOTSCH, JEFF, DOL  
LOOMIS, DON, SC&A  
MARSCHKE, STEVE, SC&A  
MAURO, JOHN, SC&A  
THOMAS, ELYSE, ORAU

## P R O C E E D I N G S

MARCH 13, 2008

(9:30 a.m.)

OPENING REMARKS

**DR. BRANCHE:** Good morning. This is Dr. Christine Branche, and we're starting the worker group, worker Procedures meeting this morning from the Advisory Board on Radiation and Worker Health. And I'd like to start with the Board members announcing their names please.

**DR. ZIEMER:** Paul Ziemer, Board member.

**MS. MUNN:** Wanda Munn, Chair of this group.

**MR. GRIFFON:** Mark Griffon, Board member.

**MR. GIBSON:** Mike Gibson.

**DR. BRANCHE:** Are there any other Board members on the line?

(no response)

**DR. BRANCHE:** So we don't have a quorum so we can proceed. NIOSH staff with us in the room, please.

**MR. ELLIOTT:** This is Larry Elliott, Director of OCAS.

**MR. HINNEFELD:** Stu Hinnefeld, Technical Program Manager for OCAS.

1                   **MS. ADAMS:** Nancy Adams, Office of the  
2 Director, NIOSH.

3                   **DR. BRANCHE:** Christine Branche, Principal  
4 Associate Director and Designated Federal  
5 Official at NIOSH.

6                   NIOSH staff on the phone please?

7                   **MS. BURGOS (by Telephone):** Zaida Burgos.

8                   **DR. BRANCHE:** ORAU staff in the room please.

9                   **MS. THOMAS:** Elyse Thomas.

10                  **DR. BRANCHE:** ORAU staff by phone.

11                  (no response)

12                  **DR. BRANCHE:** SC&A staff in the room.

13                  **DR. MAURO:** John Mauro, SC&A.

14                  **MR. MARSCHKE:** Steve Marschke.

15                  **DR. BRANCHE:** SC&A staff by phone please?

16                  **MS. BEHLING (by Telephone):** Kathy Behling.

17                  **DR. ANIGSTEIN (by Telephone):** Bob  
18 Anigstein.

19                  **MR. LOOMIS (by Telephone):** Don Loomis.

20                  **DR. BRANCHE:** Other federal agencies' staff  
21 in the room please.

22                  **MS. HOWELL:** This is Emily Howell with  
23 Health and Human Services.

24                  **DR. BRANCHE:** Other federal agency staff by  
25 phone please.

1                   **MS. HOMOKI-TITUS (by Telephone):** Liz  
2 Homoki-Titus with HHS.

3                   **MS. CHANG (by Telephone):** Chia-Chia Chang  
4 with NIOSH.

5                   **MR. KOTSCH (by Telephone):** Jeff Kotsch with  
6 Labor.

7                   **DR. BRANCHE:** Are there any petitioners or  
8 their representatives who would like to  
9 introduce themselves on the phone?

10                   (no response)

11                   **DR. BRANCHE:** Any workers or their  
12 representatives on the phone please?

13                   (no response)

14                   **DR. BRANCHE:** Any members of Congress or  
15 their representatives on the phone, please?

16                   (no response)

17                   **DR. BRANCHE:** Anyone else who would like to  
18 mention their names?

19                   (no response)

20                   **DR. BRANCHE:** Before we get started I would  
21 like to ask those of you who are in the room  
22 please to mute your phones. And for those of  
23 you who are participating by phone if you  
24 would be so kind as to mute your phone when  
25 you are not speaking. If you do not have a

1                   mute button, then please use star six so that  
2                   we can have silence on the line. And when you  
3                   are ready to speak, then please use star six.  
4                   Thanks so much.

5                   Ms. Munn.

6                   **INTRODUCTION BY CHAIR**

7                   **MS. MUNN:** Good morning. Those of you who  
8                   have our agenda know that we're going to spend  
9                   most of the morning taking a look at our new  
10                  and vastly improved matrix system which Kathy  
11                  Behling and Steve have been working together  
12                  on for just about the last six months.

13                  Isn't it about right, Kathy?

14                  And I hope that those of you who need  
15                  the information already have the material that  
16                  was sent to you by e-mail. Kathy's going to  
17                  give us her presentation with the expectation  
18                  that we're going to talk about this probably a  
19                  lot. And we will have one or two other items  
20                  with respect to this matrix that we need to  
21                  discuss while we're here.

22                  One of the things that we'll need to  
23                  discuss is how extensive the report on this  
24                  matrix and how it's going to operate needs to  
25                  be when the Board's letter goes to the

1 Secretary. That turns out to be a thornier  
2 question than it sounds like easily. I  
3 recognized when I had an opportunity to see  
4 the draft that SC&A has put together what the  
5 real problem is.

6 The real problem is that this is an  
7 extremely complex system. Describing it in a  
8 simplistic way briefly is a major issue. So  
9 at the same time we're going through these  
10 things I would like for all of us to have in  
11 the back of our minds is the serious problem  
12 of how to be concise and at and at the same  
13 time fulfill the need for full information  
14 that we need when we're going to be  
15 communicating with the Secretary.

16 That being said, Kathy, do you want to  
17 begin?

18 **SC&A: NEW MATRIX FORMAT**

19 **MS. BEHLING (by Telephone):** I'm ready to  
20 begin, Wanda.

21 Can everybody hear me?

22 **MS. MUNN:** We can.

23 **MS. BEHLING (by Telephone):** Okay, and as  
24 Wanda said, I hope that everyone received the  
25 information that first of all Wanda sent out

1 of my initial presentation. And then I  
2 followed that up with another one-page PDF.  
3 It's the term-server logon screen, and I hope  
4 everyone has that also. I did ask Steve  
5 Marschke to bring along hard copies for those  
6 in the room, maybe if you were unable to make  
7 a hard copy of that before you got to the  
8 meeting. So I assume you have all of that  
9 material.

10 **MR. GRIFFON:** Kathy, I don't have either one  
11 of those. When were those sent?

12 **MS. BEHLING (by Telephone):** I'll send them  
13 to you now.

14 **MR. GRIFFON:** Okay, thank you.

15 **DR. ZIEMER:** Those were sent the day before  
16 yesterday I believe.

17 **MR. MARSCHKE:** Some of them came yesterday.

18 **MS. BEHLING (by Telephone):** Wanda made sure  
19 she sent them. She sent about four different  
20 e-mails and some of them, the presentation was  
21 not in a zipped format. It was actually PDF  
22 format, and it says Kathy's past three matrix  
23 presentations.

24 **MR. GRIFFON:** Yeah, I got nothing from Wanda  
25 in the last couple days anyway.

1                   **MS. MUNN:** You didn't?

2                   **MR. GRIFFON (by Telephone):** I don't know.

3                   **DR. BRANCHE:** I'll send everything to you  
4 now.

5                   **MR. GRIFFON:** Thanks.

6                   **MS. BEHLING (by Telephone):** What I'd like  
7 to do, first of all, let me explain why I  
8 asked Wanda if we could have an opportunity to  
9 walk through this Procedures matrix. I guess  
10 first of all I wanted to show you the changes  
11 that we've incorporated into the matrix for  
12 the purpose of making the data entry process a  
13 little more efficient for us. And then  
14 secondly, and I guess most importantly, I  
15 wanted to ensure that we've captured all of  
16 the relevant data and are developing reports  
17 from that data that serve the needs of our  
18 work group.

19                   So whenever we go through this process  
20 again, and I know in some cases you've heard  
21 some of this before, and you'll be a little  
22 more familiar with it, but let's make this  
23 interactive and ask questions along the way.  
24 Don Loomis is on the phone also, and he's the  
25 developer of the database so when I can't

1 answer your questions, I'm sure he can. The  
2 only thing I would ask is how many cups of  
3 coffee John Mauro has had.

4 I think we can start with the one-page  
5 file that I, titled Term-Server Logon Screen.  
6 Does everyone have it available?

7 **DR. BRANCHE:** Yes, thank you.

8 **MS. BEHLING (by Telephone):** What that shows  
9 you is obviously when you get onto the term-  
10 server on the left-hand side and you can see  
11 where you're looking at the O drive. And  
12 underneath the O drive is the folder, the AB  
13 document review folder where we place a lot of  
14 documents for the Board.

15 In this particular case, NIOSH and  
16 ORAU have developed another folder underneath  
17 that specific for this database, for this  
18 tracking system, called Advisory Board-dash-  
19 SC&A. And underneath that folder is a  
20 tracking system folder, and, in fact, when ^  
21 we will change ^ because I anticipate this is  
22 going to obviously be the Procedures tracking  
23 system, and I anticipate that possibly by even  
24 the end of the month when we have the Dose  
25 Reconstruction Subcommittee meeting we will be

1 in a position to have a draft of a Dose  
2 Reconstruction tracking system which I hope to  
3 make a presentation on or at least give you a  
4 draft of what that might look like at the end  
5 of this month.

6 And then what you'll see inside this  
7 current tracking system that exists on the  
8 folder that exists there, and that will be  
9 changed to probably Procedures Tracking  
10 System, that name. The first thing you see in  
11 the main portion of the screen, in the center,  
12 is called a folder called Reference Documents.

13 And that's going to hold all of our  
14 white papers and those documents that we're  
15 going to, in the actual database and in the  
16 findings. We're going to link our white  
17 papers and any records information into that  
18 folder. And so when you're actually in the  
19 database, and if you want to, you have  
20 findings that where there was a white paper  
21 identified, all the white papers written,  
22 you'll be able to click in that finding, and  
23 you will open up a PDF file and that'll come  
24 from this particular folder that will actually  
25 show you the white paper.

1                   Underneath there you see three folders  
2                   or actually three files, and these are your  
3                   active database files with the first one being  
4                   the Advisory Board on Radiation and Worker  
5                   Health Procedures Issues Tracking and no  
6                   extension behind that. And the other two  
7                   folders, the other two files have a data and a  
8                   local extension behind them.

9                   So when you open up the database that  
10                  you're going to be working with, you want to  
11                  use that first file, the one that does not  
12                  have data or local behind it. In fact I have  
13                  a box around that one. It's the very first  
14                  file there. And when you select it, let me  
15                  also talk a little bit about the logon  
16                  procedure, and then at the end of this  
17                  discussion we'll have a little bit longer talk  
18                  about log in and how we're going to handle  
19                  this and what kind of access we're going to  
20                  give to people.

21                  But when you log onto the term-server,  
22                  the database will know, based on your user ID,  
23                  whether you are a person who will have read-  
24                  only access or if you will have full access  
25                  meaning you can make changes to the database.

1 That will be identified just by you logging  
2 in, and we'll talk about that a little bit  
3 later.

4 **MR. ELLIOTT:** All right, let me interrupt  
5 you. You're saying when you log onto the O  
6 drive?

7 **MS. BEHLING (by Telephone):** Yes.

8 **MS. HOWELL:** I had a question, Kathy. This  
9 is Emily Howell. I know we had mentioned this  
10 when we had our meeting in Las Vegas, and we  
11 had a Procedures work group there. What are  
12 our plans in terms of marking the document  
13 such as the white papers as having been or not  
14 yet been Privacy Act reviewed? Are we going  
15 to ensure -- I just want to ensure that there  
16 still is a header or footer on all of these  
17 documents that are coming up stating where  
18 they are in the process of being Privacy Act  
19 reviewed, whether they're publicly releasable  
20 or not.

21 Because I wouldn't want someone to  
22 print something off that they've accessed from  
23 the database and then disseminate it not  
24 realizing that it's, you know, the Privacy, it  
25 has not gone through Privacy Act review, and

1           that you're being able to see it because  
2           you're a government employee or contractor.

3           **MS. BEHLING (by Telephone):** That's a very  
4           good point, and we will certainly make sure  
5           that any information that gets into the  
6           reference document has gone through the  
7           Privacy Act and through you and through the  
8           Privacy Act process.

9           **MS. HOWELL:** I mean, it's okay with us. I  
10          mean, this is for, my understanding of this  
11          whole ACCESS database is that it's internal,  
12          and because it's internal it may be of use to  
13          SC&A and the Board members for these to be un-  
14          redacted, non-reviewed copies which is fine.  
15          But if that's the case, they just need to be  
16          clearly marked as such.

17                 And I also wouldn't mind having some  
18          sort of system message that comes on when you  
19          log in stating once again these are government  
20          documents. Do not print them and make them  
21          available to others or something along those  
22          lines. I'd be happy to work with you on that  
23          language. I know you have some language that  
24          is typically put on documents that haven't  
25          been reviewed. So it's okay that they're not

1 reviewed. I don't think we're looking to  
2 review everything that goes on this, but we  
3 need some sort of message.

4 **MR. ELLIOTT:** I would like to expand upon  
5 that. This is Larry Elliott. I think it's  
6 come to our attention that it's very important  
7 that we identify when one of these documents,  
8 a matrix or a white paper or working document  
9 of the work group, is in its final form. It  
10 becomes finalized. We have many versions.  
11 You know, these are working documents.  
12 They're drafts. They're labeled in many  
13 different ways. I think we need to come up  
14 with a standardization of labeling and make  
15 sure that we know when we have arrived at a  
16 final version.

17 **MS. HOWELL:** So that we know what to review  
18 and make available if it is necessary.

19 **MR. ELLIOTT:** Well, see, I think it's  
20 complicated because as we work with these  
21 different work products of the working group,  
22 we may find ourselves being requested to  
23 release them. So you're asked to do a Privacy  
24 Act review under a FOIA request, and so now we  
25 have a version that's not a final version.

1           It's a draft. It's preliminary, and it's been  
2 reviewed and redacted.

3                   And then we go a period of time, a  
4 month, two months, a quarter, half a year, and  
5 all of a sudden we have a different version  
6 than what was previously made available, and  
7 yet it's not final. So you see where I'm  
8 coming from? At some point in time we've got  
9 to -- and I'm not trying to push to closure  
10 here. I'm trying to push to the ability that  
11 you're setting a record, a record of your  
12 deliberations, and you want to be able to show  
13 that this was the final version.

14           **MS. HOWELL:** And when that happens, I'm  
15 still not clear on exactly who's going to have  
16 the ability to edit these documents -- and  
17 maybe we can go over that one more time -- but  
18 when that happens maybe if there's some way to  
19 close the document on the system so that it  
20 can't be -- I mean, we certainly need to have  
21 a really clear record of the edits that are  
22 made so that we can keep track of versions. I  
23 mean, that's going to be a concern with us  
24 because of the likelihood of the need to  
25 release interim documents to claimants and

1 petitioners.

2 **MR. ELLIOTT:** Would it be good if I go over  
3 our practice or process, the policy at this  
4 point in distributing these documents? I  
5 mean, would that be helpful?

6 **MS. HOWELL:** Yes.

7 **MR. ELLIOTT:** Okay.

8 **DR. ZIEMER:** Well, could I ask you a  
9 question first? This is Ziemer. Are you only  
10 referring to the documents in that reference  
11 document file or to this whole tracking  
12 system?

13 **DR. BRANCHE:** Everything.

14 **DR. ZIEMER:** Everything, because we've  
15 already agreed for the most part most folks  
16 will not have the ability, just speaking sort  
17 of generically, the ability to change these  
18 documents except for a designated person from  
19 SC&A and a designated person from NIOSH, and  
20 that may be it, or a couple people.

21 **MR. HINNEFELD:** Perhaps the working group  
22 Chair.

23 **DR. ZIEMER:** Or perhaps the working group  
24 Chair, but in general, let's keep it -- and  
25 most people accessing this will not have the

1 ability to change anything.

2 **MS. HOWELL:** So can you, will you be able to  
3 set it up so that for a specific matrix, say  
4 the Mound matrices, Josie Beach is the working  
5 group Chair, and she would only have access to  
6 just that one matrix? Do you see what I mean?  
7 Because this whole working group Chair thing  
8 you have to be specific about what they can  
9 access and what they can't.

10 **DR. BRANCHE:** Well, she was using an example  
11 of Mound, but for this working group it would  
12 just be Ms. Munn.

13 **MR. ELLIOTT:** The editor and writer writes  
14 to this, you know, that's one thing. But  
15 maybe the way to control it is when the  
16 document authors or owners make a change to  
17 it, they submit it to somebody who can then  
18 replace the version. Maybe that's the way or  
19 add the version. I don't know.

20 **DR. MAURO:** I've been thinking about this  
21 dilemma and I've come, at least in my mind  
22 there's a bright line. From SC&A's  
23 perspective all of our work products, whether  
24 it's a matrix or a formal deliverable, is  
25 something that we deliver to the full Board,

1 NIOSH or the working group. And this is a  
2 product for NIOSH and the working group or the  
3 full Board.

4 The fact that some of that material,  
5 all of that material may or may not be of  
6 interest to folks outside of the working  
7 group, the Board, NIOSH, and want to  
8 participate, I see that as something separate.  
9 In other words from SC&A's perspective our  
10 obligation is to deliver as complete and clear  
11 a work product to the working group and Board.  
12 Very often we include deliberately information  
13 that's Privacy Act.

14 In fact, I have a report in my lap  
15 right now that I'm reading that has a dozen  
16 names in there. And they're important to have  
17 those names. We need to know who they are,  
18 and why their information is valuable. In  
19 fact, the instruction says do not redact.  
20 This is going to go to the Board, and you're  
21 going to need to see it. And what I'm saying  
22 now also applies to matrices.

23 In other words so then the question  
24 becomes, okay, SC&A has fulfilled its  
25 obligations in delivering the work product

1           that we're committed to to the Board, NIOSH  
2           and the working group. Now, fine, the other  
3           side of the line. Okay, we're about to have a  
4           working group meeting. We're NIOSH and let's  
5           put this work product on the web. That's on  
6           the other side of the line. And at that point  
7           in the process a decision -- and I'm not quite  
8           sure how this decision is made.

9                     I mean, this is really a question.  
10           Yes, this work product needs to go through PA  
11           review so it's available to anyone who might  
12           be interested in looking at it. So in a funny  
13           sort of way SC&A is almost isolated from this  
14           problem because we deliver our product, and as  
15           far as I'm concerned, we're done.

16           **MR. ELLIOTT:** If I may, that segues into my  
17           explanation of our process and our policy on  
18           handling these kind of things. You're right.  
19           When you produce a product or when an OCAS  
20           author produces a product for the working  
21           group deliberations it's been a practice more  
22           tried than true upon this practice under our  
23           value of being as transparent as possible to  
24           reach out to the petitioner and explain to the  
25           petitioner that the working group is going to

1 meet. They're going to be talking about these  
2 documents.

3 And if we have them in our hands at  
4 that point in time, we can give them an  
5 understanding. If they want to request it,  
6 we'll get a redacted version available to  
7 them, but it will be redacted perhaps. It may  
8 not be a complete version. And then if  
9 anybody else is interested in seeing the  
10 document, they must provide a FOIA request.  
11 We take those verbally. We take them in  
12 writing. We take them by e-mail.

13 And our intent is to try to turn this  
14 around as quickly as possible, but you and I  
15 both know that we tend to turn things in at  
16 the eleventh hour, and you bring things in the  
17 day before. And so in many cases we're not  
18 going to be able to have a redacted version  
19 ready for the petitioner or an interested  
20 outside stakeholder until perhaps after the  
21 meeting has occurred.

22 So that's our dilemma. That's  
23 something we're talking about internally at  
24 NIOSH about how we can be more transparent and  
25 yet follow the protections given to the

1 program under the FOIA Act. Does that help?  
2 Any questions about that?

3 **DR. ZIEMER:** This is Ziemer. I have a  
4 question or a comment. I believe that NIOSH  
5 already has the ability, even on the O drive,  
6 to restrict who sees what files. For example,  
7 Mark Griffon, I tried to look at the Mark  
8 Griffon files on the O drive the other day,  
9 and it wouldn't let me. But your own set of  
10 files are there, right, Mark? The system has  
11 the ability to restrict who can go into  
12 particular files even within, and none of the  
13 members of the public as far as I understand -  
14 -

15 **MR. ELLIOTT:** That's right.

16 **DR. ZIEMER:** -- have access to the O drive.  
17 So this is Board members, and it's on the O  
18 drive. It's protected and it's only when it  
19 moves out into the website, and that doesn't  
20 happen unless you guys have --

21 **MR. ELLIOTT:** It's our policy that we don't  
22 post these works in progress, the working  
23 documents, on the website. It's just too  
24 difficult to manage the version control.

25 **DR. ZIEMER:** And then the only issue is if

1           it is on the O drive, do I have the ability,  
2           for example, to download it, print it and  
3           suddenly make it available to somebody else.  
4           And that's what --

5           **MS. HOWELL:** Right, and that's my concern.  
6           I just want it properly labeled.

7           **DR. ZIEMER:** And I think there's where you  
8           need a caution on individual documents so that  
9           if they're still on the O drive to remind us  
10          that this is restricted. Or if it's not, but  
11          it's still on the O drive, that it's okay to  
12          make that public, right?

13          **MS. HOWELL:** And also --

14          **MR. ELLIOTT:** The first assumption should be  
15          everything that you touch in the O drive or in  
16          NOCTS is Privacy Act controlled, and before  
17          you could release it to some other party, you  
18          have to get an authority to --

19          **MS. HOWELL:** My concern is more that  
20          someone, a Board member, will print out  
21          multiple copies of something to have with them  
22          and then not realizing which version they have  
23          and just to say, oh, well, here, thinking that  
24          it, you know --

25          **DR. ZIEMER:** Or throw it in the wastebasket.

1           **MS. HOWELL:** -- right, and so I just say  
2           it's important for them to be properly marked.  
3           And also, if there were some -- and I think we  
4           talked about this in Las Vegas as well -- a  
5           mechanism to show that somehow the notes, what  
6           version has been printed. I thought this  
7           would be helpful not only from this  
8           perspective of controlling things that you've  
9           printed out, but also for Board members to  
10          show what it is they're looking at.

11                    If they have a printed copy, is it an  
12          old copy? Which version is it? What date was  
13          it printed, and who was it printed by? And if  
14          there's a way to make that a default footer or  
15          something on the documents when they print, I  
16          think that that would be helpful.

17          **DR. BRANCHE:** You asked for that actually --

18          **MS. BEHLING (by Telephone):** Yeah, I agree  
19          with everything you're saying, and as you  
20          indicated, we know there are going to be Board  
21          members and those people who have access to  
22          the O drive that can actually get these  
23          documents. And I think for Nancy Johnson at  
24          SC&A we have established working with HHS in  
25          clearly marking all of our documents as to

1 where they are in the process.

2 And we can, we will work with you in  
3 any way we need to to have appropriate headers  
4 and footers and make sure it's very clear to  
5 all the Board members as to what version of  
6 the documents that we're looking at. And I  
7 think we already have a lot of that in place,  
8 and we'll continue to work with you through  
9 Nancy Johnson. We've sort of established one  
10 person at SC&A that can do this. And we will  
11 be very careful about the information that is  
12 put into this reference document folder.

13 But I'm certainly glad that you  
14 brought it to our attention, you know, the  
15 sensitivity of this because I have to admit my  
16 feeling was it is going to be Board members  
17 that are going to be generally looking at  
18 this, that this wouldn't be a problem.  
19 However, you are correct. I'm sure they will  
20 be able to have the ability to print these  
21 documents. In fact, I will show you a little  
22 bit later how to do that.

23 **DR. BRANCHE:** Steve wanted to raise a  
24 question.

25 **MR. MARSCHKE:** I was saying that as far as

1 the tracking system goes, I mean, controlling  
2 these documents, we could put a key in the  
3 name of the document whether it's been Privacy  
4 Act cleared or not. And then basically, based  
5 upon that key that's in the name of the  
6 document, we can either put a footer on it or  
7 totally restrict the ability to print that  
8 particular document. That would solve the  
9 problem from the tracking system's point of  
10 view and the document's point of view.

11 Obviously, if somebody were to come to  
12 this point on the O drive and instead of  
13 clicking on the tracking system, they were to  
14 go down into the reference documents and get  
15 to the documents themselves and do it that  
16 way, then that would not work for that. But  
17 to go, you know the way the document works we  
18 could put a key in the name of the document,  
19 whether it's been cleared or not, and based on  
20 that key then, you know, either add a footer  
21 or restrict the ability to print or do  
22 something along those lines.

23 **DR. BRANCHE:** This is Christine Branche. I  
24 just wondered if I could step back because we  
25 are talking about the ability of people to see

1                   these documents, who sees these documents.  
2                   And I just want to go back to something,  
3                   Wanda, you said about preparing a letter to  
4                   the Secretary.

5                   Let me just make sure I understand  
6                   this. Are you trying to make clarifications  
7                   as to how you and Kathy were planning to make  
8                   your presentation to the Board and how that  
9                   information would be then conveyed to the  
10                  Secretary or were you planning to write a  
11                  letter to the Secretary? If it's the latter,  
12                  why would you want to do that?

13                 **MS. MUNN:** No, the former.

14                 **DR. BRANCHE:** All right.

15                 **MS. MUNN:** Not until John and the SC&A team  
16                  began to try to pull together how we were  
17                  going to report this significant change in the  
18                  way we do business did we recognize this  
19                  dichotomy that we had, how to convey adequate  
20                  information without sending such a large  
21                  document that would be unreadable.

22                 **DR. BRANCHE:** Thank you very much. I think  
23                  that was the last time -- this is Christine --  
24                  I think the idea of using the very liberal  
25                  space that can be used to name a document, it

1 would be very helpful if you all would come up  
2 with a sort of a naming convention for  
3 documents I think you can settle a lot of  
4 issues about what's final, what's interim,  
5 what draft, what version, what date, and I  
6 would just suggest that you adopt a convention  
7 for all the documents coming from SC&A and  
8 frankly from NIOSH as well.

9 **MR. ELLIOTT:** Well, it's both, yes.

10 **DR. BRANCHE:** Thank you.

11 **MS. MUNN:** The nomenclature needs to be  
12 crystal clear to anyone, even the casual  
13 observer.

14 **DR. BRANCHE:** I will note that this is now  
15 the third time Emily's request for the  
16 printing issue come up because it was at a  
17 previous Procedures meeting.

18 **MS. HOWELL:** I have no problem, I mean, I  
19 would assume that pretty much everything on  
20 this database would not be Privacy Act  
21 reviewed because it wouldn't be helpful to the  
22 Board or contractors to have that, and that's  
23 fine. And with the printing I have no problem  
24 with people being able to print things.

25 I would just like for there to be some

1 sort of notations that is unchangeable that is  
2 printed with it showing the version, who  
3 printed it and all of that. So that if  
4 something inadvertently gets made public, we  
5 can at least figure out where the problem  
6 arose. And I think that would be helpful to  
7 Board members as well to know which version  
8 they're looking at because it seems like you  
9 could have a matrix that changes frequently.  
10 And we've had that issue in working group  
11 meetings before where people are looking at  
12 two different versions, so thank you.

13 **DR. ZIEMER:** Emily mentioned the knowledge  
14 of who printed it. Do we have the ability in  
15 the system if I went on the O drive and  
16 decided to print out something, do you know  
17 that, does the O drive know who's printing out  
18 something?

19 **MR. HINNEFELD:** Yes, yes.

20 **DR. ZIEMER:** Oh, it does? And so you could  
21 go back and track --

22 **MR. MARSCHKE:** We can put the name of the,  
23 basically the name of whoever is logged into  
24 the tracking system. We know that person, who  
25 that person is by, we get that information

1 from the O drive, and we can add that as a  
2 footer if you want. We can add that as a  
3 footer to any printouts that are made.

4 **DR. ZIEMER:** Other than just the print out,  
5 is there a log that somebody can go to and  
6 say, ah, Ziemer printed that out on that date?

7 **MR. HINNEFELD:** There's a log for the user,  
8 and I suspect that they could determine each  
9 activity of that user as they were logged in.  
10 I don't know that for sure.

11 **MR. MARSCHKE:** I think we have to check on  
12 that.

13 **MR. HINNEFELD:** They can certainly detect  
14 whether they've logged in.

15 **MR. ELLIOTT:** They know when he's logged in.  
16 They know when he's logged off.

17 **MR. HINNEFELD:** But I don't know --

18 **DR. ZIEMER:** ^ printed out.

19 **MS. HOWELL:** I think that's most important.  
20 I'm more concerned with the actual printed  
21 document having stuff on it so that if I  
22 randomly found a copy of something that  
23 somebody accidentally left on a Board table, I  
24 could say oh, this was so-and-so's. They  
25 printed it.

1           **DR. ZIEMER:** And it would have my name on  
2 it?

3           **MS. HOWELL:** And this is the version they --  
4 right. And so it would have --

5           **MR. MARSCHKE:** It would have initials or --

6           **MS. HOWELL:** Right, some sort of login name  
7 that we could tell who it was, the Privacy Act  
8 warning, and what version of the document.

9           **MR. MARSCHKE:** Right now we just put the  
10 version of the document, we do put the version  
11 of the document on the printouts. I guess we  
12 could, --

13                    You know, Don Loomis, if I'm saying  
14 something that's not doable, let me know, but  
15 --

16           **MR. LOOMIS (by Telephone):** Let me jump in  
17 at this point then. This is Don Loomis.  
18 There are two different ways that things are  
19 getting printed or can be printed. One is  
20 from when you're within the database itself.  
21 We have complete control. We know who's  
22 logged in. We can control what is printed and  
23 how it is printed, and we can change it on the  
24 way out.

25                    The second way is people are logged

1           into the O drive, and it's just like sitting  
2           at your computer at home and looking at your C  
3           drive. You can print something and using the  
4           print command through the Windows operating  
5           system, but we have no control over that. So  
6           in the case of the white papers and supporting  
7           documents, reference materials, if it's  
8           printed directly, we can't touch it. We can't  
9           tag it. We can't change the headers or  
10          footers. If it's a report that we are  
11          printing within our system, then we can change  
12          it.

13                        So I think that's the, if it's our  
14          material that we're managing directly, then we  
15          can control what's going on. If it's files  
16          sitting out there, PDF files or Word files  
17          that are being printed, we cannot manage  
18          what's going on. ^ in Windows. ^ that's  
19          going to involve the, how the term server  
20          itself is set up, and I'm not sure we want to  
21          get into the system at that level.

22                        **MR. MARSCHKE:** If we can restrict access to  
23          the reference documents subfolder can be  
24          restricted to a very few number of users who  
25          basically, I mean, the same number, anybody

1                   who has, you know, we could ask that NIOSH  
2                   shut off the O drive so that that access is  
3                   restricted so that people, you know, only a  
4                   very few number of users can really get in  
5                   there and have the ability to print those PDF  
6                   files.

7                   **MR. LOOMIS (by Telephone):** That would work  
8                   in that case, but if you brought it up, for  
9                   instance, where linking to these files from  
10                  the database, it's just like on your web  
11                  browser when you see a link, and you click it,  
12                  and it brings up a PDF document. Now, we're  
13                  doing the same thing. When you bring up a PDF  
14                  document what you're bringing up is, Adobe  
15                  Acrobat. And even now outside of our system,  
16                  some call it the Adobe Acrobat Reader. And  
17                  it's got control, and we cannot direct it.

18                  And you can create the PDF document to  
19                  not be printed, but then no one would be able  
20                  to print them, so it would be viewable only  
21                  online. But if they can be printed, then they  
22                  can be printed, and we can't get involved in  
23                  that process.

24                  **DR. MAURO:** Let me jump in for a second.  
25                  We're talking about there's work products that

1 are put out by NIOSH, the contractors and  
2 SC&A. We have an obligation if they have not  
3 been PA reviewed, they have to have a footer  
4 that says this has been PA reviewed.

5 Whoever's looking at this, first of all  
6 whoever receives it, only can receive it if  
7 they're within that envelope.

8 This document right now that was  
9 printed out doesn't have the footer. We have  
10 to fix that so the footer's on. But I think  
11 once the footer is there and all of the folks  
12 within that envelope who have access and the  
13 correct right to look at, just like any other  
14 work product SC&A puts out whether it's a hard  
15 copy, and we send it to you by mail, or we  
16 send it to you electronically, it will have  
17 the footer.

18 And at that point, whether it's a  
19 Board member or someone from NIOSH or OCAS,  
20 they're going to physically have that document  
21 whether it's electronic or hard. And they  
22 have the obligation not to make a copy of that  
23 and send it off to the newspaper. They can't  
24 do that. That's what the footer tells them  
25 not to do.

1                   So in my mind the controls we're  
2                   talking about who went in, I mean, to me the  
3                   most important thing is everyone that's using  
4                   this, first of all, any product that comes off  
5                   the O drive that contains one of these,  
6                   whether it's a white paper that's linked to  
7                   one of these spreadsheets, or it's a  
8                   spreadsheet itself, whatever that material,  
9                   unless it's been PA reviewed initially, goes  
10                  on, is PA reviewed. And everyone has to be  
11                  responsible for not, for controlling it as  
12                  such.

13                  And I think that's where we always  
14                  have been except now we have this system. So  
15                  I guess I don't understand why would we be  
16                  concerned. People are not, you know, everyone  
17                  has to be responsible and not leave copies  
18                  out, un-PA cleared documents and send it out.  
19                  You can't do that.

20                  **DR. ZIEMER:** I think John's right because we  
21                  get all kinds of documents. Larry sends them  
22                  out now, the latest one we got this week, and  
23                  it's unredacted, and it says on it that we're  
24                  not to make it available. So this would be no  
25                  different. So we have to rely on the

1 integrity of the recipients at that point.

2 **MS. HOWELL:** And that's fine. My concern  
3 with the who printed it was more for not so  
4 much controlling who might release it but  
5 because the version, you know, I can, that's  
6 not like a legal concern. It's more just for  
7 you guys internally to know which versions  
8 you're printing, and if the version is written  
9 on there then that's good.

10 **DR. MAURO:** The version control is  
11 essential.

12 **MR. ELLIOTT:** If it's a physical safeguard  
13 that the Board feels is necessary and  
14 appropriate, we can put it in place. If you  
15 feel it's restricting and obstructive, then we  
16 --

17 **DR. ZIEMER:** It's no different than your  
18 other products I don't think at that point. I  
19 don't see that we would see any, right, we  
20 shouldn't treat it any different just because  
21 it's on the O drive.

22 **DR. MAURO:** And your configuration control  
23 point of view I think you've already got it.  
24 In other words when you print out one of these  
25 on the lower right-hand corner it tells you

1           what version you're looking at. And so we can  
2           be sitting around the table and say, well,  
3           listen, we're all looking at 3/7/2008. And  
4           the answer is, yes, that means we're all in  
5           sync because we have had problems in the past  
6           with the other matrix when we, I was looking  
7           at last month's version, but now I think that  
8           problem's been solved by having that date.

9                       And the only thing I think right now  
10           is missing is we don't have the footer on here  
11           that says this has not been cleared. And the  
12           question becomes though, this is a question I  
13           guess how best mechanics. At some point it  
14           may be necessary to clear because there might  
15           be a working group meeting where members of  
16           the public do want to sit in, and they do want  
17           to see this.

18                   **MS. HOWELL:** But is there any need to  
19           actually have the cleared versions on this  
20           database? I guess, I mean, it's typically  
21           when they're cleared. I mean, OCAS has  
22           control of them, and at some point when it's  
23           the final version, it may go on their website  
24           cleared. They may control a cleared version  
25           that's an interim version that may go to a

1           petitioner.

2                       But is there any need for there to be  
3 a cleared version on this because if a working  
4 group chair wants something to go to a  
5 petitioner, they should be operating through  
6 OCAS. They shouldn't be sending the cleared  
7 version themselves. So it may be kind of a  
8 moot point for your database. Just, you know,  
9 everything on it is restricted. Everything on  
10 it is not, has Privacy Act information  
11 included. And if you need a cleared version,  
12 then you go through OCAS.

13           **MR. MARSCHKE:** But the database is something  
14 we could continuously, or supposedly  
15 continuously, update it. And so to have it  
16 continuously, it would have to be continuously  
17 cleared because --

18           **MS. HOWELL:** Right, and that's not possible.

19           **MR. MARSCHKE:** -- every day we could go in  
20 and make changes to it in theory. And so  
21 every day we'd have to have it cleared. So  
22 that really doesn't, I think the best thing to  
23 do is like John says, maybe put a little thing  
24 in the footer saying any outputs from this  
25 database have not been Privacy Act cleared and

1 to handle them as such even though I don't  
2 know that there'd be any Privacy Act  
3 information in it, but we still have nothing  
4 cleared.

5 **MS. BEHLING (by Telephone):** Let me ask a  
6 question here. I thought initially we were  
7 talking about just the supporting documents  
8 and the white papers. It sounds to me though  
9 we're also talking about the matrix itself at  
10 this point now. It would be my understanding  
11 that the Procedures tracking database and the  
12 matrix that's developed from that database  
13 would not contain any Privacy Act information.  
14 Now potentially when we get into developing  
15 databases for the other type of work such as  
16 our dose reconstruction work, that may be  
17 certainly different. But am I understanding  
18 correctly that we're now talking also about  
19 having this type of footer on the matrix  
20 itself?

21 **MR. HINNEFELD:** Kathy, this is Stu  
22 Hinnefeld. I'd like to comment. I tend to  
23 agree with your opinion that it's unlikely  
24 that there'll be Privacy Act information in  
25 the Procedures tracking system. But on the

1 other hand since, you know, if this material  
2 is to be made public, it needs to be reviewed.

3 I think it's probably needed to have  
4 some sort of system in place to ensure that  
5 things like this are not released and there is  
6 a PA review of it before it is released. I  
7 kind of agree with your opinion, but I don't  
8 think we can just automatically assume that  
9 there'll never be any Privacy Act information  
10 in here.

11 **MS. BEHLING (by Telephone):** Okay, all  
12 right, I just wanted to clarify we were  
13 talking about for this database, if it was  
14 going to be just the white papers and  
15 supporting documents or were we also talking  
16 about the matrix. But we might as well be  
17 consistent and also do the PA-cleared issue on  
18 the matrix itself.

19 The only other comment that I would  
20 have with regard to incorporating ultimately  
21 cleared white papers into this database is  
22 it's my understanding that we want this to be  
23 a complete picture from the initiation of a  
24 finding 'til it's resolution and to what has  
25 happened with that particular finding. And

1           this is supposed to be an archive. And I  
2           would imagine that we will really want to  
3           include the various maybe versions of the  
4           white paper and then ultimately the final  
5           version and the cleared version just so that  
6           we have a complete understanding of a  
7           particular finding, of what happened with a  
8           particular procedure. I don't know if others  
9           agree with that or not, but I felt --

10           **DR. ZIEMER:** This is Ziemer. I would offer  
11           the opinion that the cleared version actually  
12           is less informative than the uncleared. So if  
13           you want something that you would call final,  
14           it would be the original, uncleared version.  
15           And this database is to help the Board track  
16           issues. I'm not sure if there's any necessity  
17           that it be made public. Is that a  
18           transparency issue do you think?

19           **MR. HINNEFELD:** It's hard for me to predict.

20           **DR. ZIEMER:** I mean, if we're going to  
21           discuss it at an open meeting, and when we  
22           need printed copies of some version of it,  
23           obviously we'd have to have somebody take a  
24           look at that, I guess.

25                           Right, Emily?

1           **MS. HOWELL:** Yeah.

2           **DR. ZIEMER:** Otherwise, as was pointed out,  
3 this could be in principle changing their  
4 release for every few days or whatever it may  
5 be.

6           **MS. HOWELL:** I'm sorry to interrupt. There  
7 is a chance that in the course of an  
8 administrative review or in litigation that  
9 there would have to be kind of a freeze and  
10 that the information un-Privacy Act reviewed  
11 would have to be made available. Also, I  
12 think that could come up during a  
13 Congressional request for documents.

14          **DR. ZIEMER:** That could be done as needed I  
15 suppose --

16          **MS. HOWELL:** Right, that but that's a  
17 completely separate issue.

18          **DR. ZIEMER:** -- on this certain date.  
19 Here's the --

20          **MS. HOWELL:** Exactly. So I don't see, I  
21 think we had discussed previously the whole  
22 making public transparency thing. And I think  
23 it's not, I think we decided, and Larry has  
24 left and maybe Stu remembers that the database  
25 itself is kind of like the O drive. The

1 database itself is not public.

2 The documents that are on it can be  
3 made public, but in order for them to be made  
4 public, it's like a case-by-case, document-by-  
5 document basis where the review occurs. And  
6 the only situation that I could envision where  
7 a document that had not been reviewed for  
8 Privacy Act concerns would be made public  
9 without some sort of, like where some of the  
10 information that would otherwise be redacted  
11 might be included would be in the situation of  
12 administrative review litigation or a  
13 Congressional request.

14 So I think we need to look at the  
15 documents as potentially being made public,  
16 but we don't as a matter of course make them  
17 public because they are by their nature draft,  
18 pre-decisional documents and anything being  
19 made public would go through additional steps  
20 of review.

21 **MS. MUNN:** Let's think for just a moment  
22 with respect to white papers and what Paul  
23 just stated regarding each of the various  
24 drafts that come through. Is this likely to  
25 involve more than one or two versions? My

1 concern is, again, one of volume.

2 **MS. BEHLING (by Telephone):** I believe based  
3 on what we've seen so far -- and others can  
4 correct me -- it seems to me that when a white  
5 paper is generated, we don't go through  
6 various versions of it or revise it very much.  
7 It's simply a document that is generated  
8 sometimes by SC&A, given to NIOSH so that we  
9 can better explain our position on things.  
10 It's not something that goes through a lot of  
11 renditions. I don't view it as that formal.

12 **DR. MAURO:** I think Wanda brings up a  
13 question that we didn't talk about before, and  
14 this has nothing to do with the PA part of it  
15 now. I think the PA part is clear. The  
16 question becomes white paper. Let's say the  
17 Board directs NIOSH to prepare a white paper  
18 in response to some issue, and they do. It  
19 goes up on the O drive. And at some point in  
20 the process revisions are made to the white  
21 paper that was dated this date. And now we  
22 have a revised white paper. Do we need to  
23 keep track of each revision?

24 And this can be very much a living  
25 process because, if you recall, the intent was



1           made a lot of progress with this white paper  
2           but I think we need to do this, this and this,  
3           and then another version, a revised version,  
4           of that white paper might be worked on in the  
5           next meeting. Do we want to keep track of  
6           every iteration? That would be, I have to  
7           say, extremely cumbersome and burdening to the  
8           process.

9           **MS. MUNN:** That's why I bring the question  
10          because --

11          **DR. MAURO:** It's a legitimate question,  
12          absolutely.

13          **MS. MUNN:** -- I was interpreting what Paul  
14          had suggested earlier.

15          **MS. HOWELL:** Can I, if I could interject for  
16          a minute, and if Liz is on the line, she might  
17          want to chime in. I think that if what you're  
18          talking about is revisions to a white paper  
19          between presentations to a Board working  
20          group, say you have a white paper, and OCAS  
21          and SC&A discuss, and then it goes to a  
22          working group meeting. Then they take it  
23          back, and they make some more revisions. It  
24          goes back to a working group meeting. I think  
25          you have to keep copies of the white paper as

1 it is presented to the working group.

2 Now, if there are iterations where  
3 it's just OCAS and SC&A making changes, and  
4 then there's ultimately only one version that  
5 ever makes it to the working group, that may  
6 be a situation where you only need to keep one  
7 copy. But if different versions are given to  
8 the working group, we have to have copies of  
9 those available for administrative review  
10 purposes.

11 **DR. MAURO:** So the trigger is each working  
12 group meeting. That is, whatever is issued  
13 and used at a working group meeting, that  
14 becomes an official document. Then if it  
15 changes again for the next working group  
16 meeting, that's rev. two.

17 **MS. HOWELL:** Right, and if there are  
18 versions --

19 **MR. HINNEFELD:** Wouldn't that be a  
20 distribution to the working group? Because, I  
21 mean, we overtly, you need to overtly send  
22 things to the working group, before we overtly  
23 send things to the working group or Board,  
24 each time we would do that on a particular  
25 document, that's the next ^.

1           **MR. MARSCHKE:** My question is do you do that  
2           in this issues tracking and part of this  
3           issues tracking matrix or does the white paper  
4           have its own separate folder on the O drive  
5           some place where the history of that white  
6           paper is tracked and maintained separately? I  
7           mean, I don't think this issues tracking  
8           matrix was set up initially to track the  
9           evolution of white papers.

10                   And the question becomes then what  
11           version of the white paper -- at some point  
12           we're going to say, okay, the version of the  
13           white paper addresses the problem that it was  
14           initially designed to address. We bring that  
15           version into the reference document file here  
16           and then we have the tracking system reference  
17           that final version or whatever.

18                   But it doesn't really necessarily have  
19           to track the whole history in this tracking  
20           system. I think that would be done some  
21           place, you know, all the evolutionary versions  
22           would be maintained and filed wherever they're  
23           being maintained and filed now.

24           **MS. MUNN:** Paul, these are the questions  
25           that were coming to mind.

1           **DR. ZIEMER:** Sure, but let me respond to  
2           that in part I think. If your tracking system  
3           follows, you have a series of discussions and  
4           back and forth. And if the only reference  
5           document in the document files is the latest  
6           version, the earlier discussions may make no  
7           sense. Do you know what I'm saying?

8                        In other words someone would say,  
9           well, the white paper doesn't say this. Why  
10          did they discuss it? It seems to me it would  
11          be very easy to have in the document thing  
12          rev. one, rev. two, rev. three, and in the  
13          discussion if it says we're discussing rev.  
14          one, someone could go say, oh, that's what the  
15          issue was. And now that's been resolved and  
16          then as you track along any discussion on a  
17          particular paper you will see where the  
18          changes are made. And someone could go back  
19          and look at an earlier rev. if they wanted.

20                       But once it's in the system as an  
21          official document, it seems to me it sort of  
22          is there. I don't think we have to track it  
23          so much as to keep, you do have to keep track  
24          of what version you're discussing, it seems to  
25          me, as you move along through the regular

1 tracking system. If we're discussing a  
2 thorium issue, we want to know that the  
3 current discussion is based on rev. three of  
4 the thorium paper, whatever it may be. Do you  
5 follow what I'm saying?

6 **MS. BEHLING (by Telephone):** This is Kathy  
7 Behling. I actually agree with you. And  
8 let's remember this is a database. That's  
9 what databases do. They collect all the  
10 information in them. And I don't think there  
11 will be any difficulty in having a folder, and  
12 we can make subfolders, or however we want to,  
13 separate this data out.

14 But under this reference document, we  
15 have a discussion white paper that's  
16 discussing a certain topic. And as you said,  
17 we have rev. zero, rev. one, rev. two, and we  
18 can follow the correction. My feeling was  
19 that this database was to get an archive of  
20 what has happened from cradle to grave with  
21 all of these issues that we're discussing.  
22 And I don't think that that's a problem at  
23 all. And maybe Don Loomis can weigh in.

24 **MR. LOOMIS (by Telephone):** I agree  
25 completely.

1           **DR. MAURO:** But let me go back because I  
2           thought there was general agreement that it's  
3           the trigger for making it a rev. one, a rev.  
4           two, a rev. three is the working group  
5           meeting. That is, there's going to be a lot  
6           of give-and-take prior to a given working  
7           group meeting relating to an issue. White  
8           paper may very -- now here's mechanistically a  
9           white paper is produced.

10                   Let's say it's produced early in the  
11           cycle before the next meeting. The next  
12           meeting is out here. Here's the meeting over  
13           here, okay? You say SC&A and NIOSH, please  
14           look at this issue. And we start looking at  
15           this issue and material is exchanged, or let's  
16           say conference calls are held, these technical  
17           conference calls. But some place along the  
18           way we agree. SC&A says, okay, here's the  
19           white paper we're putting out. Or NIOSH says  
20           here's the white paper we're putting out.

21                   It gets into the system and becomes  
22           just like the matrix, it becomes the white  
23           paper that goes with this matrix that's part  
24           of the package. It's going to be discussed at  
25           that meeting. Now, if it turns out at the

1 next meeting more work needs to be done on the  
2 very same white paper because we discuss it,  
3 and there's a need for more work to be done.

4 What I heard is that then a rev. to  
5 that white paper would be put into the system,  
6 so in the system you have rev. zero. You have  
7 rev. one, but they all would be keyed to a  
8 working group. Now in between the meetings  
9 there's an awful lot of stuff going on. And I  
10 don't think we're going to track that stuff.

11 **MR. ELLIOTT:** I don't think we're asking you  
12 to. You wouldn't see that on our site except  
13 for the individual authors, and they keep  
14 track of the revisions they go through. But  
15 once a document is put into discussion that is  
16 the trigger.

17 **DR. MAURO:** Yes.

18 **MS. BEHLING (by Telephone):** Exactly. And  
19 then it would all be captured in the actual  
20 database and in the record for that finding is  
21 the fact that the Board will direct either  
22 NIOSH or SC&A to reevaluate this white paper  
23 or for SC&A to evaluate a white paper that  
24 NIOSH has just submitted. And so that in  
25 itself will, the next time there's a work

1 group meeting, it will indicate that there  
2 should be another white paper or a revised  
3 white paper out there or a response to a white  
4 paper.

5 Also, any directives that the Board  
6 gives us will be captured in the database, but  
7 we don't want to get too carried away with how  
8 much data we're collecting here. Obviously,  
9 there are things going on in between working  
10 group meetings, but as long as we can do a  
11 trail, look at a trail, I think that's  
12 adequate.

13 **MS. MUNN:** Paul?

14 **MS. BEHLING (by Telephone):** The database  
15 will do that for us.

16 **DR. ZIEMER:** One other observation, I think  
17 in many cases the issue is not to revise the  
18 white paper. It's to resolve an issue.

19 **MS. BEHLING (by Telephone):** That's right.

20 **DR. ZIEMER:** And so unless there's some  
21 reason to go back and say the original white  
22 paper is somehow deficient, it seems to me you  
23 could take an issue out of the white paper and  
24 an issue itself could be subject to  
25 discussion. We say, well, we have to resolve

1           this. We're going to carry it to the next  
2           meeting. But we don't necessarily have to go  
3           back and say let's revise the white paper  
4           because the white paper was simply something  
5           to initiate a discussion.

6           **MS. BEHLING (by Telephone):** That's right.

7           **DR. ZIEMER:** In many cases --

8           **MS. BEHLING (by Telephone):** -- by saying I  
9           don't anticipate a lot of versions of a white  
10          paper.

11          **DR. ZIEMER:** -- unless there's some reason  
12          to feel the white paper is so defective it has  
13          to be either NIOSH or SC&A says I don't want  
14          this to be the final version of a white paper.

15          **DR. MAURO:** I've got a great example. On  
16          Nevada Test Site we had at least three or four  
17          sequential work group meetings dealing with  
18          resuspension factors, and each time our  
19          thinking matured. And we started off with one  
20          approach that was offered up by NIOSH. At a  
21          meeting SC&A came back. We actually issued,  
22          Lynn Anspaugh issued a white paper. It  
23          became, and it went off.

24                           And then what happened next step is a  
25          new white paper was issued by NIOSH which came

1 up with a new approach. So the white papers  
2 were, at least that's like a perfect example,  
3 a series of SC&A and NIOSH white papers.  
4 Eventually this process came to a resolution.  
5 Yes, we like the new resuspension model.

6 **DR. ZIEMER:** But you didn't have to review  
7 the white paper.

8 **DR. MAURO:** We didn't review any, that's  
9 right. We didn't review the white paper.  
10 What happened on the end is there's going to  
11 be a revision to one of the site profiles that  
12 deal with resuspension factors that's going to  
13 reflect this.

14 **DR. ZIEMER:** Right, the white papers were  
15 just a vehicle to start to focus your thinking  
16 in some direction, and they stay as they were.

17 **DR. MAURO:** They did stay as they were.

18 **DR. ZIEMER:** Not that they were defective  
19 per se, they were vehicles to initiate a  
20 discussion.

21 **DR. MAKHIJANI (by Telephone):** Could I say  
22 something here in regard to the site profile?  
23 This is Arjun. What happened, if I remember  
24 correctly, with these white papers is after  
25 NIOSH issued its white paper, we didn't

1           actually write another review document. But  
2           there was a lot of discussion about that  
3           review document. So not everything in it,  
4           while we felt the NIOSH white paper went some  
5           lengths to address the issues that we had  
6           discussed and so on, it came up with a new  
7           approach.

8                         Not everything in the new approach,  
9           there wasn't a full resolution if you just  
10          looked at the papers, and there was further  
11          information in the actual working group  
12          meeting that took place that Kathy reflected  
13          in the kind of record that we're talking  
14          about. That would be a very difficult kind of  
15          --

16                        **DR. MAURO:** Arjun, in the end it's the  
17          transcript that's the final word. In other  
18          words I think that what we're doing is we had  
19          a transcript. Everything is captured there.  
20          In a way what we're saying now is that we're  
21          trying to somehow create a tracking system  
22          that captures the essence of what transpires  
23          at every working group meeting where issues  
24          are being addressed. And sometimes white  
25          papers are a very convenient tool.

1                   But the reality is if somebody really  
2                   wants to go back and recreate an entire  
3                   sequence, I mean, I think that even our  
4                   tracking system is not going to be as complete  
5                   as the transcript. That's our final safety  
6                   net that we made sure we've got it all right.  
7                   So I think we can't make our tracking system  
8                   as complete as the transcript ever.

9                   **DR. MAKHIJANI (by Telephone):** No, I agree  
10                  with that. I just wanted to throw out the  
11                  caution that somehow if there is an idea, I  
12                  think the Task Three thing is a little bit  
13                  different because in Procedures there is, you  
14                  know, generally a more clear resolution at  
15                  least as I follow those discussions. In site  
16                  profiles it's often not so clear, and so the  
17                  idea that white papers somehow this tracking  
18                  system would reflect that resolution is less  
19                  convincing to me, I think, as we are actually  
20                  doing things that would ^ . I just want to  
21                  throw out that caution.

22                  **MR. ELLIOTT:** Well, I think you really have  
23                  a matrix and the issues from the site profile  
24                  review and white papers that address some of  
25                  those issues, the resolution or the progress

1 is captured in the matrix. Is it not, Arjun?

2 **DR. MAKHIJANI (by Telephone):** Yeah, no,  
3 that -- is that Larry?

4 **MR. ELLIOTT:** Yes.

5 **DR. MAKHIJANI (by Telephone):** Yeah, exactly  
6 right, and I think maybe I'm just growing old.  
7 I think that for site profile that thing is  
8 working, and we're introducing it into the SEC  
9 framework now in a slightly modified form.  
10 And yeah, so, I agree with you then that seems  
11 to work.

12 **DR. MAURO:** So going back to the original  
13 rationale, Wanda, during the meeting, at the  
14 end of the meeting, you make a list of action  
15 items.

16 **MS. MUNN:** Yes.

17 **DR. MAURO:** And you say, okay, you do this.  
18 You do that. And one of the things is we  
19 write a report for NIOSH on this subject which  
20 simply says that between now and the next  
21 meeting you'd like NIOSH to put out a piece of  
22 paper that would address this issue. But to  
23 me now, how they get there, whether or not  
24 there's some dialogue going on prior to them  
25 putting that piece of paper out, it's almost

1                   like transparent to your request.

2                   **MS. MUNN:** Yes.

3                   **DR. MAURO:** Your request is very simple.  
4                   You want a piece of paper distributed and part  
5                   of the record, and that's what triggered it.  
6                   So as far as I'm concerned at the next meeting  
7                   it's there, and it stays there because that's  
8                   what you asked for, and it's there. And it's  
9                   going to be there on the record. Now what  
10                  happens after that, happens after that, and  
11                  you will give direction.

12                  Now, that direction might be issue  
13                  another white paper to supplement this, and  
14                  that would be your direction. At that point  
15                  in time it seems to me you may say, listen,  
16                  I'd like you to revise that white paper, a  
17                  revision of it, well, the first version is  
18                  still there. It never goes away. The next  
19                  one that comes out whether it's a new one or  
20                  it's a revision of that one, that's in there  
21                  and stands on its own for the purpose of the  
22                  next meeting. I think it's simple.

23                  **MS. MUNN:** I think so. If nobody minds, at  
24                  this moment I'd like to back up just a little  
25                  bit. It seems to me that we've gone a little

1 far afield, and there are two --

2 **MR. ELLIOTT:** We apologize to the Chair for  
3 taking you there.

4 **MS. MUNN:** That's all right. There are two  
5 things I want to verify. First of all, Mark,  
6 are you still there?

7 **MR. GRIFFON (by Telephone):** Yeah, I'm here.

8 **MS. MUNN:** Did you get the material that  
9 Christine sent you?

10 **MR. GRIFFON (by Telephone):** It came  
11 through, yeah, thanks, Wanda.

12 **MS. MUNN:** Okay, so now you know essentially  
13 our agenda, and you have Kathy's material,  
14 right?

15 **MR. GRIFFON (by Telephone):** Yes. Thank  
16 you.

17 **MS. MUNN:** Very good. I need to verify with  
18 you after this is all over with why my  
19 messages do not get to you because you should  
20 have at least five, possibly six, from me. Is  
21 it the right e-mail address?

22 **MR. GRIFFON (by Telephone):** I'm not sure.  
23 We can clear that up, yeah.

24 **DR. BRANCHE:** We just found out that she had  
25 the wrong e-mail address so we'll correct

1                   that.

2                   **MS. MUNN:** All right, we'll take care of  
3                   that.

4                                 And now, Kathy?

5                   **MS. BEHLING (by Telephone):** Yes.

6                   **MS. MUNN:** Where were we?

7                   **MS. BEHLING (by Telephone):** I don't know.

8                   **DR. BRANCHE:** We were at the very beginning  
9                   actually. You can begin again.

10                   **MS. BEHLING (by Telephone):** I guess we're a  
11                   little bit -- I don't want to say sidetracked  
12                   here because these are very good discussions,  
13                   and I know that they need to take place. I  
14                   think quite honestly I look at this in a much  
15                   more simplistic format. I think sometimes we  
16                   lose track of where we're going here.

17                                 We've been having these types of  
18                   meetings, and we've been dealing with these  
19                   procedures in dose reconstructions and site  
20                   profiles for some time now. All we're trying  
21                   to do at this point is capture the most  
22                   important data. And as I started out saying  
23                   the goal today is to ensure that we are  
24                   capturing the relevant data, and that we're  
25                   producing reports that will serve the needs of

1 the working group.

2 But while we're on this discussion of  
3 accessing this information, I was going to  
4 wait until the end to maybe have a little bit  
5 of this discussion, but while we're discussing  
6 this, it might be appropriate. One of the  
7 things that I wanted to discuss with NIOSH,  
8 and I assume ORAU and Kay or whoever, is the  
9 fact that this is the term-server and this is  
10 honestly ORAU's database and their server.

11 And so I wanted to be sure that we,  
12 SC&A, just a select number of individuals  
13 again, would be in the position to load the  
14 information ourselves into the thing such as  
15 the reference document folder. And I feel  
16 that this is appropriate because, as I said,  
17 we already have something in place to ensure  
18 when things are not PA reviewed. We have a  
19 footer on there, and when things are PA  
20 reviewed, we can load that information.

21 But is it appropriate for SC&A  
22 individuals such as myself or Steve Marschke  
23 here or Don Loomis to load documents onto this  
24 database under a folder such as the reference  
25 documents? I'm just thinking about the

1 mechanics of this. We have the ability to do  
2 that. We can do that through the secure FX;  
3 however, I want to be sure that we do have  
4 permission to do that.

5 And we can also set up some type of  
6 protocol that once that's done, we inform  
7 either up front, we're about to load this  
8 data, or that's different than making changes  
9 to the database. Obviously, I think we've  
10 already gotten permission to have someone like  
11 myself or Steve Marschke go into the database  
12 and update that information. But I'm talking  
13 about loading new information such as these  
14 white papers onto the, or is that something we  
15 need to send to NIOSH and ORAU and they need  
16 to update?

17 **MR. HINNEFELD:** No one is saying anything.  
18 This is Stu Hinnefeld. I don't see any reason  
19 why SC&A shouldn't load those directly.

20 **MS. BEHLING (by Telephone):** Okay.

21 **DR. ZIEMER:** You mean just notify you or how  
22 does that work?

23 **MR. HINNEFELD:** Well, I think it would be a  
24 notification probably to the working group and  
25 to us just like when we put anything up we

1 notify the working group and SC&A that a new  
2 file is out there. Now actually for us to  
3 load, I may have to have offline discussions  
4 with Don and Kathy about how this ACCESS  
5 database will work if we're going to have  
6 users on our side.

7 **MS. BEHLING (by Telephone):** Yes, in fact, I  
8 was anticipating that you would have an  
9 opportunity to call Don yesterday and that we  
10 could --

11 **MR. HINNEFELD:** Yeah, I'm sorry. I got tied  
12 up. Between being out of the office for five  
13 hours and then having three hours to prepare  
14 from all my messages from the night before,  
15 I'm sorry I did not call Don.

16 I apologize, Don. I completely  
17 forgot.

18 **MS. BEHLING (by Telephone):** That's not a  
19 problem at all. I just had to tease you a  
20 little.

21 **MR. HINNEFELD:** Tease Wanda a little bit,  
22 too.

23 **MS. BEHLING (by Telephone):** I guess then  
24 let me take it one step further. Don and I  
25 are already thinking ahead to our Task Four

1 tracking system to our dose reconstruction  
2 tracking system. And as I indicated, if we  
3 look -- we're still on this first screen --  
4 if we look on the left side underneath is  
5 Advisory Board-SC&A there'll be, the current  
6 tracking system will say maybe Procedures  
7 Tracking System.

8 The new Task Four tracking system may  
9 say DR Review Tracking System. It would be  
10 nice also if Don or Steve or Steve or Don  
11 would be more qualified to do it, loading,  
12 once this database is available, loading that  
13 information onto the database.

14 And one of the things that we've  
15 talked about ostensibly is linking findings,  
16 and Don is also working on that. And I'm  
17 envisioning something, and I'm just talking  
18 off the cuff here because Don and I haven't  
19 explored this, if there was a finding in the  
20 dose reconstruction review that we decided  
21 needs to be placed in the Procedures review,  
22 that link would be made in this area.

23 And it would show up, that finding  
24 would show up on the tracking system maybe  
25 with a status of open-imported or something

1 along those lines. And there would also be a  
2 trace from the Dose Reconstruction Tracking  
3 System that said this particular finding was  
4 transferred to Finding such-and-such or in  
5 Procedure number on such-and-such a date. So  
6 you would have a link between the two, and it  
7 would be a clear understanding of where that  
8 finding went so that as we've talked about  
9 nothing falls through the cracks.

10 **MS. MUNN:** I really like that open-imported  
11 concept, and that's been bothering me a lot.

12 **MS. BEHLING (by Telephone):** It came to me  
13 during the night.

14 **MR. HINNEFELD:** Kathy, Stu Hinnefeld here,  
15 one question. Well, in looking at the  
16 Procedures Review database then, at a, say  
17 it's a detailed finding or whatever, will we  
18 be able to look at that and know which DR  
19 findings have been linked to it, if any?

20 **MS. BEHLING (by Telephone):** Yes. Yes, and  
21 we'll get there.

22 **MR. HINNEFELD:** Because, I mean, it could  
23 very well influence what you write in response  
24 to the finding if you know there are other  
25 findings that that response needs to address

1 as well.

2 **MS. BEHLING (by Telephone):** Again, I  
3 believe I can say yes to that. And when we  
4 get into our detail screen a little bit  
5 further down the road here, remind me of that  
6 again and be sure that I've properly answered  
7 that question.

8 **DR. MAURO:** Hold it. I heard something that  
9 I don't know if I agree with. If we're in the  
10 matrix dealing with procedures, we're dealing  
11 with a procedure that applies to every site  
12 profile -- I'm sorry, every dose  
13 reconstruction and could influence all of  
14 these. I'm assuming you just didn't say you  
15 want to we want to send out a link back that  
16 way.

17 **MR. HINNEFELD:** No, no.

18 **DR. MAURO:** It would go the other way, the  
19 other way.

20 **MR. HINNEFELD:** In dose reconstruction  
21 review there are many findings that has been  
22 deferred to this working group because there  
23 is a procedure we will review that will  
24 address it, and it's only those decisions.

25 **DR. MAURO:** So it's in the DR review matrix

1 that links you back that says see Procedure  
2 so-and-so which, okay, I was afraid I heard  
3 the other direction. You can't have the other  
4 direction.

5 **MS. MUNN:** Mark is very happily closing out  
6 his items by sending them to us.

7 **MS. BEHLING (by Telephone):** And, John,  
8 again, am I speaking out of line?

9 **DR. MAURO:** You're doing fine. Kathy, I'm  
10 getting another cup of coffee.

11 **MS. BEHLING (by Telephone):** Okay, should we  
12 continue here?

13 **MS. MUNN:** Yes, please.

14 **MS. BEHLING (by Telephone):** Okay. Let me  
15 go on a little bit more about access, about  
16 getting access to the database. One of the  
17 things that we at SC&A were doing yesterday is  
18 I, as I said, currently, Steve Marschke and  
19 myself have full access to the database  
20 meaning we can write to that database. John,  
21 I did not give John full access yet. He has  
22 read-only access so that we could test for  
23 things.

24 And when Steve was out on the  
25 database, and I tried to log into the

1 database, as of yesterday I am getting an  
2 error, and it will not allow more than one  
3 user whether that user, I thought initially  
4 that it might be because we both had full  
5 access, and so the database would not allow us  
6 both to be on at the same time so that there  
7 couldn't be a change in records without one or  
8 the other knowing about that. But it is also  
9 happening when John was on who has read-only  
10 access, and I tried to log on. I'm getting  
11 the same error.

12 We have sent an e-mail to the  
13 technical person at ORAU, and I believe Don  
14 indicates that there should be no problem, he  
15 thinks, resolving this because ACCESS itself  
16 is set up that you can have multiple users.  
17 In fact, you should be able to have multiple  
18 users. If Stu, even if Stu Hinnefeld who will  
19 have access to write to the database, and I  
20 were both on that at the same time, and we  
21 were making changes to the database, there  
22 would not be a problem with that.

23 It would only create a problem, and  
24 again, the system would stop us from doing  
25 this, is if we both tried to make a change to

1 the same record. It wouldn't allow us to do  
2 that.

3 Am I correct, Don?

4 **MR. LOOMIS (by Telephone):** Yes, that is  
5 correct.

6 **MS. BEHLING (by Telephone):** So one nice  
7 feature about ACCESS about using the system is  
8 that ultimately we should all be able to get  
9 on, and we can very well track who gets what  
10 kind of access. And it should not create any  
11 problems in ACCESS when there are changes or  
12 updates being made to this database.

13 **MR. HINNEFELD:** And this would apply to ORAU  
14 as well. If we had an ORAU person authorized  
15 to write to the database. When they would try  
16 it, it would have the same protections because  
17 they would be logging into the O drive  
18 version, the same version of the database you  
19 are. Is that correct?

20 **MS. BEHLING (by Telephone):** Don, yes?

21 **MR. LOOMIS (by Telephone):** Yes, as far as I  
22 know. We have to find out the specifics of  
23 how you connect through.

24 **MR. HINNEFELD:** Yeah, well, I can talk to  
25 you offline. I don't connect. The things on

1 the ORAU O side that we see are replicated to  
2 our side. So I cannot deal in the normal  
3 fashion with this database, so we'll have an  
4 offline discussion. It may have to be ORAU  
5 would update it each time.

6 **MR. LOOMIS (by Telephone):** Okay.

7 **MS. BEHLING (by Telephone):** Let's see if  
8 there's anything else on this first page that  
9 I wanted to mention. I don't believe so.

10 So now we can go over to this separate  
11 file that was sent to you by Wanda, and it's  
12 actually page one of what I have marked on the  
13 footer as March 13, 2008 Presentation. And  
14 once you get past this initial screen -- and  
15 let me go through it one more time.

16 You log on to the term server. You  
17 get onto the O drive. You get into the  
18 Advisory Board-dash-SC&A folder. You open up  
19 the Tracking System folder, and then you open  
20 up the ABRWH Procedures Issues Tracking file  
21 without the data or logo behind it. When you  
22 select that file, you will see page one of my  
23 presentation, which is your summary screen.  
24 Does everyone have that before I start?

25 **MS. MUNN:** Page one of 472, right?

1                   **MS. BEHLING (by Telephone):** That's right.  
2                   And in fact, that 472 let's you know how many  
3                   findings have been entered into the database  
4                   at this point in time. Between Steve and I,  
5                   we've hopefully, I'm not saying it's a hundred  
6                   percent correct yet, but hopefully we have  
7                   entered everything from the first, second and  
8                   third set of procedure reviews as well as the  
9                   supplemental procedures that we had been asked  
10                  to look at such as PROC-0092, PROC-0097 and  
11                  OTIB-0052. So that should all be, all of  
12                  those findings should be identified in the  
13                  database at this point in time.

14                  And on this summary screen, and the  
15                  way you know you're looking at the summary  
16                  screen, if you look at the top left the tab  
17                  that says summary shows it's white as opposed  
18                  to being gray. And that shows you you are on  
19                  the summary screen. Now one of the changes  
20                  that we've made to this summary screen -- and  
21                  again, these are changes that we felt would  
22                  help the data entry process and be a little  
23                  bit more efficient in entering the data and be  
24                  helpful to the data entry person.

25                  It's not going to affect necessarily

1 the reports that you're going to be printing  
2 from here. One of the things that I wanted to  
3 be sensitive about is that we did not change  
4 anything that ultimately would be printed  
5 unless the Board approved that change. And  
6 we'll get to that a little later.

7 But on this summary screen the first  
8 thing we did was expand the Procedures ^  
9 column a bit because we do have in there the  
10 rev. numbers, and at times, if you were to  
11 scroll down you'll even see whether it was  
12 just a page change one. And so we need to  
13 expand our column so we could see completely  
14 what we were dealing with and what revision we  
15 were dealing with on these findings.

16 The other thing that has changed here  
17 is in the previous version under the column  
18 that is now marked SC&A Findings, that used to  
19 be our Procedures title. And so you would see  
20 the same title down there. In fact, the page  
21 that I printed out here would show you that  
22 this is the external dose implementation  
23 guideline, and that would be repeated all the  
24 way down through.

25 We felt that it may be more beneficial



1 I'll also tell you some additional information  
2 that was added. And again, this is in order  
3 to help us when we add the data. If you put  
4 your cursor into the second column under the  
5 procedure number, and you hold it in that  
6 column, a pop-up box comes up. And as you can  
7 see it tells you what procedure you're dealing  
8 with if it's your external dose reconstruction  
9 implementation guideline that was on earlier.

10 And I'm showing you on page two that  
11 pop-up so that you're able when you're in the  
12 database you will hold your cursor over there  
13 and actually see the name of that procedure if  
14 you took it out of the other column.

15 **MS. MUNN:** Okay, so you went to a whole  
16 other procedure though other than the ones we  
17 were looking at on page one.

18 **MR. ELLIOTT:** No, same procedure, just put  
19 your cursor on the first one --

20 **MS. BEHLING (by Telephone):** The same  
21 screen, all I did was put my cursor in the  
22 second column, first line and what popped up  
23 was external dose reconstruction  
24 implementation guide.

25 **MS. MUNN:** Right. Sorry, Kathy, I'm several

1 screens ahead of you.

2 **MS. BEHLING (by Telephone):** That's okay.  
3 I'm taking too much time.

4 **MS. MUNN:** No, you're not. No, you're not.

5 **MS. BEHLING (by Telephone):** Okay, and if we  
6 go on to page three, again here is a second  
7 pop-up box that Don has incorporated, and  
8 that's the finding itself. Under the SC&A  
9 finding, obviously, these can sometimes be  
10 lengthy. And so I again put my cursor in that  
11 first finding and it brings up a pop-up box  
12 that gives you the entire, spells out the  
13 entire finding for you so you can see what the  
14 entire finding is when you're still in the  
15 summary portion of the screen.

16 **MS. MUNN:** Just a moment, Kathy. Paul has a  
17 question.

18 **DR. ZIEMER:** This is trivial actually, but  
19 why do you change fonts from your main page to  
20 the other pages? I just happened to notice  
21 you go from Tahoma to Times New Roman. Is  
22 there some significance to that or did it just  
23 turn out that way?

24 **MS. BEHLING (by Telephone):** Don?

25 **MR. LOOMIS (by Telephone):** It just turned

1 out that way. I've been using Tahoma for the,  
2 I actually used two different fonts regularly,  
3 one for data and one for titles.

4 **MR. ELLIOTT:** We're having trouble hearing  
5 you, Don.

6 **MS. BEHLING (by Telephone):** Don, can you  
7 speak up and repeat your --

8 **MR. LOOMIS (by Telephone):** Yes, I'm sorry.  
9 I do usually use two fonts, but it's to  
10 distinguish titles from data. I usually use  
11 Arial for titles and Tahoma for data so Times  
12 New Roman is the one. There's no other  
13 significance. I usually use Tahoma for all of  
14 the data.

15 **DR. ZIEMER:** Oh, okay.

16 **MS. MUNN:** Makes sense.

17 **MS. BEHLING (by Telephone):** Now if we move  
18 on to page four, we're looking at our detail  
19 screen, and again, we know we're on the detail  
20 screen because if you look at the upper left-  
21 hand corner of the screen, detail is now in  
22 white and obviously we see a change in the  
23 screen. One of the things that we added to  
24 the screen at the bottom is when we were  
25 loading this data, Steve made mention,

1                   wouldn't it be nice if we could just go from  
2                   one detail screen to the next detail screen  
3                   without going back to our summary to pull up  
4                   that detail.

5                   So Don has added the next issue button  
6                   and the previous issue button at the bottom,  
7                   which allows us to go back and forth on the  
8                   detail screen without going back to the  
9                   summary.

10                  **MS. MUNN:** That's a very nice addition.  
11                  Thank you, Don.

12                  **MS. BEHLING (by Telephone):** And Steve  
13                  recommended that. He was loading a lot of  
14                  this data.

15                  Also, the color-coding changed a  
16                  little bit. We were not happy with that  
17                  color-coding, and we named that lower portion  
18                  where we're actually putting in the workers'  
19                  information a little bit smaller. And here  
20                  again we have to remember when we're in the  
21                  database, this is just our tool. I don't  
22                  necessarily anticipate -- and I may be wrong  
23                  here and the Board may become quite  
24                  comfortable with the database -- I expect most  
25                  of the time you will want to generate a report

1 from this database.

2 I'm not sure if you're going to spend  
3 a lot of time going in and looking at the  
4 details, but that's certainly an option. But  
5 that's why we made this lower portion a little  
6 bit smaller, and when you put your cursor in  
7 here, you can see the entire discussion. But  
8 obviously, when you print it out, everything  
9 will be printed on one page for this  
10 particular finding, and everything will,  
11 obviously, you'll be able to see it clearly.  
12 So nothing else has really changed here, it's  
13 just that we did add the previous and the next  
14 and did a little bit of color-coding.

15 The next page, page five of the  
16 presentation, shows another feature that we  
17 added. And again, this is Steve Marschke's  
18 recommendation, which I thought was a very  
19 good one. The status, we wanted to make sure  
20 that you couldn't put just anything into the  
21 status. We've obviously come up with a select  
22 number of things that we feel are appropriate  
23 to put in that status box.

24 So Steve said why don't we have a  
25 drop-down box so that we can select that. The

1           only concern that I have about that is because  
2           as we know for issues such as transferred, you  
3           have to have a secondary drop-down box so that  
4           you can type in where did they transfer to,  
5           and right now it's typically a global issue,  
6           and we'll see that in the next screen.

7                     But I wanted to point out on page five  
8           that this is our drop-down box, and these  
9           currently are the status that you can choose  
10          from: addressed in findings, closed, in  
11          abeyance, open, open-in progress, and  
12          transferred. As the other databases, as I  
13          indicated, as we develop other databases  
14          another status in here may be open-imported so  
15          that we can sort on anything, any finding that  
16          may have come in from another group. It's  
17          just something we can speculate and think  
18          ahead about.

19                    **DR. MAURO:** Kathy, what does "addressed in  
20          finding" mean?

21                    **MS. BEHLING (by Telephone):** Okay, I have a,  
22          I show that in detail on, let's just move on  
23          and then I'll discuss that.

24                    On page six I gave you again, now once  
25          you put in transfer, this sub-box comes up,

1 and you -- right now it says global issues  
2 because that's the only thing we're  
3 transferring to and so that's available. And  
4 you type in global issues. Now when you print  
5 this it will still show as transferred and  
6 then global issues behind it in parentheses.

7 Now on page seven I can answer your  
8 question, John.

9 **DR. MAURO:** Okay.

10 **MS. BEHLING (by Telephone):** Page seven,  
11 addressed in, and this is where a lot of times  
12 we have a finding that this particular finding  
13 was initially a finding under OTIB-0004, but  
14 it's going to be addressed under a procedure  
15 now, which is PROC-0061. And we direct you to  
16 where that finding will be addressed. And  
17 that's what that status means. And so again  
18 we need a secondary drop-down box so that we  
19 can actually type in where that finding is  
20 addressed.

21 I used a somewhat unique example here  
22 because typically what we've been doing, I  
23 think it was in PROC-0092 discussion, we said  
24 there was so much substance to be answered in  
25 Finding Number 1, we said once we answer

1 Finding 1, we have answered Finding 2, Finding  
2 3 and Finding 4. So a lot of times it will  
3 just say go back to a previous finding within  
4 this, the finding that we're currently in, the  
5 procedure that we're currently in.

6 All this is doing is directing you as  
7 to where this particular finding is going to  
8 be answered. Does that make sense?

9 **MS. MUNN:** Yes.

10 **MS. BEHLING (by Telephone):** Okay, I didn't  
11 mean to make a long story out of that.

12 **MS. MUNN:** That's quite all right.

13 Before we go any further though, we  
14 tripped merrily over page five when we were  
15 discussing again the possibility of adding one  
16 more status possibility. I would prefer not  
17 to defer that. I would like very much for the  
18 work group to make their decision about that  
19 and a recommendation. And my recommendation  
20 would be that we accept your suggestion of  
21 open-imported. Does anyone have any problem  
22 with that?

23 Mark, does that do what you and I have  
24 been concerned about with respect to tracking  
25 from your sub-group to here?

1                   **MR. GRIFFON (by Telephone):** Yes, I think  
2 that will work, Wanda.

3                   **MS. MUNN:** Do you have any grief with that?  
4 Any comment to make?

5                   **MR. GRIFFON (by Telephone):** No, not at all.  
6 No, I like that idea.

7                   **MS. BEHLING (by Telephone):** Okay, I'll mark  
8 it down and Don will work on that.

9                   **MR. HINNEFELD:** This is Stu Hinnefeld. Just  
10 remind me real quickly what would that pertain  
11 to?

12                   **MS. MUNN:** We're specifically concerned with  
13 issues that are transferred into this work  
14 group, into our purview, from other  
15 subcommittees or other work groups who are  
16 dealing with specific issues, and they say,  
17 no, we don't need to deal with them here  
18 because Procedure xxxx deals with that.

19                   **DR. MAURO:** That would be in the other one,  
20 not in this one.

21                   **MR. HINNEFELD:** This would be findings that  
22 were not made in a review of the procedure at  
23 all.

24                   **MS. MUNN:** Correct.

25                   **MR. HINNEFELD:** There's no particular

1 findings in the procedure review that  
2 specifically ties to the DR issue.

3 **MS. MUNN:** Correct.

4 **MR. HINNEFELD:** But the DR work group thinks  
5 it's best addressed by, it's a procedure issue  
6 and so that, okay.

7 **MS. MUNN:** The subcommittee is saying this  
8 is no longer going to be an issue for us to be  
9 concerned with because it's being dealt with  
10 in this procedure.

11 **DR. MAURO:** Does that affect this stuff? In  
12 other words the mechanics, it seems to me that  
13 would, you just described will affect the Dose  
14 Reconstruction matrix where you would click  
15 and then come here.

16 **MS. BEHLING (by Telephone):** It will.  
17 There'll be a link.

18 **MR. MARSCHKE:** If we had a finding, if we  
19 already had an existing finding in the  
20 procedures that they're specifically  
21 transferring it to, then it would not affect  
22 it. However, if they basically in the other  
23 work group they say this has not been a  
24 finding in the procedures, but it should be a  
25 finding in the procedures, then this would be

1 a new finding in the Procedures working group  
2 or in the Procedures database that is coming  
3 from outside of our review of that, of the  
4 SC&A review of that procedure. So that's what  
5 I interpret this to mean.

6 **DR. MAURO:** This is a new nuance though that  
7 we didn't talk about before. So when during  
8 the dialogue at the DR under Mark, Dose  
9 Reconstruction, if something emerges during  
10 the review of a particular case that says,  
11 gee, this sounds like a pretty generic issue  
12 and needs to be addressed in a procedure  
13 because it's cross-cutting, that might open up  
14 a new finding you're saying that would have  
15 to, even though it may not be a finding in  
16 whatever the procedure is right now. That  
17 would actually create a new finding.

18 **MS. MUNN:** It could create a new finding.  
19 Traditionally what we have found --

20 Correct me if I'm wrong, Mark.

21 -- what we have found in the past is  
22 you encounter issues that already exist as  
23 findings in the procedures that are being  
24 addressed here. Traditionally that's what  
25 we've encountered. But it's very easy for me

1 to foresee the possibility of an issue arising  
2 when we're discussing a DR which would require  
3 a new finding under an existing procedure  
4 here.

5 Am I right, Mark?

6 (no response)

7 **MS. MUNN:** We lost Mark. I therefore assume  
8 that I'm right.

9 **MS. BEHLING (by Telephone):** I do think  
10 you're right, Wanda. I agree with you.

11 **MS. MUNN:** Very good.

12 **MS. BEHLING (by Telephone):** That was my  
13 intent here. There would be, in fact, I  
14 believe there are quite a few issues. And  
15 there would also be quite a few issues that  
16 again we're projecting ahead. We haven't gone  
17 down this path or even discussed whether the  
18 Site Profile work group would want to do this.  
19 But if they determine they want a database  
20 also, there would be a lot of linkage in  
21 between all of these databases.

22 And there are oftentimes, you know, on  
23 dose reconstruction reviews that we say this  
24 is an issue that really needs to be discussed  
25 in the site profile. I can think of several

1 issues like at Y-12 and identification of  
2 buildings and what buildings that we have  
3 neutron exposures and that type of thing.

4 But we will create a new finding in  
5 whatever database is appropriate, and it would  
6 initially get this open-imported, and then it  
7 would be ultimately when we have several  
8 tracking databases out there, it just might  
9 say open-imported from the DR review process  
10 or from the site profile review process, that  
11 type of thing, so we could track it back to  
12 that database.

13 And if you went to it, there would  
14 also be a finding in that database that sends  
15 it here. So there will be this linkage, and  
16 you'll be able to go back and forth and  
17 recreate how this came into this system and  
18 where it was generated from.

19 **MS. MUNN:** I would foresee that you would  
20 have a drop-down window the way you do on page  
21 seven with the address in finding which would  
22 clearly point to where it came from or where  
23 it went to.

24 **MS. BEHLING (by Telephone):** Exactly, that's  
25 what I envision also.

1           **MS. MUNN:** This is no small matter, and as a  
2 matter of fact, it has loomed heavily in my  
3 consciousness for a number of months as to how  
4 we're going to maintain any sense of what  
5 happened to that issue if it goes away from  
6 the original group that's working on it.  
7 Further, I anticipate that this process is  
8 going to be so effective that ultimately I  
9 would anticipate we will have multiple  
10 matrices.

11           **MS. BEHLING (by Telephone):** That's what I  
12 would anticipate also.

13                           And, Don, again, have I said anything  
14 that you don't think is doable?

15           **MR. LOOMIS (by Telephone):** Oh, no, this is  
16 all doable.

17           **MS. MUNN:** Very good. A great relief, thank  
18 you so much.

19                           Now we're back to your presentation,  
20 Kathy.

21           **MS. BEHLING (by Telephone):** Yes, I think  
22 are we ready to move on to page eight?

23           **MS. MUNN:** Yes.

24           **MS. BEHLING (by Telephone):** And page eight  
25 is a sort/filter screen, and we've discussed

1           this before. We really have not made any  
2           changes here, but let me just explain what is  
3           going on on this particular screen because  
4           this will be important to you.

5                        On the left-hand side of the screen is  
6           your source level, and first, second and third  
7           are simply sorting tiers. It has nothing to  
8           do with our first set, or second set or third  
9           set or anything like that. These are tiers of  
10          sorting. You have a radial button -- and this  
11          is not a good example. Let's assume that I'm  
12          working with the entire database, and I want  
13          to produce a report, a summary report, that  
14          where the procedure number, it's sorted first  
15          by the procedure number. That's why there's a  
16          black dot inside that radial button under  
17          First.

18                       A second tier sort would be the  
19          finding dates because that will tell me did it  
20          come from the first set, the second set, the  
21          third set. And then lastly, it would be  
22          sorted by are they open issues. All the open  
23          issues would be grouped together. All the  
24          closed issues would be grouped together. So  
25          the left side of this screen is simply how

1                   you're going to sort the results.

2                   The right side of the screen is a  
3 filter. If we don't want to look at all 472  
4 records that are in there, and we simply want  
5 to look at, as in the example I provided, just  
6 the findings associated with ORAU PROC-0092,  
7 that's what this sort will do for you based on  
8 what I have in here. It's going to show you  
9 all of the findings because I have a checkmark  
10 in each one of the status boxes. So it will  
11 show you all the findings associated with  
12 PROC-0092.

13                   Now if I uncheck all the boxes and  
14 only obviously put a checkmark in the open  
15 items, the filter would look at only PROC-0092  
16 and open items associated with PROC-0092. It  
17 would first give us a summary report of how  
18 many findings those were, and then you could  
19 generate a detailed list behind that.

20                   The one thing I did skip is the first  
21 line. That was the request that we are able  
22 to go into the various fields and sort by a  
23 word or by a specific word or a phrase. We've  
24 had several types of findings that may have to  
25 do with inhalation and particle size or

1           whatever. We can put in a specific word or  
2           phrase in here, and it will go into the  
3           details list and look at all of the fields and  
4           pull those particular findings where the word  
5           exists, or phrase.

6                     And again, if we go down, you see  
7           underneath the procedure number you can select  
8           by finding date. The finding date, again, the  
9           reason I chose finding date is because it  
10          groups all of our findings together by when we  
11          submitted our report such as January 17<sup>th</sup>,  
12          2005. All of our findings that were submitted  
13          for the first set of procedure reviews are  
14          dated 1/17/2005. So therefore, if I will put  
15          in that date and filter the database on just  
16          that date, I would get only those findings  
17          associated with the first set of, from our  
18          first set of procedure reviews.

19                    **MS. MUNN:** Excellent.

20                    **MS. BEHLING (by Telephone):** And again is a  
21          weighting again based on how we rate a  
22          particular item, you know, a five being a good  
23          rating, a one being a not very good rating.  
24          And if we want to either look at just those  
25          findings or sort when we go to do a matrix, if

1 we want to sort on that rating, we can say,  
2 okay, let's address the most critical items or  
3 those items that have the worst rating first.  
4 And so that gives us that option.

5 **MS. MUNN:** We had in the past. That's a  
6 logical thing for us to assume would occur  
7 again in the future.

8 **MS. BEHLING (by Telephone):** Right. And  
9 then lastly, it's just Don added this updated  
10 on or after to say that if you want to see  
11 just information that was updated in the  
12 database because of something that Steve or I  
13 did as of a certain date and say, okay, here  
14 are the updates that you made to the database  
15 as of this date. This particular filter gives  
16 you that option to do that.

17 Now, as you look at the screen, and  
18 you've seen all of this before. Does anyone  
19 have anything else that they can think of?  
20 And again, what will happen maybe as you start  
21 working with the database more, and I know  
22 from myself, that when you start adding  
23 filters, and you start looking at things and  
24 playing with them a little bit more, you say,  
25 oh, I wish I could do this. I wish I could do

1                   that. I don't know that anyone has any ideas  
2                   right now. Is there anything else that you  
3                   feel would, that you'd like to see that is not  
4                   here?

5                   We can modify this particular screen  
6                   and modify these filters. And again, I would  
7                   suggest, I'm hoping that this is pretty close  
8                   to a final version of this database, but as  
9                   you work with the database if there are any  
10                  suggestions, maybe it's something that the  
11                  work group can discuss. And we can certainly  
12                  incorporate them in as you feel is necessary.

13                 **MS. MUNN:** If it develops that we have an  
14                 overwhelming number of imported items, there's  
15                 a possibility that we might want to add that  
16                 to the filter, but I wouldn't at this point.  
17                 Open would appear to be adequate right now.

18                 **MS. BEHLING (by Telephone):** Okay, I agree  
19                 with you. I think that's a very good idea.  
20                 We may want to add an imported.

21                 **MS. MUNN:** But that will take us a year to  
22                 figure that out. Not this month.

23                 **MS. BEHLING (by Telephone):** Do you have any  
24                 questions on the sort/filter screen?

25                 **MS. MUNN:** Paul has a question.

1           **DR. ZIEMER:** I agree with Wanda there  
2 because we've covered, you're filtering for  
3 everything else that's on that box, and you're  
4 going to add the filter, so you might as well  
5 --

6           **MR. MARSCHKE:** Yeah, that makes good sense  
7 to add into it --

8           **MS. BEHLING (by Telephone):** Yes.

9           **DR. ZIEMER:** The other way to do it is you  
10 leave these here and you filter them out, and  
11 what's left is what's ^ which accomplishes the  
12 same thing.

13           **MS. BEHLING (by Telephone):** I agree. I  
14 think that we should add the imported.

15           **MR. MARSCHKE:** If we're going to add another  
16 status, we should be able to sort on that or  
17 filter on the statuses we have.

18           **MS. BEHLING (by Telephone):** Okay, and as  
19 you can see on the screen that we're looking  
20 at currently on page eight, I am filtering on  
21 PROC-0092, and so page nine gives you the  
22 results of that filter. And it shows you that  
23 within PROC-0092 there were eight findings.  
24 And it identifies they did a finding that  
25 tells you the current status of the findings.

1                   You can also see here on the top in  
2 red "filter is on". And this indicates that  
3 you're not looking at the complete database,  
4 and you have obviously filtered. You're  
5 looking at a select portion of the database.

6                   Now we select at this point the print  
7 summary that's up on the top right-hand  
8 portion of the screen. What we'll get is what  
9 you see printed here on page ten of my  
10 handout.

11                  **DR. ZIEMER:** How do we know you're filtering  
12 on PROC-0092? I know they're all 92s, but how  
13 do we know you're not filtering on the date  
14 which is all 9/20? Where does it identify the  
15 specific filter? Did I miss?

16                  **MR. MARSCHKE:** This screen does not I don't  
17 believe.

18                  **MR. LOOMIS (by Telephone):** If you read --  
19 this is Don -- if you read at the filter/sort  
20 data, it shows you --

21                  **MR. MARSCHKE:** The previous screen pops back  
22 up?

23                  **MR. LOOMIS (by Telephone):** Yes.

24                  **MS. MUNN:** I just need the previous screen  
25 to verify.



1 can sort either by the procedure number or by  
2 the finding date, and so it is a little bit  
3 confusing to determine what you're sorting on.  
4 But as Don indicated, if you select the sort  
5 button you can go back and determine what this  
6 filter represents.

7 **MR. MARSCHKE:** Kathy, I have a question.

8 **MS. BEHLING (by Telephone):** Okay, we were -

9 -

10 **DR. BRANCHE:** Kathy, you have a question.

11 **MS. BEHLING (by Telephone):** -- we were on  
12 page ten --

13 **MS. MUNN:** Hold on just a moment.

14 **MS. BEHLING (by Telephone):** Okay.

15 **MR. MARSCHKE:** Kathy, I have a question. On  
16 page eight you basically have a sort on,  
17 you're sorting on a status, the third level of  
18 your sort is on the status. On page nine it  
19 doesn't appear that the status has been sorted  
20 correctly. You have in abeyance, and then you  
21 open, and then you have in abeyance.

22 **MR. LOOMIS (by Telephone):** This is Don.  
23 The filter and sort actually only, the sorting  
24 portion is only being applied to the printout,  
25 if you hit the print summary or print detail.

1 On the screen it's always by procedure number  
2 and finding number and date.

3 **MR. MARSCHKE:** Okay, so the screen does not,  
4 the summary screen does not effectively  
5 reflect the sort.

6 **MR. LOOMIS (by Telephone):** We can make that  
7 clear on the filter/sort screen that we're  
8 only applying that to the printout.

9 **MR. MARSCHKE:** It only applies to the  
10 printout.

11 **MR. LOOMIS (by Telephone):** Yes.

12 **MS. BEHLING (by Telephone):** Does everyone  
13 understand what the question was and what's  
14 Don answer was? Because our second -- and  
15 again, correct me if I'm wrong here -- but  
16 because our second level sort is finding --  
17 no, I'm wrong here, finding date. I was  
18 thinking it was finding number. But what Don  
19 is saying is, and I felt, too, it was  
20 important that we keep our finding numbers  
21 sequential.

22 **MS. MUNN:** Yes, it is.

23 **MS. BEHLING (by Telephone):** Go ahead, Don.

24 **MS. MUNN:** No, I was just commenting. This  
25 is Wanda. I was saying, yes, it is important

1 that we keep them numerically.

2 **MS. BEHLING (by Telephone):** Yeah, I felt  
3 that was more important than changing the  
4 status.

5 Okay, are we all right with that then?  
6 Do we need to make any change there?

7 **MS. MUNN:** I think we're okay.

8 **MS. BEHLING (by Telephone):** Okay,  
9 everybody's satisfied with that. Again, page  
10 ten is just our summary results. This is page  
11 one of two pages. And again, as I indicated  
12 earlier, the last column here is still  
13 procedure title. We did not put in this  
14 summary the name it shows on the summary  
15 screen in the database the finding  
16 description. We placed the procedure title  
17 listed in here along with the procedure number  
18 and the finding number.

19 So this is considered what we  
20 initially developed ^ report. And then behind  
21 this report would be each individual page for  
22 these -- did we say how many findings there  
23 were here? Forty-eight findings associated  
24 with PROC-0092.

25 Now in going on to page 11 what I

1 wanted to show you here is this is how you  
2 will actually generate a document to print  
3 while you're on the term server. And what you  
4 do on this particular screen, you go to the  
5 far left-hand corner where you see file, and  
6 you'll select file. And that will produce a  
7 drop-down box, and you'll select print. And  
8 when you do that it opens up this print screen  
9 that you see in the middle of page 11.

10 And you will select under the name the  
11 Adobe PDF File. It will now allow you to save  
12 that file, and I assume everybody has a U  
13 drive. And just as you would do it in your  
14 document, you could save this particular  
15 output to, you would name it and save it to  
16 your U drive. And you could then download it  
17 with your secure FX and print it from your  
18 computer.

19 Everybody okay with that?

20 **MS. MUNN:** Yeah, good.

21 **MS. BEHLING (by Telephone):** And then  
22 finally, I guess once again shows you the  
23 results of the very first page of your detail  
24 screen. And as you can see, this was the  
25 lengthy one where you, both the findings, and

1 NIOSH responded to each one of these findings  
2 so try to keep everything for one ^ for each  
3 detail or each finding on one page. That's  
4 how we designed the database.

5 So that's it in a nutshell, and if you  
6 have any questions or comments or changes, let  
7 us know.

8 **MS. MUNN:** Kathy, I thank all of you who had  
9 anything to do with this. You just really and  
10 truly need to be applauded for an excellent  
11 job. The amount of detail is overwhelming,  
12 and to have gotten this far with having the  
13 entire database populated is from my point of  
14 view extraordinary, and we thank you.

15 **MS. BEHLING (by Telephone):** Thank you. I  
16 think this is going to be a very useful  
17 database and especially as we talk so often,  
18 there was always a question in everyone's mind  
19 how are we going to ensure the findings don't  
20 fall through the crack, and that we can link  
21 what's happening in one work group to another  
22 work group and not lose track of a specific  
23 finding. And I think this gives us the means  
24 of doing that.

25 But as we've always talked about

1           having an archive of each and every finding  
2           from cradle-to-grave, from initiation-to-  
3           resolution. So hopefully, and as I said if  
4           after you work with the database we find that  
5           there's a more efficient way to do it or  
6           something you want added or some report or  
7           results screen that you would like to see, I'm  
8           sure that we can do that.

9           **MS. MUNN:** Certainly with the addition that  
10          we've discussed making today, what I see at  
11          this juncture covers all of the major issues  
12          that were of serious concern to me as to how  
13          we were going to address this. And I think  
14          this is true of the other members of the work  
15          group as well.

16                 Thank you again, I don't think there's  
17                 any need for us to go through any of the other  
18                 additional materials that you sent unless  
19                 someone specifically wants to discuss one or  
20                 more of those. I'm a little concerned that as  
21                 a work group we've had to focus so strongly on  
22                 what's happening here that many of the issues  
23                 themselves are getting short shrift.

24                 But I don't think there's any way we  
25                 could avoid that in order to shift gears as

1 seriously as we are here and cover all the  
2 bases as you have done. It required all of  
3 our efforts to see that that happens first  
4 before we can get back to the serious issue of  
5 addressing each of the issues other than the  
6 ones that I have incorporated on the agenda.

7 Thank you very much, Kathy, and all of  
8 you.

9 **MS. BEHLING (by Telephone):** Oh, you're  
10 welcome.

11 **MS. MUNN:** In view of the fact that it is  
12 11:30, and we have not bothered to take a  
13 coffee break even, much less a comfort break,  
14 it seems to me that this would be an  
15 appropriate time for us to break for a 45-  
16 minute or an hour lunch rather than starting  
17 some other items and coming back. What's the  
18 feeling of the group? Is this a good time for  
19 the break?

20 **DR. ZIEMER:** One question before the break,  
21 I'll ask Madame Chairman and also ask Dr.  
22 Branche, are we on schedule to have Kathy  
23 present a summary of this at our next Board  
24 meeting?

25 **DR. BRANCHE:** Yes, actually the first day of

1 the Board.

2 **DR. ZIEMER:** Okay, and Kathy, you're aware  
3 of that?

4 **DR. BRANCHE:** She knows that.

5 **MS. BEHLING (by Telephone):** Yes, I am. And  
6 what I want to do during the presentation is  
7 actually do a hands-on type of thing. I will  
8 generate something like you're looking at  
9 today so that we could go through page-by-  
10 page. It would be nice if I could actually  
11 have the database online and something that I  
12 can click on. When we're on the summary  
13 screen I could click on a field and have data  
14 open up at the detail page and show you a  
15 hands-on version of the database. I'm hoping  
16 that we'll have the, be ready to do that. If  
17 not, we'll go through something very similar  
18 to what --

19 **DR. ZIEMER:** An interactive presentation.

20 **DR. BRANCHE:** Kathy, this is Christine. I  
21 think you're having backup, being prepared for  
22 a backup presentation as you just expressed is  
23 appropriate. But based on everything you've  
24 told me, my coordination with Zaida Burgos is  
25 that we've coordinated with the hotel that

1 will allow you to be able to in real-time give  
2 a presentation from your laptop and via the  
3 large screen provided in the room.

4 **MS. BEHLING (by Telephone):** Okay, very  
5 good.

6 **DR. BRANCHE:** So if there are any  
7 particulars, any specifics that you need that  
8 you think you can send us in advance that will  
9 allow us to expedite your access to it, please  
10 send that ahead of time, but based on  
11 everything you've told me, I think we're set.  
12 It's just a matter of the hotel holding up  
13 their end of the deal so pretty much.

14 **MS. BEHLING (by Telephone):** Very good. One  
15 other question now that I do have with regard  
16 to everything that you've seen today, Liz and  
17 Emily and Larry. Would there be any problem  
18 with me making this presentation at the  
19 meeting?

20 **MS. HOWELL:** I mean, I'll go through the  
21 slides again. I didn't see any personal  
22 identifiers on the ones.

23 **MS. HOMOKI-TITUS (by Telephone):** I was  
24 going to say I think Nancy sent me this  
25 presentation to look through. And I looked

1 through it the same as Emily did. It's a  
2 little tough to see some of the information on  
3 the screen. I don't know, maybe it's bigger  
4 there looking at it. I would agree with  
5 Emily. I don't think there's any personal  
6 identifiers that would need to come out. And  
7 I think I've already cleared this with Nancy.

8 **MS. BEHLING (by Telephone):** Okay. And if  
9 you'd like I can certainly send my  
10 presentation for the Board meeting to you  
11 prior to that Board meeting.

12 **MS. HOMOKI-TITUS (by Telephone):** That would  
13 be great. All we would be looking for is if  
14 you accidentally had somebody's name or  
15 something.

16 **MS. BEHLING (by Telephone):** Okay.

17 **MS. HOWELL:** And since it's procedures, it's  
18 unlikely, I mean, if you could, please do send  
19 us your presentation, but I'd be more  
20 concerned if this presentation was for another  
21 working group.

22 **MS. HOMOKI-TITUS (by Telephone):** If it was  
23 from a subcommittee or something where there  
24 might be a claimant's claim number again in  
25 the name of a document, then it might be a

1 problem.

2 **MS. BEHLING (by Telephone):** Okay, very  
3 good. Thank you.

4 **MS. MUNN:** That being the case one last  
5 thing before we break for lunch, does anyone  
6 have any additional items that they wish to  
7 add, change or delete from the agenda?

8 **DR. BRANCHE:** Today's agenda.

9 **MS. MUNN:** Today's agenda?

10 (no response)

11 **MS. MUNN:** If not, we'll assume we will try  
12 to cover the items mentioned.

13 **MR. MARSCHKE:** Do you want to talk about  
14 this draft letter to HHS?

15 **MS. MUNN:** We do want to talk about the  
16 draft letter to HHS, and I don't know whether  
17 everyone has that or not. We may need to send  
18 that to everybody on their e-mail so you'll  
19 have it on your screen at least.

20 **MR. ELLIOTT:** Was it in one of the files  
21 that you've sent yesterday?

22 **MS. MUNN:** Yes, yes.

23 **DR. BRANCHE:** I thought we established that  
24 there wasn't going to be a draft letter to HHS  
25 when I asked you about that. Let's ask this

1                   again.

2                   **MS. MUNN:** All right.

3                   **DR. BRANCHE:** This is a letter you're  
4 suggesting is going to go to HHS.

5                   **MR. ELLIOTT:** This isn't a letter.

6                   **DR. BRANCHE:** But it isn't a letter.

7                   **MS. MUNN:** No, no. This is the draft report  
8 that SC&A has put together which the  
9 discussion needs to be is this the kind of  
10 report that needs to go to the letter to the  
11 Secretary explaining to him what this change  
12 in the database is and how it now is going to  
13 affect us. That's the question.

14                   **DR. BRANCHE:** Okay, well, I'm --

15                   **DR. MAURO:** Let me help out a little bit. I  
16 believe the intent of this draft report was it  
17 was our understanding that periodically you  
18 report back to HHS on the various tasks such  
19 as we do with Task Four where the dose  
20 reconstruction part is summarized, and I know  
21 that Mark is looking at it.

22                   The intent of this was to be the  
23 equivalent of that to report to HHS on the  
24 status of close out of the various issues in  
25 the first set of 30 procedures that we

1 reviewed or we basically opened up for  
2 consideration by the working group because  
3 this would be something they want to look at  
4 as one way to communicate to HHS how we manage  
5 to complete our work, the Board has managed to  
6 complete its work regarding the review of the  
7 first set of 30 procedures that were reviewed.  
8 And so that was the intent. That is, this is  
9 the kind of information we wanted to report to  
10 HHS on that.

11 **MS. MUNN:** And it's difficult to convey that  
12 significant information being provided.

13 **DR. BRANCHE:** What I'll do is at the lunch  
14 break I'll confer with the attorneys to make  
15 certain that given what your intention is, is  
16 it appropriate that this report go to the  
17 Board Chair, the Board and the Board Chair.  
18 And then they make that a part of their report  
19 overall. So let me just get that information,  
20 and when we open up our discussion after  
21 lunch, I'll get back to you on that.

22 **MS. MUNN:** It was my expectation that this  
23 go to the full Board with a recommendation  
24 from the group.

25 **DR. BRANCHE:** Okay, thank you. Thank you.

1           **MS. MUNN:** We are in abeyance until 12:40.

2           **DR. BRANCHE:** Twelve-forty eastern daylight  
3 time, and I'm closing off the line, and I'll  
4 reopen in one hour.

5           **MS. MUNN:** Thank you all. See you in an  
6 hour.

7           (Whereupon, a lunch break was taken from  
8 11:40 p.m. until 12:40 p.m.)

9           **DR. BRANCHE:** This is Dr. Christine Branche  
10 from NIOSH, and we're going to start again on  
11 the Procedures work group meeting with Ms.  
12 Munn as the Chair. And again, I ask if anyone  
13 who's participating by phone, if you would  
14 please mute your phone during our  
15 deliberations. And if you do not have a mute  
16 button, then please use star six. And then  
17 you can use that same star six to unmute when  
18 you are ready to speak. Thank you so much.

19           Ms. Munn.

20           **MS. MUNN:** If you have your agenda in front  
21 of you, I think what we'd like to do if it's  
22 agreeable with all concerned, is to go ahead  
23 and go down that agenda in order that we have  
24 it and postpone our discussion with respect to  
25 our conversation earlier about the SC&A paper

1           on whether or not that's going to be too much  
2           information to be transmitting to the  
3           Secretary until toward the end of the session  
4           when we're going to have John Mauro holding  
5           forth on another issue. We'll just try to  
6           pull that in at the same time.

7                     I gather from your comment, Christine,  
8           that you had had some conversation about that  
9           over the lunch hour that would it be better to  
10          address now?

11                    **DR. BRANCHE:** As you wish, we can do it  
12          later as you requested.

13                    **MS. MUNN:** Well, if you've had some  
14          discussion about it, let's go ahead and  
15          discuss it now.

16                    **DR. BRANCHE:** Given that the Procedures work  
17          group is working under the banner of the  
18          Advisory Committee, I think it would be most  
19          prudent for this work group to provide their  
20          report to the Board. And if there's consensus  
21          on what you all have put in your presentation  
22          to the Board, then they'll be part of the  
23          transcripts from that meeting.

24                    And then when Dr. Ziemer does a write-  
25          up of that meeting or any of the information

1           that comes from this Procedures work group,  
2           then he can include that and as always is at  
3           liberty to include a copy of the report or  
4           elements of that report to the Secretary. But  
5           I think an outright letter or cover note or  
6           information directly to the Secretary from  
7           this work group would not be appropriate. I  
8           think it would need to go to the Advisory  
9           Board, and then you could -- Dr. Ziemer,  
10          include comments as you see fit.

11           **DR. ZIEMER:** Well, that's correct. Nothing  
12          goes to the Secretary unless the Board  
13          approves it anyway.

14           **MS. MUNN:** We had never anticipated that  
15          that would be the case.

16           **DR. BRANCHE:** And I even think that even the  
17          report as SC&A has drafted it and you and the  
18          work group amend it, it would only be  
19          appropriate for the Secretary to see portions  
20          or specific comments that you think are  
21          germane for other deliberations that the Board  
22          would want to have as messages to the  
23          Secretary.

24           **DR. ZIEMER:** As I said, whatever goes to the  
25          Secretary has to be approved by the full Board

1 as an official transmittal and an official  
2 recommendation.

3 **MS. MUNN:** And as always our intent, at  
4 least it was always my intent, and I think,  
5 SC&A's, to have us debate the issue of how  
6 much is too much to submit to the Board more  
7 than anything else. And that's going to be a  
8 bit of a thorny issue I think, but we will  
9 address it later once we get to John's  
10 presentation probably about two o'clock.

11 **NIOSH: RESPONSE TO OTIB-0017 SC&A WHITE PAPER**

12 First item on the agenda, NIOSH  
13 response to OTIB-0017, SC&A's white paper.

14 **MR. HINNEFELD:** Stu Hinnefeld, we have some  
15 draft responses which I've not distributed to  
16 the Board or SC&A. I think we want to have a  
17 little editing on our side before we provide  
18 them and also, well, certainly we want to do  
19 that. And we'll provide them to the entire  
20 working group and to SC&A well in advance of a  
21 meeting.

22 I would like to go through kind of the  
23 basics of the SC&A report and make sure I have  
24 a good understanding of the point that's being  
25 made so our response hits on that issue.

1                   The first, well, we've just kind of  
2 broken it into a few topics, the first having  
3 to do, I believe, with essentially a geometry  
4 question. Now, to refresh everybody's memory,  
5 OTIB-0017 relates to the interpretation of  
6 dosimetry data for assignment of shallow dose.  
7 So it's a shallow dose OTIB. The first  
8 comment from SC&A --

9                   **MS. MUNN:** Excuse me. Would it be helpful  
10 for us to have the white paper up as it's  
11 being discussed?

12                   **MR. HINNEFELD:** Well, if you have the SC&A  
13 white paper. I did not bring it. If you have  
14 it, it might be --

15                   **DR. ZIEMER:** What's the title and date of  
16 the paper?

17                   **MS. MUNN:** I think the date is probably  
18 11/12/07.

19                   **DR. ANIGSTEIN (by Telephone):** This is Bob  
20 Anigstein. The paper, in the heading it says  
21 prepared by SC&A, November 9<sup>th</sup>, 2007.

22                   **MS. MUNN:** And so it says OTIB-0017 --

23                   **DR. ANIGSTEIN (by Telephone):** I would say,  
24 I don't know if it would be practical to, I  
25 could e-mail it right now, but I don't know if

1 that would do any good.

2 **MS. MUNN:** No, I don't think that's  
3 necessary, Bob. It was just an idle thought  
4 on my part. I always like to see what I'm,  
5 what is being responded to.

6 **DR. ZIEMER:** Well, what's the exact title of  
7 the file?

8 **DR. ANIGSTEIN (by Telephone):** Good  
9 question.

10 **MR. HINNEFELD:** On my document on my system  
11 it's stored as OTIB-0017 Issues-dot-doc.

12 **DR. ZIEMER:** Is it a Word document?

13 **MR. HINNEFELD:** It's a Word document and  
14 starts with OTIB-0017.

15 **DR. ANIGSTEIN (by Telephone):** That is  
16 correct. That is exactly what I have.

17 **MS. MUNN:** And here's the title of it.

18 **DR. ANIGSTEIN (by Telephone):** The actual  
19 title of the printed title is "Open Issues  
20 Regarding-quote-Interpretation of Dosimetry  
21 Data for Assignment of Shallow Dose-unquote,  
22 ORAU OTIB-0017, Revision 01."

23 **MS. MUNN:** Go ahead, Stu. I'm sorry. I  
24 didn't mean to --

25 **MR. HINNEFELD:** I will essentially

1 paraphrase in broad terms the various issues  
2 raised in the white paper and then maybe have  
3 a brief discussion about it.

4 The first issue as I read it or as we  
5 interpret it is a comment on geometry  
6 dependence and how it may be, I think, more  
7 acute with a shallow dosimeter than with a  
8 photon dosimeter and our procedure for the  
9 OTIB not being sufficiently expansive in  
10 addressing that characteristic of shallow dose  
11 and shallow dose dosimetry.

12 And I guess in our position as we made  
13 it as our response in a number of these  
14 findings in this venue and others, the areas,  
15 looking at a procedure by itself will not  
16 necessarily capture all the information  
17 provided to dose reconstructors on a  
18 particular aspect, in this case concerns about  
19 the geometry of shallow dose.

20 And so we will provide a formal  
21 response, a more fleshed-out response, but I  
22 think that one thing to remember here is that  
23 while a specific procedure may be, it may not  
24 describe all the things you have to worry  
25 about in a particular issue, there's other

1 guidance that the dose reconstructors use  
2 every day and consult and are briefed on at  
3 staff meetings to discuss all those issues  
4 that go into this.

5 And certainly when there's a geometry  
6 concern with a particular job title or work  
7 environment, we do expect our dose  
8 reconstructions to reflect those kinds of  
9 aspects as well in this extent and to the  
10 extent that if there were a situation where  
11 you would have a significant geometry concern  
12 about a person's exposure orientation versus  
13 how the badge was, how the badge would be  
14 irradiated in the exposure location, then we  
15 would expect adjustment appropriate to that.

16 So like I said we don't deny that  
17 there is a particular geometry dependence in  
18 this case, but we're not so confident that we  
19 can address everything in one procedure and  
20 that this procedure should be taken in the  
21 context of all the other guidances provided to  
22 the dose reconstructor.

23 **MS. MUNN:** So that essentially will be your  
24 response to --

25 **MR. HINNEFELD:** Something like that at

1 least. See, I can always be overruled. I'm  
2 the guy who shuffles the paper, and Jim does  
3 the heavy lifting so I can always be  
4 overruled.

5 Now the second issue as I interpreted  
6 is essentially a hot particle issue. And how  
7 to address a case where -- I understand the  
8 point that's being made. If a person were in  
9 an environment where hot particles were a  
10 potential -- and I think we can probably reach  
11 some agreement on what those environments  
12 would be. I don't plan to do it today, but I  
13 don't think there'd be a lot of disagreement  
14 on the kind of environments where there might  
15 actually be a hot particle -- and then  
16 develops a skin cancer and claims for a skin  
17 cancer, how do you account for this potential  
18 for hot particle exposure in a skin cancer?

19 And so in thinking about that, and I  
20 believe the suggestion is that in those  
21 situations if a person felt a skin cancer on  
22 an exposed part of their skin should be, I  
23 guess, face, neck, and maybe arms although I  
24 would argue arms. I might argue that in terms  
25 of whether that's really allowed to be exposed

1 in that kind of environment. So someone who  
2 develops a skin cancer shouldn't be just  
3 assumed that there's a hot particle exposure  
4 at the site of that skin cancer and proceed  
5 accordingly.

6 And we have not done that to date.  
7 Whenever we have evidence of a skin  
8 contamination of any sort, hot particle or  
9 other, we do, in fact, do dosimetry for that  
10 skin contamination if it's a, it's only  
11 relevant, if it's a skin cancer. But absent  
12 evidence of a contamination event, in  
13 particular a hot particle contamination event  
14 which is where there might be a really  
15 official^ skin dose, we don't. We don't  
16 necessarily assume that that spot where that  
17 skin cancer developed was contaminated by a  
18 hot particle.

19 If you start down that path, I don't  
20 know where you stop in terms of from the  
21 dosimetry standpoint. For instance, if you're  
22 going to assume a hot particle contamination  
23 at that site, why only one? Why not? If one  
24 hot particle scenario that you put together  
25 doesn't arrive at a POC above 50 percent, then

1                   why not assume another? Because you have just  
2                   as much evidence for the second as you have  
3                   for the first.

4                   And then the second element about the  
5                   dosimetry in this is what kind of assumptions  
6                   do you make about residence time of the hot  
7                   particle, you know, just based on, and do you  
8                   base it on personal hygiene? Because  
9                   eventually they'll take a shower, he or she  
10                  will take a shower, and there will at least be  
11                  some removal during that time. And do you  
12                  base it upon, you know, what do you base it  
13                  on?

14                 And so our approach has been absent,  
15                 you know, the absent evidence of some sort of  
16                 skin contamination event, we don't necessarily  
17                 assume that the site of the skin cancer was  
18                 contaminated in part because I don't know how  
19                 to quantify, if you made that assumption, how  
20                 do you quantify it? So that, our response, I  
21                 think, will be along those lines. But like I  
22                 said, we'll have a more developed response  
23                 later on and probably any kind of additional  
24                 discussion might be better served at that  
25                 time.

1           **MS. MUNN:** Probably. I see they recommended  
2 a course on statistics should be utilized to  
3 calculate the probability of occurrences in  
4 their opinion. But we'll look forward to your  
5 addressing that in the response.

6           **MR. HINNEFELD:** And then the --

7           **DR. MAURO:** I just wanted to --  
8 coincidentally, we're in the process of doing  
9 blind dose reconstruction right now. And  
10 coincidentally, it turns out the person that  
11 worked at Portsmouth, and he had five  
12 independent cancers, skin cancers on his ear,  
13 neck and ^, and we're reconstructing, doing a  
14 blind dose reconstruction.

15                   And what we have, and I think it plays  
16 toward this -- it's something to think about  
17 as a real problem. And this person always  
18 wore a film badge. So there's certainly  
19 plenty of data on what the exposure to open  
20 window was in distance. So this person was in  
21 a beta field of any sort, close to, say, a  
22 uranium source. And one of the things we're  
23 concerned about is did he have a hands-on role  
24 in this. We're talking about UF-6, and he was  
25 out there. He worked with these gloves, but

1 he didn't have a hood on. So I'm envisioning,  
2 you know, ^ dust ^. And I could see this guy  
3 scratching his neck. And so I'm in a dilemma.  
4 Here I am doing a blind dose reconstruction,  
5 and I realize you can't say anything about it,  
6 because maybe they did calculate it and maybe  
7 they didn't.

8 But I asked myself -- I'm doing the  
9 work --, I said, well, what am I going to do?  
10 Here's a guy that's got five independent skin  
11 cancers on his neck and his jaw. And if I go  
12 ahead and just reconstruct his dose based on a  
13 film badge ^ anything. But then I said, but I  
14 could see the crease of your neck, we know he  
15 did a lot of work in the Marshall Islands ^.

16 **DR. ZIEMER:** Who was he working with?

17 **DR. MAURO:** USFC^.

18 **DR. ZIEMER:** Natural uranium?

19 **DR. MAURO:** It turns out, well, he, it's  
20 natural uranium except we have data on the,  
21 bioassay data, also because he also had an  
22 internal cancer. The data, both fluorometric  
23 and alpha, and the concentrations, and it  
24 appears that it's really close to natural.  
25 Even though he worked in one of the buildings,

1 but he did work with enriched uranium, so we  
2 get into his job description said he very well  
3 could have been exposed to some intermediate  
4 level enrichment, but his bioassay data says  
5 no.

6 So what we did was say, okay, what  
7 would be the dose rate if we had some data on  
8 -- strangely enough, EPA has a whole report on  
9 milligrams per centimeter squared. If you're  
10 in a dusty environment, how much soot  
11 accumulates on your skin? It turns out it's  
12 0.05 milligrams per centimeter squared. It's  
13 a good number.

14 And so what we did is we said, okay,  
15 let's assume that this guy had 0.05 milligrams  
16 per centimeter squared of natural uranium on  
17 his skin. What would his millirem per hour be  
18 to his skin? And it turns out, and here's the  
19 whole problem. Okay, we can give you the  
20 millirem per hour but how many hours?

21 I mean, so we're struggling with this  
22 same problem on a blind dose reconstruction,  
23 but I think it's a real problem. Because I  
24 think, I don't think it's so absurd to think  
25 there's a scenario where a person working in

1 at Portsmouth could very well have gotten some  
2 uranium contamination on his face and neck  
3 especially if he wasn't wearing, he had no  
4 respiratory protection. He's not wearing a  
5 hood. But he did shower every day.

6 **DR. ZIEMER:** But, you know, the hot particle  
7 problem is ^.

8 **DR. MAURO:** I understand that.

9 **DR. ZIEMER:** It's very high specific  
10 activity, discrete particles. You're talking  
11 about skin contamination --

12 **DR. MAURO:** The dose rate is 20 millirem per  
13 hour.

14 **DR. ZIEMER:** That's low compared to a hot  
15 particle.

16 **DR. MAURO:** Oh, I agree with you. I agree  
17 with you. But even under the circumstances I  
18 just described, I think it's an issue. Now  
19 the hot particle kicks it up even more because  
20 the dose rates can be very high.

21 **MS. MUNN:** Both interesting and maybe  
22 serendipitous for us that the two are taking  
23 place at the same time. It might be helpful  
24 for the two of you to talk about offline.

25 **DR. ZIEMER:** But don't help him with the

1 blind --

2 **MS. MUNN:** No, no, no, but talk about the  
3 best, real-world, claimant-favorable approach  
4 to how to do this and that's --

5 **DR. ZIEMER:** See, a true hot particle thing  
6 you can get really high doses in a time that  
7 would be less than between showers.

8 **MS. MUNN:** In a very short time.

9 **DR. ZIEMER:** Right, but the likelihood of  
10 getting that with natural uranium between  
11 showers is, I can tell you intuitively, it's  
12 got to be awfully low.

13 **DR. MAURO:** It turns out it's a lot more  
14 than what you get from reconstructing his dose  
15 from his film badge.

16 **DR. ZIEMER:** Right. But put in the context  
17 of dose limits to skin which are much higher  
18 than anybody --

19 **MR. HINNEFELD:** We have a response that we  
20 owe that's not been provided. I'm talking  
21 here in generalities from drafts. So we owe a  
22 response, and so after that response is shared  
23 with the working group and SC&A, if you would  
24 like, we could have that discussion at that  
25 point about this finding. We won't talk about

1 the --

2 **MS. MUNN:** No, I'm thinking it might be  
3 helpful for your purposes to have your  
4 response in hand and share it with SC&A. But  
5 then we'll look forward to next where we,  
6 right now we don't have another meeting  
7 scheduled until after -- at the end of the day  
8 we're going to schedule a meeting for this  
9 work group after Tampa.

10 And I'm assuming that anything we're  
11 talking about here is not going to be resolved  
12 prior to the Tampa meeting anyway because  
13 we're not going to meet again. So can we say  
14 at our next meeting that we can expect, we  
15 carried this one forward, I think, from our  
16 preceding --

17 **MR. HINNEFELD:** Yeah, I think so.

18 **MS. MUNN:** -- meeting with the expectation  
19 we'd have that ready by now. But we know how  
20 things go, so at our next meeting we'll have  
21 all of these OTIB-0017 issue responses in  
22 hand? Okay?

23 **MR. HINNEFELD:** It's my expectation that  
24 we'll have our response to this white paper  
25 available probably before the Tampa meeting so

1 people have it in time to work with it before.

2 **MS. MUNN:** All right.

3 **DR. MAURO:** Another facet to this is this  
4 issue has come up at the Nevada Test Site, and  
5 it's an important issue. And the position  
6 that you folks take, at least in the case of  
7 some of the workers at the Nevada Test Site,  
8 is before they would enter a forward area.  
9 And there's very strict controls. A person  
10 would completely suit up and be protected.  
11 And in those, a position, I believe, was taken  
12 from one of our meetings was that that  
13 scenario ^.

14 That is, this person is, he can know  
15 that the airborne contaminants were there and  
16 the potential for that kind of contamination  
17 was there; therefore, in that scenario, he  
18 could have a ^. So there may turn out there  
19 may be certain sites and certain settings  
20 where it is a real issue and places where it's  
21 precluded.

22 **MS. MUNN:** It's just simply not feasible  
23 which is true.

24 **MR. HINNEFELD:** And then a final topic that  
25 really warrants a response, I mean, there was

1 a discussion here where there was sort of  
2 agreement with our position and state their  
3 place having to do with thicknesses of  
4 covering materials and how much a beta dose  
5 would be attenuated by clothing, for instance,  
6 that people wore, had some responses there as  
7 well. I won't get into those, but there are  
8 various references and various sources that  
9 can be cited for thicknesses of coveralls and  
10 cotton, et cetera, et cetera. So I guess  
11 there'll be additional discussion in our  
12 response paper.

13 **MS. MUNN:** And you'll have specific  
14 responses to the characteristics that were  
15 given in the report?

16 **MR. HINNEFELD:** Correct.

17 **MS. MUNN:** We will say on the next agenda  
18 that we see for this group that we'll have  
19 full responses to all of the issues raised on  
20 OTIB-0017.

21 **DR. MAURO:** Wanda, is it correct to say that  
22 no action items at this time for SC&A?

23 **MS. MUNN:** No, no action items for SC&A.

24 **NIOSH: OTIB 0019-10**

25 NIOSH will verify the page change to

1 OTIB-0019, comparing parametric and non-  
2 parametric 95<sup>th</sup> percentile data effects. That  
3 page change has been made?

4 **MR. HINNEFELD:** No. We've had a, well,  
5 we've ^ and the problem is we turned this over  
6 to a statistician. So we're analyzing the  
7 existing datasets, you know, the datasets that  
8 we've used for these various coworker -- this  
9 came out of coworker studies. And when we use  
10 coworker distribution, our approach has been  
11 to use a parametric description of that  
12 distribution in order to establish essentially  
13 a geometric mean and standard deviation and so  
14 to define that sort of distribution.

15 The comment was that a non-parametric,  
16 in other words, rank-ordered distribution, to  
17 define the 95<sup>th</sup> percentile may, in fact, be  
18 more favorable in certain instances than  
19 parametric 95<sup>th</sup> percentile. And so in trying  
20 to deal with the actual implementation of that  
21 step, there was some discussion about, well,  
22 but is that really an appropriate thing. I  
23 mean, how can you use a non-parametric 95<sup>th</sup>  
24 percentile and then use a missed dose for a  
25 dose calculation that essentially assumes a

1 parametric distribution of the data?

2 And so we are comparing actually, for  
3 the, so far we've gone through the internal  
4 dosimetry dataset, coworker dataset, and we  
5 are doing a comparison non-parametric to  
6 parametric. According to Jim, he's of the  
7 opinion that what we're doing in using  
8 parametric distribution is either neutral or  
9 favorable. I don't know if it's in every  
10 case, but certainly overwhelmingly.

11 We've not yet applied this to the  
12 external dosimetry distributions and so  
13 there's more to be done with the statistician  
14 there. And we may yet get out a page change  
15 in 0019-10 to address things, or we may come  
16 back to the work group with some other  
17 approach or some other reason why we believe  
18 what we're doing is either neutral or  
19 favorable.

20 **MS. MUNN:** Is it likely that my statement  
21 here with respect to the review and report  
22 being ready before the St. Louis Board meeting  
23 feasible?

24 **MR. HINNEFELD:** That's June or July?

25 **MS. MUNN:** The St. Louis meeting is in June.

1           **MR. HINNEFELD:** Well, right now I think that  
2 still might be feasible. I think we'll know,  
3 if we're going to schedule another meeting of  
4 this work group after Tampa --

5           **MS. MUNN:** We will.

6           **MR. HINNEFELD:** -- we'll have a better idea  
7 at that point.

8           **MS. MUNN:** I had anticipated, we'll discuss  
9 it, of course, later, but I had anticipated  
10 near the end of May possibly?

11           **MR. HINNEFELD:** Yes. I think I don't see  
12 any particular problem.

13           **NIOSH: REVIEW OF OTIB-0012**

14           **MS. MUNN:** Report on review of OTIB-0012.

15           **MR. HINNEFELD:** This is actually a DCF  
16 finding that came under review of OTIB-0012,  
17 but it actually speaks to the dose conversion  
18 factors for external doses that are published  
19 in our IG-0001, Implementation Guide-0001. We  
20 have done some preliminary analysis of the  
21 information that SC&A's provided. I think  
22 there's certainly support for all points in  
23 their analysis that require a pretty careful  
24 response. We've developed a list of potential  
25 courses of action in order to respond.

1                   One of the courses of action is no  
2                   change with sufficient justification on why  
3                   that's okay. And then there are other courses  
4                   of action about what might be the appropriate  
5                   way to adjust dose conversion factors with  
6                   dose conversion factor tables if, in fact, no  
7                   action cannot be sufficiently justified. So  
8                   we are continuing to develop that and to try  
9                   to work up our preferred position on what  
10                  action is best for the program and is  
11                  technically justifiable.

12                 **MS. MUNN:** And timeline's near the end of  
13                 May still feasible with that one?

14                 **MR. HINNEFELD:** I think that should be okay  
15                 as well. There's been a fair amount of work  
16                 done on that already, and there's still some  
17                 work to do. So I think that we're hopeful we  
18                 can have something to your work group in  
19                 advance of the May work group meeting.

20                 **NIOSH: PROC-0092**

21                 **MS. MUNN:** Good. Report on procedure  
22                 language for PROC-0092.

23                 **MR. HINNEFELD:** This is an ORAU procedure  
24                 that is on conducting close-out interviews.  
25                 We have the ORAU task manager who was

1 responsible for that activity has marked up  
2 PROC-0092 and has distributed it within ORAU  
3 for review, part of their internal process,  
4 and is now resolving internal ORAU. So we at  
5 OCAS have not yet seen the revised version.  
6 We may, in fact, have comments as well when we  
7 see it.

8 But I think late May would be, is a  
9 reasonable target for having a revision or  
10 some, I guess we may want to talk about how we  
11 may want to do this. We could revise PROC-  
12 0020 and issue it. We could revise PROC-0020,  
13 have a draft revision -- I'm sorry, PROC-0092  
14 -- PROC-0092, we could prepare what we feel  
15 would be our preferred draft version of PROC-  
16 0092 and have additional discussion here at  
17 the work group about if there are  
18 recommendations we feel like we don't believe  
19 this warrants a change in the procedure and  
20 have discussions about that before we publish  
21 PROC-0092.

22 I don't know what the preference of  
23 the work group is in terms of that particular  
24 step. Or it could be that our revision  
25 incorporates every recommendation from the

1 Board, and there wouldn't be any need for that  
2 kind of step.

3 **MS. MUNN:** Memory fails me. I can't  
4 remember exactly how many outstanding items we  
5 had from one of the groups that she sent, and  
6 I am looking for it now. Do we have eight out  
7 there?

8 **DR. ZIEMER:** Some of them are kind of lumped  
9 together. I'm looking at the December 7<sup>th</sup>  
10 summary and there are really just two items  
11 showing. One lumped together Findings 4, 5,  
12 16, 17 and 21 through 30. The other lumps  
13 together five, 17 through 19 and 30 through  
14 35.

15 **MS. MUNN:** So that leaves us actually with  
16 only --

17 **DR. ZIEMER:** There's an initial response  
18 from NIOSH in both of those dated November  
19 14<sup>th</sup>.

20 **MS. MUNN:** Yeah, that leaves us with --

21 **DR. ZIEMER:** And then what happens after  
22 that?

23 **MS. MUNN:** Well, we're looking at the new  
24 matrix gives us Procedures tracking system  
25 open items, leaves us with six after having

1 combined certain issues and resolved others.

2 **DR. ZIEMER:** Well, they're both showing as  
3 open.

4 **MS. MUNN:** My immediate response would be  
5 I'd like to see these open items closed before  
6 then we consider the possibility of re-issuing  
7 another --

8 **DR. ZIEMER:** It looks like there is a NIOSH  
9 response that we, we actually have that's  
10 dated November 14<sup>th</sup>?

11 **MS. MUNN:** It says, "All efforts are made  
12 during the final closing interviews to explain  
13 the dose reconstruction report and answer  
14 questions the claimant may have. OCAS  
15 believes this balance is currently being  
16 maintained and is appropriate, will be  
17 evaluated during the revision of the closing  
18 interview." So the question then becomes  
19 whether this is an acceptable response from  
20 SC&A.

21 **MR. ELLIOTT:** If you're looking at the  
22 version that was dated 3/6/2008, is that what  
23 we're looking at here? The open issues on  
24 PROC-0092?

25 **MS. MUNN:** I have 3/7/08.

1           **MR. ELLIOTT:** Well, yeah, at the top it says  
2           3/7/08. At the bottom of mine it says 3/6.  
3           So the way I see this organized is it  
4           introduces SC&A's finding, and then it  
5           introduces NIOSH initial response. And then  
6           you have work group discussion on 11/7/2007.  
7           And those are the, I guess, summary outcomes  
8           of that discussion.

9           **MS. MUNN:** The final outcome is NIOSH needs  
10          to discuss appropriate wording with legal  
11          counsel regarding understanding DR and SC&A  
12          should revisit the issue and come back to  
13          NIOSH with suggestions of personalized  
14          wording.

15          **DR. MAURO:** I don't think we knew that.

16          **DR. ZIEMER:** So each page has another issue  
17          presented.

18          **MS. MUNN:** May I make a suggestion that both  
19          NIOSH and SC&A now work from the new matrix  
20          open issues which we believe -- I have not had  
21          an opportunity to cross-check whether the  
22          minutes of the meeting agree with what has  
23          been used to people by matrix, but let's work  
24          on the assumption that our comments were  
25          correctly captured and that these six open

1 items that are shown on the tracking system  
2 page are, in fact, appropriately recorded on  
3 the detail sheets and that the detail sheets  
4 will show you what we anticipate the next  
5 actions need to be. Is that acceptable to  
6 both --

7 **MR. HINNEFELD:** Yeah.

8 **MS. MUNN:** -- SC&A and NIOSH? Let's work  
9 from this and we will anticipate responses  
10 from both of you then at our next meeting.  
11 Acceptable?

12 **MR. HINNEFELD:** Yes.

13 **MR. ELLIOTT:** Yes, I think this captures the  
14 action items we both need to follow up.

15 **DR. MAURO:** I guess that would give us an  
16 opportunity to implement on this particular  
17 machine that we built.

18 **MS. MUNN:** It will also give us an  
19 opportunity to individually check what's on  
20 this document with our memory and our notes  
21 from the last meeting so that we can identify  
22 whether we are, in fact, on the right track.  
23 This will be our first test.

24 **MR. MARSCHKE:** Can I make note, with the  
25 open items that we set out, that we sent out

1 are, in fact, only the open items. There are  
2 two other items that are in abeyance that  
3 basically you should also probably be taking a  
4 look at. In Finding Number 1 and Finding  
5 Number 3 are in abeyance. Because if you look  
6 at Finding Number 5, it refers to be addressed  
7 in number one which is not included in this  
8 little packet that was sent out.

9 And Finding Number 6 refers to Finding  
10 Number 3 it says will be addressed in Finding  
11 Number 3 and that also was not included in the  
12 packet that was sent out. So you have to,  
13 this should be augmented with two additional.

14 **DR. MAURO:** If I recall, in abeyance means  
15 that a change in a document is in progress.

16 **MS. MUNN:** It's in progress.

17 **DR. MAURO:** That if that change is made in  
18 accordance with what we've already discussed  
19 and agreed to, will resolve the issue.

20 **MS. MUNN:** Correct, right.

21 **DR. MAURO:** So in effect, whenever there is  
22 a cross-reference back, for example in the  
23 guidance that Steve just described, they're  
24 effectively in abeyance waiting for numbers  
25 one and three --

1           **MR. MARSCHKE:** Correct.

2           **DR. MAURO:** -- to be taken care of. So in a  
3 way we really need to look at those packets.  
4 And right now this is only two. In other  
5 words when we look at what's in front of us  
6 right now, only issue number two is what I  
7 would say, yeah, we need to take some action  
8 at this time in terms of filling out this form  
9 because everything else there is in abeyance.  
10 So there really is no, I don't think there's  
11 any addition to be made other than trying to  
12 be responsive to the two directives that we've  
13 been given by you.

14           **MS. MUNN:** I think that's true and in  
15 abeyance items, if I remember, are in NIOSH's  
16 hands.

17           **MR. HINNEFELD:** Oh, yeah, it's our PROC-  
18 0092, and that's what we're going to revise,  
19 and we agreed that there are revisions in  
20 process. So with respect to personalizing the  
21 interviews which is where we ^ .

22           **DR. MAURO:** And we didn't give that to you.  
23 We owe it to you.

24           **MR. HINNEFELD:** -- I don't believe we have  
25 that yet so we can still work that into a

1 revision.

2 **DR. MAURO:** I guess an important point, and  
3 I think we've addressed this before, is that  
4 when an item is in abeyance, which means we're  
5 all in agreement and it's just a matter of it  
6 being implemented in the procedure, I guess  
7 from the point of view of this working group,  
8 does that make the item in effect closed or  
9 does that mean that, no, it doesn't really  
10 close until the change is made and the Board  
11 and the working group feels comfortable that,  
12 yes, the change that was made to the PROC  
13 does, in fact, meet the letter and intent of  
14 what we agreed to during the working group?

15 **MS. MUNN:** Originally, our agreement was the  
16 latter. That in abeyance means we've agreed  
17 what needs to go there. It hasn't gone there  
18 yet. So it does not close for us until it  
19 does go there. When it does go there, then it  
20 meets the criteria we've agreed to earlier,  
21 and then it becomes a closed item, hopefully.  
22 So that means we do need to keep track of our  
23 in abeyance items as well as our open items.

24 **NIOSH: PROC-0090 MATRIX ITEMS**

25 PROC-0090 matrix issues, provide a

1 summary for each box. We didn't get that I  
2 don't believe. If we did, I didn't see it.

3 **MR. HINNEFELD:** Well, this is taking the  
4 findings from the CATI interview process. Is  
5 that what we're talking about? I think PROC-  
6 0090 is the CATI interview. And those  
7 findings originally were on three other  
8 procedures, you know, numbered differently.  
9 Those three procedures were then consolidated  
10 in PROC-0090, but that consolidation didn't  
11 address the findings under procedures, and so  
12 our action was to essentially complete the  
13 findings matrix for PROC-0090 by copying those  
14 findings of those earlier procedures into  
15 PROC-0090.

16 And quite frankly, I haven't done that  
17 because I expected a new version of the ACCESS  
18 database. I thought why don't I just work  
19 from the new version because we're already  
20 going to have enough additional coordination  
21 time or making sure, you know, that I am not  
22 trying to write to it the same time somebody  
23 who uses ORAU is trying to write to it.

24 So I was anticipating getting a new  
25 matrix which is now up on, you know, the

1 ACCESS database which is now up on the O drive  
2 and running. But now, we'll go ahead and  
3 we'll do it. So we haven't done it now  
4 because we were waiting for the issue -- to  
5 essentially for it to be operating on the O  
6 drive.

7 **MS. MUNN:** I guess we'll have to rely on  
8 your discretion to identify whether the data  
9 that's being used for people, the matrix, is,  
10 in fact, what needs to be there.

11 **MR. HINNEFELD:** Well, I had just intended to  
12 copy the existing findings.

13 **MR. MARSCHKE:** I think we've already done  
14 that because SC&A's already taken from PROC-  
15 0004, -0005 and 0017, I believe it is, yeah,  
16 and taken all those findings and turned them  
17 into PROC-0090. So all those have been, so  
18 that part has already been done. In this 472  
19 findings that was shown on Kathy's database  
20 includes not only the original findings in  
21 PROC-0004, 0005 and -0017, but also their  
22 mirror images in PROC-0090.

23 **MS. MUNN:** So those are transcribed  
24 verbatim.

25 **MS. BEHLING (by Telephone):** That's correct.

1           Excuse me, this is Kathy. Yes, I did try and  
2           do that in the database. I created a new  
3           PROC-0090 findings, and I added in the NIOSH  
4           follow up, the information that Stu had  
5           forwarded to all of us on, I think the date is  
6           December 11<sup>th</sup>, 2007. I incorporated his  
7           comments into the database. So as long as  
8           Stu, Stu can go in there and just verify that  
9           the information that I entered is appropriate,  
10          I think this has been completed.

11          **DR. MAURO:** Are these then items which we  
12          agree in principle here is the solution? Are  
13          these then sitting in the database as in  
14          abeyance?

15          **MS. BEHLING (by Telephone):** I have these  
16          right now as open items on PROC-0090 because  
17          none of the issues were resolved under PROC-  
18          0004, -0005 and -0017. So everything was  
19          transferred, all of the findings were  
20          transferred over to PROC-0090, and I actually  
21          have them classified as open because nothing  
22          was resolved. I know we've asked this  
23          question every time we have a meeting, but  
24          have we been authorized to review PROC-0090?

25          **MS. MUNN:** I didn't think so.

1           **MS. BEHLING (by Telephone):** Okay, then  
2           that's why they're open. And again, open  
3           means there's been no further discussion on  
4           these items under the PROC-0090 procedure,  
5           which I don't think there has. And if it's  
6           open-in progress that would mean that we have  
7           been given authorization to review that  
8           procedure, and we've started the issues  
9           resolution process. But that I didn't believe  
10          had happened yet for PROC-0090 so that's why  
11          the status in PROC-0090 says open only meaning  
12          it's on the database, but there's not been  
13          anything, we've had no discussion on these  
14          topics.

15          **MS. MUNN:** So what we're expecting at our  
16          next meeting is that all of these items will  
17          have been reviewed on the new matrix and from  
18          that we are likely to have discussion taking  
19          place in the work group as to whether or not  
20          these are adequate responses or whether  
21          additional action is necessary, right?

22          **MS. BEHLING (by Telephone):** That's what I  
23          would anticipate.

24          **MS. MUNN:** All right. Is this going to be  
25          at this obviously long work group meeting that

1 we're going to have in May?

2 **MR. HINNEFELD:** Well, I mean, it's going to  
3 be a discussion of information that's in place  
4 now. So we can certainly do this. And I  
5 think our practice has been, I think, to do  
6 that in the work group's fashion.

7 **MS. MUNN:** Right.

8 **DR. MAURO:** So really, let me just make sure  
9 what I'm hearing exactly. There are really  
10 two steps to the process. One is to move the  
11 issues out of the old place where it was,  
12 four, five and 17, move those issues into  
13 PROC-0090 where they should be, and that's  
14 where they now sit.

15 **MS. MUNN:** That's correct.

16 **DR. MAURO:** And the issues themselves as a  
17 substantive issue need to be addressed.

18 **MS. MUNN:** Correct.

19 **DR. MAURO:** And so the next step in the  
20 process is no action at SC&A's, but you folks  
21 have, what, put out a white paper or put out a  
22 --

23 **MR. HINNEFELD:** Well, there are responses.  
24 I guess to be honest, I need to go back and  
25 refresh my memory on the various responses and

1 things of that sort. I know there, it seems  
2 like there may be some actions we suggested  
3 we'd like to look into or certainly as that is  
4 feasible, those sort of things.

5 **DR. MAURO:** You're doing --

6 **MR. MARSCHKE:** We had some representative,  
7 on this report thing that we've put together,  
8 we had some representative findings in one of  
9 the appendices. And if you look at page, if  
10 you happen to have that document, if you look  
11 at page 17, there are two representative  
12 findings on there which kind of meet this.

13 One was a finding on PROC-0004 which  
14 is Finding 2 from PROC-0004, which now becomes  
15 Finding 2 of PROC-0090. And we show what the  
16 SC&A initial finding was, and we show what the  
17 NIOSH initial response was. And so below that  
18 is another example from PROC-0005 that went  
19 over into PROC-0090. And again, it has the  
20 SC&A finding and the NIOSH initial response.

21 But as far as I know, as Kathy  
22 indicated, there has been really no working  
23 group discussion as to the adequacy of the  
24 response or does SC&A buy in with the response  
25 and so on and so forth. Does the working

1 group buy in with, you know, where do we stand  
2 with these things? So that's kind of, I  
3 guess, and to tell you the truth I really  
4 don't know.

5 **MR. HINNEFELD:** I would propose that  
6 certainly from our side we go back and look at  
7 findings and responses. Did we look into  
8 feasibility? Did we do that? Did we make  
9 revisions to the procedure because of this ^  
10 where we are. And I can provide a report back  
11 to the working group well in advance of a late  
12 May meeting that would either say here's some  
13 additional things to consider on these  
14 responses or we believe our response  
15 adequately addresses it and for whatever  
16 reason we don't, you know, won't address this  
17 finding change or as we said in our response,  
18 we have now done this change or consider this  
19 modification and actually made it. So I would  
20 think that we could come back with some  
21 refreshment of our collective memory about  
22 where we are on this.

23 **MS. MUNN:** That would be very helpful. It's  
24 been many months since we addressed some of  
25 these items individually, and this will be the

1 first time that we will have seen them in  
2 their new, improved format.

3 **DR. MAURO:** What we're saying right now the  
4 way in which this machine which we've built,  
5 the database we built, in effect what we would  
6 have, as I understand it, there's going to be  
7 a date that says this working group meeting.  
8 And in that there's going to be a place that  
9 says this issue, this item number, PROC-0090,  
10 number two -- that's what we're talking about  
11 -- was discussed. Those are sections that,  
12 okay, what do we do during the working group  
13 meeting with regard to this? It was  
14 discussed.

15 And then we have to have something, an  
16 action item. It sounds like there was an  
17 action item that's coming out of this that you  
18 have directed NIOSH to prepare. Now is that  
19 material, the material that you're going to be  
20 in a position at the next meeting to discuss  
21 this?

22 **MR. HINNEFELD:** Yeah, I'll distribute it  
23 before the next meeting.

24 **DR. MAURO:** Now does that become a white  
25 paper? What does that become?

1           **MR. HINNEFELD:** If we made that entry in the  
2 database that you just suggested, it can be  
3 the next response or ^.

4           **DR. MAURO:** So it's if it's new, it's  
5 something that goes in here. If it's ^, then  
6 it becomes a white paper.

7           **MS. BEHLING (by Telephone):** Excuse me,  
8 Wanda. This is Kathy again. Just to add to  
9 this particular discussion I handled this  
10 particular procedure on actually Procedures 4,  
11 5 and 17 a little bit different than I've done  
12 with some other procedures that were not  
13 replaced but just where we were looking at a  
14 revised document. But let me explain.

15                   If you go into the database right now,  
16 and you go to ORAU PROC-0004, which was an  
17 initial scheduling of the telephone  
18 interviews, you'll see under the details list  
19 that we initially identified this finding in  
20 our first set on January 17<sup>th</sup>, 2005. There's a  
21 NIOSH response in there on October where there  
22 is a working group discussion that had been  
23 put in there on 7/26/2006.

24                   And then we clearly state in there  
25 that this issue has been moved now to PROC-

1           0090. And we closed this item under PROC-0004  
2 because I just thought that was a cleaner way  
3 of handling it because we're picking it up  
4 anew under PROC-0090. And so under PROC-0090,  
5 you know, same issue, same finding, but you  
6 can trace back.

7           And Stu should be able to go back into  
8 the database. I don't know that there'll be  
9 as much detail as he'd like, but you can go  
10 back into the details and see where, how this  
11 finding initially was identified and where it  
12 is now. But I did close it under PROC-0004.

13           **MS. MUNN:** That's what we had agreed we  
14 would do earlier, yes.

15           **MS. BEHLING (by Telephone):** Okay.

16           **MS. MUNN:** Yeah, that's good.

17           All right, I think we all know what  
18 we're all doing and what we anticipate. With  
19 any luck at all we can get through this  
20 without a white paper. Hopefully, we can just  
21 address these issues on the matrix itself.

22           **NIOSH: TIB-011-01 AND -02**

23           Review of any new response items for  
24 the matrix in addition to what Stu sent us  
25 just last week on TIB-0011, items one and two.

1           **MR. HINNEFELD:** I can give a little bit of  
2 status on this. We have, TIB-0011 was dose  
3 for respiratory tract components. I think  
4 that was the one from radon progeny ^ Joyce  
5 commented on. We provided the revised. There  
6 were, in fact, some errors in the TIB-0011  
7 that was out there to the claimant favorable  
8 side. So an erroneously high dose was being  
9 calculated. We provided revised numbers.

10                   Joyce wrote and said that, hey, I'm  
11 still having trouble reproducing these. Can  
12 you show the calculations? We can do that.  
13 We'll do that. The calculations are, these  
14 calculations are actually done three different  
15 ways. We did them on an Excel spreadsheet.  
16 Dave Allen put that together and that  
17 spreadsheet has a variety of calculations that  
18 aren't related, you know, unrelated.

19                   And there's also, as you can imagine,  
20 you get a whole big spreadsheet, a workbook of  
21 Excel calculations, you've got to figure out  
22 exactly where you are so you just kind of put  
23 together sort of a Rosetta Stone to understand  
24 what's being done on the spreadsheet. In  
25 addition, our contractor did the same

1           calculations using IMBA Expert, which is a  
2           version of IMBA that we actually don't utilize  
3           at OCAS that our contractor has. And it has  
4           at least some portion of these radionuclides  
5           available, and so it goes through calculations  
6           and does it.

7                     And they also did another application.  
8           I believe they used Math CAD and then just  
9           powered through the differential equations for  
10          each part of the bioassay model, the metabolic  
11          model. I would guess what he did was give the  
12          definite intervals for each year of those  
13          differential equations, and then you get total  
14          residence in the organ and then used specific  
15          effective energies from the ICRP publication,  
16          combined with the residence time.

17                    Now you've got to be an internal  
18          dosimetry geek to worry about this stuff,  
19          which is what I am, unfortunately. And so he  
20          just powered through it that way. And the  
21          three techniques came, you know, we said there  
22          were three. Now there's some decimal changes  
23          in fractions, a percentage or two change  
24          differences amongst the three or maybe more  
25          than four percent, but just a few percent

1 differences among the three techniques. But  
2 with the three different calculational inputs  
3 we thought that this ^.

4 **MS. MUNN:** But they're not really  
5 significant.

6 **MR. HINNEFELD:** But it's fairly clear that  
7 when you start to do this depending on how you  
8 solve it and what you do, and what assumptions  
9 you make, you can be different. So it's  
10 important for us to make sure we could provide  
11 SC&A this is exactly what was done. And then  
12 they can either critique that or say, okay, I  
13 understand ^. So that's what we did.

14 We will provide those calculations, at  
15 least the Excel calculations. You know, we  
16 could provide the results from that and the  
17 results from IMBA Expert, but we couldn't  
18 really provide, I don't know that we could  
19 provide the code. I don't know if you guys  
20 use those or not, those applications. Anyway  
21 --

22 **MR. MARSCHKE:** It would be Joyce who would  
23 be looking at it.

24 **MR. HINNEFELD:** -- Joyce probably has her  
25 own way to do it.

1           **DR. MAURO:** Yeah, I think she has it, yeah.

2           **MR. MARSCHKE:** That's why I think she wants  
3 to basically compare the way you did it to  
4 what she's doing because whatever she's doing,  
5 you know, implied from the e-mail it doesn't -  
6 -

7           **MR. HINNEFELD:** She'll get the same answer  
8 and so it may be, I'm thinking it's probably  
9 some sort of assumptions that go into doing  
10 the calculation versus the actual calculation  
11 answer.

12          **DR. MAURO:** What I hear is two action items.  
13 NIOSH to provide SC&A with a spreadsheet as  
14 you see appropriate that we will need. And  
15 that SC&A, once we receive that material, we  
16 will review it and check the numbers. Because  
17 right now we were unable to confirm the  
18 numbers that have been provided.

19          **MS. MUNN:** I'm glad you articulated that for  
20 me because my next question was going to be,  
21 all right, what do we do next.

22          **MR. HINNEFELD:** Other things that I can  
23 report on real quickly, we have a series of  
24 findings on our, you know, OCAS Procedure 5,  
25 which is our conduct of assessment procedure.

1                   Since we do have some responses, and, in fact,  
2                   have made a series of revisions to PROC-0005,  
3                   draft revisions, in response to that. So I  
4                   could provide that to the work group  
5                   forthwith.

6                   **MS. MUNN:** Good.

7                   **MR. HINNEFELD:** And we do have a draft  
8                   internal of some responses to Findings OTIB-  
9                   0018-hyphen-0005 and -0006 that we'll provide  
10                  forthwith in our ^.

11                  **MS. MUNN:** So we'll expect two additional  
12                  items from you.

13                  **DR. MAURO:** Wanda, as this new material  
14                  comes in and it's loaded up into the database  
15                  by NIOSH, I guess there's some question of  
16                  whether or not we look at it and review it or  
17                  do we wait to get direction for us to do it?  
18                  Right now we did get direction when we  
19                  received the spreadsheet related to this ^  
20                  respiratory tract, we have been authorized to  
21                  look at.

22                  **MS. MUNN:** Yes.

23                  **DR. MAURO:** We will. But now there are a  
24                  number of other places where material  
25                  apparently is going to be loaded up into the

1 database which may or may not. Should we wait  
2 until you have a chance to look it and we  
3 regroup, and then you can decide whether or  
4 not you'd like for us to look at it? Or do we  
5 automatically look at new material as it comes  
6 in?

7 **MS. MUNN:** I would like to say go off and  
8 look at all new material as it comes in, but I  
9 don't think that's practicable, at least not  
10 immediately. I don't think that's practical.  
11 It appears to me -- and please other work  
12 group members stop me if I'm incorrect -- it  
13 appears to me that we are going to need to use  
14 the new matrix for a couple of work group  
15 meetings to get familiar with my proposed  
16 process of simply printing out the open and in  
17 abeyance summaries as our marching orders and  
18 identifying our priorities from those at each  
19 meeting.

20 Does anyone have any other feelings  
21 about that? It just seems precipitous to me  
22 for us to say, no, as things show up, go look  
23 at them. I think the work group needs --

24 **MR. HINNEFELD:** There will be an opportunity  
25 to decide as I provide, if I provide

1 something, I'll provide it to the work group,  
2 and I'll provide it to SC&A, I mean, just for  
3 ease. And at that point you can have a  
4 discussion about which of these, you know, you  
5 might be able to read from the initial read of  
6 our response whether you think it warrants ^  
7 evaluation, additional follow on or whether,  
8 for instance, if we say we agreed we would  
9 provide PROC-0092 to address this, and we send  
10 you some words, you know, the draft words in  
11 draft PROC-0092 are this. And you say, okay,  
12 that's what we wanted, well, you know, that's  
13 ^. So it can be decided at the time the  
14 information is provided rather than decided in  
15 advance.

16 **MS. MUNN:** It seems to me that if we don't  
17 work out the mechanics of exactly how this is  
18 going to go, and it will take us, I think, a  
19 couple of meetings to work out the kinks, that  
20 we may not only miss some of the open items,  
21 but we also may get at cross purposes and have  
22 people working on something that's less  
23 pressing than perhaps other things that the  
24 work group would wish to address. We'll work  
25 on the assumption that that's ^ for the next

1 couple of meetings anyway.

2 **DR. ZIEMER:** I agree. I think that's  
3 appropriate.

4 **MS. MUNN:** SC&A, are you ready, John? There  
5 are a couple of things. Do we want to address  
6 the question of the overview and summary  
7 results for the first set and what our  
8 feelings are with respect to bringing this as  
9 it is to the Board or making any suggestions?  
10 Or do we want to go to the review of PER-9?  
11 Which would you prefer?

12 **SC&A: REVIEW OF PER-9**

13 **DR. MAURO:** I would say since this is on the  
14 agenda, I know Hans, I believe Hans is on the  
15 line, and he's actively involved in PER-9,  
16 maybe we can get a briefing on where that  
17 stands, and that shouldn't take too long.

18 **MS. MUNN:** Very good. Are you with us Hans?

19 **DR. BEHLING (by Telephone):** Yes, I am.

20 **MS. MUNN:** Excellent. It sounds like you're  
21 on stage.

22 **DR. BEHLING (by Telephone):** Do I have the  
23 approximately the half hour that's on the  
24 agenda for discussing this issue?

25 **MS. MUNN:** Correct.

1                   **DR. BEHLING (by Telephone):** Well, let me  
2 just refresh everyone's mind as to what this  
3 is about. We're talking about under Task  
4 Three we were asked to look at some PERs. And  
5 the one that is probably foremost in terms of  
6 importance is PER-9, Program Evaluation Number  
7 9 Report. And that particular PER centers  
8 around the selection of target organs that  
9 involve lymphatic and hemopoietic cancers.  
10 And let me just give an overview as to why  
11 that is important.

12                   To go back to the understanding of how  
13 certain types of lymphoid tissues are  
14 affected, we have to go back to ICRP-66 report  
15 which talks about the pass-through blow model  
16 and how when you inhale certain radio  
17 particulates into the lung, that they are also  
18 transferred. One of the major clearance  
19 mechanisms for the clearing of the lung of  
20 radio particulates, is by way of alveolar  
21 macrophages which takes, phagocytizes these  
22 micro particulates and transfer them to the  
23 lymph nodes.

24                   And at that point through ^cytosis and  
25 use of various enzymes, these materials are

1 basically regurgitated by macrophages and are  
2 now in the proximity of lymphoid tissue. And  
3 what has happened therefore, is that we have a  
4 potential for concentrating radioactivity in  
5 small volumes of lymphoid tissue that will  
6 ultimately give rise to doses that can be much  
7 higher than you get in the lung tissue.

8 In fact, if you're talking about the  
9 radionuclides that are of particular concerns  
10 we're talking about, alpha emitting particles  
11 or isotopes that obviously include plutonium,  
12 uranium, americium and thorium, and, of  
13 course, when you have an alpha emitter in  
14 close proximity to cells, you get a very, very  
15 high dose.

16 And just for a sense of getting an  
17 understanding, if you look at the dose on a  
18 relative scale for an isotope that is an alpha  
19 emitter, and you compare the dose to the lung  
20 versus to lymph nodes of the thoracic area,  
21 you will possibly get doses that are a couple  
22 of orders of magnitude higher. And, of  
23 course, that's even further emphasized when we  
24 talk about in days past when lymph nodes or  
25 lymphomas were reconstructed using the highest

1 non-metabolic organ, which in most instances  
2 was then the colon.

3 So you can understand the impact of  
4 this particular revision that defines PER-9.  
5 That is, using target organ that in days past,  
6 prior to February 10<sup>th</sup>, 2006, were dose  
7 reconstructed using non-metabolic organs, when  
8 in fact they should have used lymph nodes.

9 And as I said, you can be talking  
10 about differences now in days past versus  
11 under the new regimes of dose reconstruction  
12 we can talk about differences up to three  
13 orders of magnitude in doses. So we're not  
14 talking about percentage values by orders of  
15 magnitude that might impact previous dose  
16 reconstructions done under the original method  
17 versus the revised method.

18 Anyway, just to bring you up to date,  
19 as a result of this issue for PER-9, NIOSH has  
20 revised two major documents. One of these is  
21 OCAS TIB-012 or 12, and the other one is ORAU  
22 OTIB-0005. And these are now going to reflect  
23 the revision in organs that will be selected  
24 for dose reconstruction.

25 And there are two types as I've

1 already mentioned. The internal organ target  
2 organ will frequently now involve for many  
3 lymphomas the thoracic lymph nodes or the  
4 extrathoracic lymph nodes as opposed to in  
5 days past, the highest non-metabolic organ.  
6 In addition to that which is really the major  
7 driver to that, external organs have also been  
8 revised. So these two documents, OCAS TIB-  
9 0012 and ORAU OTIB-0005, have revised in some  
10 instances external as well as internal target  
11 organs for various lymphomas.

12 And just to bring you up to date as  
13 part of the PER, NIOSH went back and looked at  
14 the universe of lymphomas that have been  
15 reconstructed under the old method and which  
16 resulted in a POC of less than 50 percent.  
17 Those are the ones that obviously were of  
18 concern. And they identified at total of 528  
19 cases. There were a total of 28 cases that  
20 for some reason or other were not, they were  
21 affected by other issues, and so we were left  
22 with 500 cases.

23 And so NIOSH reevaluated these 500  
24 cases in the current or revised TIB and OTIB  
25 as I've just mentioned, and on the basis of

1           that reevaluation a total of 152 cases that  
2           were formerly defined by POC values of less  
3           than 50 percent, have now exceeded the 50  
4           percent value and have been compensated. And,  
5           of course, that leaves a total of 348 of the  
6           500 cases that were reevaluated but under the  
7           new guidance documents still had POC levels of  
8           less than 50 percent; and therefore, they  
9           still remain as denied claims.

10           Anyway, I have begun to review what we  
11           were asked to do in terms of evaluating PER-9,  
12           and if you recall, we had submitted the  
13           protocol for doing so. And in the protocol we  
14           had just briefly identified five subtasks in  
15           behalf of each of these reviews. And at this  
16           point in time I have completed subtasks one  
17           through four, and I've yet to start in subtask  
18           five.

19           And subtask five I'll just postpone  
20           it, but I'll mention briefly, is really the  
21           nuts and bolts of this issue, at least it  
22           would appear. Because under subtask five we  
23           were supposed to conduct audits of dose  
24           reconstructions that were affected by the PER  
25           under review. So that at this point we have

1 yet to review a particular dose reconstruction  
2 that has been reevaluated under PER-9. And  
3 the reason we haven't done so is because the  
4 work group has not at this point made its  
5 selection of the particular DRs that we are to  
6 review.

7 And I think in part I want to talk  
8 about and talk to you, Wanda, and the other  
9 members of the work group in trying to figure  
10 out how to go about making a selection of the  
11 particular DRs that we are to review. And  
12 it's not just a random selection of the 348  
13 cases that are likely to be the universe of  
14 cases, but I think we may want to have a more  
15 focused selection, and I'll discuss that  
16 later.

17 But let me briefly talk about where we  
18 are today with regard to the first four tasks.  
19 In reviewing the basis -- and under subtask  
20 three let me briefly talk what subtask three  
21 was looking for us to do.

22 And under subtask three -- and I'll  
23 quote from our proposal -- we were to assess  
24 NIOSH's specific method for corrective  
25 actions. In an instance where the PER

1 involves a technical issue, SC&A will review  
2 the scientific basis and/or sources of  
3 information to ensure the credibility of the  
4 corrective action and the consistency with  
5 current and consensus science.

6           Anyway, what it means is that I went  
7 over, and I looked at the revisions to OCAS  
8 TIB-0012 and OTIB-0005, and with that NIOSH  
9 consulted with two outside experts, one of  
10 whom is a medical doctor who is certified in  
11 internal medicine as well as in hematology.  
12 And the other outside expert that NIOSH used  
13 is Dr. Keith Eckerman who is well known in the  
14 circles of Health Physics. ^ of internal  
15 dosimetry and familiarity with ICRP-66 and so  
16 on.

17           And then looking at these revisions,  
18 as I said, many, many of the lymphomas have  
19 been revised in terms of their ICD-9 codes and  
20 with the selected internal and external target  
21 organs are now reconstruction doses. Also, in  
22 looking at that data, and there have been  
23 many, many changes, I also came to some  
24 concerns about whether or not there are some  
25 issues that have yet to be resolved.

1                   And let me just briefly talk about  
2 what my concerns are. When we look at the  
3 ICD-9 codes, we realize there are somewhat  
4 contemporary segregation of lymphomas that  
5 reflect on the current day methods for  
6 oncologists and pathologists who are in a  
7 position to look at a biopsy and determine  
8 what is the cell line from which this  
9 particular neoplasm was derived, and that is  
10 not a hard science.

11                   It has certainly changed over the  
12 years and has improved, but looking at Dr.  
13 Carlton's report that he submitted to NIOSH --  
14 he was asked to sort of look at this and come  
15 to some conclusions as to how to go about  
16 making these changes and also in behalf of Dr.  
17 Eckerman's report. It certainly raised a  
18 number of issues in my mind.

19                   And those issues center around how  
20 accurate can we at this point in time look at  
21 a particular lymphoma and somehow or other  
22 determine on the basis of existing pathology  
23 reports and pigeonhole that into an ICD-9 code  
24 that now determines which external or internal  
25 target organ should be used for dose

1 reconstruction?

2           And it's clear that there are very,  
3 very definite questions about the ability to  
4 do so. And I know from my own pathology  
5 books, and when I went in graduate school I  
6 took a course in pathology, and the textbook  
7 we used, and I reference this in my write up  
8 is Cecil, which had a publication date of  
9 1979. And I reviewed some of this  
10 documentation that involves lymphoreticular  
11 neoplasms, and they are not an easy bunch to  
12 diagnose, specifically, the non-Hodgkin's  
13 lymphoma because it really represents a fairly  
14 heterogeneous group of neoplasms.

15           Heterogeneous meaning that it  
16 represents a host of lymphoid tissues from  
17 bone marrow-derived lymphocytes, thymus-  
18 derived lymphocytes, macrophages and  
19 mononuclear cells. And, of course, like all  
20 cancers we're not dealing with mature cells,  
21 but we're dealing with a whole spectrum of  
22 cells that range from the very, very primitive  
23 stem cells from which all of these cells are  
24 derived, but intermediate cells in various  
25 stages of cell differentiation.

1                   And where I, in terms of going over my  
2 pathology book, and I looked at it again the  
3 various diagnostic tools that are used to  
4 establish what is the cell of origin because  
5 it's very critical to identify the cell of  
6 origin in the treatment of these cells. Some  
7 of these lymphomas are extremely  
8 radiosensitive; some are more sensitive to  
9 chemotherapy. So it's imperative that the  
10 oncologist and pathologist get to understand  
11 what is the cell of origin in giving the  
12 patient his best chance of treating that  
13 particular cancer.

14                   And what you repeatedly find as of  
15 1979 in my text is that there was a tremendous  
16 amount of uncertainty with regard to how to  
17 classify the particular neoplasms,  
18 specifically those that are of non-Hodgkin's  
19 types. The Hodgkin's lymphoma is fairly  
20 easily because it's a single cell. It's  
21 called the Reed Sternberg cell, and it is  
22 clearly a cell that is readily recognizable  
23 even under light microscope. The other cells  
24 of non-Hodgkin's type lymphoma are very  
25 complex and sometimes the oncologist is forced

1 to say I really don't know where this came  
2 from.

3 And we don't ^ neoplastic cell really  
4 reflect its origin or identifies its origin.  
5 And what it really comes down to is this. We  
6 may have some very good idea today in  
7 contemporary science because our clinical  
8 methods for distinguishing these various  
9 neoplasms have certainly improved, mostly in  
10 the field of immunology. Immunology took a  
11 great leap forward in the 1980s and 1990s.

12 And what really concerns me today is  
13 that when we have a claimant whose lymphoma  
14 was diagnosed 20, 30 years ago, well before  
15 these very, very definitive and more  
16 sophisticated methods came about in defining  
17 the cell of origin, what do we do in terms of  
18 looking at a reference for that claimant, his  
19 medical records, and in today's world decide  
20 which ICD-9 code does this particular cancer  
21 really fit into?

22 Because it's extremely critical when  
23 you have certain types of cancer that will  
24 determine whether or not the internal target  
25 organ is the lymph node thoracic or is it the

1 extrathoracic lymph node or it may be the  
2 spleen or it may be the bone marrow. And  
3 depending on which ICD-9 code is assigned to  
4 these particular lymphomas, you're going to  
5 see potential dose reconstructions suddenly  
6 vary by orders of magnitude and determine  
7 whether or not a claimant will have a  
8 favorable dose reconstruction that will be  
9 compensated or denied.

10 And I have to be honest with you. In  
11 looking at the information, I believe it's  
12 still premature for us to go to the next  
13 subtask five and say we're ready to do an  
14 audit and close the book on this. I believe  
15 that it's important for us to review what has  
16 been done to PER-9, which target organs have  
17 been selected and where are there still  
18 tremendous uncertainties.

19 And I believe even NIOSH in looking at  
20 the revised TIB and OTIB has come to the  
21 conclusion that, yes, with these types of  
22 cancers including leukemias which are  
23 generally thought to be of bone marrow origin.  
24 There's tremendous uncertainties in the  
25 literature in the text as I've uncovered among

1 the specialists in oncology, there's still  
2 uncertainty whether or not you classify a  
3 leukemia as leukemia or if there is  
4 uncertainty as to whether or not it's a  
5 lymphoma.

6 And as I said, it would make a  
7 tremendous difference in terms of how you  
8 reconstruct doses. I believe we may want to  
9 have another sit down session and perhaps  
10 engage people who are clinically skilled and  
11 experienced in giving us some kind of an  
12 understanding as where is the uncertainty in  
13 defining certain types of cancers and are we  
14 necessarily claimant favorable in saying,  
15 well, it's most likely the tissue that was  
16 derived from the bone marrow. But would it  
17 what they most likely mean is that if it is  
18 the 90<sup>th</sup> percentile, 95<sup>th</sup> percentile, and at  
19 what point do we violate the uncertainty issue  
20 in being claimant favorable and when we don't  
21 really have a definitive answer.

22 And as I said, I'm going to be writing  
23 something up here, and I will want to forward  
24 this to the working group and let the working  
25 group make its decision as to whether or not

1           it warrants some additional discussion as to  
2           how sure are we when we say, no, it's not the  
3           lymph nodes of the thoracic region or the  
4           extrathoracic region, but it is, in fact, the  
5           spleen or the marrow or some other higher non-  
6           metabolic organ which will certainly make a  
7           big, big difference to the claimant in terms  
8           of whether or not he will have a POC that  
9           exceeds the 50<sup>th</sup> percentile.

10                   So I just wanted to make that as an  
11           issue. I think I will write this up, and  
12           hopefully have it in the working group's hands  
13           in a matter of a week or so when I finalize my  
14           statements. And then I think the working  
15           group may have to have a teleconference call  
16           and discuss whether or not an additional  
17           discussion is necessary that may bring  
18           together perhaps an expert in the field of  
19           oncology and perhaps in the fields where the  
20           specialist dealing with the various types of  
21           lymphoma, Burkitt's lymphoma, Hodgkin's  
22           lymphoma, non-Hodgkin's lymphoma, and  
23           hemopoietic cancers generally speaking.

24                   So I think having said that I also  
25           want to go back and perhaps we can add the

1 final touch to the issue, and I mentioned that  
2 we have not yet done subtask five and that is  
3 the selection of the types of dose  
4 reconstructions that the working group will  
5 have to select for us to do an audit on.

6 And the reason I say this is that I  
7 mentioned to you up front the universe of  
8 lymphomas that were initially evaluated were  
9 500. One fifty-two were compensated now, so  
10 that leaves 348, and that is basically now the  
11 universe from which the working group may have  
12 to select a group of DRs that we will now  
13 audit.

14 But I think not all DRs under that 348  
15 group is necessarily of equal value, and let  
16 me explain why. What NIOSH did, and  
17 graciously so, they said we are going to look  
18 at all lymphomas that were less than 50  
19 percent regardless of whether or not the POC,  
20 the original POC, was zero or approaching zero  
21 or up to 49.9 percent.

22 So at this point the 348 cases that  
23 represent the universe for the working group  
24 to select from represented a very, very broad  
25 spectrum of a value the DRs. And what I would

1           like to do is sort of focus on perhaps those  
2           DRs where an audit could potentially uncover  
3           an issue that may require some additional  
4           assessment, and let me briefly point out what  
5           they may be.

6                        I think it is worthwhile to have a  
7           spreadsheet of the 348 cases -- and I think  
8           NIOSH could readily do this without a lot of  
9           work -- that would identify these DRs, one  
10          through 348, and then identify certain  
11          characteristics of that reevaluation.  
12          Determine whether or not, for instance, the  
13          original DR for those 348 was a maximized dose  
14          or a best estimate dose, and that's going to  
15          be a big difference.

16                       If we are going to review a previous  
17          maximized dose, that would mean we would not  
18          only evaluate this particular case in the  
19          context of PER-9, but clearly with the likely  
20          higher doses that might be assigned as a  
21          result of PER-9, they may take away again  
22          other doses that under the maximized dose  
23          reconstruction will no longer be handed to  
24          this particular claimant. So our dose  
25          reevaluation for that case would be a

1 comprehensive one.

2 On a contrary, if the original dose  
3 reconstruction for a case was as a starting  
4 point a best estimate, then we're only going  
5 to be looking at the issues that are addressed  
6 under PER-9. So it would be very important  
7 for us to identify up front the 348 cases  
8 where the original DR was a maximized dose or  
9 a best estimate.

10 The other thing I'd like to see is  
11 what is the new or revised POC that obviously  
12 all of the 348 are still below 50 percent.  
13 Wouldn't it be nice to know whether or not we  
14 have in some cases a revised POC that is the  
15 40s, 40 percent or higher? It would be nice  
16 to know that.

17 It would be nice to know why this  
18 particular dose reconstruction was devalued.  
19 Was it due to the fact that under the revised  
20 OTIB-0012 and -0005, was it due to a revision  
21 to the internal target organ or the external  
22 target organ or the internal and external? I  
23 would be very definitely interested in  
24 focusing on the, principally, the revision to  
25 the internal target organ and perhaps the

1 internal and external. If it's strictly  
2 external chances are it wouldn't really matter  
3 a whole much anyway.

4 The other thing that I would like to  
5 see is what is the type of lymphoma? What  
6 were the classification? Under what  
7 classification was this, this reevaluation was  
8 made? So it would be nice to understand for  
9 the 348 DRs what was the assigned ICD-9 codes.

10 And let me see here. I had a couple  
11 of other issues that I wanted to look at.  
12 I've lost it, but I will provide the working  
13 group with a spreadsheet-type of format that  
14 will identify the things that we may want to  
15 look at in saying this is very important and  
16 for the work group to consider so that we're  
17 only going to be looking at, I believe, three  
18 or four or five DRs as part of this PER-9  
19 evaluation.

20 So it would be very wise to make a  
21 selection of those cases where we get the most  
22 bang for the buck, and looking at those cases  
23 where we really have a vested interest in  
24 determining whether or not the PER-9 did what  
25 we expected it to do. So I think I will leave

1 or open up the door for questions here if  
2 anybody has any questions that involve any of  
3 the stuff that I just talked about.

4 **MS. MUNN:** Hans, your suggestion with  
5 respect to our focusing our specific attention  
6 is certainly well taken. I'll have to admit  
7 you covered so much material in such depth,  
8 and I don't know about the other folks around  
9 the table, I'm overwhelmed and probably will  
10 not be able to fully grasp what you've had to  
11 say until I see your written report. It will  
12 be very helpful for me to be able to think  
13 about the issues with the information clearly  
14 in front of me.

15 I believe Larry has a comment.

16 **MR. ELLIOTT:** Yeah, this is Larry Elliott.  
17 I wanted to interject a comment at this point.  
18 Excellent summation, Hans, of the science  
19 behind this change. NIOSH would agree with I  
20 think all of the comments that you have made  
21 about ICD-9 codes changing over time, the  
22 difficulty in diagnostic techniques as they  
23 developed over time, the application in dose  
24 reconstruction in our decision-making process.

25 One thing I think, however, that I

1 didn't hear in your report, and I think this  
2 goes really to the end game here, what NIOSH  
3 does with these particular types of dose  
4 reconstructions for lymphoma is we run a  
5 series against different target organs, and we  
6 take the most claimant, the highest POC that  
7 makes the --

8 Am I correct in this, my thinking  
9 here, Stu?

10 **MR. HINNEFELD:** I don't believe so. I  
11 believe TIB-0005 specifies a specific target  
12 organ for internal and external, but now --

13 **DR. ZIEMER:** I thought on the change that  
14 you were going to do what you described.  
15 Maybe that hasn't been initiated yet.

16 **MR. ELLIOTT:** Oh, it's been initiated, and I  
17 believe Jim Neton would be the expert to talk  
18 and speak about this. But I believe based  
19 upon the information within a particular  
20 claim, the ICD-9 code that is reported and the  
21 site of the cancer or the cell --

22 **DR. ZIEMER:** Yeah, the site of the cancer  
23 becomes the driver.

24 **MR. ELLIOTT:** It becomes the driver. And we  
25 select different --

1           **DR. ZIEMER:** I mean, it doesn't matter what  
2 the dose is to the other sites if there's no  
3 cancer there, does it?

4           **MR. HINNEFELD:** Well, the issue with  
5 lymphoma is that the lymphoma tissue  
6 circulates, and so if you find a lymphoma in  
7 your armpit, for instance, it develops in your  
8 armpit. It does not mean that your armpit was  
9 the origin for the cancer. And so there are a  
10 lot of specific descriptions of cancer,  
11 whether you go by the written description or  
12 ICD-9 code, where I think it's TIB-0005  
13 addressed the dose reconstructor to use, for  
14 this ICD-9 code use this internal target organ  
15 if any of those say thoracic lymph nodes.

16           **DR. BEHLING (by Telephone):** Let me add to  
17 that, the point well taken, Stu. The issue of  
18 lymphomas is really driven by the stage in  
19 which the cancer's detected. If it's a very  
20 superficial primary lesion that is readily  
21 recognized such as in the groin, the inguinal  
22 glands or under the armpit, oftentimes that  
23 particular, initial awareness of the lymphoma  
24 is also one that allows you to make a very  
25 early diagnosis under Stage I. Stage I

1 meaning that there is a single lesion, and at  
2 that point you don't worry about any other  
3 secondary cancers.

4 On the contrary, when you have a  
5 lymphoma that has its origin deep in, let's  
6 say, in the chest cavity, you may not be aware  
7 of it, and the only time you do become aware  
8 of it is when the lymphoma spreads to  
9 secondary lymph nodes that are now visible.  
10 Because oftentimes these lymphomas may exist  
11 for years, and they're painless. They do not  
12 present a problem. And it's only when  
13 something triggers their diagnosis that you  
14 may now be in Stage II, III or IV that you  
15 become aware of it.

16 Now the problem then is when a biopsy  
17 is taken, it's usually not one that  
18 necessarily involves the primary lesion if it  
19 turns out that the primary lesion may have  
20 occurred in the chest because of the lack of ^  
21 and the pain and all the other issues. So  
22 what's happened is the physician will take a  
23 biopsy of the most readily available area of,  
24 or the lymph node that is most accessible; and  
25 therefore, that particular lymph node may not

1 be the primary lesion at all.

2 And so I think what you have to look  
3 at is what is the stage in which this  
4 particular lymphoma was diagnosed. And if  
5 you're fortunate enough, your Stage I lymphoma  
6 is confined to a single lymph node. And, of  
7 course, then you're correct, Dr. Ziemer, in  
8 saying that's the area where it most likely  
9 would be the exposure took place, but that  
10 would only be confined to Stage I-type  
11 lymphomas.

12 **DR. MAURO:** Hans, would I be correct then in  
13 the selection process of which ones we'd look  
14 at, the place where the underestimate might  
15 lie are for those cases where the person was  
16 diagnosed with, let's say, a Stage III, Stage  
17 IV. And it's under those circumstances where  
18 you could misdiagnose the organ of origin and  
19 possibly underestimate the dose by quite a  
20 bit.

21 **DR. BEHLING (by Telephone):** Yes. In fact,  
22 and, of course, I would also focus on claims  
23 where the diagnosis occurred 20 years ago. As  
24 a former, I used to be very much involved in  
25 immunology before I went back to Health

1                   Physics. And I'm aware of the many  
2 immunological techniques, cell surface markers  
3 that differentiate the T-cells from D-cells  
4 and the natural cure cells and all these  
5 things.

6                   Those were these monoclonal antibodies  
7 that are used for ^ antibody techniques that  
8 we use so much today as diagnostic tools for  
9 establishing cell lines for cancerous cells.  
10 Those are things that didn't exist before 1975  
11 or '80. Those things came in more recent  
12 years.

13                   And I would be very interested in  
14 looking at some of the claimants' cases where  
15 the lymphoma was diagnosed in the '50s and  
16 '60s and '70s or in the later years and  
17 understand where difficulties that may exist  
18 in trying to somehow or other, as I mentioned,  
19 pigeonhole a claim that's involved in lymphoma  
20 that was diagnosed, let's say, in the late  
21 '60s or early '70s, long before ICD-9 codes  
22 came in.

23                   In fact, one of the things that you  
24 will see in my write up, I went back to my own  
25 pathology textbook, and it gives you the

1 nomenclature changes that occurred in medical  
2 text that even pre-date the ICD-9 codes. And  
3 so you have a real problem here in trying to  
4 figure out what to do in dose, particularly in  
5 lymphomas, that were diagnosed decades ago in  
6 trying to somehow or other pigeonhole them in  
7 today's ICD-9 codes on the basis of which we  
8 now have to do dose reconstruction using  
9 internal and external target organs.

10 **MR. HINNEFELD:** If I could offer perhaps a  
11 pathway here based on something we've talked  
12 about. First of all, understand you're going  
13 to deliver a report that at least includes the  
14 subtask three work that you're describing.

15 **DR. BEHLING (by Telephone):** Yes.

16 **MR. HINNEFELD:** And it may be appropriate at  
17 that time for NIOSH to prepare bases for  
18 selections of target organs and focus on the  
19 ones that did not select thoracic lymph  
20 because the thoracic lymph nodes for someone  
21 who's exposed internally is the sweet spot  
22 essentially in these diagnoses. That gives  
23 you the largest, it's the largest exposed  
24 tissue from an inhalation of an alpha emitter,  
25 if an alpha emitter has any kind of retention

1 time in the lung at all, or a long retention  
2 time in the lung. So not since NIOSH made the  
3 decision, and I am really not the guy to carry  
4 this conversation, but since NIOSH made the  
5 decision that not every ICD-9 code will we  
6 consider the thoracic lymph the target organ,  
7 there must be a reason why certain ICD-9 codes  
8 were not included.

9 So it would be at that point that  
10 NIOSH could provide a basis for the decision  
11 making that selected other internal target  
12 organs for certain ICD-9 codes and based upon  
13 Hans' write-up which focuses on history which  
14 as I understand it is exactly right on how  
15 these things, you know, they're very difficult  
16 to diagnose today let alone long ago.

17 And so the justification for this  
18 selection should have some sort of temporal  
19 aspect to it. As you go back in history why  
20 you feel okay that this, and what do you know  
21 about the process and why you feel that this  
22 is okay to select this other target organ  
23 besides thoracic lymph. So that then can  
24 address that fundamental issue of why that  
25 rather than to try to select based on that

1 kind of issue, you select cases based on that  
2 and try to solve it that way, let's try to  
3 solve that question based on --

4 **DR. BEHLING (by Telephone):** The issue is  
5 really one of time here and the date of  
6 diagnosis will be a pretty good variable. And  
7 while you were talking, I just thought about  
8 the one variable that I couldn't recall off  
9 the top of my head. But it is also one that  
10 I'd be glad to include in the matrix, and that  
11 is one lymphoma case.

12 This involves a person who had a known  
13 exposure to an alpha emitting radionuclide. I  
14 think that's very important for us to know.  
15 Was there a reason to suspect that he was  
16 exposed to an airborne environment involving  
17 plutonium, americium, uranium and thorium?

18 I think it's very important because as  
19 you mentioned, this is the critical group of  
20 people because when you have an alpha emitter  
21 that's in the lung, and it's transported to  
22 the regional lymph nodes, this is where the  
23 big doses come into play. If the person was  
24 exposed to an excretion product involving beta  
25 and gammas, okay. It'll make a difference,

1 but the dramatic difference really comes into  
2 play when we deal with an airborne exposure  
3 that involves an alpha emitter.

4 **MR. HINNEFELD:** So we can, okay, Hans, you  
5 suggested that you would send essentially a  
6 format for this spreadsheet to show the  
7 various characteristics. If you would do  
8 that, I'm pretty confident we can sort these,  
9 put these 348-some-odd cases in the  
10 spreadsheet you request. I'm pretty sure we  
11 can do that.

12 **DR. BEHLING (by Telephone):** I don't think  
13 it will take you that long. I would think we  
14 obviously know the date of the diagnosis. You  
15 know the type of lymphoma, the ICD-9 code that  
16 was used. You know what the new POC was. You  
17 know whether or not the original dose  
18 reconstruction was either a best estimate or a  
19 maximized dose. So I don't think it will take  
20 you that long to go through that, but it will  
21 certainly improve the likelihood of us doing a  
22 dose audit evaluation that says let's focus on  
23 the ones where it really counts.

24 **MR. HINNEFELD:** Right, we can take care of  
25 that. I'm certain that these will be easy and

1 others will take a little work, but it won't,  
2 it shouldn't take that much time.

3 **DR. BEHLING (by Telephone):** Let me also ask  
4 you something. I don't want to speak  
5 cynically of Dr. Carlton, but he's certified  
6 in internal medicine, and he's a hematologist.  
7 And I did look, I Googled him and so forth,  
8 but I don't think he really has the clinical  
9 expertise that you would like to have, and  
10 that would involve a person who, let's say he  
11 works for M.D. Anderson, who's an oncologist  
12 who's very, very much involved on a day-to-day  
13 basis with the clinical diagnostic methods  
14 used to establish these types of cancers,  
15 hopefully, lymphomas.

16 Is there somebody else that NIOSH has  
17 looked at for perhaps serving in that  
18 capacity? Even if you're looking at somebody  
19 who may not be an oncologist per se, but Dr.  
20 Neal Waldon was one of my former mentors when  
21 I was at the University of Pittsburgh. He's  
22 extremely well versed obviously in the issue  
23 of hematology but also how it relates to  
24 cancer and how it relates to radiation issues  
25 because he was one of the key members early on

1 involving the A-bomb survivor studies.

2 Is there any other individual that you  
3 might want to think about in terms of giving  
4 him an option to assess this whole issue of  
5 the PER-9?

6 **MR. ELLIOTT:** That's up to the working  
7 group. That's not up to NIOSH.

8 **MS. MUNN:** One of my questions was going to  
9 be who would be your dream team if you  
10 actually had access to almost anyone that you  
11 knew of who might be expert in these  
12 particular matters, but my second question  
13 that comes to my mind is do we have the  
14 financial resources and the authority to go  
15 get that person? I have no feel at all  
16 whether there is authority vested in this  
17 group to suggest that such expertise be made  
18 available to us.

19 **DR. BEHLING (by Telephone):** Well, I am sure  
20 that you can probably go through the National  
21 Academy of Sciences with your people and come  
22 up with someone who is not only versed on the  
23 radiological issues and cancers but also has  
24 the clinical expertise. As I said, I don't  
25 want to speak negatively about Dr. Carlton,

1 but I don't think he has the clinical  
2 experience.

3 Although, as I said, when I read his  
4 report, he was not exactly shy about saying  
5 that there are a tremendous amount of  
6 uncertainties that you introduce in trying to  
7 make a diagnostic decision as to where this  
8 cancer came from. It's clear. It's a very  
9 short report he wrote, but you can certainly  
10 gather that he is not necessarily one that  
11 says the ICD-9 code is an easy code to use in  
12 labeling a lymphoma even by today's standards.

13 **MS. MUNN:** I suspect that several of us know  
14 individuals who, if not adequate in specific  
15 expertise, are certainly well informed with  
16 respect to individuals who would fit that  
17 category and could probably provide the names  
18 of two or three individuals who would  
19 certainly be acceptable to almost anyone. But  
20 my question still remains as to whether or not  
21 we are authorized to do that, having no feel  
22 at all --

23 **DR. BRANCHE:** I can tell you.

24 **MS. MUNN:** Yes, good.

25 **DR. BRANCHE:** I think that the resources

1           that we have set aside for the Board serve the  
2           needs of the Board given their current level  
3           of activity. I would hate to give you the  
4           impression that there are other resources  
5           available to contract with additional  
6           expertise. We can check into that further,  
7           but I would suspect that this is a very  
8           resource-poor period of time to bring in  
9           additional resources for this.

10           **DR. BEHLING (by Telephone):** Under that  
11           circumstance --

12           **DR. BRANCHE:** Dr. Ziemer wants to say  
13           something.

14           **DR. ZIEMER:** Well, I'm just wondering if  
15           SC&A under their own contract couldn't pull in  
16           someone like Neal Long as a consultant to them  
17           if you had specific issues that you wanted  
18           Neal to help with, Neal Long or Fred Mettler  
19           would be another one.

20           **DR. MAURO:** I'm seeing this as a next step  
21           issue. There are going to be a collection of  
22           cases that were denied, that were old  
23           diagnosis some time and where the dose was not  
24           derived from a thoracic component. There will  
25           be a collection of them which we'll zero in.

1                   And then we have a group of people sitting  
2                   around a table talk about those cases and the  
3                   diagnoses, and where in those cases, let's  
4                   say, they use the colon as your surrogate for  
5                   the dose reconstruction.

6                   And we're going to ask ourselves and  
7                   Fred Mettler or Neal Long is it reasonable  
8                   under these circumstances for this case, see,  
9                   we're looking for are there any cases where it  
10                  would have been not unreasonable to say, well,  
11                  no, no, no, we shouldn't have got, if you  
12                  wanted to really give the benefit of the doubt  
13                  to this guy, we should have assumed not the  
14                  colon, not the spleen. We should have assumed  
15                  thoracic lymphoma. I think that kind of  
16                  judgment could emerge from a meeting.

17                 **DR. ZIEMER:** I don't think it's SC&A's task  
18                 to identify the cases. You might want to, if  
19                 there's one that sort of proves the principle,  
20                 that makes a big difference, it seems to me  
21                 that the burden is always on NIOSH to go back  
22                 and say we're going to review all the cases or  
23                 cases that have these characteristics.

24                 Even if you bring on an example that  
25                 shows that the dose is ten times different,

1 but it didn't change the outcome, as long as  
2 you prove the principle that this has an  
3 impact, it seems to me it's NIOSH's job. I  
4 would not like to see SC&A searching through  
5 to find all the cases that were missed or  
6 necessarily say we're going to search till we  
7 find a case.

8 **DR. MAURO:** I agree with you.

9 **DR. ZIEMER:** It's true that you want to find  
10 one that's a good representative and say does  
11 it make much difference. What's the best case  
12 to select? But to put a big kind of effort  
13 into this and bring in a blue ribbon committee  
14 of consultants to do it, I don't think you  
15 need to do that. We'll use our best judgment.

16 If we need to consult with a couple  
17 people and pay them a few hours of time, I  
18 don't think it's a big deal, but I'm just  
19 concerned that there's a tendency for the  
20 Board and its contractors to out-step our  
21 boundaries and say, well, we're going to do  
22 this because it needs to be done. Now, if it  
23 needs to be done in a more inclusive way, then  
24 it becomes NIOSH's task.

25 **DR. BRANCHE:** Well said. Well said.

1           **DR. MAURO:** Absolutely. What we were hoping  
2 to accomplish is to sensitize this working  
3 group and NIOSH with this concern. From here,  
4 really, the baton is now, this is our concern.  
5 We sort of passed on our concern, and I think  
6 you fully understand where our concern is  
7 coming from. And now it's just really a  
8 matter of the degree to what does NIOSH think  
9 is the reasonable thing to do to deal with  
10 this concern. Quite frankly, I think we're  
11 out of the picture now.

12           **DR. BEHLING (by Telephone):** Let me also  
13 make a comment in regard to our budget  
14 constraints and so forth. But I'm looking  
15 obviously at this work group that is this  
16 moment chairing this whole issue, and allow we  
17 have wonderful people with lots of  
18 qualifications, but I would as a minimum like  
19 to add perhaps to this work group for this  
20 particular issue the two medical doctors that  
21 we have on the Board, Lockey and Melius, and  
22 perhaps engage them in a minimum way to review  
23 this issue.

24           **DR. ZIEMER:** Again, and I'm looking at the  
25 wording of the subtask right now. The subtask

1 shows up in the proposal to David Staudt dated  
2 June 22<sup>nd</sup>. And it says, "Evaluate the P-E-R-  
3 stated approach for identifying the universe  
4 of potentially affected DRs and assess the  
5 criteria by which a subset of affected DRs  
6 were selected." And then, let's see, well,  
7 that's the focus.

8 **DR. MAURO:** To me it's pretty  
9 straightforward. We owe the working group a  
10 report. Hans is basically close to finishing  
11 the report. We're going to deliver it, and  
12 then after that the working group makes its  
13 decision on the next steps to take. I think  
14 what Hans did is basically give you the verbal  
15 of what that report's going to look like.

16 **DR. ZIEMER:** But this doesn't require that  
17 we even do a DR review.

18 **DR. MAURO:** I think case 0-5 --

19 **DR. ZIEMER:** Oh, 0-5 --

20 **DR. MAURO:** -- and we're recommending not to  
21 do it. In effect what we're saying is you may  
22 have an expectation because our proposal said  
23 we would do that. What we're saying is no, it  
24 probably is premature for us to do it before  
25 you have a chance to look at this issue. And

1 if you decide after looking at the material  
2 that Hans delivers, yes, it would be a good  
3 idea to pick a couple of cases, picking that  
4 case is going to be done by the working group  
5 with appropriate consultation. And only at  
6 that point do we come back in again.

7 **DR. BRANCHE:** Right.

8 **MS. MUNN:** I'm reluctant to leave this  
9 issue, but we don't really and truly have any  
10 choice. We're constrained by the fact that we  
11 have another work group that has to be on the  
12 line at three o'clock.

13 **DR. ZIEMER:** And we haven't really got the  
14 official report from Hans yet either.

15 **MS. MUNN:** And we can't leave this hanging  
16 until our next meeting. That just simply  
17 won't do.

18 **CALENDAR ITEMS**

19 So, Hans, do you have a feel as to  
20 when we may have your report? It's going to  
21 be my recommendation that once we know what  
22 that time is that we schedule a teleconference  
23 of this group for an hour or two hour  
24 conference, something of that sort, to pin  
25 down specifically who has what action and how

1 we will proceed from there if that's amenable  
2 with everybody. So the ball is in your court  
3 right now with respect to what's the timing  
4 need to be.

5 **DR. BEHLING (by Telephone):** Okay, I think I  
6 can probably have a draft report available to  
7 the working group probably within ten days.

8 **MS. MUNN:** That's good. So that would be by  
9 the end of, that's putting us close to the end  
10 of March. Could we take you at your word  
11 strongly enough to talk about the possibility  
12 of a teleconference on the 27<sup>th</sup> or 28<sup>th</sup> of this  
13 month?

14 **DR. BEHLING (by Telephone):** I think so.  
15 The 28<sup>th</sup> is the, I hear Kathy, because she's  
16 supporting me in this effort, she say's the  
17 28<sup>th</sup>. I always listen to the boss.

18 **DR. BRANCHE:** I'm not available that day,  
19 but I am working on a group of people to be a  
20 substitute DFO. But I have not confirmed  
21 that, so that day right now is not, neither of  
22 those two days are good for me.

23 **MS. MUNN:** Okay.

24 **DR. BEHLING (by Telephone):** There are work  
25 group meetings on the 25<sup>th</sup> and 26<sup>th</sup> which I'm

1 part of.

2 **MS. MUNN:** That's correct. Yes, I'm aware  
3 of those, but apparently the 27<sup>th</sup> and 28<sup>th</sup> are  
4 out as well which puts us into April.

5 **DR. BRANCHE:** Well, the 31<sup>st</sup> of March, that  
6 Monday, is a possibility. There's a Mound  
7 working group on the first, and if you want to  
8 do it by conference call, I would say the  
9 second or the third. I wouldn't do the fourth  
10 simply because it's the last working day  
11 before we meet in Tampa, if the 31<sup>st</sup> or second  
12 are amenable to you, Wanda.

13 **MS. MUNN:** I'm already going to be in  
14 Florida that weekend, but we can't move it  
15 earlier because he won't have it ready.

16 **MS. HOWELL:** Are all of the working group  
17 meetings scheduled for full days? I mean, we  
18 couldn't --

19 **DR. BRANCHE:** Yes, absolutely. The Fernald  
20 and the Subcommittee and Mound are all full  
21 day meetings.

22 **MS. MUNN:** They'll be full days. We can't  
23 get around them, no question about it. And so  
24 we can't move them earlier than that.  
25 Tuesday, the first?

1                   **DR. BRANCHE:** That's Mound.

2                   **MS. MUNN:** Wednesday, the second?

3                   **DR. BRANCHE:** I could do that.

4                   **MS. MUNN:** Is Wednesday, the second,  
5 amenable to everybody who's on this call?

6                   **MS. HOMOKI-TITUS (by Telephone):** Yes, Dr.  
7 Branche, I just wanted to let you know the  
8 Office of General Counsel won't be available  
9 until 11 o'clock that day.

10                   **MS. MUNN:** Until 11 o'clock eastern?

11                   **MS. HOMOKI-TITUS (by Telephone):** Yes.

12                   **MS. MUNN:** That would just be delightful for  
13 me if we scheduled it for Wednesday afternoon,  
14 April 2<sup>nd</sup>.

15                   **DR. BRANCHE:** Would you want to do it at 11  
16 or at one, eastern time?

17                   **MS. MUNN:** Let's say one eastern time.

18                   **DR. BRANCHE:** And that's going to be a  
19 conference call?

20                   **MS. MUNN:** Yeah, conference call  
21 specifically on review of Hans' document which  
22 we will then have in hand.

23                   **DR. BRANCHE:** And then you wanted to  
24 schedule another meeting face-to-face, did you  
25 not?

1                   **MS. MUNN:** Yes, we do want to schedule  
2 another meeting face-to-face. I would suggest  
3 the third week in May.

4                   **DR. BRANCHE:** The week of May 19<sup>th</sup>?

5                   **MS. MUNN:** Yes, correct.

6                                 Mark and Mike, are you still on the  
7 line out there?

8                   **MR. GRIFFON (by Telephone):** Yes.

9                   **MR. GIBSON (by Telephone):** Yeah, I'm still  
10 here.

11                   **MS. MUNN:** Are these dates sounding okay to  
12 you?

13                   **MR. GIBSON (by Telephone):** What's the one  
14 in May again?

15                   **MS. MUNN:** We're talking about the week of  
16 the 19<sup>th</sup>. I would suggest probably Tuesday,  
17 the 20<sup>th</sup>, face-to-face, Procedures, here.

18                   **DR. ZIEMER:** I can't be here, but I can  
19 probably call in.

20                   **MS. MUNN:** How about later in that week?

21                   **DR. ZIEMER:** I'm out all week.

22                   **MS. MUNN:** The entire week is the same  
23 thing.

24                   **MR. GRIFFON (by Telephone):** May the 20<sup>th</sup> is  
25 okay for me, Wanda.

1                   **MS. MUNN:** Okay, let's do May 20,  
2                   Procedures, face-to-face, Cincinnati.

3                   **DR. BRANCHE:** Do you want to start at nine  
4                   or 9:30?

5                   **MS. MUNN:** Prefer 9:30, but it will be all  
6                   day. We will not shorten this at all.

7                   **DR. BRANCHE:** Do you prefer to go until  
8                   about four?

9                   **MS. MUNN:** Probably five.

10                  **DR. BRANCHE:** Five. Eastern time.

11                  **MS. MUNN:** Correct.

12                                 Now, there's one other item we still  
13                                 have not covered that I definitely wanted us  
14                                 to be able to talk about before the Pinellas  
15                                 meeting, and that's the one that's the  
16                                 overview and summary results for the first  
17                                 seven of 33 procedure reviews and what we are  
18                                 going to bring to the full Board at Pinellas.  
19                                 We need to have something on there in their  
20                                 hands before time so that this will not come  
21                                 as completely new information to them.

22                                 If we're going to do that, then we're  
23                                 going to have to talk about it on the  
24                                 telephone beforehand. Since we already have  
25                                 that document in hand, and I shouldn't think

1 this will take us more than an hour or two  
2 hours at the most to discuss, I'd like for us  
3 to do this fairly early on here. Is there any  
4 possibility that we can do this for an hour  
5 next week? How about the 19<sup>th</sup>, Wednesday the  
6 19<sup>th</sup>, an hour early in the afternoon, one to  
7 three eastern time?

8 **DR. ZIEMER:** We're going to discuss --

9 **DR. BRANCHE:** The presentation to the Board.

10 **DR. ZIEMER:** Kathy's presentation?

11 **MS. MUNN:** No, we're going to discuss this  
12 overview and summary which we haven't had a  
13 chance to talk about.

14 **DR. BRANCHE:** You're going to do that by  
15 conference call?

16 **MS. MUNN:** Yes, conference call.

17 **DR. BRANCHE:** Can you push that back to, can  
18 make it two to four?

19 **MS. MUNN:** No problem for me. Is two to  
20 four a problem for anyone --

21 **DR. BRANCHE:** There are a lot of people  
22 speaking. Wanda's trying to speak here.

23 **MS. MUNN:** Is two to four on the 19<sup>th</sup>  
24 adequate for everyone, two to four eastern  
25 time?

1                   **MR. GRIFFON (by Telephone):** That will work  
2 for me, Wanda.

3                   **MS. MUNN:** Okay. A single item, we're just  
4 going to be talking about this overview and  
5 summary results that John's provided to us.  
6 Whether that's overkill. Whether it's  
7 underkill. What do we want to take to the  
8 Board? All right?

9                   **DR. BRANCHE:** One last question. Does  
10 anyone have any objection to our, we're  
11 thinking about, because this information  
12 hinges on what other work groups will see and  
13 have access to, do you have any objection to  
14 our attorneys having access to see it? No  
15 write access, just to be able to see on the  
16 database that Kathy's put together. They need  
17 to be given access. They don't have it now.

18                   **MR. HINNEFELD:** No, we can develop it and  
19 get it periodically and put it where they can  
20 see it.

21                   **DR. BRANCHE:** Okay, let's do that. So I'll  
22 work with NIOSH to do that.

23                   **MR. HINNEFELD:** We'll just have to arrange  
24 with ORAU to get it periodically so they can  
25 see it as of such-and-such a date.

1                   **DR. BRANCHE:** I'm trying to get us off the  
2 line. I didn't mean to bring up a new issue,  
3 but I'm trying to clear the line for at least  
4 15 minutes so people can get a distinction  
5 between these two meetings.

6                   **MS. MUNN:** Any other very quick items for  
7 the good of the order?

8                   (no response)

9                   **MS. MUNN:** Otherwise, thank you very much.  
10 This has been a strenuous meeting, and we  
11 could have gone on here I know for another two  
12 hours, but we'll try to take care of this by  
13 telephone. We'll be on tap a week from  
14 yesterday. Thank you and thank you to all of  
15 you out there. We'll talk to you as soon as  
16 we can get our act together.

17                   **DR. BRANCHE:** Okay, signing off for the  
18 Procedures work group meeting.

19                   (Whereupon, the working group meeting was  
20 adjourned at 2:42 p.m.)

21

22

23

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Mar. 13, 2008; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 14th day of Dec., 2008.

---

**STEVEN RAY GREEN, CCR, CVR-CM, PNSC****CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**