

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKING GROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

SURROGATE DATA

The verbatim transcript of the Working
Group Meeting of the Advisory Board on Radiation and
Worker Health held telephonically on June 9, 2008.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING
404/733-6070

C O N T E N T S

June 9, 2008

WELCOME AND OPENING COMMENTS DR. CHRISTINE BRANCHE, DFO	6
INTRODUCTION BY CHAIR DR. JAMES MELIUS	9
DRAFT DOCUMENT: CRITERIA FOR USE OF SURROGATE DATA	10
COURT REPORTER'S CERTIFICATE	34

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

-- ^ denotes telephonic interruption.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

DESIGNATED FEDERAL OFFICIAL

BRANCHE, Christine, Ph.D.

Principal Associate Director

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

BOARD MEMBERSHIP

BEACH, Josie

Nuclear Chemical Operator

Hanford Reservation

Richland, Washington

GRIFFON, Mark A.

President

Creative Pollution Solutions, Inc.

Salem, New Hampshire

1 LOCKEY, James, M.D.

2 Professor, Department of Environmental Health

3 College of Medicine, University of Cincinnati

4

5 MELIUS, James Malcom, M.D., Ph.D.

6 Director

7 New York State Laborers' Health and Safety Trust Fund

8 Albany, New York

MUNN, Wanda I.

Senior Nuclear Engineer (Retired)

Richland, Washington

IDENTIFIED PARTICIPANTS

ADAMS, NANCY, NIOSH
BARRIE, TERRIE, ANWAG
BROEHM, JASON, CDC WASHINGTON
BURGOS, ZAIDA, NIOSH
CHANG, CHIA-CHIA, NIOSH
ELLIOTT, LARRY, NIOSH
HOWELL, EMILY, HHS
KOTSCH, JEFF, DOL
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MCGOLERICK, ROBERT, HHS
NETON, JIM, NIOSH

P R O C E E D I N G S

(11:30 a.m.)

1

2

WELCOME AND OPENING COMMENTSDR. CHRISTINE BRANCHE, DFO

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

DR. BRANCHE: This is the Surrogate Data working group meeting of the Advisory Board on Radiation and Worker Health. I'm Dr. Christine Branche, the Designated Federal Official for the Advisory Board.

Will the Board members participating on the call please state your name?

DR. MELIUS: Jim Melius.

MS. MUNN: Wanda Munn.

DR. LOCKEY: Jim Lockey.

MS. BEACH: Josie Beach.

DR. BRANCHE: Mark Griffon, are you on the line?

(no response)

DR. BRANCHE: Are there any other Board members?

(no response)

DR. BRANCHE: We do not have a quorum so we can continue.

1 NIOSH staff who are participating
2 would you please state your name?

3 **MR. ELLIOTT:** Larry Elliott, NIOSH/OCAS.

4 **DR. NETON:** Jim Neton, NIOSH/OCAS.

5 **MS. ADAMS:** Nancy Adams, NIOSH.

6 **MS. CHANG:** Chia-Chia Chang, NIOSH.

7 **DR. BRANCHE:** Any ORAU staff participating?
8 (no response)

9 **DR. BRANCHE:** SC&A staff?

10 **DR. MAURO:** John Mauro.

11 **DR. MAKHIJANI:** Arjun Makhijani.

12 **DR. BRANCHE:** Are there any other federal
13 agency staff on the line?

14 **MS. HOWELL:** This is Emily Howell with HHS.

15 **MR. MCGOLERICK:** Robert McGolerick, HHS.

16 **MR. KOTSCH:** Jeff Kotsch with Labor.

17 **MR. BROEHM:** Jason Broehm, CDC Washington
18 office.

19 **DR. BRANCHE:** Are there petitioners or their
20 reps on the line?

21 (no response)

22 **DR. BRANCHE:** Are there any workers or their
23 representatives on the line?

24 **MS. BARRIE:** This is Terrie Barrie with
25 ANWAG.

1 **DR. BRANCHE:** Thank you, Ms. Barrie.

2 Are there any members of Congress or
3 persons representing their offices on the
4 line?

5 (no response)

6 **DR. BRANCHE:** Are there any others who would
7 like to mention their names?

8 **MR. GRIFFON:** Hi, Christine, it's Mark
9 Griffon. I just joined. I don't know if you
10 called Board members already.

11 **DR. BRANCHE:** I did. And thank you for
12 letting me know that you're on the line.

13 Are there any others who would like to
14 mention their names?

15 (no response)

16 **DR. BRANCHE:** Before we get started I do ask
17 for the purpose of telephone etiquette but
18 also because everyone is participating by
19 phone and we need to make certain that
20 everyone can hear all of the discussion. So
21 only when you're speaking please un-mute your
22 phone.

23 If everyone will please mute their
24 phones, it will help us all to hear the
25 dialogue. And when you are ready to un-mute

1 your phone, rather when you're ready to speak,
2 please un-mute your phone. If you do not have
3 a mute button, then please use star six.

4 Thank you very much.

5 Dr. Melius.

6 **INTRODUCTION BY CHAIR**

7 **DR. MELIUS:** The purpose of this call is, I
8 think actually the sole focus of this call is
9 the draft document on the criteria for the use
10 of surrogate data which was circulated some
11 time ago. And we had comments, actually some
12 written comments from Jim Lockey and from
13 Wanda, Mark Griffon in an earlier draft. And
14 so I think the purpose of this call is to try
15 to resolve those comments. And I think it is,
16 should be relatively straightforward to do.
17 We'll see.

18 **DR. BRANCHE:** Jim, before you get started,
19 are you the person who's in a public place?

20 **DR. MELIUS:** I hope not.

21 **DR. BRANCHE:** Okay, well, there's someone
22 who is and if that person could please mute
23 your phone, we'd appreciate it. Thank you
24 very much.

25 Sorry, Jim.

1 **DRAFT DOCUMENT: CRITERIA FOR USE OF SURROGATE DATA**

2 **DR. MELIUS:** What I propose doing, there are
3 a large number of comments. I think just sort
4 of work paragraph by paragraph in terms of
5 dealing with these.

6 **MR. ELLIOTT:** Dr. Melius, this is Larry
7 Elliott. I wonder if before you get started
8 working through the comments if you could just
9 give a sense, your sense, of how this document
10 would be utilized. Who it would be used by
11 and just state for the record what your intent
12 and purpose is in this document.

13 **DR. MELIUS:** The purposes of this document,
14 intent for the use of the document would be,
15 it would be a document adopted by the Board
16 that the Board would use for the review of
17 NIOSH site profiles, SEC evaluations and
18 procedures that would provide a set of
19 guidance for the Board's review, similar to
20 the document that we have developed for the
21 review of SEC evaluation reports. So it would
22 set out as a series of general guidance.

23 (Interruption occurs.)

24 **DR. BRANCHE:** Excuse me. Someone is in an
25 airport? If you could please mute your phone

1 it would be very helpful I think. No, I know
2 it would be very helpful to us, but it's very
3 clear that the person who's challenging our
4 ability to hear is at an airport.

5 Dr. Melius, can you still hear me?

6 **DR. MELIUS:** I can hear you fine. I don't
7 know if people could hear me.

8 **DR. BRANCHE:** Anything before my
9 interruption, and while that interference was
10 going on from the airport, I didn't hear you.

11 **DR. MELIUS:** I think I had finished up, but
12 briefly summarized, this would be a guidance
13 document similar to the Board's guidance
14 document on the review of SEC evaluation
15 reports. So it will provide a set of
16 guidelines for our review.

17 **MR. ELLIOTT:** Thank you.

18 **DR. MELIUS:** Any other sort of general
19 questions before we start?

20 (no response)

21 **DR. MELIUS:** And I just would add clearly at
22 this point it's a draft document. It's not
23 even been adopted by the work group and at
24 some point needs to go to the Board for their
25 review and adoption.

1 I'm going to start with the first
2 paragraph, and I'm working off actually Jim
3 Lockey's draft document he sent with comments
4 which I re-circulated to the work group and
5 some of the other staff involved in this
6 program. And Jim had a comment about, the
7 last sentence of that first paragraph, which I
8 actually agree to, that's not very artfully
9 worded.

10 And I would propose some sort of
11 rewording to the effect of it's more often
12 used during the early years -- this is
13 referring to the use of surrogate data --
14 early years of some DOE facilities because of
15 the lack of reliable monitoring methods, et
16 cetera, and just try to make it more specific
17 than that.

18 And again, I'm not asking people to
19 adopt specific wording because I think you
20 should see it in front of you, but it'd be
21 something like that. I think it does need to
22 be clarified. It's not an overly broad
23 version there.

24 **DR. MAKHIJANI:** Dr. Melius, I have a
25 question about this. This is Arjun. Did you

1 intend to add AWE sites to that or restrict it
2 to DOE facilities?

3 **DR. MELIUS:** To be both AOE (sic) and DOE
4 facilities.

5 **DR. MAKHIJANI:** Okay.

6 **DR. MELIUS:** The next comment we have under
7 criteria number one, which is the hierarchy of
8 data, and this comment comes from Wanda. And
9 it is regarding the, I think it's sort of a
10 critical point though. I think there are ways
11 of dealing with it. And I think it refers to
12 the third sentence there, "In general,
13 surrogate data should not be used to replace
14 available data from site inspections as a
15 higher level of hierarchy."

16 And I think what Wanda's comment would
17 remove that, change that a level so to speak
18 that in really taking the last sentence there,
19 if I understand Wanda's comments right, is she
20 would sort of move it down a level and say
21 that it would be used to replace data in the
22 next level if certain criteria were met.

23 Is that capturing your comment, Wanda?

24 **MS. MUNN:** I am now un-muted. That was my
25 general thinking. I'm at a slight

1 disadvantage because I don't have the
2 documents in front of me, and I'm not at a
3 place where I can pull them up on my computer.
4 But if memory serves, that's roughly my intent
5 with that slight change in wording. I don't
6 think I changed it very much.

7 **DR. MELIUS:** No, it was simply changing that
8 level. And I guess I would have two responses
9 to that. One is that I think the criteria to
10 use it at a next level would be stricter than
11 if one were, more stringent than if one were
12 using it at the same level. Because I can't
13 imagine circumstances, I believe we've
14 encountered some of these where we may have a
15 small amount of sampling, personal sampling
16 data, from a site for some particular
17 exposure. And, however, that by itself is not
18 adequate for doing dose reconstruction.

19 However, we may have some data from
20 another site, what we refer to as surrogate
21 data, that may be at the next level of the
22 hierarchy, but it's particularly robust -- and
23 I hate to use a word you don't like, Wanda --
24 but it would be, we might want to utilize that
25 data. I think that we would then make the

1 justification for using this, the lower level
2 of hierarchible data for dose reconstruction.
3 We would probably be more stringent about that
4 than we would if we were using data from the
5 same level.

6 And so what I would propose doing in
7 that paragraph is adding a sentence to that
8 effect. Right now it states, in general,
9 surrogate data should not be used to replace
10 available data that are a higher level. Only
11 we should replace data at the same level and
12 blah-blah-blah.

13 Then I would say add a sentence that,
14 however, there may be specific instances where
15 data from a, surrogate data may be used to
16 replace data that's at a higher level.
17 However, that needs to meet more stringent
18 criteria, et cetera.

19 **MS. MUNN:** Why don't we try having you
20 (telephonic interference) truly want to say.

21 **DR. MELIUS:** No, that's fine. I'm not
22 asking you to approve anything over the phone.

23 Anybody else have comments on that?

24 (no response)

25 **DR. MELIUS:** And I think it just reflects, I

1 mean, this has lots of different factors that
2 go into judging what data is useful, not
3 useful and so forth. And I think we need to,
4 and we're struggling to come up with a simple
5 way of stating that, what's often a
6 complicated situation.

7 **MS. MUNN:** Usually a complicated situation
8 lately.

9 **DR. MELIUS:** Anyone else have comments on
10 that?

11 (no response)

12 **DR. MELIUS:** The next paragraph called
13 Exclusivity Constraint, I agree, very
14 stringently is an overkill and not necessary.
15 So we can take out the very stringently
16 justified and make it just stringently
17 justified. And then Wanda had a comment about
18 in the last sentence I think, again, some of
19 these grammatical -- it currently reads the
20 judgment needs to take into account not only
21 the amount of surrogate data being relied on
22 relative to data from the site, but also the
23 quality of the surrogate data relative to data
24 available to the site in question. And I
25 think the second one is relative, relative to

1 data available at the site is somewhat
2 redundant (inaudible).

3 **DR. BRANCHE:** Jim, the last few words that
4 you said were lost.

5 **DR. MELIUS:** What I said was that the second
6 relative to data available for the site in
7 question is a bit redundant. But I'll correct
8 that and include that when I re-circulate the
9 document.

10 Any questions on that paragraph?

11 (no response)

12 **DR. MELIUS:** Paragraph number three, which
13 is titled site or process similarities, Jim
14 Lockey had one small change in that which is
15 fine. Wanda had a number of changes, most of
16 which were, I think all of which would be
17 clarifications of that paragraph. And again,
18 I'll just rewrite that and circulate it. I
19 don't think it makes any significant
20 differences to that.

21 Anybody else have questions or
22 comments on that?

23 **DR. LOCKEY:** Hey, Jim, Jim Lockey. When I
24 read this over the weekend, there was one
25 sentence that maybe can be redone. That's

1 under number three, and it's the second,
2 actually, it's the last sentence in that
3 paragraph. And it starts, surrogate data
4 should not be used if the equivalency,
5 equivalent air claimant favorability.

6 And I understand what you're trying to
7 do with claimant favorability, but maybe it
8 should be at the beginning. It took me a long
9 time to figure out why that sentence was, why
10 the claimant favorability was in that
11 position. It's just a wording issue I think.

12 **DR. MELIUS:** I agree, thanks.

13 **MS. MUNN:** You're going to rewrite it
14 anyway, right, Jim?

15 **DR. MELIUS:** Yes, correct.

16 **MS. MUNN:** Sounds good.

17 **DR. MELIUS:** Paragraph number four is
18 temporal considerations, and I had no,
19 received no comments on that. I don't know if
20 anybody has any at this point.

21 (no response)

22 **DR. MELIUS:** If not, then the other comment
23 I had from Wanda actually concerns the SC&A
24 report, and her comment was about the items
25 described as type two in that report. And I

1 guess I'm at a little bit of a loss of what to
2 say about that.

3 What I tried to do was, basically, I
4 think Wanda's comment basically goes to the
5 concept that surrogate data has been widely
6 used in the development of standards, exposure
7 limits and so forth. Somehow that SC&A
8 document by calling it type two as a
9 classification was calling into question those
10 standards.

11 And what I tried to do in the, in our
12 criteria was make sure that we've got that,
13 the first sentence of this draft document
14 says, for the purpose of this report the term
15 surrogate data will refer to the use of
16 exposure data from one site for individual
17 dose reconstruction for workers at another
18 site. And basically say this is not referring
19 to the use of data from one site being used in
20 the development of radiation standards or
21 limits or whatever work practice criteria,
22 whatever, that may be taken from one site, nor
23 the experience learned at one site being used
24 at another site. That the focus is purely on
25 dose reconstruction.

1 Now if somebody could come up with a
2 better word for surrogate data that would
3 clarify that difference, I think it may be
4 helpful. But short of that I think we're
5 trying to keep our focus on that, and not a
6 focus or questions on the use of data from one
7 site being used in the development of
8 standards and so forth. That's a different
9 operation. It has a different set of
10 scientific considerations and weighting of
11 those scientific considerations and how that's
12 done. Our focus is on dose reconstruction
13 which has a whole set of other technical and
14 other issues in the context of this program.

15 **DR. MAKHIJANI:** Dr. Melius, this is Arjun.
16 The way, I have the SC&A report before me, and
17 John might want to comment, too. The way, at
18 least in some of the entries -- I haven't
19 recently reviewed all of them, but the type
20 two was used was whether generic assumptions
21 were used for dose reconstruction and
22 development of parameters for dose
23 reconstruction at a particular site.

24 So, for instance, the first one is
25 about recycled uranium, and we said some

1 generic assumptions are used for medical dose
2 prior to 1977, and recycled uranium data prior
3 to mid-1980s are based on DOE complex
4 collective process knowledge. So this is
5 actually data from collective process
6 knowledge that has been proposed for use in
7 individual dose reconstruction for Fernald in
8 this case. And I just, I'm a little confused
9 based on what you said as to how that,
10 conversation you've just been having, would
11 apply to individual dose reconstruction and
12 the RU data at Fernald.

13 **MS. MUNN:** Arjun, these kinds of concerns
14 were what I was thinking of, I believe, at the
15 time that I tried to make additional comment
16 about ^. Even though we tried earlier to
17 specify what we're talking about here ^
18 limited specifically to the uses that we have
19 identified.

20 It still is very easy to have this
21 type of a policy document used in other venues
22 and other kinds of reviews once it's been
23 established. So I'm very concerned that we
24 are more than just casually specific about how
25 we're going to ^ surrogate data and that we

1 not find ourselves in the position like the
2 one you just described which was, I think,
3 detrimental to all of the people involved.

4 **DR. MAURO:** Wanda, Dr. Melius, from this
5 conversation, I think what I'm hearing is the
6 definition or the criteria that's under
7 consideration right now seems to be oriented
8 more toward the use of air sampling, bioassay
9 and external dosimetry data.

10 In other words really, when you want
11 to use surrogate data for those types of
12 dosimetric problems, Arjun and I agree that
13 recycled uranium, minimum detectable levels,
14 medical X-ray exposures, there's a lot of
15 generic weapons complex-wide information
16 that's used across the board collectively.
17 And I think that what I'm hearing is in this
18 particular instance there's an intent to
19 embrace, define surrogate data in a narrower
20 sense at least for the purposes of bioassay,
21 air sampling and film badge data. Would that
22 be a correct statement?

23 **DR. MELIUS:** No.

24 **DR. MAURO:** Okay.

25 **DR. MELIUS:** I don't think so to the extent

1 that other types of information are being used
2 as a basis for dose reconstruction.

3 **DR. MAURO:** Under those circumstances then,
4 the issues that Arjun just mentioned, there
5 are a large number of -- I wouldn't call them
6 NCRP, ICRP or standard dosimetric guidelines
7 that come out from national committees, but
8 there is a lot of generic work. And I'll
9 mention three of them.

10 I think the three that come to mind
11 immediately are recycled uranium; I think the
12 high-fired plutonium is another example, and
13 X-ray, minimum detectable levels both for
14 bioassay and for film badges. These are all
15 assumptions that are part of the process of
16 doing dose reconstruction that goes toward
17 those reconstructions that are being applied
18 site specifically but do come from collective
19 knowledge done by resource ^ done by NIOSH's
20 contractor. So if we are ^ to that, then you
21 know that we're engaging in a more challenging
22 set of criteria.

23 **DR. MAKHIJANI:** You've just mixed up a
24 couple of different things. My question was a
25 little bit narrower than using a Super-S model

1 that's specific to Super-S Plutonium. Super-S
2 Plutonium isn't different at Fernald or Rocky
3 Flats or Hanford or Savannah River Site. So
4 I'm not talking about the model, and I share
5 Wanda's concern about that. And I think that
6 clearly, there's a model that generally is
7 applicable at a time.

8 But what I was asking about and what
9 I'm still confused about, maybe Jim has just
10 clarified it, is that from recycled uranium
11 data from Hanford as to radioisotopes ^
12 recycled uranium as being applied to Fernald,
13 then that seems to meet Fernald dose
14 reconstructions. And that seems to fall into
15 process data from another site being applied
16 to dose reconstruction.

17 And I just wanted to ask whether you
18 can narrow the question, whether process
19 information from some other site as opposed
20 to, say, medical X-ray characteristics of some
21 piece of equipment or something like that, can
22 be used as surrogate data on what those
23 criteria would be. The process information is
24 part of FR-82^ in the hierarchy of data if I
25 remember correctly.

1 Am I right, Jim? I'm not looking at
2 the regulation. I'm saying that from memory.
3 Jim Neton?

4 **DR. NETON:** Yeah, that's correct. I don't
5 want to say anything much here, but I think
6 we're getting into the area of the
7 differentiation between what I call supporting
8 surrogate data, actual data and then also in
9 the development of what I would call
10 analytical models ^ used quite extensively.

11 **MS. MUNN:** And you're correct. The reason I
12 brought the issue up, Jim, is that if we are
13 going to be using these criteria that we're
14 establishing in one way now but broadening
15 them as we go along, then we do get into a
16 situation where we confuse those three items.
17 And I wanted to make very sure that we were
18 not saying or doing anything in our policy
19 statement that would lead us to, for example,
20 reject the minimum quantities that have been
21 established and used widely as a profession as
22 not being adequate because they were not
23 developed at the site where we were at that
24 moment. ^.

25 So I think it's a very real concern,

1 and I would hate to think that these criteria
2 might later be used in some way other than
3 what we intended at the time I believe Jim
4 wrote these. How we clarify that more
5 distinctly than just simply saying -- well,
6 it's in the first sentence -- I'm not sure,
7 but I feel the question is more than relevant.
8 I think it bears on our ability of statements
9 that we might make for the Board to approve.

10 **DR. MAURO:** Wanda, this is John. There was
11 a reason I made the distinction in my original
12 draft that not only in the criteria draft but
13 also in the compendium. We ^ very large
14 compendium ^ where surrogate data was used
15 where I did make a distinction between type
16 one and type two because I realized that this
17 challenge would confront us. That is, we may
18 want to make a distinction between type one
19 and type two.

20 Type one refers to straightforward
21 bioassay, film badge, air sampling data. Type
22 two would go more toward the kind of things
23 that Jim Neton just referred to as research
24 information that has broad applicability.
25 This is a tough question, and I think what

1 you're saying is correct. I think the nature
2 of the definitions of the four criteria that
3 we are embracing now are more oriented toward
4 type one than type two.

5 **MS. MUNN:** I remember the size of those
6 compendia. I do not remember the content.
7 I'd have to go back and take a look again.

8 **DR. MELIUS:** This is Jim Melius, two
9 comments. One is I think it's, one of the
10 issues we have to deal with is are there
11 different criteria for reviewing type one
12 versus type two or can we capture them all in
13 one set of criteria, and I don't know the
14 answer to that. But one of the reasons I was
15 advocating that before we finalize the
16 criteria we try applying it to some limited
17 number of examples would be so that we make
18 sure that we're setting the boundaries on it
19 right and that we've captured the appropriate
20 factors that are going in and weighed in the
21 criteria.

22 We'll never get everything just given
23 how complicated this is, but we need to, may
24 be able to refine these in a way that avoids
25 some of the potential pitfalls that Wanda's

1 concerned about, and at the same time make
2 this useful in terms of dealing with dose
3 reconstruction issues. And I think that if
4 you remember right, the SEC evaluation
5 criteria were built from our experience,
6 actually, some of our problems in evaluating
7 SEC evaluation reports.

8 The need to systematize that I think,
9 maybe working through some of the examples and
10 so forth would help to address these issues
11 also and make sure that, one, we're not
12 missing somehow an important set of things
13 that should be reviewed. At the same time
14 we're not including things that are
15 inappropriate to being reviewed.

16 **DR. MAURO:** Jim, this is John Mauro. When
17 we did apply the four criteria, and they
18 served us very well, when we did Blockson, and
19 we're in the middle of doing it also for Texas
20 City; however, when we applied the criteria,
21 the framework within which we were working,
22 dealt mainly with external dosimetry and air
23 sampling data.

24 We really never engaged issues that I
25 would call type two surrogate data. So right

1 now I could say, at least in the two instances
2 where we attempted to apply the fourth
3 criteria, Blockson and Texas City, it served
4 us very well when it came to type one
5 criteria, type one surrogate data.

6 **DR. MELIUS:** ^ once we've agreed on the
7 draft criteria, then I think applying them to
8 some other examples including some type two I
9 think would also be helpful. What my
10 proposal, before we start down that road is
11 that I will rewrite the document, circulate it
12 to the work group, and given the timeframe and
13 so forth, I think that I'll wait to hear, see
14 what people's comments are. People can get
15 back to me individually. If necessary, we'll
16 schedule another meeting.

17 Hopefully, we can ^. I'll have
18 captured people's comments well enough that
19 we'll have a draft document and can move
20 forward. And then my proposal would be that
21 we then apply this to some examples and so
22 forth. But I also would like to circulate it
23 to the Board, at least for some informal
24 comments before we do that.

25 **DR. MAURO:** Jim, if I may make one

1 observation, we did learn something important
2 when we went through Texas City that I think
3 does bear on the four criteria. Something
4 that certainly the work group may want to ^
5 and that is the possibility of what I would
6 call a fifth criteria that might serve us
7 well, and I like to call that plausibility.

8 One of the things we found in Texas
9 City is that surrogate data were used, both
10 external exposures and inhalation exposures,
11 that were drawn from datasets that resulted in
12 implausible exposures. In a strange sort of
13 way what happened was the scenarios and the
14 exposure settings in the surrogate data that
15 was used overestimated the potential for
16 exposures at Texas City to such an extent that
17 one could question whether or not such
18 exposures are plausible and perhaps challenge
19 the use of surrogate data from the perspective
20 that it is unrealistically high. It's not
21 plausible.

22 Because there is language in the rule
23 that says that the dose reconstruction
24 scenarios must be plausible. And one of our
25 concerns, and you'll see when our report comes

1 out, is that in an effort to try to place an
2 upper bound, sometimes the assumptions are so
3 conservative that they're no longer plausible.
4 That may be a fifth criteria (sic) that might
5 serve us well. I just wanted to put that on
6 the table for your consideration.

7 **DR. MELIUS:** This is Jim Melius. I actually
8 haven't read the Texas City report yet, and my
9 general comment is that I think we've always
10 viewed criteria as sort of cutting both ways.
11 That it's possible to be overestimating or
12 underestimating within the context of this
13 program.

14 So I guess I have some general
15 questions about having that as a separate
16 criteria (sic). I always thought of that as
17 sort of a fundamental criteria or basis of our
18 approach here. But let me look over the
19 report and the situation before I generalize.

20 Any other comments or questions?

21 (no response)

22 **DR. MELIUS:** So everybody agreed that I'll
23 be writing, taking account comments plus the
24 verbal comments we received here, circulate it
25 to the work group, and then hear back from the

1 work group. And before taking any other
2 action, I will check with the work group.

3 **MS. MUNN:** That's certainly appropriate from
4 my point of view. I guess the concern that's
5 raised with respect to the use of data being
6 so far away from any accuracy even when being
7 used as a bounding limit or is one that I
8 don't think we did address very well in the
9 four items that we put there. Whether or not
10 it's a thought that needs to be incorporated
11 at some point whether as a fifth item or not I
12 haven't had an opportunity ^ get my thinking,
13 but certainly the next step obviously is the
14 one you have outlined, Jim, I think. I think
15 that's appropriate.

16 **DR. MELIUS:** Mark, or Jim Lockey or Josie,
17 any comments?

18 **MR. GRIFFON:** Sounds good.

19 **DR. LOCKEY:** I'm fine with this.

20 **DR. MELIUS:** Josie.

21 **MS. BEACH:** Sounds great with me, too.

22 **DR. MELIUS:** Okay.

23 Christine?

24 **DR. BRANCHE:** Yes, sir.

25 **DR. MELIUS:** We're done, with the call.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16

DR. BRANCHE: I knew what you meant.

Well, I hope you all can stay cool today. If you're going to be in climates that are anything like what we're expecting here in the D.C. metro areas, stay indoors and drink plenty of fluid. Thank you very much for a productive call. It seemed to be from what I observed. And, Jim, we'll hear from the group soon.

DR. MELIUS: Correct.

DR. BRANCHE: Thanks so much. Have a great day.

(Whereupon, the working group meeting concluded at 12:15 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of June 9, 2008; I, Steven Ray Green, then transcribed the proceedings, and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 7th day of August, 2008.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**