

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

WORK GROUP ON WELDON SPRING PLANT

+ + + + +

TUESDAY  
OCTOBER 19, 2010

+ + + + +

The Work Group convened in the Cincinnati Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., Michael H. Gibson, Chairman, presiding.

PRESENT:

MICHAEL H. GIBSON, Chairman  
RICHARD LEMEN, Member\*  
ROBERT W. PRESLEY, Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

ALSO PRESENT:

TED KATZ, Designated Federal Official  
NANCY ADAMS, NIOSH Contractor  
RON BUCHANAN, SC&A  
MEL CHEW, ORAU Team  
JOE FITZGERALD, SC&A  
DAVE HARRISON, ORAU Team  
MONICA HARRISON-MAPLES, ORAU Team  
STU HINNEFELD, DCAS  
EMILY HOWELL, HHS  
KAREN JOHNSON, Weldon Spring Petitioner  
MARY JOHNSON  
JENNY LIN, HHS\*  
ARJUN MAKHIJANI, SC&A  
JOHN MAURO, SC&A\*  
ROBERT MORRIS, ORAU Team  
MARK ROLFES, DCAS  
TINA TRIPLET, Weldon Spring Petitioner

\*Participating via telephone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

## C-O-N-T-E-N-T-S

Welcome and Introduction.....	4
Overview of Weldon Spring Site Evaluation Report and SEC Status.....	9
Issues Matrix for Weldon Spring Site Evaluation Report and SEC Petition.....	12
Draft NIOSH Response to SCA Issues Matrix.....	174
Administrative Detail and Calendar.....	205

### **NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:02 a.m.)

3 MR. KATZ: So good morning,  
4 everyone in the room and on the line.

5 This is Ted Katz with the Advisory  
6 Board on Radiation and Worker Health. This is  
7 the Weldon Spring Work Group. I'm the  
8 Designated Federal Official for the Advisory  
9 Board. And we're going to get started in a  
10 minute.

11 Before we go on record, we're  
12 going to do roll call. And let's begin with  
13 Board Members in the room with the Chair.

14 CHAIRMAN GIBSON: Mike Gibson,  
15 Chair of the Weldon Spring Work Group.

16 MR. KATZ: And please speak to  
17 conflict.

18 CHAIRMAN GIBSON: No conflicts.

19 MEMBER PRESLEY: Robert Presley,  
20 Board Member. No conflict.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. KATZ: And on the line, Board  
2 Members?

3 MEMBER LEMEN: Richard Lemen. No  
4 conflict.

5 MR. KATZ: Welcome, Richard --  
6 Dick.

7 Okay. And now NIOSH ORAU Team in  
8 the room?

9 MR. HINNEFELD: Stu Hinnefeld,  
10 Interim Director of DCAS.

11 MR. ROLFES: Mark Rolfes, health  
12 physicist with DCAS. No conflict of interest.

13 MR. HINNEFELD: Yes. No conflict  
14 on my part, either.

15 MR. KATZ: Any NIOSH ORAU Team on  
16 the line?

17 DR. CHEW: Mel Chew, ORAU Team.  
18 No conflict.

19 MR. KATZ: Welcome, Mel.

20 MR. MORRIS: Robert Morris.

21 MS. HARRISON-MAPLES: Monica

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Harrison-Maples, ORAU Team. No conflict.

2 MR. KATZ: Okay. So we have  
3 Robert Morris and Monica --

4 MS. HARRISON-MAPLES: Harrison-  
5 Maples.

6 MR. KATZ: Harrison-Maples. I  
7 always get those switched around. But thank  
8 you.

9 MS. HARRISON-MAPLES: That's okay.

10 MR. KATZ: And both of those, no  
11 conflict?

12 MR. MORRIS: No conflict for  
13 Robert.

14 MR. KATZ: Right. SC&A in the  
15 room?

16 MR. FITZGERALD: Joe Fitzgerald.  
17 No conflict.

18 DR. BUCHANAN: Ron Buchanan. No  
19 conflict with Weldon Spring.

20 DR. MAKHIJANI: Arjun Makhijani.  
21 No conflict.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. KATZ: And SC&A on the line?

2 DR. MAURO: John Mauro, SC&A. No  
3 conflict.

4 MR. KATZ: Welcome, John.

5 Very good. And federal officials  
6 or contractors for the feds in HHS and other  
7 agencies in the room?

8 MS. HOWELL: Emily Howell, HHS.

9 MR. KATZ: And on the line?

10 MS. LIN: Jenny Lin, HHS.

11 MS. ADAMS: Nancy Adams, NIOSH  
12 contractor.

13 MR. KATZ: Welcome, Jenny and  
14 Nancy.

15 MR. HARRISON: This is Dave  
16 Harrison, ORAU Team with no conflict.

17 MR. KATZ: Oh, thank you, Dave  
18 Harrison.

19 All right. Finally, there are no  
20 members of the public in the room.

21 But on the line, any members of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the public who would like to identify  
2 themselves?

3 MS. K. JOHNSON: This is Karen  
4 Johnson, one of the petitioners.

5 MR. KATZ: Welcome, Karen.

6 MS. TRIPLET: Tina Triplet, one of  
7 the petitioners.

8 MR. KATZ: And welcome, Tina.

9 MS. M. JOHNSON: Mary Johnson.

10 MR. KATZ: Mary Johnson. Thank  
11 you.

12 Very good. So let me just ask for  
13 all of you on the line, please mute your  
14 phones except when you're addressing the  
15 group. For those of you that don't have a  
16 mute button on your phone, if you hit \*6,  
17 that'll mute your phone. And then if you hit  
18 \*6 again, it'll unmute your phone. So \*6.

19 And please, do not put the call on  
20 hold at any point, but hang up and dial back  
21 in because the hold will disrupt the call for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 everyone else.

2 Much thanks. And Mike, it's your  
3 agenda.

4 CHAIRMAN GIBSON: Okay. Hope  
5 everyone's got a copy of the agenda. Sorry I  
6 was late getting it out. But it's pretty  
7 straightforward, I think.

8 We have a few documents to go over  
9 but I thought, to start off, maybe we could  
10 have someone from DCAS just give us a brief  
11 overview of the Weldon Springs ER report and  
12 the original SEC petition, just to get us back  
13 up to date.

14 MR. ROLFES: Sure can. And I just  
15 had it pulled it up, and I need to pull it  
16 back up here. I just accidentally closed it.

17 If you could just give me a couple of  
18 seconds.

19 Okay. Sorry about that.

20 Okay. This is just a brief update  
21 on SEC-00143. We received the petition in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 April of 2009. It qualified September 11,  
2 2009 and the price evaluated by NIOSH was all  
3 employees of the Department of Energy,  
4 Department of Energy contractors or  
5 subcontractors who worked in any area of the  
6 Weldon Spring Plant or Weldon Spring area  
7 during the covered operational period from  
8 January 1, 1957 through December 31, 1967.

9 The Evaluation Report was approved  
10 in April of 2010 and the feasibility  
11 determination was that the documentation and  
12 records that we have allow us to do accurate  
13 dose reconstructions -- dose reconstructions  
14 of sufficient accuracy for both internal and  
15 external sources of radiation exposure.

16 Would you like any additional  
17 details?

18 CHAIRMAN GIBSON: Are there any  
19 other questions about the petition or at least  
20 what we're starting from here today?

21 (No response.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   CHAIRMAN GIBSON:    If not, we can  
2    get right into the -- SC&A has prepared an  
3    issues matrix for the Weldon Spring Site  
4    Evaluation Report and the SEC petition.  So if  
5    we're able to take off with that, we'll go  
6    there.

7                   MR. FITZGERALD:  Yes.  Let me just  
8    preface -- Ron's going to go through that.

9                   We sent that matrix out yesterday  
10   afternoon.  And again, it was in DOE for  
11   clearance for a bit.  So hopefully everyone  
12   has a copy of that now.  We use that as a sort  
13   of set of talking points.

14                  MEMBER LEMEN:  This is Dr. Lemen.  
15    I didn't get a copy, if you sent it out  
16    yesterday afternoon.

17                  MR. FITZGERALD:  It's on the CDC  
18    computer.  But you don't see it?

19                  MEMBER LEMEN:  Well, I have  
20    trouble with the CDC computer.  I'm not  
21    cleared for it for some reason.  I'm trying to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 get cleared for it. But maybe if you sent it  
2 to my regular email.

3 MR. KATZ: I think we're going to  
4 try to figure out how to forward that to you.

5 MEMBER LEMEN: Okay. Thank you.

6 MR. FITZGERALD: I guess with  
7 that, as we do on these initial work group  
8 meetings, we're going to walk through what we  
9 see are some of the issues that either are, in  
10 our mind, a technical question or areas where  
11 I think we need clarification on the  
12 evaluation. I mean, these are all created  
13 equal. But we want to make sure at least  
14 there's a complete listing at this point in  
15 time.

16 MR. KATZ: Dick, Mike's emailed it  
17 to you, so it should arrive soon.

18 MEMBER LEMEN: Okay. I should get  
19 it in a few minutes if he did because I can  
20 get it from Mike.

21 MR. KATZ: Thanks.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. FITZGERALD:    It's ten pages,  
2                   Dave.

3                   MEMBER LEMEN:    Okay.  Thank you.

4                   MR. FITZGERALD:    So we're just  
5                   going to go ahead and walk through this.  And  
6                   Ron's done the yeoman's job so I'm certainly  
7                   going to turn to him to go through the issues  
8                   we have.

9                   You want to just tick through?

10                  DR. BUCHANAN:    Okay.  Before we  
11                  get started on the individual issues, just to  
12                  bring everybody up to speed, I think we ought  
13                  to cover a little bit about what the whole  
14                  facility was about and why we have an SEC.

15                  Okay.        Weldon Spring was the  
16                  uranium processing plant, and it operated from  
17                  1957 to 1966.  It took over the job of the  
18                  Mallinckrodt downtown uranium plant in  
19                  downtown St. Louis.  There was a slight  
20                  overlap -- a year and a half or so -- that  
21                  they both operated.  The Mallinckrodt -- a lot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 of you are familiar with that downtown plant.

2 I know Arjun is and he worked some on that.

3 And then the Weldon Spring took  
4 over, and it was more of a directed,  
5 engineered plant to process uranium ore  
6 concentrate. It essentially took the uranium  
7 ore concentrate, did chemical processing on  
8 it, put it into a furnace, melted it down into  
9 uranium metal mostly and shipped it out to  
10 other areas -- other labs and stuff to be made  
11 into reactor fuel elements. There were some  
12 other products, but that was the main product.

13 As far as SC&A can find and then  
14 NIOSH has found, it used mainly uranium ore  
15 concentrate. It did not use pitchblende or  
16 the other material that came in with a lot of  
17 the byproducts of the decay in it. And so it  
18 received these in drums in something like a  
19 55-gallon drum. They dumped uranium ore.

20 After the chemical processing at  
21 the mills, it came in looking something like a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 yellow cake, so that they called it yellow  
2 cake. They sampled these, weighed them, put  
3 them in a hopper. And then that material went  
4 down through a chemical separation process.  
5 And then I don't know all the details as far  
6 as the chemistry goes, but they created a  
7 green salt and then they put that into a  
8 furnace -- in a bomb, they called it. They  
9 had to use magnesium to heat it up. And out  
10 of the bottom of the container then came the  
11 molten uranium which they called an ingot.  
12 And then that was screwed into rods, and then  
13 rods in the machine and cut into certain  
14 lengths. And those were then shipped out.  
15 That was their end product. That was in '57  
16 through December of '66.

17 They also used some thorium -- did  
18 some thorium processing from '63 to '66 in  
19 certain buildings at Weldon Springs.

20 December 31st in 1966 was the  
21 official cut-off date, I guess. They decided

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that it was no longer economically feasible to  
2 run Weldon Springs. And so they closed it  
3 down. It started a decrease in productivity  
4 and worker count in the 1966 year. And then  
5 the 1st of January of '67, it appears that  
6 there was no real work being done there.

7 Now in '67 and '68, it was an Army  
8 site to begin with. It was turned over to DOE  
9 for the uranium processing. It was turned  
10 back over to the Army for manufacturing Agent  
11 Orange herbicide. It never was done.  
12 However, there was some work done in '67 on  
13 some of the buildings and '68 to renovate it  
14 for the production of a herbicide. And that  
15 will be one of the issues we talk about a  
16 little later.

17 And so I wanted to give you a  
18 background on what it's function was.  
19 According to records and according to NIOSH,  
20 they received mostly uranium from the United  
21 States and Canada, received recycled uranium

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 starting in -- and this is another issue, what  
2 date is not sure -- it's around '61 -- and  
3 Fernald mainly as some of their work. It also  
4 received enriched uranium in a one- to two-  
5 percent range from Fernald also in the later  
6 years.

7 And so, what SC&A did, we did  
8 worker interviews about a couple years ago.  
9 We also evaluated the Technical Basis  
10 Documents 1 through 6 that were issued in  
11 2005. We evaluated those and sent that report  
12 in in February of '09 with 28 issues as far as  
13 TBD issues go. And as Mark says, the ER then  
14 was issued in April of 2010 -- somewhere  
15 around that. And so we were charged with  
16 looking at the ER and evaluating it to see if  
17 it was technically correct.

18 And so we did that. And I created  
19 the matrix with nine issues to be resolved on  
20 it. I do apologize for getting it in late. I  
21 know I hate it when I receive something at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 last minute. SC&A did send this in around the  
2 1st of October. It took quite a while to  
3 clear and so it didn't get out to your  
4 computers until yesterday, apparently. And so  
5 we made extra copies for anybody that needs it  
6 here. However, I realize that this doesn't  
7 give you a chance to look it over beforehand.

8 So we'll try to go through each  
9 issue and explain why we bring these issues up  
10 today since some of you hadn't had a chance to  
11 study it.

12 And we have nine issues that we'd  
13 like to bring forth to the Working Group for  
14 them to consider that need resolving and what  
15 can be done to resolve these issues. And  
16 we'll just start off with, are there any  
17 questions at this point on the function of  
18 Weldon Spring or where we are at this point?

19 MR. ROLFES: Ron, just before we  
20 start, I want to make sure that our  
21 contractor's on the phone so they can follow

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 along.

2 MEMBER LEMEN: This is Dick Lemen.

3 I do have it now.

4 MR. ROLFES: Okay. Mel, Bob and  
5 Monica, do you have copies of the document?  
6 We're going to discuss the site Special  
7 Exposure Cohort evaluation matrix that we  
8 received yesterday. So I just want to make  
9 sure that you have that before we start.

10 DR. CHEW: This is Mel. I do.

11 MR. ROLFES: Okay. I realize we  
12 haven't had time to prepare responses. So  
13 this is sort of going to be on the fly. So  
14 we'll certainly hear what you have to say.  
15 And just keep in mind that we haven't prepared  
16 responses to these and that we're going to  
17 need to do some additional research to  
18 formulate our official responses.

19 MR. HINNEFELD: Yes, I think our  
20 conversation today is more for questioning to  
21 make sure we understand the nature of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 finding and what would be expected for the  
2 finding to not be a finding -- those kinds of  
3 things -- if we have any questions.

4 MR. KATZ: Ron, maybe as you go  
5 through these, if some of these issues are  
6 overlapping with issues that were raised in  
7 the TBD review, that might be helpful to know,  
8 since the TBD review was done back in  
9 February.

10 DR. MAURO: Ron, this is John  
11 Mauro.

12 And Stu, just a quick question.  
13 I'm looking at the matrix and I see that in  
14 the far right-hand column -- the fourth column  
15 -- gives the SC&A summary of the issue. But  
16 the middle one has a NIOSH ER position. Is  
17 this write-up something that NIOSH prepared or  
18 is it something that we prepared based on our  
19 understanding of the NIOSH ER?

20 DR. BUCHANAN: John, this is Ron.

21 Yes. The latter is correct. This

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 is SC&A's reading of NIOSH's position the way  
2 we understand the ER.

3 DR. MAURO: Okay. So we don't  
4 have before us, then, NIOSH's own response to  
5 our concerns?

6 DR. BUCHANAN: No, because they  
7 haven't seen our concerns yet.

8 DR. MAURO: Very good. Thank you.

9 DR. MAKHIJANI: Well, we have with  
10 the ER. We have NIOSH's Evaluation Report.

11 DR. BUCHANAN: Right. And in the  
12 third column, this is the way we understand  
13 what they're saying.

14 DR. MAURO: Okay.

15 DR. BUCHANAN: In my  
16 interpretation. So if it's incorrect -- as we  
17 go through this, if there's anything that you  
18 wanted to clarify or correct, please free to  
19 interrupt me.

20 MR. FITZGERALD: This has been  
21 standard practice because clearly when we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 present the matrix for the first time, one  
2 issue is making sure we're reading it right.

3 DR. BUCHANAN: Sure.

4 MR. FITZGERALD: And the second  
5 question is the actual technical issue itself.

6 So -- yes.

7 DR. BUCHANAN: And some of these  
8 issues are perhaps SC&A's questioning the TBD  
9 and the ER to see if they match each other.  
10 If something has changed between the two, then  
11 we want to know which way will be used in  
12 actual dose reconstruction. And so that's one  
13 reason for us putting down what we understand  
14 NIOSH to be saying and so we can clarify which  
15 method is going to be used.

16 So if there's no other questions,  
17 we can get right into the heart of the matter,  
18 and that's on page two of the matrix -- issue  
19 number one -- is accuracy of records not  
20 sufficiently verified. And A and C are  
21 together -- internal and external. A was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 expounded on a little bit more in the ER than  
2 C. But we had the same questions on both.

3 First of all, can NIOSH tell me --  
4 the CER -- how is it used in dose  
5 reconstruction and why was it compared to the  
6 CER? Why was Weldon Spring's hard copy data  
7 compared to CER?

8 MR. ROLFES: Well, I'm going to  
9 defer to Monica on this one because I believe  
10 she was the one who had gone and looked at the  
11 CER records.

12 Monica, did you hear Ron's  
13 question regarding the comparison of the CER  
14 data?

15 DR. CHEW: Mark, this is Mel.  
16 Monica had to step out to take another call  
17 here from another petitioner. I just got an  
18 email from her. Maybe you want to defer it  
19 until she gets back.

20 MR. ROLFES: Okay. We'll  
21 certainly do that if we can if that's okay

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 with you, Ron?

2 MS. HARRISON-MAPLES: Mark?

3 MR. ROLFES: Yes?

4 MS. HARRISON-MAPLES: Yes. I

5 haven't left yet. I'm getting ready to leave.

6 I have about six minutes that I can address

7 this question real quickly.

8 MR. ROLFES: Great.

9 MS. HARRISON-MAPLES: Sorry about  
10 that. I couldn't get off of mute.

11 The CER database is an electronic  
12 record of the data that ORAU collected early  
13 on for epidemiology studies having to do with  
14 Weldon Spring -- the studies having to do with  
15 Weldon Spring. The purpose of comparing the  
16 two sets of data had to do with verifying that  
17 the electronic records and the -- verifying  
18 the pedigree of the records, basically. We  
19 from an SEC perspective were not looking at it  
20 in terms of how the data was going to be used  
21 for dose reconstruction directly because the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 SEC process doesn't really look into  
2 individual dose reconstructions that way. But  
3 we do need to verify that the data that the  
4 project has available to it -- both hard copy  
5 and electronic -- we were comparing them for  
6 consistency to make sure that what we had was  
7 accurate and met our pedigree requirements.

8 As far as for dose reconstruction,  
9 the dose reconstructors, to the best of my  
10 knowledge, have in their procedures that they  
11 will always go back to the hard copy record if  
12 there is hard copy information available. So  
13 the comparison -- you're looking at it from  
14 two different perspectives when you talk about  
15 how is it going to be used for dose  
16 reconstruction. This comparison will not be  
17 used for dose reconstruction.

18 Does that answer the question?

19 DR. BUCHANAN: Well, Monica, this  
20 is Ron.

21 Will the CER database be used for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 dose reconstruction?

2 MS. HARRISON-MAPLES: The CER  
3 database will only be used for dose  
4 reconstruction in the event of an individual  
5 dose reconstruction where there is not a copy  
6 of a hard copy record.

7 DR. BUCHANAN: How did the CER get  
8 -- just for clarification -- how does the CER  
9 get data if there wasn't hard copy to put it  
10 in originally?

11 MS. HARRISON-MAPLES: There would  
12 have been a hard copy record originally. ORAU  
13 received the information directly from Weldon  
14 Spring back when they were doing this  
15 epidemiology study, and they digitized the  
16 records. They made the database directly from  
17 the records.

18 Now, if at some point in time that  
19 record was destroyed somehow, CER would still  
20 have that electronic database. That is  
21 probably not going to happen. We don't see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that very often. What is more likely to  
2 happen is that the CER database would be used  
3 in the event where there is no record for  
4 someone, and they would look at it from a co-  
5 worker kind of perspective.

6 DR. BUCHANAN: Now the CER  
7 database is not complete. It doesn't contain  
8 all the records from Weldon Spring? Is that  
9 correct?

10 MS. HARRISON-MAPLES: It contains  
11 all the records that CER was able to get for  
12 Weldon Spring.

13 DR. BUCHANAN: Okay. But the way  
14 I understand the comparison in the ER, some  
15 years it might have contained 30 percent, some  
16 60 percent, sometimes 90 percent when you made  
17 a comparison. So the CER generally cannot be  
18 used for dose reconstruction because it's not  
19 complete. Is that correct?

20 MS. HARRISON-MAPLES: I'm not  
21 really sure I'm understanding your question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 You're talking about for an individual dose  
2 reconstruction?

3 DR. BUCHANAN: Yes.

4 MS. HARRISON-MAPLES: If a person's  
5 record -- an individual's record are in the  
6 CER database, then yes, it could be used for  
7 dose reconstruction. If an individual's  
8 records are not in the database, then they  
9 might be able to look at similar workers,  
10 similar job titles and do a co-worker study  
11 based on the CER records.

12 I'm not an expert in the co-worker  
13 studies. I don't know if there might not be a  
14 period where a partial reconstruction might or  
15 might not be able to be done. But that's  
16 generally how the CER database will be used.

17 For the most part, for individual  
18 dose reconstructions, though, they will look  
19 at the records of the individual.

20 DR. BUCHANAN: Okay. Now for  
21 Weldon Springs, when they have a claim and a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 dose reconstructor request data, where does  
2 that data come from to that --

3 MS. HARRISON-MAPLES: I'm sorry.  
4 I didn't quite understand the question.

5 DR. BUCHANAN: When a claim is  
6 submitted and NIOSH processes that claim and  
7 does a dose reconstruction for Weldon Spring,  
8 where do they get that data that they use to  
9 actually do the dose reconstruction? Do they  
10 get hard copies from where? Or electronic  
11 database?

12 What I'm trying to establish is  
13 the data they use today has been verified it  
14 is complete and accurate from the original  
15 data that was recorded for that worker 40  
16 years ago.

17 MS. HARRISON-MAPLES: I'm not sure  
18 that I can answer your question fully. I  
19 don't work on the dose reconstruction side of  
20 it. I believe I understand the procedures,  
21 but I work SEC side.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   As I understand it, we have out  
2                   for the record for Weldon Spring, we have a  
3                   storage of records from Weldon Spring. And  
4                   that would be searched to find records for the  
5                   individual. That would include copies of the  
6                   hard copy record for the individual, if we  
7                   have them. They might look through the  
8                   database. And this comparison of the database  
9                   with the hard copy is basically a verification  
10                  that we got these records at one time in the  
11                  past, we're comparing them to what we get  
12                  again, and we're verifying that they are the  
13                  same in order to establish a pedigree of the  
14                  information.

15                 DR. BUCHANAN:        Okay.        So for  
16                 example on page 49 of the ER, it shows -- 49  
17                 or 50 -- it shows that for 1957, for example,  
18                 that the SRDB results captured in a CER  
19                 database was 61 percent. So this tells me,  
20                 number one, that the CER does not contain all  
21                 the records, obviously, if it contains only 61

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 percent for that year. So the SRDB database  
2 -- this is an electronic database that was  
3 copied from the hard records? Or how did the  
4 --

5 MS. HARRISON-MAPLES: No, no.  
6 You're confusing the purpose of the SRDB  
7 database.

8 Mel, can you speak to this a bit?  
9 I have to go. I have to pick up this other  
10 call. I apologize and I will be back on this  
11 call as quickly as I can be. But we have a  
12 petitioner from another petition that I set up  
13 a call for and I've got to go.

14 MR. ROLFES: Thank you, Monica.

15 MS. HARRISON-MAPLES: I'm sorry.  
16 Thank you.

17 MR. ROLFES: No problem. Thank  
18 you.

19 Mel, did you want to answer Ron's  
20 question regarding the Site Research Database  
21 or should we wait for Monica?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. CHEW: I think we should table  
2 this thing, Mark.

3 MR. ROLFES: Okay.

4 DR. CHEW: I'm not so sure I  
5 understand exactly where Ron is going with  
6 this question, anyway.

7 MR. HINNEFELD: This is Stu.

8 Well, one question that comes to  
9 mind is what is the origin of the exposure  
10 record we receive when we get an exposure  
11 history from a Weldon Spring worker. Weldon  
12 Spring is not there anymore. Does this go to  
13 Legacy Management, and what record do they  
14 pull out? What do they rely on to tell us  
15 what the exposure record is? I mean, that's  
16 one question.

17 DR. BUCHANAN: That's correct.

18 And what's the chain of custody, so to speak?

19 When it was recorded in 1962 to when the dose  
20 reconstructor -- I guess this is the summary  
21 of the issue is for external and internal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 records. What is the chain of custody? What  
2 is the verification that the dose  
3 reconstructor receives? If it's an electronic  
4 database, is it a photocopy or whatever, how  
5 do we know that that is complete from when  
6 that record was recorded in 1962 or whenever  
7 the operation's taking place during the SEC?  
8 That is what I would like to be addressed.

9 DR. CHEW: So Mark, let me make it  
10 -- I think we need to get someone who was  
11 doing the dose reconstruction from Weldon  
12 Spring to answer that particular question.

13 MR. ROLFES: That would be a good  
14 idea. I don't know if Dave Harrison might be  
15 familiar with that or not.

16 Dave, did you have anything to add  
17 about the source of the DOE records that we  
18 received for dose reconstructions for Weldon  
19 Spring Plant?

20 MR. HARRISON: I do not have that  
21 information right now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. ROLFES: Okay. Thank you.

2 We'll have to get you an answer  
3 for that. I was trying to look on my computer  
4 here but it's not very responsive at the  
5 moment.

6 MR. FITZGERALD: Yes, the two  
7 facets -- and this is sort of a conventional  
8 question we raise with every SEC as sort of  
9 the source of the database that's being used  
10 for dose reconstruction. And the other thing  
11 that I think that Ron was getting to is how  
12 you validated that the database track was  
13 sufficiently complete. And if there were  
14 gaps, how did you address the gaps? I mean,  
15 that's kind of where we always come from.

16 DR. MAKHIJANI: Just to add  
17 something to that, part of our procedure is to  
18 review these. And the Board's guidelines for  
19 us were to look at adequacy and completeness.  
20 If you're going to do co-worker models,  
21 they'll probably come from this electronic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 database. And then we're supposed to verify  
2 the adequacy of that electronic database. So  
3 if 40 percent of the records are missing in  
4 some cases, then you want to know which 40  
5 percent are missing.

6 MR. FITZGERALD: Right. And how  
7 representative would it be if you did --

8 DR. MAKHIJANI: Yes,  
9 representativeness, adequacy and completeness  
10 are part of our kind of SEC review guidelines  
11 that we need to ask those questions.

12 DR. BUCHANAN: A lot of these  
13 records have been transformed to electronic  
14 records through the years. And then that gets  
15 put into another system. And so we have some  
16 sort of verification from the very origination  
17 of those records to the use of dose  
18 reconstruction to make sure that they're there  
19 and they've been transferred accurately.

20 So that's issue 1 -- A and C on  
21 the records. And the reason I put those in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       there is because the way I understand it is  
2       that on B, we might as well pop into that now,  
3       it's air data.

4                   I understand that according to the  
5       ER and TBD that there is uranium air  
6       monitoring data area and some breathing zone  
7       area for 1958 through 1966. That's on page 40  
8       of the ER. And for thorium, 1963 to 1966 on  
9       page 41 through 45. And am I to understand --  
10      recommend using TIB-5000 and 6000 for using  
11      this data which consists of daily weighted  
12      averages -- DWAs -- which I understand they  
13      would put an air sample there for a certain  
14      amount of time, determine how long that exists  
15      and then prorate that for like an eight- to  
16      ten-hour shift or something?

17                   However, I'd like Arjun to address  
18      that since he's addressed this at  
19      Mallinckrodt, perhaps, Fernald. So he has  
20      some insight and experience in this area.

21                   DR. MAKHIJANI: Yes. I've only

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       been marginally involved with Weldon Spring  
2       just kind of answering Ron's questions off and  
3       on.

4                   And one of the questions was what  
5       have we said and what methods have we  
6       recommended in using daily weighted average  
7       data. And there was a whole analysis in our  
8       report to the Board in April 2005 when we  
9       showed that the use of daily weighted averages  
10      could give you or indicate at least by an  
11      analysis that could give you average typical  
12      doses for a group of workers. But it  
13      certainly couldn't establish bounding values.

14      Very often you have two or three measurements  
15      at a job location.

16                   PARTICIPANT:     Your broadcast is  
17      breaking up here. Could you get closer to the  
18      microphone, please?

19                   DR. MAKHIJANI:    Yes.     Well, in  
20      April 2005, we had -- I'll speak a little  
21      louder too. In April 2005, we had done an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 analysis of the daily weighted average  
2 question in the context of the Mallinckrodt  
3 SEC review and pointed out that you can't use  
4 daily weighted averages to come up with  
5 bounding doses for anything -- maybe typical  
6 doses for a group of workers. And there were  
7 a number of reasons for that. And we also  
8 recommended an approach that you might  
9 consider for use of daily weighted averages to  
10 develop such bounding doses. But I don't  
11 believe it's ever been done.

12 And we ran it by our  
13 statisticians. And the basic issue -- just to  
14 remind you -- and I'd be happy to send that  
15 report to all of you who may not currently  
16 have it; I don't think it's on the NIOSH  
17 website -- is that you have two or three  
18 measurements taken over a few minutes for each  
19 task that was performed over the course of a  
20 day. Very often these two or three  
21 measurements for a certain task would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 highly variable. In some cases, many  
2 measurements were taken but only the minimum,  
3 maximum and averages reported so there's a  
4 significant loss of data so you can't actually  
5 construct the distribution, or at least what  
6 is presented in the database doesn't contain  
7 all the information that was originally taken.

8 And when you try to calculate the  
9 variances based on two, three, four  
10 measurements, they are of course very large.  
11 And then there are different variances for  
12 each task. So there are a number of tasks  
13 that go into a daily weighted average, and  
14 you're confronted with a problem of coming up  
15 with a composite distribution that would  
16 representing a bounding dose.

17 It's a non-trivial problem. And  
18 it's unclear, with a few measurements over a  
19 few minutes which was typical. I haven't  
20 looked at Weldon Spring data -- which was the  
21 case at the Destrehan Street site -- that you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       could do this. I mean, we didn't offer an  
2       opinion so far as I remember. We suggested  
3       that if it were going to be used to develop  
4       bounding doses that there was a possible  
5       approach that could be developed. But we  
6       never saw any response from NIOSH, presumably  
7       because an SEC was granted at Mallinckrodt and  
8       NIOSH didn't have to go there.

9                       But subsequently, I've noted that  
10       NIOSH has proposed the use of daily weighted  
11       average in the same way and sometimes in  
12       moderate variance but never addressed our  
13       original criticisms of use of daily weighted  
14       averages in the SEC context.

15                      MR. HINNEFELD:       What was your  
16       report that you -- or what was it related to  
17       at --

18                      DR. MAKHIJANI:       It was the  
19       Destrehan Street site from April 2005.

20                      My government computer has kind of  
21       locked up. It's not working right. And I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 have to call -- my whole email has crashed.

2 But I think I can send things out.

3 MR. HINNEFELD: I can find what  
4 you described. I'll be able to find that.

5 MR. MORRIS: Yes, in 2005, that  
6 would not have had a DOE classification  
7 review. So you're probably not authorized to  
8 handle it over the Internet yet.

9 MEMBER LEMEN: Hello. This is Dr.  
10 Lemen. Can you hear me?

11 MR. HINNEFELD: Yes, we can hear  
12 you, Dick.

13 MEMBER LEMEN: Arjun, would it be  
14 possible for you to send me that report if you  
15 can find it?

16 DR. MAKHIJANI: Sure. I can send  
17 it. I have it in my government computer  
18 because I have all my files.

19 MR. MORRIS: But are you aware  
20 that DOE has probably not reviewed a 2005  
21 document?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. MAKHIJANI: This raises a  
2 point. We are not allowed to send any files  
3 prior to 2008 to anybody?

4 MR. FITZGERALD: It's probably  
5 appropriate to send it through DOE for a check  
6 before doing it yourself. I mean, just  
7 retroactively because we had that issue going  
8 back before 2006.

9 DR. MAKHIJANI: But presumably  
10 internal SC&A, we can still see it. Because  
11 it's on my --

12 MR. FITZGERALD: Yes, just for  
13 dissemination. I think there's an  
14 acknowledgment that there's some documents  
15 that go far back that --

16 DR. MAKHIJANI: Right. Sure.

17 MR. FITZGERALD: -- there's a  
18 limbo status. But we still want to go ahead -  
19 -

20 DR. MAKHIJANI: Well, I'm happy to  
21 go along with whatever direction CDC has to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 provide. Ted?

2 MR. KATZ: Yes, that makes sense  
3 to me.

4 DR. MAKHIJANI: So reports that go  
5 to the Board and to NIOSH should be run by DOE  
6 before sending --

7 MR. FITZGERALD: If they haven't  
8 been screened. That's the protocol. Yes.

9 DR. MAKHIJANI: Okay.

10 DR. MAURO: This is John. Try  
11 speaking as if you just did very briefly on  
12 breathing zone data and daily weighted  
13 averages. This has been a subject that's come  
14 up as Arjun pointed out on a number of  
15 occasions. It also will be an important issue  
16 on our upcoming Fernald meeting.

17 And I just wanted to say  
18 something, I guess, more global. The health  
19 and safety laboratory which made wide use of  
20 this technique and is widely accepted in the  
21 industrial hygiene world as an excellent way

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 to get a good sense of the kinds of exposures  
2 different jobs, different workers and job  
3 categories experience.

4 The way it's implemented, when you  
5 actually go through the calculations, you look  
6 at the data, it's instructive to actually do  
7 one. I've done a couple myself just to make  
8 sure I understood how they're done. What you  
9 really come up with is a best estimate of the  
10 exposure of a worker in a given day for his  
11 given job category. And I think that's an  
12 excellent metric to characterize the kinds of  
13 exposures -- inhalation exposures -- that  
14 different classes of workers might have  
15 achieved.

16 But now, it's widely accepted  
17 within NIOSH that this is a reasonable  
18 approach to take and it's been embraced.  
19 We're really questioning whether or not the  
20 intent of the DWE approach that has been  
21 embraced really meets the intent of EEOICPA,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       which is different than what HASL was trying  
2       to do when it invented or applied, or the  
3       industrial hygiene community, in general. We  
4       think that the number you end up with by way  
5       of intake represents a reasonable best  
6       estimate for a given category of work or a job  
7       category. But there can be many workers that  
8       fall within that category that could  
9       experience exposures that are substantively  
10      higher and perhaps substantively lower since  
11      it's a parameter that tries to capture central  
12      tendency.

13                        So as applied to this program, the  
14      classic approach to doing daily weighted  
15      exposures may not really meet the intent --  
16      and the reason I'm bringing this up is this  
17      becomes a global issue in terms of, does NIOSH  
18      agree that yes, SEC, I think you're right. I  
19      think that it gives a good best estimate. But  
20      is that really what we're looking for? Aren't  
21      we looking for something that provides a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 reasonable bounding estimate so that no  
2 worker's exposure is underestimated?

3 And I think once that distinction  
4 is recognized and acknowledged and is  
5 explored, NIOSH may decide no, no, SEC, we  
6 disagree, this is fine. Or no, you're right.

7 And I think this is something that is so  
8 fundamental to the dose reconstructions we've  
9 been doing where we rely on breathing zone.

10 As Arjun pointed out, if it's  
11 decided by NIOSH that maybe perhaps we should  
12 revisit this concept, we do offer up -- and  
13 that's why it's very important that Arjun's  
14 write-up on Mallinckrodt is distributed  
15 because he actually lays out a statistical  
16 approach using the data that will tend to  
17 generate an approach of what I would say is  
18 more claimant-favorable than the classic  
19 breathing zone.

20 So I wanted to make this point  
21 because it might actually be something that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 goes toward one of these global issues because  
2 it cuts across many sites.

3 MR. MORRIS: This is Bob Morris.  
4 In response to that, John, I'd ask you a  
5 question. Have you guys seen the 9 February  
6 2008 peer-reviewed Health Physics Journal  
7 report by Adams and Strom regarding DWE  
8 uncertainty and how DWE data may be  
9 specifically used in dose reconstructions  
10 under this program?

11 DR. MAURO: I haven't read it.  
12 I've seen it but I have not read it.

13 So I guess you're saying that the  
14 issue may be very well aired in that article.

15 MR. MORRIS: It is a very well  
16 done article.

17 DR. MAURO: I think it's important  
18 that we all take a look at that as part of  
19 this process.

20 DR. MAKHIJANI: But you haven't  
21 proposed --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. MORRIS:       The 2008 Health  
2                   Physics Journal.

3                   MR. HINNEFELD:    Bob, this is Stu.  
4                   Which journal is that in?

5                   MR. MORRIS:       February 2008 Health  
6                   Physics Journal --

7                   MR. HINNEFELD:    Okay.

8                   MR. MORRIS:       -- by Adams and  
9                   Strom.

10                  DR. MAKHIJANI:     But you haven't  
11                  proposed to use that here.

12                  MR. MORRIS:       We've used it as  
13                  underlying a lot of our approach to DWE data.  
14                  It's inherent in our approach. If you read  
15                  how we're actually using it in our revised  
16                  Technical Basis Document drafts and such,  
17                  you'll see that that's one of our underpinning  
18                  documents that's referenced.

19                  DR. MAKHIJANI:    Yes. Okay. Fine.

20                  DR. MAURO:       That's very helpful.  
21                  I'm going to look at that right away.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. MAKHIJANI: But the only thing  
2 I'd like to add from what John said is we  
3 didn't actually develop this method because  
4 it's NIOSH's to do.

5 MR. MORRIS: Could you move  
6 closer, please?

7 DR. MAKHIJANI: That's NIOSH's job  
8 to develop the method. We critiqued the  
9 application of DWE directly and suggested that  
10 there could be an approach to develop a  
11 method. But we didn't actually ever say that  
12 here's the method; this will work. Here's the  
13 kind of data that go into it. In my opinion,  
14 probably it might depend on a case-by-case  
15 basis. If you've got too many tasks with two  
16 data points, you might have problems. If you  
17 have more data points for each task in a  
18 reliable task profile, that might work.

19 MR. MORRIS: What Strom and Adams  
20 suggest in that context is that as those  
21 uncertainties go up that the geometric

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 standard deviation applied to the data set  
2 goes up.

3 DR. MAKHIJANI: Yes.

4 MR. MORRIS: So I think there's a  
5 logical approach that they've prepared  
6 considering exactly those concerns.

7 DR. MAKHIJANI: I'm aware of the  
8 geometric standard deviation issue, and we  
9 pointed out the same thing in 2005. But all I  
10 wanted to say in this context, just to  
11 clarify, is that we indicated a path that was  
12 a possible path but never signed off because  
13 NIOSH didn't develop it because it was an SEC  
14 --

15 MR. FITZGERALD: Right. And we  
16 can certainly review it. I'd be happy to do  
17 that.

18 DR. MAURO: Yes, Arjun, thank you  
19 for clarifying that. I know I didn't mean to  
20 say that we're offering it up. But there are  
21 strategies that could be used.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                    Bob, one real quick question for  
2 you because you really got my attention. This  
3 might be the magic bullet.

4                    When I've reviewed and done some  
5 of these, there would be a person that had a  
6 particular job category at a facility. And on  
7 a given day, he would spend let's say one hour  
8 shoveling dirt. I'm making this up. And  
9 where I know this -- okay -- this would be the  
10 smallest element that makes up this DWE  
11 calculation.

12                   So here you have this guy. In a  
13 given day we know he spends about an hour or  
14 two doing a particular task -- very specific  
15 task. And what I would always notice is that  
16 they were usually reported for him a breathing  
17 zone sample -- three of them. They were to  
18 report three of them. Not that they had only  
19 three, but they would report three. They  
20 would say here's the lowest one we saw, here's  
21 the middle one, and here's the highest in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 terms of the becquerels per cubic meter that  
2 he was exposed to during the time that the air  
3 sample was collected -- the low, medium, high.

4 What would happen is they would  
5 take the average of those three numbers, and  
6 that would represent his exposure for that  
7 one-hour time period when he does that job --  
8 that's my understanding for better or worse of  
9 the essence of the DWE exposure. And then  
10 they process the numbers over and over.

11 But if you take the average, what  
12 you've just done was take what you would  
13 consider to be a good central tendency  
14 estimate for that particular one-hour job that  
15 this guy was doing. And therein lies the  
16 essence of our concern because if you have a  
17 number of people doing that job, some of them  
18 are not going to be in the center. Some of  
19 them are going to be toward the high end. And  
20 those are the ones that you would not be  
21 giving the benefit of the doubt. So it was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 our understanding that that's the way in which  
2 it's done.

3 Are you saying that the Strom  
4 article somehow comes to grips with that in a  
5 way that --

6 MR. MORRIS: Excuse me. I'm  
7 sorry. I didn't mean to interrupt you, John.

8 DR. MAURO: Yes.

9 MR. MORRIS: It's been a half a  
10 year or more since I've read it. So be  
11 indulgent on my memory here.

12 But I'm recalling that he included  
13 a method or way to assume log-normality in  
14 that data set representative of only like  
15 three low, medium and high where it might be  
16 more data that were actually collected and not  
17 reported in the summary level.

18 DR. MAURO: Absolutely. We're on  
19 the same page now because that's how we were  
20 looking at it also. Keep going.

21 MR. MORRIS: And so I think that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the method incorporates some function like  
2 that and in fact addresses it. So that's  
3 really all I'm prepared to say because I  
4 didn't anticipate this conversation today.

5 DR. MAURO: This is great. It's  
6 important. I'm going to take a look at it. I  
7 think the other members of our crew -- because  
8 when I do do it by hand -- and I've done these  
9 by hand to match your numbers -- it was always  
10 the average. But if somehow -- maybe I looked  
11 at an example. There was a recent one. I  
12 forget which one it was. I said, this is the  
13 essence of our concern.

14 But if in other venues, you're  
15 using let's say the Strom approach which does  
16 somehow factor in that there is a distribution  
17 and tries to grab something closer to the  
18 higher end as a way of propagating the number,  
19 well, I think that would go a long way to  
20 resolving our concerns. But we have to look  
21 at this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. MORRIS:    Okay.    Now the one  
2    last thing I'd say is that they actually  
3    developed their method and tested it with AWE-  
4    type data, and it represents four or five  
5    different sites and shows that it's possible  
6    to come up with bounding estimates with this  
7    method.

8                   So I don't want to over-represent  
9    it, but it's an extensive article that's  
10   directly pertinent to the kind of data that we  
11   see.

12                  DR. MAURO:    Very good.    Thank you.

13                  MR. MORRIS:    Sure.

14                  MR. FITZGERALD:   And just to close  
15    out that discussion, you said that's wired  
16    into NIOSH's procedures by virtue of the OTIB?  
17    Or was that just sort of part of the standard  
18    practice now? I just wanted to understand how  
19    that's being implemented.

20                  MR. MORRIS:    We don't have a  
21    procedure that's titled daily weighted average

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 or daily weighted exposure. But when we  
2 develop the Technical Basis Document to come  
3 up with intake rates, you'll see that that is  
4 a referenced document in the technical basis.

5 MR. FITZGERALD: Okay. So it's  
6 referenced in --

7 MR. MORRIS: Yes. And it  
8 certainly underpins what we've done. And you  
9 can see that it really is one of our central  
10 references on this topic.

11 DR. MAKHIJANI: I don't think it's  
12 referenced in the Weldon Spring Evaluation  
13 Report.

14 MR. ROLFES: Well, right now what  
15 we have in the Weldon Spring Site Profile is  
16 thorium intakes using surrogate data from  
17 Fernald. And as a result of the SEC petition  
18 evaluation, we indicated that we would use  
19 site-specific data for the Weldon Spring  
20 Plant. And so as part of the revision right  
21 now that's ongoing with the Weldon Spring Site

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Profile, we are looking at the individual air  
2 monitoring results and data that we have for  
3 Weldon Spring Plant operations and using that  
4 in our revision for Weldon Spring.

5 So we are certainly looking at  
6 this issue, and it's something that we're  
7 aware of. We're going to take what you've  
8 written down into consideration as a part of  
9 that revision as well.

10 One other thing to remember about  
11 daily weighted averages is typically those  
12 operations didn't last the entire year. And  
13 many of the higher air concentrations had  
14 documentation indicating that the workers were  
15 required to wear respiratory protection.

16 When we would take that air  
17 monitoring data, we had to use it for dose  
18 reconstruction. We would not reduce the  
19 intake values due to respiratory protection.

20 Also, we would apply a full year  
21 of intake based on that air concentration -- a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 full 2,000 hours per year -- certainly  
2 acknowledging that that operation may not have  
3 occurred that entire year or may have just  
4 been a short production run or a couple of  
5 months.

6 So anyway, those are things that  
7 we will certainly update in the Site Profile  
8 revision.

9 DR. MAKHIJANI: Well, Mark, as  
10 you're doing that, you might consider the  
11 literature that indicates it's pretty hard to  
12 establish a relationship that's definitive  
13 between air concentration data and bioassay  
14 data, when both are available.

15 I think there may even be some  
16 literature from Weldon Spring along those  
17 lines, but I'm not sure. I'll have to check  
18 on that.

19 Ron, did I send you something  
20 along those lines? We can talk off line. I  
21 can't recall right now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MEMBER LEMEN:    This is Dr. Lemen  
2                   again.

3                   Is this the Adam Davis and Strom  
4                   article?

5                   MR. MORRIS:    That's right.  It is  
6                   in 2008, if I recall.

7                   MEMBER LEMEN:    You said they used  
8                   for Board compensation but in the article  
9                   itself it says that there were overestimates  
10                  as well as underestimates by factors -- of the  
11                  underestimates by three to ten.  So do you  
12                  really think that --

13                  MR. MORRIS:    I think he should  
14                  spend some more time with the article before  
15                  we have that conversation.

16                  MEMBER LEMEN:    All right.  Because  
17                  it doesn't appear to say what you just said it  
18                  said.

19                  MR. MORRIS:    Well, as I said, it's  
20                  been half a year or more since I read it.  But  
21                  in my view the bottom line of the report goes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 to a recommendation about how to use the data,  
2 demonstrates that it can be used and suggests  
3 some bounding approaches that are based on  
4 using large geometric standard deviations.

5 MEMBER PRESLEY: This is Bob  
6 Presley.

7 How much thorium contamination are  
8 we really talking about?

9 MR. ROLFES: Well, the amount of  
10 thorium that was processed at the Weldon  
11 Spring Plant was less than one percent of the  
12 uranium that was there. So -- and it was only  
13 during the later part of the operational  
14 period from '63 through '66. So I don't  
15 recall the exact number of months, but we  
16 have, I believe in our Evaluation Report, we  
17 had identified the buildings that had  
18 processed thorium and the time periods as  
19 well.

20 MEMBER PRESLEY: So it's less than  
21 one percent?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR.    ROLFES:           Correct.        The  
2           material that was produced -- the thorium-232  
3           material that was produced from 1963 through  
4           1966 was less than one percent of the total  
5           uranium or special nuclear material throughput  
6           at the site.

7                   MEMBER PRESLEY:    Well, we're not  
8           talking about much contamination.

9                   DR.  MAKHIJANI:   Well, the percents  
10          don't matter as much as the total quantity.  
11          At least in your site -- I haven't researched  
12          the source term independently, but in your  
13          Site Profile, if I recall correctly, it says  
14          about one ton per day of thorium.  And that's  
15          a non-trivial amount.

16                   You might recall at Y-12, we had  
17          discussion in the SEC -- and you'll recall  
18          this, Mr. Presley -- that initially it was  
19          thought that there were a few kilograms here  
20          and there.  But when Mel Chew and his group  
21          discovered that there were hundreds of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 kilograms or tons in total, the tons in total  
2 was considered a significant amount. Here  
3 we're talking a ton per day. So that's a non-  
4 trivial amount of thorium.

5           And it's also important to note  
6 that one percent thorium -- if you're looking  
7 at organ doses and dose conversion factors --  
8 one percent of thorium in terms of mass would  
9 be approximately equivalent in terms of bone  
10 surface dose to -- one unit of thorium would  
11 be approximately equivalent in bone surface  
12 dose to 100 units of uranium. So you're  
13 talking -- for some organs -- for other organs  
14 it's comparable to uranium. But for bone  
15 surface, the dose conversion factors for  
16 thorium are a couple orders of magnitude  
17 bigger.

18           So small quantities of thorium can  
19 convert into considerably larger doses than  
20 uranium. That's just how the numbers work.

21           MR. ROLFES: Right. We certainly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 acknowledge that and account for it in dose  
2 reconstruction.

3 DR. MAKHIJANI: Yes, right. I'm  
4 not saying you don't.

5 I'm just saying that you can't say  
6 it's one percent and therefore it doesn't  
7 matter. There are two ways in which it  
8 matters, and I've just tried to point that  
9 out.

10 DR. BUCHANAN: And another way it  
11 matters is that if these were campaigns, and  
12 so a worker, he wasn't exposed to 99 percent  
13 uranium and one percent thorium during that  
14 period, he was exposed to 100 percent thorium  
15 if he was on that campaign.

16 DR. MAKHIJANI: Right.

17 DR. BUCHANAN: And so it wasn't  
18 diluted with 99 parts of uranium. And so he  
19 was exposed to the thorium during those  
20 months, days or years or whatever it was. He  
21 was working on it in those buildings. So it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 wasn't a mixture that was flowing through the  
2 whole system.

3 MR. ROLFES: Yes, that certainly  
4 would be the bounding situation for an  
5 individual being exposed purely to thorium.  
6 So what we would do for an individual, say he  
7 worked in 1963 with thorium -- and I think we  
8 provided a sample dose reconstruction to the  
9 Advisory Board on how we would reconstruct a  
10 thorium intake. But I'd have to go back and  
11 check and make sure.

12 We would apply an air  
13 concentration to that individual, apply an  
14 intake for 2,000 hours per year, and assign  
15 that thorium-232 exposure. However, if that  
16 individual also had a uranium bioassay during  
17 that same year, we would apply a uranium  
18 intake as well. And typically, when we  
19 complete a dose reconstruction, if the  
20 individual worked there from 1957 through 1966  
21 and had monitoring for each of those years, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 would apply a chronic intake for the entire  
2 time period for all years of the employment to  
3 ensure that we are over-estimating the actual  
4 exposure or intake that the individual  
5 potentially received.

6 So basically, our first and  
7 foremost piece of information for a dose  
8 reconstruction would be the bioassay data that  
9 we've had to generate an intake. And then  
10 secondly, for an individual perhaps that was  
11 exposed to thorium during a short-term  
12 operation in the later years, we would take  
13 that air concentration data and apply that on  
14 top of the uranium intake.

15 DR. MAKHIJANI: Mark, are these  
16 sample dose reconstructions in the Advisory  
17 Board document? I don't see that.

18 MR. ROLFES: Let me check. I may  
19 not have put them out there. And I can  
20 certainly do that if it hasn't been done.

21 MR. HINNEFELD: It seems to me

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that we're spending some length agreeing with  
2 each other here. We've got to move on to the  
3 next issue.

4 DR. BUCHANAN: Yes, I think that  
5 what we want to summarize is that SC&A will  
6 need to look at the Health Physics 2008  
7 article, and then come back to the table and  
8 see how we evaluate that. Is that in  
9 agreement?

10 Okay. Item D on Issue number 1  
11 was coworker data. Okay.

12 The comment I have on that number  
13 one is, of course, coworker data isn't usable  
14 unless the data's been verified. And we  
15 talked about that in Issue items A and C. And  
16 we have agreed upon the direction forward on  
17 that. So coworker data, we have to verify  
18 that before it's useful.

19 Additionally, I guess going from  
20 the TBD to the ER, my issue on coworker data  
21 is that in the ER, I gathered that NIOSH

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 recommends using the operator's data to bound  
2 everybody's data so that the environmental  
3 data wasn't necessary. Is that a correct  
4 assumption?

5 MR. ROLFES: Well, certainly if  
6 you're using the individuals who are directly  
7 working with uranium and are monitored. Those  
8 are likely the people that are going to have  
9 the highest exposures. Those exposures would  
10 certainly bound the environmental releases and  
11 any intakes from re-suspension of contaminated  
12 soil, et cetera.

13 DR. BUCHANAN: So are we going to  
14 use if a person wasn't badged at Weldon Spring  
15 -- and that's about 50 -- not monitored --  
16 well, some of them weren't badged; some of  
17 them weren't monitored. There were more  
18 people badged than there were bioassayed.

19 So the person wasn't bioassayed,  
20 and say he wasn't bioassayed and he was  
21 badged, though, would he be given an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 environmental dose or an operator's dose?

2 MR. ROLFES: If they were issued  
3 an external dosimeter but had no internal  
4 monitoring data I guess is the question.

5 DR. BUCHANAN: Right.

6 MR. ROLFES: You'd have to take a  
7 look to see what their job function was, look  
8 to see what areas they worked at the plant.

9 One of the things that we do with  
10 everything that we receive is the telephone  
11 interview. So we would also have to take a  
12 look at the details that we received in a  
13 telephone interview if one is available to us.

14 If we do not know and there's a  
15 potential that the individual could have been  
16 exposed to elevated levels of uranium in the  
17 air, we would certainly apply a uranium  
18 intake. We would give the benefit of the  
19 doubt to the claimant. So we would apply the  
20 higher intake --

21 MR. MORRIS: Can I jump in on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 this, Mark?

2 MR. ROLFES: Yes, please, Bob.

3 MR. MORRIS: In the October 12th  
4 document that SC&A -- I may be speaking here  
5 -- the response to the SC&A comments on the  
6 Weldon Spring Site Profile that was issued  
7 earlier this year has got a response I think  
8 dated October 12th from the NIOSH team.

9 MR. ROLFES: Correct.

10 MR. MORRIS: And in item 1 of  
11 that, Ron, you'll see the approach to  
12 occupational -- environmental dose methods  
13 that are actually being put into a TBD  
14 revision that is in review right now.

15 So let me refer you to that. And  
16 if that doesn't answer the question because  
17 I'm not sure I got exactly the question you  
18 asked, if that doesn't answer it, let's try it  
19 again though. But if you could look at that  
20 written response, that would be good.

21 DR. BUCHANAN: Yes. I looked at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that response. And this is what I'd like to  
2 clarify is that in the original TBD, the  
3 people that -- the way I understand it -- it's  
4 an original TBD, that if the person didn't  
5 have bioassay, then the environmental dose  
6 would be applied. And this environmental dose  
7 would be taken from a hopper-cleaning and a  
8 combination of that and perimeter data  
9 monitoring.

10 MR. MORRIS: We developed quite a  
11 bit more perimeter monitoring data after the  
12 SEC evaluation was completed and we started  
13 Technical Basis Document revision. So the  
14 perimeter data is more robust than it was  
15 before.

16 DR. BUCHANAN: But it's still  
17 perimeter data. It isn't site data. Is that  
18 correct?

19 MR. MORRIS: You're correct on  
20 that. But what we did was we looked at the  
21 local wetting patterns, got the atmospheric

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 dispersion parameters and extrapolated that to  
2 the inner ring of the plant building and then  
3 established what an air concentration and  
4 consequent intake rate would have been in the  
5 bounding atmospheric conditions.

6 DR. BUCHANAN: And this is in a  
7 revision -- the TBD 4?

8 MR. MORRIS: That's right. And  
9 it's in review inside the DCAS system right  
10 now. It's described here for you in the  
11 response to item number 1.

12 DR. BUCHANAN: Now how did the  
13 results compare to the hopper clean-out?  
14 Because I noticed in reviewing the TBDs, the  
15 hopper clean-out was combined with a perimeter  
16 then -- a perimeter data then. The perimeter  
17 data only contributed less than one percent to  
18 the parameter data.

19 MR. MORRIS: I'm not ready to  
20 answer that. If you want to defer that, I'll  
21 look for that and compare it and then answer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 it later today.

2 DR. BUCHANAN: I think that would  
3 be a benchmark because the hopper data was  
4 like 100 times greater than the perimeter data  
5 originally. And it'd be interesting to see  
6 how the perimeter data -- new perimeter data  
7 -- extrapolated to the center of the site  
8 through the hopper data.

9 MR. MORRIS: Yes. Well, of course  
10 hopper clean-out is a one-time event and we're  
11 trying to get annualizing averages for these  
12 kinds of numbers. So I would not at all be  
13 surprised if the hopper clean-out numbers are  
14 still higher.

15 MR. HINNEFELD: This is Stu  
16 Hinnefeld and I wondered if I might make a  
17 process suggestion here. And it's just a  
18 suggestion; you can do what you want.

19 But we seem to be spending a lot  
20 of time providing verbal technical responses  
21 to the written report that we have here. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 we're ultimately going to have to provide  
2 written technical responses to this report  
3 anyway. We're not going to resolve any of  
4 these findings verbally today.

5 But we go through these extended  
6 technical conversations with these things, and  
7 it would seem to me that if we can just have  
8 enough conversation to understand the issue  
9 and to maybe suggest like, well, we believe we  
10 have some information we've put together on  
11 our TBD evaluation response that will be  
12 appropriate here and we can do some brief  
13 stuff there. Because none of this verbal  
14 technical discussion is going to resolve  
15 anything today.

16 And so, I think we're better  
17 served with our time today -- again, in my  
18 suggestion -- to make sure we have an  
19 understanding of the finding so that we can  
20 provide a written technical response to the  
21 written technical finding. This is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 suggestion.

2 CHAIRMAN GIBSON: That's fine with  
3 me. Everyone okay with that?

4 DR. MAKHIJANI: Could I supplement  
5 that just slightly?

6 MR. HINNEFELD: Sure. Absolutely.

7 DR. MAKHIJANI: I agree with you.

8 It might be useful as I did with the issue of  
9 air concentration just to point out as NIOSH  
10 is preparing its response, some of the things  
11 that we're going to be looking at -- I mean,  
12 this is an initial --

13 MR. HINNEFELD: Absolutely.  
14 Absolutely -- as much as we can learn, yes.

15 DR. MAKHIJANI: So I'd like to do  
16 that in this case.

17 A couple of points that would be  
18 useful for you to consider in your response  
19 looking at your October 12 document here is  
20 one we've said before in other contexts. I  
21 certainly recall we said it in the context of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Savannah River Site. And this is from memory,  
2 that Gaussian dispersion plume modeling is not  
3 a very good idea for on-site environmental  
4 dose calculations. You have building wake  
5 effects and so you're taking perimeter models  
6 -- perimeter measurements -- which are really  
7 designed for offsite dose estimation and  
8 compliance for offsite people for which this  
9 is a reasonably defensible approach in many  
10 cases anyway. And then applying it to a  
11 situation where in many cases unless you have  
12 a broad open field on site where you're  
13 placing your worker, it's not applicable  
14 technically.

15 Secondly, because dispersion  
16 factors can vary by two orders of magnitude or  
17 more when you take building wake effects into  
18 account and when you take incidence into  
19 account. And the second thing that's come up  
20 at Savannah River Site which is under  
21 discussion in that SEC certainly, and if I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 recall also at other places -- at least one  
2 other place -- is you have activities on the  
3 ground. You have fugitive emissions at  
4 Savannah River Site. You have burning of  
5 solvents. You have ground-level source terms  
6 that cannot be handled in that way and workers  
7 in the vicinity of ground-level source terms.

8 And so I think this kind of  
9 environmental dose calculation, we've at least  
10 pointed out -- and you might expect that if  
11 this is the response then we'll have a second  
12 round of discussions so you might want to  
13 consider some of our prior comments in other  
14 cases in preparing your response.

15 MR. KATZ: That's helpful.

16 DR. BUCHANAN: Okay. Move on to  
17 Issue number 2. That was kind of a drawn out  
18 issue with four parts. So it probably is one  
19 of the longer ones.

20 Issue number 2 is interviewing the  
21 workers and from the documentation I could

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 find, the workers at Weldon Spring did not  
2 have the benefit of the egress monitors. In  
3 other words, they weren't either surveyed with  
4 pancake probes or something when they left,  
5 they didn't have a monitor to stick their  
6 hands in back in the '50s. And so they  
7 essentially combined with the contamination in  
8 the work area left unmonitored as far as  
9 contamination goes.

10 There was some area monitoring to  
11 keep dust levels down and that sort of thing  
12 at Weldon Spring. But as far as I could find,  
13 there was not a routine set egress monitoring  
14 that either checked them when they left the  
15 production area and went into the cafeteria or  
16 the offices or whatever, which would of course  
17 track contamination around. And also when  
18 they got out in the parking lot and left for  
19 the car and went home, there was no egress  
20 monitoring.

21 So the workers went home with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       contamination   on   them.       Sometimes   they  
2       showered.   There were showers there if they  
3       wanted to.   There was coveralls available that  
4       they wore.   But there was no checking before  
5       they left.

6                   And so, this is a case where I see  
7       that there would be a situation where there  
8       was no dosimetry of contamination on the skin,  
9       especially the folds of the skin around the  
10      ears, the nose, the arms and that sort of  
11      thing which they could have went home. They  
12      would have had the contamination on them and  
13      got skin irradiation without any dosimetry to  
14      document it.

15                  And so even if they wore a  
16      dosimeter at work, as bioassayed at work, what  
17      brings up the other issue is covert bioassay  
18      for certain periods. They could have had an  
19      intake from the re-suspension and the  
20      contamination they tracked home with them.  
21      And it wouldn't have been detected if they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 weren't happened to be in the queue to have  
2 bioassay for that period of time. So that is  
3 the concern on lack of egress monitoring.

4 I cannot find anywhere where it  
5 would be documented or be able to compensate  
6 for it.

7 MR. ROLFES: Okay. I know we've  
8 taken a look at this previously. And usually  
9 the contamination on the skin would be  
10 visible. To have something that would impart  
11 any external dose, you'd have to have some  
12 visible contamination on your hands.

13 So you can come up with a bounding  
14 value of the time that that material resides  
15 in the skin folds. First of all, the  
16 individual would have to have a cancer  
17 diagnosed for our program. They would have to  
18 have a cancer diagnosed in that particular  
19 location for us to consider something like  
20 that.

21 So for example, an individual has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 a cancer diagnosed on their hand, and they  
2 think that contamination could have  
3 contributed to that cancer. You can come up  
4 with a bounding value of the amount of uranium  
5 that would get stuck on your hand, for how  
6 long it would get stuck there, and come up  
7 with an estimate of the dose received by the  
8 skin in that particular little area. And  
9 typically, that dose value is trivial compared  
10 to the direct radiation from handling large  
11 pieces of uranium such as ingots or aged  
12 uranium materials.

13 MR. HINNEFELD: Yes, this is Stu.  
14 I've got a little different perspective on  
15 this. I mean, this has come up at other  
16 places too and how you deal with this possible  
17 skin contamination without evidence of such.  
18 So I think it's something we haven't really  
19 resolved yet. But something that we have and  
20 has to be worked on because it's come up  
21 elsewhere.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. BUCHANAN: I was thinking more  
2 of around the neck and the ears and stuff.  
3 That's where you see most cancer --

4 MR. HINNEFELD: Wherever,  
5 wherever. The situation is the same. In a  
6 lot of the uranium plants for the DOE quite  
7 frankly didn't use egress monitors until my  
8 career in some places. And I'm pretty old but  
9 I'm not quite that old.

10 And so, certainly there's question  
11 of these uranium plants and the possibility  
12 for skin contamination that would not have  
13 been detected and how are we going to deal  
14 with that issue programmatically because of  
15 once you start speculating that there was  
16 contamination there, there's no reason to stop  
17 until you have a compensation on your skin --  
18 there's just no -- we'll have to see if  
19 there's a reason to stop it. I guess we  
20 haven't figured one out yet.

21 MR. ROLFES: The one other thing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 also I guess we should consider is the odds of  
2 contaminating a body part without  
3 contaminating your badge. If you're exposed  
4 truly to a large quantity of uranium in the  
5 workplace, it's not going to be localized to  
6 one point on your body typically -- possibly  
7 just your hands if you're doing direct  
8 handling. But if you're rolling around in the  
9 mud or whatever, it's going to get distributed  
10 throughout your body -- all over your body.  
11 And so it'd be difficult to not get any of  
12 that on your badge. So in many cases, the  
13 badge could record that contamination that was  
14 also deposited on other parts of your body.

15 MR. HINNEFELD: No, we're not  
16 going to solve it here today.

17 DR. MAURO: Stu, this is John. I  
18 agree with you completely that -- and this  
19 issue has come up -- and I recall the very  
20 first time was a review of I believe it was  
21 OTIB-17 which is the nonpenetrating radiation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 And that goes back maybe four years.

2 This is a difficult problem. Jim  
3 and I have discussed it at other work group  
4 meetings and other venues. And I do not  
5 believe I've seen an occasion where that  
6 particular exposure scenario -- the one that  
7 was just described by Mark -- you know, where  
8 you do -- run bar skin to see what possible  
9 dose.

10 So yes, this has been a  
11 longstanding issue. And I think it's an  
12 important issue for those people with skin  
13 cancer, especially on exposed surfaces. That  
14 definitely needs to be addressed. And I think  
15 it is a global issue.

16 DR. BUCHANAN: I'm going to move  
17 on here.

18 Issue 3 on page 4, and this is the  
19 lack of worker data for 1967. And one reason  
20 I went through the first summary was it just  
21 illustrates the thought in 1967, the petition

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 was through '67. Well, the petition was  
2 through '66 and NIOSH evaluated through '67.  
3 And a lot of this applies to '68, but it's not  
4 really under the SEC.

5 And on December 31st of 1966, the  
6 plant essentially shut down. And then in '67,  
7 apparently the Mallinckrodt safety and health  
8 physician was not present. AEC was not  
9 present. And there was apparently -- and this  
10 is kind of a gray area -- some sort of  
11 contractor, subcontractors and third- and  
12 fourth-level contractors doing work at the  
13 facility to revamp some of the buildings for  
14 herbicide production. And this is where one  
15 of the major worker concerns are is that  
16 during this period, there wasn't a consistency  
17 in any radiation protection.

18 One worker described the job of  
19 going into one of the buildings, digging up  
20 the brick floor and handling the uranium salts  
21 and yellow cake by hand, scooping it out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 without any protective clothing. He wore  
2 boots, had him leave the boots there for a  
3 while and then he could wear them. And after  
4 a while, they let him leave with the boots.  
5 Some of the people around him he described as  
6 wearing moon suits which I assume is the anti-  
7 contamination. That's apparently a different  
8 contractor. And so there did not appear to be  
9 any oversight of health physics practices  
10 there in '67.

11 Looking through the records, I  
12 looked through records to see if I could find  
13 any dose records for '67. And I couldn't find  
14 any. I might have missed them, but the ones I  
15 looked at, I couldn't find any. People that  
16 worked there in '66, I looked at some to see  
17 if claims that worked there in '66, '65, there  
18 was records for them. At '67, there was just  
19 a blank wall. There were no records for '67.

20 And what complicates the issue is  
21 that this wasn't like the operations period,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 it wasn't like the later clean-up period in  
2 the '80s, and so kind of what SC&A's question  
3 is is, what are we going do about 1967 because  
4 the situation was different than any of the  
5 other periods.

6 MR. HINNEFELD: Was the site under  
7 the Army's control or DOD's control in 1967?

8 MR. ROLFES: Yes.

9 MR. HINNEFELD: It transferred to  
10 the Army in '67, right, for work? And the  
11 Army was doing this work?

12 MR. ROLFES: Correct. Correct.  
13 You have to take a look at the specific  
14 workers that you're referring to, but the  
15 production period ended December 31, 1966.

16 There could be potentially some  
17 AEC employees that entered this site during  
18 1967. However, it was officially transferred  
19 from the DOE to the Department of Defense back  
20 to the Army in 1967. So if an individual's  
21 doing Army work, essentially it's not covered

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 under this program.

2 MR. FITZGERALD: Was the intent of  
3 having '67 included in --

4 MR. ROLFES: In case --

5 MR. FITZGERALD: -- case there  
6 were AEC workers that came back?

7 MR. ROLFES: Correct.

8 DR. BUCHANAN: How do we know?  
9 And a petitioner -- and I mean, the workers  
10 addressed this directly to me. How do we know  
11 what the cut-off line is? Do we have any  
12 documents to show that there was no workers  
13 employed under the AEC contract there in '67  
14 and '68?

15 MR. ROLFES: We have  
16 documentation. And there's documentation on  
17 the DOE website showing that the DOE handed  
18 the site over to the Department of the Army in  
19 1967. I don't recall the specific month, but  
20 I believe Mel might be able to provide that if  
21 he heard my question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   Mel, do you recall the month that  
2                   the Department of Energy handed the control of  
3                   Weldon Spring Plant back over to the  
4                   Department of the Army?

5                   DR. CHEW:     Mark, I do not recall.  
6                   I think the data is available. I just don't  
7                   have it in front of me here.

8                   MR. ROLFES:    Okay.

9                   MR. FITZGERALD: If there was an  
10                  AEC worker for whatever reason that came back  
11                  on site, it would not be a Weldon Spring  
12                  worker per se. It'd be covered under another  
13                  site.

14                  MR. ROLFES:    That's possible, yes.  
15                  We'd have to take a look at --

16                  MR. FITZGERALD:    That's why you  
17                  left the door open?

18                  MR. ROLFES:    Right.

19                  MR. FITZGERALD:    Okay.

20                  MR. ROLFES:    There was uncertainty  
21                  there. So that's why we included it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                           MR. FITZGERALD:           Okay.           My  
2           understanding is the remediation work was done  
3           by the Army because they weren't -- so they  
4           hired a bunch of contractors to do that  
5           mediation work.    Because I was at a worker  
6           meeting in St. Louis for Weldon Spring  
7           workers, and they were describing this very  
8           clearly.    And it's one of the injustices of  
9           the program is, I'm sorry, once it went to the  
10          Army, you're not in this program anymore.    If  
11          your contract is with the Army, you're not  
12          covered.

13                         MR. ROLFES:           Okay.           That helps  
14          too.    I think the confusion was why the ER  
15          didn't go into '67.    You're saying, just to  
16          make sure, that it covered those that might  
17          have.    Correct.

18                         DR. BUCHANAN:           Would it be  
19          possible -- so that I could pass that on to  
20          when they question me -- of the document that  
21          shows that it was transferred to the Army?    Do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       you have it?

2                   MR. ROLFES:     Yes.     We can get a  
3       reference for you.

4                   DR. BUCHANAN:       That would be  
5       helpful because that's a real problem there.

6                   DR. MAURO:     This is John.   I have  
7       a question.

8                   If I recollect, during the  
9       determination and you move into let's say the  
10      residual period or the D&D period, there's a  
11      distinction between DOE facilities and AWE  
12      facilities where I believe in the case of DOE  
13      facilities, this post-operations period does  
14      not come into play, but it does in AWE period  
15      -- AWE sites. Now I believe this is a DOE  
16      site.

17                  MR. KATZ:       Right.     So you're  
18      correct about all that, John.

19                  DR. MAURO:       And just for my  
20      edification, what's the rationale for that  
21      distinction?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. KATZ: It's legislative, John.

2 MR. HINNEFELD: What the law  
3 wrote.

4 DR. MAURO: Okay. So now is this  
5 year 1967 considered to be part of the post-  
6 operation period for Weldon? And if so,  
7 doesn't that take it off the table?

8 MR. KATZ: When the facility was  
9 transferred to the Department of the Army,  
10 it's no longer a covered facility. It's no  
11 longer covered under the statute.

12 DR. MAURO: Even if it wasn't --  
13 stay with me for a minute -- and it represents  
14 post-operations, does that --

15 MR. KATZ: No.

16 DR. MAURO: I just want to  
17 understand this.

18 MR. KATZ: No. So a DOE facility,  
19 as long as it's a DOE facility, it's covered  
20 regardless of whether they're operating or  
21 whether they're in a nonoperating --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. MAURO: I got you. So the  
2 distinction between operations and post-  
3 operation applies only if the DOE operation  
4 terminated. And I guess this idea of residual  
5 period not applying to a DOE facility is  
6 because DOE is no longer running that  
7 facility.

8 MR. KATZ: Right. So I mean,  
9 again I don't want to speak to legislators'  
10 intent, but it makes sense to me with the AWEs  
11 that they're only covering during the residual  
12 period contamination that's clearly part of  
13 the work that was done during the operational  
14 period. So that's what it's about with AWEs.

15 DR. MAURO: Okay.

16 MR. KATZ: In the case of DOE, it  
17 doesn't really matter whether they're  
18 operating or they're in a nonoperating mode.  
19 It's a DOE facility. It's covered.

20 DR. MAURO: It's covered. So the  
21 residual period would count if in fact it was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 still under --

2 MR. KATZ: Right. As long as it's  
3 a DOE facility, it's covered.

4 DR. MAURO: I got you. I  
5 understand. Okay. That's helps out.

6 So it sounds like the issue here  
7 has to do with 1967 and whether or not it's a  
8 covered period or not.

9 MR. KATZ: Exactly.

10 DR. MAURO: Okay.

11 MR. KATZ: And then at some point,  
12 it clearly, according to everything that's  
13 been said here, it was transferred to the  
14 Department of the Army.

15 DR. MAURO: Got it. Okay. Thank  
16 you.

17 MR. FITZGERALD: Essentially you  
18 could have just ended it at the end of '66. I  
19 mean, I don't quite see the -- I'm struggling  
20 with this rationale for including '67 because  
21 even if somebody came back, they'd be covered

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 under a different facility anyway. I think  
2 that's why everyone's --

3 MR. HINNEFELD: They're not at a  
4 covered facility when they're at Weldon  
5 Spring. Once it turned over to the Army,  
6 regardless of whether they were with AEC or  
7 not.

8 MR. FITZGERALD: You're  
9 effectively talking about the end of '66 as  
10 being the ER.

11 MS. HOWELL: Yes.

12 DR. BUCHANAN: Okay. Now we want  
13 to clarify that the whole facility was  
14 transferred, not just that plant.

15 Was the site, the pits and the  
16 quarry transferred?

17 MR. ROLFES: The quarry was not.  
18 And I'll read from the Energy Employees  
19 Occupational Illness Compensation Program, DOE  
20 page -- the worker advocacy page.

21 The Weldon Spring Plant -- let's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 get to the part that we're discussing -- in  
2 1967, the AEC transferred most of the acreage  
3 including the chemical plant back to the  
4 Department of the Army. The AEC did, however,  
5 retain possession of the raffinate pits and  
6 quarry on approximately 50 acres. The AEC did  
7 not have any contractors performing work on  
8 this land again until August of 1975 when the  
9 AEC contracted with National Lead to perform  
10 environmental monitoring on the pits and  
11 quarry. And it goes on.

12 So what we can do is provide some  
13 documentation of the data feed exchange back  
14 over to the Army. And this is certainly  
15 something that we would consider if we have an  
16 individual that has employment during 1967.  
17 The Department of Labor would take a look to  
18 see if that employer is a covered employer in  
19 contract to the Department of Energy. And so  
20 if that individual was on site and had covered  
21 employment, we would receive that from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Department of Labor and account for that in  
2 our dose reconstruction.

3 I don't have a feeling. I haven't  
4 looked at all of the claims to determine how  
5 many cases we might have received with  
6 employment during 1967.

7 MS. HOWELL: Can I ask a  
8 clarifying question?

9 The DOE covered period for the  
10 site, according to their website it still says  
11 through '67. Has that been modified and the  
12 website not updated? Or --

13 MR. ROLFES: Well, there was a  
14 production period up until December 31, 1966.

15 And it wasn't until 1967 that DOE handed the  
16 land back over to the Army.

17 MS. HOWELL: So was it January 1?

18 MR. ROLFES: Exactly. That's --

19 MS. HOWELL: Well, I guess I'm  
20 trying to understand here -- I mean,  
21 ultimately this will wind up being a question

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that the Department of Labor is going to have  
2 to resolve. But I'm a little confused about  
3 this apparent discrepancy in when DOE had the  
4 covered period ending versus what you're  
5 saying DOL is saying and what this information  
6 is. Because we need to know what the proper  
7 bounds of the NIOSH inquiry are and what the  
8 bounds for any SEC might be, et cetera.

9 So I think that this is something  
10 that we might want to resolve because there  
11 seems to be a discrepancy between DOL and DOE,  
12 and ultimately DOL would probably be the party  
13 having to resolve that.

14 DR. CHEW: Mark, this is Mel.

15 The Weldon Spring Site Profile  
16 description says that it was turned over to  
17 the Army in August of 1967. David Harrison  
18 just emailed me that.

19 We'll need to confirm that, making  
20 sure that that's accurate. But that's what's  
21 in the Weldon Spring site description right

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 now.

2 MS. HOWELL: Okay. So we should  
3 clarify that, and then we should also clarify  
4 what the DOL and DOE the covered period should  
5 have been. It went through August of '67? I  
6 mean, we need to be clear about this.

7 DR. BUCHANAN: So that if the  
8 issue resurfaces for a person that worked  
9 there until August of '67 --

10 MR. HINNEFELD: Yes, if anybody  
11 did.

12 DR. BUCHANAN: And I find five  
13 claims that had '67 as an employment date.

14 MR. HINNEFELD: Okay.

15 DR. BUCHANAN: I think it was  
16 five.

17 Okay. So we move on. And so for  
18 each in 3, we're going to clarify the exact  
19 date and document -- provide a document of  
20 that transfer and then look at the claims and  
21 see what's going to be done that had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 employment date in '67. Even if they're just  
2 getting into the month of January or  
3 something, we still have to address it.

4 MR. KATZ: Sure. And I wonder if  
5 you need to clarify -- I mean, the  
6 remediation-type work that you were talking  
7 about, that's work that would have been done  
8 under the Army, then you can assume that it  
9 occurred then after August, I guess, because  
10 it wouldn't have occurred --

11 MR. HINNEFELD: We'll have to  
12 figure it out.

13 MR. KATZ: Yeah.

14 MR. HINNEFELD: We'll have to see  
15 what we can find out.

16 DR. BUCHANAN: Okay, so --

17 MR. FITZGERALD: Anyone need a  
18 break?

19 MR. KATZ: There's at least one  
20 head nodding here, so let's take a --

21 CHAIRMAN GIBSON: Ten, fifteen?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. KATZ: Fifteen, so at quarter  
2 of, by my watch, about --

3                   CHAIRMAN GIBSON: We will restart,  
4 and I'm just going to put the phone on mute,  
5 but I'm not disconnecting it.

6                   (Whereupon, the above-entitled  
7 matter went off the record at 10:30 a.m. and  
8 resumed at 10:47 a.m.)

9                   MR. KATZ: Okay. Welcome back.  
10 We're reconvening after a short break. This  
11 is the Weldon Spring Work Group, the Advisory  
12 Board on Radiation and Worker Health.

13                   And carry on.

14                   DR. BUCHANAN: Okay. This is Ron  
15 Buchanan. And we're looking at SEC issues,  
16 and we've went through 1, 2, 3 and we're ready  
17 for number 4 on page 4 of the handout.

18                   And this is concerning radon and  
19 thoron determinations.

20                   MR. ROLFES: Ron, I'm sorry. I  
21 had one quick question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   You had mentioned some interviews  
2 with workers.       Have you provided those  
3 interviews to us yet?

4                   DR. BUCHANAN:     Yes.     They're on  
5 our site profile review --

6                   MR. ROLFES:     Okay.

7                   DR. BUCHANAN:     -- that was issued  
8 in February of '09.

9                   MR. ROLFES:     Okay.     Thank you.

10                  DR. BUCHANAN:    They're an appendix  
11 on that.

12                  MR. ROLFES:     Thanks.

13                  DR. BUCHANAN:    Okay.     So issue 4  
14 is radon and thoron.   Okay.

15                  Now as we said earlier, Weldon  
16 Spring did not have pitchblende so they didn't  
17 have as much radon radium and therefore radon  
18 problems as the Mallinckrodt downtown facility  
19 did.     However, radon still does emanate from  
20 the uranium ore.     And according to the way I  
21 understand NIOSH -- and there was no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 measurements at Weldon Spring for radon or  
2 thoron. Thoron comes from the thorium chain  
3 which was processed '63 to '66. It has a  
4 short half-life -- thoron does -- about 55  
5 seconds. So it isn't around as long and it  
6 doesn't penetrate as greatly as the radon.  
7 But it is still an issue. And so in A and B  
8 there of issue 4, if A is radon, B is thoron  
9 -- similar issues with them.

10 There were no measurements. There  
11 was measurement at the downtown facility, but  
12 you can't extrapolate them out here because it  
13 was a different facility and different ores.  
14 And so what I understand been proposed is that  
15 they use the throughput of uranium, and then  
16 there's a certain emission from the uranium  
17 fraction -- of the radon that escapes.

18 And the way I understand this  
19 modeling here is that it was most prevalent in  
20 one of the locations. And so it was assumed  
21 that the radon that did come off was captured

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 in the hood, and so the workers inside the  
2 building were not exposed to that radon. It  
3 went out the ventilation, went out I think a  
4 ten-meter stack and then dispersed, and used a  
5 simple ground model to calculate its  
6 concentration in number of curies that was  
7 emitted and then its concentration.

8 And it was assumed it was equal  
9 inside and outside and the breathers -- the  
10 workers inside would breath that  
11 concentration, and using an equilibrium factor  
12 of .5 for inside and .3 for outside. And this  
13 would be a sign then as the radon intake.

14 And so I'd like for Arjun to speak  
15 to this. I did look at the measurement that  
16 was done at the downtown site that showed that  
17 the indoor and outdoors weren't equal. It's  
18 four times greater inside than inside. Now  
19 this couldn't be extrapolated directly to  
20 Weldon Spring, but is an indication that equal  
21 inside and outside should be investigated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 further.

2 And so since Arjun had worked on  
3 radon equilibrium at other sites, I'd like for  
4 him to speak to this.

5 DR. MAKHIJANI: Yes. I'll address  
6 it briefly. Actually, John, if he's still on  
7 the line, has addressed it more than me.

8 But I think that the dispersion  
9 modeling would be an issue, especially since  
10 it's not validated by any data points. I  
11 think the fact -- do we have -- just as a  
12 factual thing because I haven't looked at the  
13 source data -- do we have kind of concentrate  
14 composition information in regard to the  
15 radium source term there?

16 MR. ROLFES: There really wasn't a  
17 significant radium source term.

18 DR. MAKHIJANI: Yes, I'm aware  
19 that concentrates don't have most of the  
20 radium stripped from them. But they don't  
21 have most of the thorium-230 stripped from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       them. That was an issue at Fernald anyway.

2                   And I just wanted to know whether  
3 we have some data on the concentrates as  
4 regards -- because there is some thorium. If  
5 you look at the cold metal oxides in silo 3, I  
6 think at Fernald, there's a radium source term  
7 there. It's not zero.

8                   MR. ROLFES:       Very, very small.  
9 Very, very small.

10                  DR. MAKHIJANI:     Well, it's not  
11 equilibrium with thorium-230. That's for  
12 sure. I don't remember the numbers.

13                  But it might be useful to have a  
14 radium source term that's specific to the  
15 site, I think, especially given the recent  
16 history of modeling in regard to radon and a  
17 lot of issues have come up. They've come up  
18 at Linde. They've come up at Texas -- right,  
19 John -- Blockson. I think this looks like a  
20 lot less rigorous than the scrutiny that's  
21 been given and the rigor with which we've

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       tried to approach radon at other sites.

2                   MR. HINNEFELD:    Help me understand  
3       why radon is an issue at Weldon Spring if they  
4       never got ore.

5                   DR. MAKHIJANI:    The concentrates  
6       do contain some radium.    And that's why my  
7       first question was if you have some  
8       characterization of the kind of concentrates  
9       and there isn't really radium in it and we  
10      know that because the source term has  
11      characterized it, then the issue will go away.

12                   But we know that some of these  
13      concentrates contain non-trivial amounts of --  
14      in my opinion, non-trivial amounts of radium,  
15      but much less than would be in equilibrium  
16      with thorium-230.

17                   MR. HINNEFELD:    Well, the examples  
18      you all cited about radon being an issue or  
19      sites where radium was at least a readable  
20      component of material that was handled, they  
21      had ore at Linde and radium is a reasonable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 component of the norm in the phosphate --

2 DR. MAKHIJANI: Right.

3 MR. HINNEFELD: -- since in all  
4 the radioactivity at the phosphate plants like  
5 Texas --

6 DR. MAKHIJANI: And I agree with  
7 that.

8 MR. HINNEFELD: So in all those  
9 cases, radium was a significant portion of the  
10 radiological source term. And I think my  
11 going and belief -- now maybe I'm mistaken  
12 here -- is that concentrate, since you remove  
13 the radium in the concentrate, then you have  
14 to grow the radium back in from the thorium-  
15 230.

16 DR. MAKHIJANI: It won't grow back  
17 in.

18 DR. MAURO: Stu, this is John. I  
19 could help out a little bit here.

20 I've reviewed a couple of AWEs and  
21 cases where the concentrates which are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 primarily U308, they've been separated,  
2 sometimes carry over with it small amounts of  
3 thorium-230 and radium-226. And I agree with  
4 you -- and it's variable depending on how good  
5 a job is done in creating the concentrates.

6 Now that being said, I guess the  
7 only way I could see any radon being of  
8 concern is, okay let's say you could say well,  
9 we know that almost all except for some small  
10 amount of radium-226 may have been removed and  
11 did not show up at Weldon, but there could  
12 have been this much. Now given that there  
13 could be a little bit of radium, the question  
14 is, is it possible that there's any  
15 substantive concentration of radon in the air  
16 that would be of some concern.

17 In theory, one could argue okay,  
18 let's say that there's as much as a certain  
19 amount of trace levels of 226 -- radium-226  
20 associated with the yellow cake. That shows  
21 up at the treatment processing at Weldon. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1     you've got a handle on okay, well, this is the  
2     amount of radon -- construction rate of radon,  
3     that would be entering the air. And again,  
4     we're back to the same old problem again.  
5     Once you know that, you probably could place a  
6     plausible upper bound on what the radon  
7     concentration might be indoor -- making  
8     appropriate assumptions regarding air turnover  
9     rate and emanation coefficients, that sort of  
10    thing.

11                   But of course, we're back in the  
12    modeling world again, a model that -- that  
13    class of model applied to that class of  
14    problem, SC&A's very comfortable with as long  
15    as you have a pretty good idea of what the  
16    upper bound might be on the radium-226 in your  
17    yellow cake.

18                   But that's the way you would come  
19    at saying well, here's an upper bound on what  
20    might have been the radon concentration. And  
21    if that turns out to be trivial, well, I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the problem's been put to bed.

2 Whether or not the work group and  
3 the Board would agree with the strategy like  
4 that because it does in effect employ a model  
5 as opposed to direct measurements. But I  
6 would be the first to agree that in general,  
7 when we're dealing with yellow cake, we don't  
8 really think and worry too much about radon  
9 except as Arjun did point out, there are  
10 occasions when there is a little bit of radium  
11 that comes along with your concentrates.

12 DR. MAKHIJANI: Yes. And I agree  
13 with what you said, Stu, in that you're  
14 stripping most of the radium. And sometimes  
15 you might strip essentially all of it. But  
16 concentrates is sort of different than yellow  
17 cake in that you haven't stripped all of the  
18 cake products from the ore.

19 And so, all I'm saying is if you  
20 can characterize the source term, it will be  
21 much simpler to deal with the issue. It might

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 just go away, or you might be able to put a  
2 bound on it in a better way than what's on the  
3 table right now.

4 MR. FITZGERALD: Yes. Before  
5 getting to the modeling, the threshold  
6 question sounds like just establishing what  
7 the likely source term was --

8 DR. MAKHIJANI: Right.

9 MR. FITZGERALD: -- from the  
10 concentrate. If it's negligible, then the  
11 issue is less. That seems to be the threshold  
12 question, before getting into the modeling  
13 point. Now thoron, of course, would be a  
14 different issues --

15 DR. MAKHIJANI: Yes.

16 MR. FITZGERALD: -- particularly  
17 since thoron -- so, yes.

18 DR. MAKHIJANI: Thoron's an issue.

19 MR. FITZGERALD: Yes. Okay.

20 MR. ROLFES: If I could point  
21 everybody up to a reference in the site

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 research database, we've prepared a response  
2 in our site profile review matrix on page 2  
3 that addresses this. And we've got a  
4 statement in here that says, based on uranium  
5 mass throughput and other factors from  
6 Meshkoff, et al, 1986, an estimated annual  
7 release of radon-222 during the operating  
8 period was in the range of 12 to 34 curies.

9 Now if you take a look at this  
10 reference I mention --

11 DR. MAKHIJANI: Mark, excuse me.  
12 Which item in that response are you looking  
13 at?

14 MR. ROLFES: I am looking at  
15 response number 1 which is on page 2 of the  
16 NIOSH responses to SC&A comments on the Weldon  
17 Spring Plant.

18 DR. MAKHIJANI: I have the  
19 document. I just wanted the number.

20 MR. ROLFES: The Site Research  
21 Database reference number for this reference

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 is 72152. And it does have information on the  
2 source term of radon at the site.

3 I'll take a look to see if it also  
4 has thoron in there. But I don't see it right  
5 away.

6 But this is a starting point for  
7 --

8 DR. BUCHANAN: This is the same in  
9 the TBD. The TBD used this model, at least  
10 the calculations. The 1986 reference used  
11 this same calculation in it.

12 MR. ROLFES: Okay.

13 DR. BUCHANAN: The TBD 4 or 5 uses  
14 this reference in the calculation of  
15 throughput of uranium and a certain emission  
16 rate and a certain stack height. And then  
17 they calculated 12 to 34 curies released per  
18 year. It's the same thing that I sent to you,  
19 Arjun, earlier.

20 DR. MAKHIJANI: Okay. Is it  
21 72192? I was traveling when I responded to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 you. So I don't remember exactly.

2 MR. FITZGERALD: And this is where  
3 he accomplished the concentrate. In other  
4 words, all the different --

5 DR. BUCHANAN: No, it just uses a  
6 uranium throughput and assuming a certain  
7 emission rate of radon from the throughput.  
8 And it's all captured in the hood and goes out  
9 a ten-foot stack. And then it would emit 12  
10 to 34 curies a year and that would disperse  
11 and then they'd be sucked back into the  
12 building and be equal inside and outside and  
13 then working levels are calculated from that.

14 DR. MAKHIJANI: The dispersion  
15 model would be a problem the same as what I  
16 said.

17 DR. MAURO: This is John. Let me  
18 just step in.

19 So are you saying that at Weldon  
20 the concentrates were not piled up indoors but  
21 they were sitting in hoods? Did I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       misunderstand?   In other words -- this is an  
2       important point.

3                       If the reality is that any radon  
4       -- any radium, even if it's in trace levels,  
5       contained in the concentrates is -- there's a  
6       confinement system around it whereby as it's  
7       emanated in small quantities, it's captured  
8       and vented. Well, then it wouldn't enter the  
9       workplace the way I just described. What I'm  
10      hearing is it would be exhausted. Now  
11      certainly it could come back in again from  
12      outdoors. But that changes the whole picture  
13      and makes it even a more remote issue.

14                     MR. ROLFES:   The hood I believe  
15      that you're referring to would have been the  
16      air ventilation system above the acid  
17      digestion tank. And that was where the radon  
18      was assumed to be liberated from and vented up  
19      a stack.

20                     DR. MAURO:    Okay.    So you're  
21      saying that the radon comes -- see, I guess I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 had a little bit different conceptual model.

2 You've got concentrate. The  
3 concentrate if it has any radium in it, will  
4 be exhaling radon all the time whether it's  
5 been digested or not. Certainly if it's  
6 digested, then you're breaking up the matrix  
7 in a way that even more radon could be  
8 released.

9 But even if it's just sitting --  
10 I'm visualizing a pile or 55-gallon drums of  
11 concentrate. And they're broken open. But if  
12 they're all sitting in some kind of confined  
13 area with ventilation exhaust control, then  
14 the radon, even if it's small quantities --  
15 don't get me wrong, we're talking about  
16 concentrates so we're not expecting very much  
17 radium in there but there might be a little  
18 bit. Any radon whether it's digested or not  
19 will escape to a certain degree. And if it's  
20 a direct access to the general working  
21 environment, there will be some airborne radon

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 in the general working environment.

2 If it doesn't -- if it's being  
3 captured by some kind of ventilation system  
4 that is a hood over it, well, then that radon  
5 as it sort of escapes will be captured and  
6 really not enter the breathing zone of the  
7 working environment. And that does change the  
8 picture a bit. And of course, if you folks  
9 could show that there really wasn't any radium  
10 there in the first place because the  
11 concentrates were of a quality, well, then the  
12 problem also greatly diminishes.

13 So the only reason I jumped in  
14 here is when I heard stack releases, I just  
15 assumed that was general exhaust from the  
16 working area. But you're saying that no, that  
17 was exhaust from hoods. And then I thought  
18 maybe think about this differently in concept.

19 MR. FITZGERALD: Well, going back  
20 again, I think this still comes down to a  
21 source term -- a Weldon source term estimate.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       And I think what Mark's pointing out is we  
2       actually do have some estimation that confirms  
3       there was radium. The only question I think  
4       in my mind is whether that calculation would  
5       encompass the concentrates or not. It sounds  
6       like they just took a kind of a simple feed in  
7       of uranium and just came up with a calculation  
8       over time.

9                       And the question is is that source  
10       term, 12 to 34 curies, would that in fact  
11       encompass the probably small contribution from  
12       these things like concentrates or not. So  
13       this is actually a reasonably good number.

14                      Then the other question is the one  
15       I think that's raised in the matrix which is  
16       we only have the emissions number from the  
17       stack. Does that necessarily reflect what's  
18       in the workplace itself?

19                      DR. MAURO: Yes.

20                      MR. FITZGERALD: And I think  
21       that's a pretty good question. I would think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that if this is the off-gas and the potential  
2 concentrations -- and this is kind of the  
3 things that could keep coming up in this  
4 modeling with the Board is can you show us or  
5 demonstrate how you would know that that is  
6 bounding or not. And I think it'd be pretty  
7 difficult to show that the emissions from the  
8 stack would be bounding the actual workplace.

9 So I think that's the question.  
10 If there's a unique source term for Weldon,  
11 does this range encompass that source term if  
12 in fact the source term is for the workplace  
13 not for the environment? And I think someone  
14 said earlier can we get a source term for  
15 Weldon that we can feel comfortable with. And  
16 I think at this point, there's some questions  
17 around that.

18 DR. MAKHIJANI: We'll definitely  
19 look at the document. I mean, that should be  
20 part of what to do, I guess.

21 DR. BUCHANAN: I sent that to you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 I went through the whole calculations. And  
2 its assumptions. It's a model that so much is  
3 emitted from a certain uranium throughput. I  
4 understand that it's vats where the digesting  
5 the concentrate in acid. And then they have a  
6 few open. It's not inside a glove box or  
7 something like that. It's in a big room with  
8 vats and they have these hoods over them.  
9 They exhaust to the stack.

10 MR. FITZGERALD: And this is  
11 pretty large-range. I would think that if you  
12 took the upper part of that range, the only  
13 question would be, well, how can you translate  
14 that to the workplace, know that you have a  
15 bounding number for the actual workers  
16 themselves around those vats, not necessarily  
17 in the stack, right.

18 DR. BUCHANAN: Yes. Because the  
19 1986 reference, now what it calculates is the  
20 12-34 curies being emitted.

21 MR. FITZGERALD: Being emitted.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. BUCHANAN: And it doesn't say  
2 anything. It doesn't go any further as far as  
3 the intake.

4 Then there's assumptions made on  
5 how that then circulates back to the workers  
6 inside the building and outside the building.

7 MR. FITZGERALD: Because I  
8 remember these other sites that we've had  
9 these lengthy debates before the Board. It  
10 was all predicated on how can you come up with  
11 this search model within the building itself.

12 And those were lively exchanges. I can't  
13 imagine that if this were a stack emission how  
14 we could backtrack that into the workplace and  
15 argue that it's bounding. So I think that's  
16 probably the biggest issue.

17 MR. HINNEFELD: Well, I think we  
18 have the essence of the finding --

19 MR. FITZGERALD: Yes.

20 MR. HINNEFELD: -- is the essence  
21 of the finding.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. MORRIS:    Would you say why you  
2                   think it couldn't be bounding.  This is Robert  
3                   Morris.

4                   MR. FITZGERALD:    No, I think you  
5                   would start to get into a question of whether  
6                   or not the ventilation, the collection hoods  
7                   and what have you were 100 percent efficient  
8                   which of course, I don't think that would  
9                   necessarily be the case.  You'd have workers  
10                  around acid.  I think you would have to argue  
11                  that yes, in those days if you had an  
12                  efficiency of 60, 70 percent, that's pretty  
13                  damn good.  But you would still have perhaps  
14                  concentrations of radon.  And it's not clear  
15                  to me that that necessarily would be bounding.  
16                  You'd have to at least come up with some  
17                  estimate of what the collection efficiency was  
18                  of the --

19                  MR. MORRIS:    So would you disagree  
20                  that if we took that first term and just  
21                  pushed it inside the facility in a box model

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that we couldn't be bounding with that?

2 MR. FITZGERALD: I don't know.

3 MR. HINNEFELD: Robert, we need to  
4 have this conversation ourselves.

5 MR. MORRIS: Okay.

6 MR. FITZGERALD: But I think that  
7 gets to the root of the issue that needs to be  
8 answered I think. That's what we're saying.

9 DR. BUCHANAN: Yes. Two reasons  
10 is the source term and then the inhalation  
11 concentration. And that goes with radon part  
12 A and thoron part B.

13 DR. MAKHIJANI: I think that  
14 source term is much more important.

15 The thoron -- you're processing  
16 thorium there. You've got thorium decay  
17 products there. So -- yes.

18 DR. MAURO: I've got a question on  
19 thorium-232. Was that ore unlike the  
20 concentrates of the uranium where it's  
21 primarily uranium oxide of some form? The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 thorium-232 issue that we're talking about in  
2 thoron, did they process ore? Therefore of  
3 course you'd have thoron. Or was it also  
4 separated thorium?

5 MR. ROLFES: No, this wasn't ore.

6 It wasn't like a monazite sand for example.  
7 It was I believe received as thorium nitrate  
8 tetraydrate -- TNT.

9 DR. MAURO: Okay. And so the only  
10 thoron you would get is the radium-228 had the  
11 five-year half-life. So if it was somewhat  
12 aged, you might grow in a little radium-228  
13 and therefore have thoron. So I was wondering  
14 if you got a feel for why is there thoron  
15 there. And is the thoron there because it was  
16 ore? Or is the thoron there because the  
17 thorium-232 was somewhat aged? And it doesn't  
18 take that long. I mean, it doesn't take  
19 thousands of years before they're -- unlike  
20 the radium-226. The radium-228 has a  
21 relatively short half-life of five years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   So in principle, you could grow  
2                   some radium-228 in. And between the time the  
3                   thorium was separated and shipped and maybe  
4                   have a thoron coming in. Do you know offhand  
5                   which of those we're dealing with here?

6                   MR. HINNEFELD: It's the second, I  
7                   think, that you described, John. When you  
8                   have a thorium product, if it has much age on  
9                   it since it was chemically purified, you're  
10                  going to have some thoron generation that's  
11                  going to -- it becomes an issue a lot quicker  
12                  than radon-220.

13                  DR. MAURO: I got it. Okay.  
14                  Good. That's helpful. Thank you.

15                  MR. HINNEFELD: I believe that's  
16                  the situation we're talking about.

17                  DR. BUCHANAN: Yes. Thorium-228  
18                  has a half-life of 1.9 years. So it can go  
19                  fairly --

20                  MR. HINNEFELD: Yes, it doesn't  
21                  take a lot. And it doesn't have to go to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 equilibrium. You've just to get a --

2 DR. MAURO: Yes. Growing in.

3 MR. HINNEFELD: You can get some  
4 growing in and you're starting to generate  
5 thoron.

6 DR. MAURO: You know what? Ron  
7 just made a very important point. That's  
8 right. When you separate thorium, you get the  
9 thorium-232 and one of the daughters. But the  
10 radon comes off the radium-228, doesn't it?

11 DR. BUCHANAN: Right.

12 DR. MAURO: And that has a five-  
13 year half-life.

14 DR. MAKHIJANI: No. Radon will  
15 come off of the thorium-228.

16 MR. HINNEFELD: You see, when you  
17 separate the thorium, you get the thorium-228  
18 with the -- you can't separate the two  
19 isotopes. So you've got 228 -- thorium-228  
20 there. The radium-228 Ron says has like a  
21 one-point-something year half-life.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. BUCHANAN: The thorium-228 has  
2 a 1.9-year half-life.

3 MR. HINNEFELD: What's the radium?

4 DR. BUCHANAN: The radium has a 3-  
5 day -- 3.6-day half-life.

6 MR. HINNEFELD: Radium-228?

7 DR. BUCHANAN: 224.

8 MR. HINNEFELD: Okay. Right. It  
9 goes to 224. It goes from thorium-238 to  
10 radium-224, which has a very short half-life.

11 DR. BUCHANAN: A couple days.

12 MR. HINNEFELD: So it's the one-  
13 year half-life of thorium-230 or 228 --

14 DR. BUCHANAN: Right.

15 DR. MAURO: Okay. That's the  
16 driver. Okay?

17 DR. MAKHIJANI: It will take a  
18 couple of months of sitting --

19 DR. MAURO: Is that all?

20 DR. MAKHIJANI: Because thorium-  
21 228 are 1.9-year half-life. So after a couple

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 of months, you're --

2 MR. HINNEFELD: Start to see it --

3 DR. MAKHIJANI: -- you'll see it.

4 DR. BUCHANAN: Any further  
5 discussion on that?

6 MR. KATZ: No, you can move on.

7 DR. BUCHANAN: Okay. Another  
8 issue which -- it's 5 -- which is recycled  
9 uranium. And the ore concentrate that came in  
10 in '57 through '60 supposedly did not have  
11 recycled uranium. Recycled uranium of course  
12 comes from uranium that's been recycled, taken  
13 from a reactor and tried to re-use the uranium  
14 -- unfortunately this has some byproducts with  
15 it -- that came to light and in year 1999, DOE  
16 went and did a study to try to find where this  
17 came from and where it flowed to.

18 And in 1961 -- and this is a two-  
19 part issue; one I'm sure is resolvable -- it  
20 is the year that Weldon Spring started  
21 receiving recycled uranium. From what I can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 see from '57 through '60, there was no  
2 indication of recycled uranium being resided  
3 at Weldon Spring. I don't have any smoking  
4 gun saying it was. But now in '61, documents  
5 start referring to it.

6                   However, in the TBD and in the ER,  
7 there's a mixture of terms -- after 1961,  
8 after 1962 -- and those sort of terms which  
9 are an inconsistency in the date that we're  
10 supposed to start using recycled uranium at  
11 Weldon Spring. And I'm sure that that's  
12 resolvable. Just need to look at that and get  
13 those consistent.

14                   And also, I would like to see a  
15 reference that says that Weldon Spring didn't  
16 start receiving recycled uranium until 1961.  
17 I'm sure that's probably available. I  
18 couldn't find it. It was really stated in the  
19 Fernald document or in DOE 2000. However, I  
20 didn't read all of DOE 2000, because it's 1200  
21 pages long, looking for it. But I do think we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 need to set a documented date on when recycled  
2 uranium was received at Weldon Spring.

3 I did go through the  
4 recommendations in TBD 5 and the ER looking  
5 for how they planned on finding the recycled  
6 uranium -- the first issue or the start date.

7 The second issue is the use of the bounding  
8 number. If you decipher through Fernald's TBD  
9 5 and look at their conversion factors, it  
10 drops out for plutonium -- of course, the key  
11 issues that we have is the recycled uranium  
12 contained trace amounts of plutonium,  
13 technetium and neptunium or the most  
14 significant amounts of some U-236.

15 So I looked at Fernald's TBD 5.  
16 And they recommend 100 parts per billion  
17 plutonium per uranium. And so, the ER though  
18 states on page 27, Table IV-6, an average of  
19 2.9 parts per billion plutonium and 6.3 to be  
20 bounding.

21 Okay. I have two issues. Number

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 one, would you clarify why the TBD says one  
2 thing and the ER says another thing? And  
3 also, I looked at some of the claims that had  
4 dose reconstruction done on them. One of them  
5 had 100 parts per billion plutonium added in  
6 correctly as the TBD instructed them. Two  
7 others did not, even though it was less than  
8 50 percent. And so I think probably there's a  
9 lack of clarification there to the DR.

10 And so I guess the first issue is  
11 why is there a difference between the 100  
12 parts per billion in the TBD and 2.9 and 6.3  
13 in the ER?

14 MR. ROLFES: Okay. The TBD for  
15 Weldon Spring Plant was written back in 2005.

16 And so at that time, we had adopted surrogate  
17 data from the Fernald site.

18 The reason for that 100 parts per  
19 billion default at the Fernald site was  
20 because of the elevated transuranic  
21 concentrations of the Paducah flame and tower

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 ash which was shipped to Fernald in the late  
2 '70s. And it was that that formed the basis  
3 for that 100 parts per billion default even  
4 though the majority of all the other shipments  
5 except for a handful were much less than 10  
6 parts per billion plutonium on the uranium  
7 mass basis.

8 The TBD that was written in 2005  
9 for the Weldon Spring Plant defaulted to the  
10 Fernald data of 100 parts per billion. The  
11 actual data in reviewing the Weldon Spring  
12 site-specific data as part of this Special  
13 Exposure Cohort Evaluation Report indicated  
14 that the average concentrations were 2.9 parts  
15 per billion plutonium on a uranium mass basis  
16 and gave a 95th percentile value of 6.3 parts  
17 per billion. So the actual site data that we  
18 looked at for the Weldon Spring site indicates  
19 much lower levels of transuranic evidence.

20 DR. MAKHIJANI: There are site  
21 measurements of contaminant data for Weldon

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Spring --

2 MR. ROLFES: These are based upon  
3 the --

4 DR. MAKHIJANI: -- from the time?

5 MR. ROLFES: -- DOE 2000 report  
6 from Weldon Spring.

7 DR. MAKHIJANI: So part of this  
8 issue is how reliable is this DOE 2000 report.

9 And by the accounts of people who were there  
10 when it was prepared, it was prepared in a big  
11 hurry. It was prepared in response to a  
12 scandal essentially on the front pages of  
13 newspapers about Paducah. And it was rapidly  
14 prepared as a mass balance. And then in 2003,  
15 the DOE issued another report that said, oops,  
16 the 2000 report was rapidly prepared.

17 So we've expressed a fair amount  
18 of discomfort with the use of the report in  
19 the Fernald case. And that issue is still on  
20 the table. So I think the question of whether  
21 you can use that mass balance data. So far as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 I remember, the measurements are not from the  
2 time. The inferences are from measurements  
3 that were made in the '70s and '80s. Now at  
4 Fernald at least you can say they were still  
5 processing uranium there.

6 What was happening at Weldon  
7 Spring was in a completely different time  
8 period. And if there was recycled uranium  
9 involved at Weldon Spring, it would have not  
10 probably come from Paducah and tower ash. And  
11 so I would suspect, or at least you have to  
12 establish that it's connected to that source  
13 term.

14 I would suspect that the recycled  
15 uranium dominant source term in the DOE  
16 complex which isn't very well treated in the  
17 literature originated in the U Plant at  
18 Hanford -- and I've raised this issue before  
19 -- is when you're dealing with a U Plant at  
20 Hanford, a set of ratios that is key to  
21 plutonium doesn't work. It's sort of like the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 raffinates at Mallinckrodt. Once you've  
2 stripped the uranium, then a set of ratios of  
3 thorium and radium and protactinium to uranium  
4 does work when you strip the uranium.

5 It's the same problem. You've  
6 stripped the plutonium at the reprocessing  
7 plant during the Manhattan Project. You've  
8 put uranium, neptunium and fission products in  
9 the tanks. And then you've taken that back  
10 out -- the uranium, fission products and  
11 neptunium -- and you strip the uranium and  
12 then you have entrained fission products in  
13 neptunium. But you may not have any  
14 significant entrained plutonium.

15 So you've got all these other  
16 contaminants whose relationship to plutonium I  
17 haven't seen established by measurement data.

18 And Hanford did have guidelines. But we  
19 don't know whether they had out-of-  
20 specification material. We don't have  
21 measurements. And we don't know what went to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Weldon Spring.

2                   So I think these back-  
3 extrapolations of measurements of recycled  
4 uranium are much more problematic, at least as  
5 things stand, at Weldon Spring than they would  
6 be --

7                   MR. ROLFES: I think we need to be  
8 careful about saying we don't know what went  
9 to Weldon Spring because there is an  
10 evaluation of the data that was done at Weldon  
11 Spring. And it's reference ID 11818. And  
12 it's Health Physics Concerns for Recycled  
13 Materials. And it's an interim report on the  
14 data through November 1, 1964. It has alpha  
15 and gamma versus nuclide content.

16                   It's a report which is 12 pages  
17 long. It is an evaluation --

18                   DR. MAKHIJANI: What did you say  
19 -- 11?

20                   MR. ROLFES: 11818. It's an  
21 evaluation of the alpha and gamma activities

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 for the materials that were sent back and  
2 considered to be recycled uranium.

3 DR. MAKHIJANI: From the time?

4 MR. ROLFES: 1964. So, yes.

5 DR. MAKHIJANI: Excellent. I'll  
6 take a look at it.

7 MR. ROLFES: It's an evaluation of  
8 recycled feeds for additional health problems.

9 And I don't know, I don't think we need to  
10 discuss it --

11 DR. MAKHIJANI: Well, so far  
12 what's on the table is surrogate data. And so  
13 if there's something else on the table, then  
14 we'll look at that.

15 MR. ROLFES: It has a discussion  
16 of the findings with health activity and it  
17 mentions neptunium-237 versus 234 and 235 as  
18 well an analysis of actinium-227 and 231s.

19 DR. MAKHIJANI: Great. If there's  
20 substantive data from the time, this  
21 simplifies things considerably. And then you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 have the question of reprocessing of recycled  
2 uranium and how you're treating the  
3 raffinates. And then it becomes a more --

4 MR. FITZGERALD: I guess I'm a  
5 little confused.

6 This document comes after -- after  
7 the ER? I'm just trying -- why did you go to  
8 the Fernald surrogate data?

9 MR. ROLFES: This document was  
10 from the 11,800 range in the site research  
11 database.

12 MR. FITZGERALD: No, I'm just  
13 saying you didn't choose to use that as a part  
14 of the recycled uranium assessment.

15 MR. ROLFES: Correct. I guess at  
16 the time just with the pressure to get claims  
17 done, we didn't want to go back and -- just  
18 because of the length of the reports -- we've  
19 got a 1,000-page report -- we felt that it  
20 would be claimant-favorable to default to the  
21 100 parts per billion --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. FITZGERALD: Right.

2 MR. ROLFES: -- for the Fernald  
3 site.

4 MR. HINNEFELD: I think originally  
5 the surrogate data from Fernald was used for  
6 expedience --

7 MR. ROLFES: Yes.

8 MR. HINNEFELD: -- in the Weldon  
9 Spring PR program.

10 MR. ROLFES: Right.

11 MR. HINNEFELD: And it definitely  
12 bounds what you had in that report.

13 MR. ROLFES: Correct, correct.

14 DR. BUCHANAN: And you're saying  
15 that's reference 11818?

16 MR. ROLFES: Yes. It's 11818,  
17 Health Physics Concerns for Recycled  
18 Materials.

19 DR. BUCHANAN: And is this where  
20 the 2.9 and 6.3 figures come from?

21 MR. ROLFES: No, that is not.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 That data is from the DOE report from 2000, I  
2 believe, for Weldon Spring Plant.

3 MR. HINNEFELD: So that question  
4 about the quality of the 2000 report still  
5 remains then?

6 DR. MAKHIJANI: Right. So what my  
7 suggestion would be since you're re-looking at  
8 this stuff is that you go to the site data  
9 that you have and then we can assess the  
10 quality of the site data because we've raised  
11 all these issues in another context, and  
12 they're still on the table and they're still  
13 being discussed and they're unresolved at  
14 Fernald. And then so you're kind of thinking  
15 what's going to happen in that other arena  
16 that will bring you back into this arena. If  
17 you've got site data, it's much better. Then  
18 we can look at that.

19 DR. BUCHANAN: Okay. Want to move  
20 on to issue number 6, neutron exposure  
21 dosimetry records.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   There were processes at Weldon  
2                   Springs that would create -- that's on page 8,  
3                   issue number 6 -- that would create potential  
4                   neutron exposure.           According to some  
5                   documents, there was NTA film issued to some  
6                   workers that were involved in these operations  
7                   in a slight risk of uranium of one to two  
8                   percent that was received during different  
9                   campaigns.           But there are no results  
10                  documented.       They're either in a claimant's  
11                  file or they're otherwise -- see if they could  
12                  locate.

13                  And so, the question comes up if  
14                  there's a potential exposure without any  
15                  records -- dose records -- to reconstruct the  
16                  dose, what do we do about that?   And so  
17                  recently in the TBD -- and this is an area  
18                  that we would like to clarify.   Recently in  
19                  the TBD, the use in Fernald measurements --  
20                  one-time measurements of beta gamma -- I mean,  
21                  neutron gamma and do a .1 I think in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 method. And SC&A did not agree with that in  
2 their site review report.

3 I see in the ER that it is  
4 mentioned that the OTIB-24 would be invoked if  
5 necessary on a case-by-case basis for neutron  
6 dose assignment. And there was a mention of  
7 missed dose assignment. And so at this point,  
8 I'd just like some clarification on how  
9 neutron dose would be assigned. It's still  
10 TBD-6, or are you going to use OTIB-24? Or  
11 misquotes come in when you don't have any  
12 comeback data.

13 MR. ROLFES: Well, I'll have to  
14 delay a response to that. I'm not certain.  
15 But I know for the Fernald site what we've  
16 done in the past for workers that were  
17 handling enriched uranium, we've applied a  
18 neutron-to-photon ratio. From the top of my  
19 head, that was around .3 to 1 for the 95th  
20 percentile.

21 And Fernald handled higher

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       enrichments.     It had larger quantities of  
2       material there.   So that would have probably  
3       been a bounding neutron-to-photon ratio for  
4       the Weldon Spring Plant.

5                     We'll prepare a response on that  
6       and make sure that it's addressed for the  
7       revision.

8                     DR. BUCHANAN:    Okay.   Thank you,  
9       Mark.

10                    I did have one question.   Do we  
11       know -- I mean, just as a general question --  
12       do we know that Weldon Spring only received RU  
13       and enriched uranium from Fernald?

14                    MR. ROLFES:    I will have to delay  
15       my response to that once again.   I --

16                    DR. BUCHANAN:        Because that's  
17       important.    If we're going to use any of  
18       Fernald's data, whether it's DOE 2000 or the  
19       enriched uranium 1 to 2 percent and that sort  
20       of thing, we need some documentation that they  
21       only received that material from Fernald.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. HINNEFELD:     If we only use  
2                   what now?

3                   DR. BUCHANAN:     If we only use  
4                   Fernald data for enriched and recycled uranium  
5                   --

6                   MR. HINNEFELD:     Oh, okay.

7                   DR. BUCHANAN:     -- then we need  
8                   some documentation.  They didn't receive some  
9                   from Hanford, they didn't receive some from  
10                  other places that is available through the  
11                  years.

12                  MR. ROLFES:     Does anyone on the  
13                  phone perhaps -- Mel or Bob or Monica -- know  
14                  if there were uranium shipments to Weldon  
15                  Spring from sites other than Fernald?

16                  MR. MORRIS:     Mark, not that we are  
17                  aware of.  Again, not that we're aware of.

18                  MR. ROLFES:     Okay.  So based on  
19                  what we currently know, everything that Weldon  
20                  Spring received would have come from Fernald  
21                  then?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. MORRIS: Yes, sir.

2 MR. ROLFES: Okay. We'll double  
3 check on that for you.

4 DR. BUCHANAN: Okay. I mean, it  
5 would be good to have some documentation  
6 showing that they didn't receive anything from  
7 anyplace else.

8 Okay. So that was issue number 6  
9 on neutron dosimetry.

10 Issue number 7 was the quarry and  
11 raffinate pits exposures. This is kind of a  
12 problem area in that the operators -- we might  
13 be able to use their data to bound, say, the  
14 secretaries or the lawn workers or the non-  
15 production workers so to speak at the site  
16 that were roaming around the site and stuff in  
17 between admin and the plant and such.  
18 However, the quarry and the pits are different  
19 sources -- different source terms. And  
20 apparently there was not too much attention  
21 paid to it back in the active days from '57 to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 '66 and '67. The quarry was kind of looked at  
2 as a dump. And in fact, downtown brought a  
3 lot of junk out there from their plant --  
4 contaminated junk -- and dumped it in the pit.

5 In other words, there was a dump truck  
6 apparently.

7 And so, there wasn't much done  
8 about characterizing it until 1970s, 1980s.  
9 And so, I guess I have an issue with using  
10 1970 and '80s data for the active period of  
11 the quarry and they were dumping stuff in it.

12 And also, the pits that were  
13 characterized later on and now the  
14 justification in the TBD and/or the ER was you  
15 grow in equilibrium of these decay products or  
16 any measurements done later would be limiting  
17 to what was there during the production era.  
18 And I agree from a scientific basis that the  
19 ingrowth would increase. But I don't know if  
20 that necessarily extrapolates to exposure  
21 potential because after you do a measurement

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 and a situation -- a physical and chemical  
2 component in the '80s -- wouldn't necessarily  
3 reflect say the dust and the contamination  
4 stuff which was present there in dumping into  
5 the quarry in the earlier years and the  
6 condition of the pits in the earlier years.

7 And I did note that Mason did do  
8 some pit characterization in the Site Research  
9 Database. I think there's a '58 article in  
10 there.

11 And so, that's an issue I'd like  
12 to bring up is how can we extrapolate from  
13 later days back to earlier days when the pits  
14 and the quarry was active as opposed to a  
15 stagnant period after they had set 20 or 30  
16 years.

17 MR. FITZGERALD: So this is kind  
18 of a source term question as well -- the  
19 question of whether the source term --

20 MR. HINNEFELD: Yes, theoretically  
21 they're okay. So there were measurements

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 probably during remediation of the pits and  
2 the quarry.

3 DR. BUCHANAN: Yes.

4 MR. HINNEFELD: But the problem  
5 being that during the operation of the site  
6 when they were putting things in the pits and  
7 ostensibly in the quarry, then those exposures  
8 -- those materials since they were essentially  
9 bereft of uranium would constitute an exposure  
10 that you don't have uranium markers for,  
11 whereas the operators, uranium is pretty much  
12 the marker for the internal exposure. Is that  
13 kind of where we're at on this?

14 DR. BUCHANAN: Yes.

15 MR. HINNEFELD: Okay. Not bereft.  
16 They were devoid. They lacked uranium.

17 MR. FITZGERALD: And I guess just  
18 to wrap that up, the ingrowth of the decay  
19 products was in the time frame substantial  
20 enough that -- I guess it's a hypothesis --  
21 would hold. But I mean, but it is --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. HINNEFELD:       Well, you're  
2 talking uranium decay change, I don't see a  
3 whole lot of change in those nine years.

4                   MR. FITZGERALD:       But I'm just  
5 saying that that's just sort of the premise  
6 here -- right -- that that would make it  
7 bounding. But on the other hand --

8                   MR. HINNEFELD:       Well, your point  
9 though is that the work activities and the  
10 exposures during -- because the activities  
11 were different, you can't necessarily assume  
12 that the remediation activities mimic the  
13 actual operational activities as they were  
14 loading that. That's the issue here. Is that  
15 true?

16                  DR. BUCHANAN:   That's correct.

17                  MR. HINNEFELD:       Okay.       Well,  
18 unless you've got something to speak to that,  
19 we'll just take it back and work on it.

20                  MR. ROLFES:     I was just going to  
21 say just to point out, the .65 picocuries per

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 liter is about what you measure normally in  
2 backgrounds.

3 MR. HINNEFELD: Of what?

4 MR. ROLFES: Radon.

5 MR. HINNEFELD: And that was  
6 measured when?

7 MR. ROLFES: Let's see. This was  
8 in the 1970s and '80s. The weakest was for  
9 radon.

10 MR. HINNEFELD: Okay. So that's  
11 when --

12 MR. ROLFES: Yes, yes.

13 MR. HINNEFELD: -- Ames started  
14 doing some environmental monitoring around --

15 MR. ROLFES: Yes. Let me --

16 MR. HINNEFELD: Okay.

17 MR. ROLFES: -- read that there.

18 MR. HINNEFELD: I think the issue  
19 here is going to be though that in '75 to '80,  
20 these pits were sitting there and stagnant and  
21 no one was working around it and putting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 things in -- and putting materials in the  
2 contained quarry. Is that the issue?

3 DR. BUCHANAN: Yes.

4 MR. HINNEFELD: Okay. So the fact  
5 that we have environmental monitoring from a  
6 quiet situation, the issue raised here is how  
7 can we convince people that environmental  
8 monitoring from a quiet situation is  
9 sufficient to bound or measure the exposures  
10 from two workers who are actually filling  
11 those materials now. I don't know what the  
12 filling processes were and whether there was  
13 potential or not.

14 I mean, some waste pits were  
15 slurries of radioactive material that no one  
16 got close to, and it was a liquid or a slurry  
17 anyway. So you don't have a lot of exposure  
18 potential as long as you keep it under water.

19 But I don't know anything about that, and I  
20 don't necessarily think we need to go any  
21 further to know what we need to address.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. BUCHANAN: Okay. I'll move on  
2 to issue 8. This is probably equal with -- is  
3 incidents and off-normal situations.

4 When I interviewed the workers and  
5 talked to them since -- has stopped, this is  
6 probably the biggest issue that sticks in  
7 their mind is that back in the '50s and early  
8 '60s, uranium was mainly viewed as a chemical  
9 hazard, and there was debate going on. But it  
10 was mainly a chemical hazard with some minor  
11 nuisance of radiation and beta activity to it.

12 But it was not necessarily recognized as a  
13 health hazard until later on.

14 And so, we're not so much  
15 concerned with the identified high activity  
16 bioassay result and stuff which obviously sent  
17 up a red flag under AEC was investigated I  
18 think. What their concern is, is that there  
19 were incidents that weren't recognized as  
20 being radiologically hazardous. And so, they  
21 tended to the situation whether it was a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 medical situation or whether it was a physical  
2 or a plant situation or what. And there  
3 wasn't any follow-up, there wasn't any  
4 indication in the records.

5 And so, I went back and looked at  
6 a few of these -- of the claims. And I looked  
7 at their DOE files. And the couple I looked  
8 at were fat in that they were involved in what  
9 we consider today a serious accident with  
10 contamination and possible intake. But their  
11 records didn't show any attention to it other  
12 than just what they would normally -- if they  
13 happened to be one of the members being  
14 bioassayed. See, they had cohort monitoring.

15 And so they would take a certain operational  
16 group and they'd do bioassays -- one or two  
17 guys would do bioassays for that group. And  
18 then the next month, they'd have another  
19 representative from that group.

20 And so if there was an incident,  
21 it wouldn't necessarily be caught if they had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 an intake unless there was a special bioassay.

2 And this did not seem to occur anyway in the  
3 records that I looked at.

4 And so, I don't have a suggestion  
5 or a solution to this problem. And again,  
6 it's kind of a global problem with the site is  
7 how do you address incidents back in the  
8 earlier days when they weren't really  
9 recognizing radiological incidents, and so  
10 therefore weren't necessarily entered in the  
11 record as radiological incidents. If they  
12 were entered in, it was more of an occurrence  
13 -- a plant occurrence or from a medical  
14 standpoint of view -- injuries, cuts and that  
15 sort of thing as opposed to a radiological  
16 incident, especially at a production plant.  
17 How do you address these?

18 And so, like I say, we don't have  
19 an answer for it. But it is something that is  
20 large in the claimant's mind, and it's an area  
21 that I can't answer them. I can't say well,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 we include this in all the bioassay data  
2 because nobody was continually bioassayed.  
3 And so that's the way we brought up issue  
4 number 8.

5 DR. MAKHIJANI: Could I supplement  
6 that?

7 This has come up recently at  
8 another site, and I'm struggling in my head to  
9 remember which one I brought it up at. I  
10 can't remember. But it's the issue of  
11 blowouts.

12 There were pretty frequent  
13 blowouts at Fernald. And there were also  
14 pretty frequent blowouts where the process was  
15 developed at Ames.

16 Now at Fernald, blowouts went on  
17 into the '70s. That's documented. At Ames,  
18 of course, they were quite frequent when the  
19 process was developed. And indications are  
20 that blowouts continue to be a problem and  
21 maybe to a different extent at different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 sites, but continue to be a problem.

2 Now at Weldon Spring in the TBD,  
3 you said there's no record of accidents. But  
4 I can't imagine the record of uranium  
5 tetrafluoride reduction to metal using the  
6 magnesium reduction process is at the sites  
7 where there are records of accidents is that  
8 blowouts were an issue.

9 And so, I think a default  
10 assumption has to be that there were blowouts.

11 But I didn't see that in the literature. I'm  
12 just supplementing what --

13 MR. ROLFES: Yes. We suspect that  
14 there was. But I guess the concern would be  
15 whether the people that were involved in those  
16 incidents were bioassayed.

17 DR. MAKHIJANI: That's right. So  
18 we recognize that the bioassay record does  
19 reflect whatever happened whether there was an  
20 incident or not.

21 MR. FITZGERALD: I think it sort

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 of get into the threshold of what a bioassay  
2 -- would it be done. Portables were such that  
3 it was a very high threshold. It was more  
4 chemical-based than radiological-based because  
5 low enriched uranium, certainly you're missing  
6 a lot of the bioassays. And how do you  
7 portion that?

8 And I'm not sure it's an easily  
9 solvable issue because they just didn't  
10 recognize low enriched uranium as a  
11 radiological issue in all cases. It's a  
12 dilemma.

13 It sort of reminds me of the  
14 contamination issue. How do you do something  
15 with that if you know that was the practice  
16 and the perception, but your instances were  
17 based on something other than radiological?

18 MR. HINNEFELD: So we know for a  
19 fact that bioassay was a cohort monitoring  
20 scheme at Mallinckrodt?

21 MR. FITZGERALD: I don't think so.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 I'm just saying that --

2 DR. BUCHANAN: Yes, it was. Yes,  
3 bioassay was cohort.

4 MR. FITZGERALD: Yes. There were  
5 more people that were monitored. And I  
6 believe -- it is discussed in our evaluation  
7 report. And I'm trying to recall if they had  
8 monitored members of each individual work  
9 group like three times per week. I don't  
10 recall.

11 Maybe Bob, on the telephone, if  
12 you could explain. Do you recall the method  
13 for the little cohort bioassay sampling?

14 MR. MORRIS: No, I don't remember,  
15 that, Mark.

16 MR. ROLFES: Okay. I know that we  
17 had discussed it. And I guess basically our  
18 concern is whether a person that was involved  
19 in an incident would have had a bioassay is  
20 the bottom line. And I guess what we'll do is  
21 take a look and see if we can find some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 indications of workers that were involved in  
2 incidents, et cetera, and take a look at their  
3 bioassays.

4 MR. FITZGERALD: Can you pull, I  
5 guess, a small sample of just trying to --

6 DR. BUCHANAN: Yes. Of the actual  
7 people I talked to and see it was in the  
8 record.

9 MR. FITZGERALD: See if it was in  
10 the record. I think --

11 MR. HINNEFELD: As I see your  
12 write-up, one person's bioassay who was in  
13 furnace fire was not -- there was no bioassay  
14 in his record. But something said bioassay  
15 available in investigation report or  
16 something? Is that what your write-up says?

17 DR. BUCHANAN: No, I think it  
18 didn't really indicate -- it didn't really  
19 indicate that he was involved in a fire. It  
20 says personnel monitoring summary reports --  
21 there was another --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. ROLFES: Okay. So there's --

2 DR. BUCHANAN: It's written up in  
3 a MCW report. And there's information in that  
4 that the dose reconstructor could use. But it  
5 didn't say anything in his report that he had  
6 the bioassay.

7 And the other one just had  
8 something about medical aspect of the worker's  
9 complaint. It didn't have anything on  
10 bioassay.

11 MR. ROLFES: So this was, like,  
12 you're referring to his file as, like, the DOE  
13 response file didn't contain it?

14 DR. BUCHANAN: Right.

15 MR. ROLFES: Okay. Yes, that's  
16 one thing that we have had in the past. Some  
17 of the records don't always make it into the  
18 DOE response file when they're related to an  
19 incident and such.

20 And so one of the things that  
21 we've done to resolve these types of issues

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 from our data captures from various sites --  
2 all the documents that are put into the Site  
3 Research Database -- what we can do is  
4 actually search each of these documents and  
5 find any kind of bioassay data or exposure  
6 data and link those back into our NIOSH OCAS  
7 claims tracking system.

8 So, yes, that certainly is  
9 something important. So we want to make sure  
10 that any bioassay data from an individual's  
11 incident is included in the DOE response file.

12 And if it isn't, we want to make sure that  
13 it's available to the dose reconstructors  
14 during the dose reconstruction process.

15 MR. HINNEFELD: Well, I think the  
16 issue here is pretty clear though is that in  
17 this one instance where the person had --  
18 there was a notation or a personal file and  
19 there was an investigation report, and we  
20 could go find that bioassay and do dose  
21 reconstruction. That's one instance where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 we'll find it.

2 But the idea, though, is if it's  
3 done in that fashion, how do you know you  
4 don't miss something? How do you know you  
5 always have that notation about data included  
6 in an accident file?

7 And another important question  
8 here is how was the cohort sampling done.

9 DR. BUCHANAN: And that changed.  
10 Cohort sampling changed over time. First it  
11 was just Fridays and then it was Monday --  
12 Friday, Monday, Friday. And then it went --  
13 they changed it two or three times during the  
14 ten-year period if I recall right.

15 MR. HINNEFELD: Okay.

16 DR. BUCHANAN: Okay. So that  
17 brings us up to issue number 9. And that is  
18 one of the concerns of the petitioners that  
19 qualified the ER was the geometry factor not  
20 being included.

21 And so, this was important in that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 if a person generally practiced to wear the  
2 badge on the chest, and if the exposure source  
3 term was some distance away, it would  
4 duplicate the calibration of the badge so that  
5 a reading was correct for dosimetry purposes.

6 However, if the source term was close or  
7 further away from the badge than part of the  
8 bodies, then there's a problem. Or if there  
9 was a shielding in between. That's a spatial  
10 issue -- a spatial and space-type issue.

11 And if a lot of this uranium have  
12 high theta doses -- and in fact some of them  
13 quote 20 r per hour on some of the lathe  
14 material. And so shielding as it interfered  
15 between the badge and the source but didn't  
16 interfere between the source and the person's  
17 head or hands or feet or whatever the  
18 situation might be, then you'd rest your dose  
19 lower than what the person or organ received.

20 And so a lot of places that  
21 recognize this, especially using glove boxes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 and stuff, have a geometry factor for people  
2 that worked certain jobs. And of course the  
3 compound disc at Weldon Spring just for  
4 extremity monitoring was implemented and  
5 basically wasn't there. And so there's no  
6 extremity monitoring to show any extremity  
7 doses that they were approximately equal to  
8 whole-body doses. If they were, then you can  
9 say well, there's probably not too much in  
10 geometry factor, but that it doesn't exist.

11 And so the geometry factor is an  
12 issue here even though we haven't had claims  
13 for extremities or that sort of thing. It  
14 shows that from readings -- the literature on  
15 their operating fields and operations that the  
16 geometry factor could be important in some  
17 organ doses. And so, we would like to bring  
18 up the issue that geometry factors need to be  
19 implemented at Weldon Spring.

20 MR. ROLFES: Yes, I would agree  
21 with you if we had a dose reconstruction that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 needed it. But I just did a quick review of  
2 the claims that we have in NOCTS and I didn't  
3 see any cases where the individual had a  
4 cancer on their hands, for example, on an  
5 extremity. But yes, I do agree that if a  
6 situation where an individual was handling  
7 uranium materials and had recorded doses, et  
8 cetera, we would certainly want to develop  
9 some geometrical correction factors to make  
10 sure that we're accounting for the dose to the  
11 extremity properly.

12 The other question I had was the  
13 reference you had mentioned -- the 1958 office  
14 memo. It mentioned dose rates from 10,000 to  
15 35,000 millirem per hour. That doesn't sound  
16 like uranium to me. I mean, that didn't  
17 really sound like it was something that was  
18 coming from Weldon Spring Plant. Do you  
19 recall if it was another site?

20 DR. BUCHANAN: No, no. This was  
21 -- let's see. I can give you the reference

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 number for that document. That's a Weldon  
2 Spring document. I can give you the reference  
3 number for that.

4 It was on a lathe, I think.

5 MR. ROLFES: All right. So it  
6 wasn't uranium. It's likely protactinium-234  
7 and such.

8 MR. HINNEFELD: Yes. If it was on  
9 a lathe, it was probably a machine that could  
10 surface off the --

11 DR. MAKHIJANI: Mark, where did  
12 the protactinium-231 and actinium-227 come  
13 from at Weldon Spring?

14 MR. HINNEFELD: That's U-235 decay  
15 chain. I mean, you're mainly protecting 234.

16 DR. MAKHIJANI: Yes. But if it's  
17 being processed already, you expect that stuff  
18 to have gone away, right?

19 MR. HINNEFELD: I don't remember  
20 the ingrowth of those.

21 DR. MAKHIJANI: They're slow.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 They're very slow.

2 MR. HINNEFELD: Yes. It may not  
3 be 231.

4 MR. ROLFES: Are you referring to

5 --

6 DR. MAKHIJANI: I just saw it in  
7 the document you referenced.

8 MR. ROLFES: In the health physics  
9 concerns for the safety materials?

10 DR. MAKHIJANI: Yes.

11 MR. ROLFES: Okay. Yes, that  
12 would have been a small amount from U-235  
13 decay.

14 DR. MAKHIJANI: All right.

15 DR. BUCHANAN: I'll get you that  
16 document.

17 MR. ROLFES: You don't have to  
18 provide it right now. But if you could after  
19 the meeting.

20 MR. FITZGERALD: But it doesn't  
21 sound like we have a -- here either. It's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       there for your -- it's a tool that you're  
2       going to use if you need to use the tool.

3                   DR. BUCHANAN:   Well, and it's more  
4       though than just the extremities.  It's brain  
5       cancers and anything above the neck up and  
6       your feeling bad doesn't necessarily reflect  
7       it if it's coming from a lathe or something.

8                   MR. FITZGERALD:   So they're still  
9       geometry questions.

10                  DR. BUCHANAN:   Yes.  Even though I  
11       have a shield here and you're not -- the  
12       brain, it could be receiving a different dose  
13       than the benches.

14                  DR. MAKHIJANI:   At Mallinckrodt,  
15       there was an also an issue of geometry where  
16       the source was below which applied to the  
17       vats.

18                  MR. HINNEFELD:   Yes, there are  
19       serious --

20                  DR. MAKHIJANI:   And there's a --

21                  MR. HINNEFELD:   -- there's not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that -- is there? There are certain parts in  
2 the orientations which may interest us if  
3 needed. I'd have to go back and check on  
4 that.

5 MR. FITZGERALD: So you're talking  
6 about with maybe an analog for Weldon that may  
7 be drawn from this.

8 DR. MAKHIJANI: Right. But I  
9 think that issue was resolved.

10 MR. FITZGERALD: A lathe was one  
11 of the set-ups in the Mallinckrodt document.  
12 A lathe was one of the set-ups.

13 DR. MAKHIJANI: There's quite a  
14 lot of very good work done --

15 MR. FITZGERALD: Well, maybe a lot  
16 of the leg work was done on them checking out  
17 the factories --

18 DR. BUCHANAN: Okay. That's the  
19 nine issues that I had on the SEC.

20 CHAIRMAN GIBSON: Is everyone  
21 clear about consulting these to further look

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 into and respond back to?

2 MR. HINNEFELD: I took some notes.

3 I guess Mark probably took notes. I think  
4 we'll -- if we have some questions -- about  
5 what -- but I think we've got it straightened  
6 out. And we are within written responses for  
7 these matrix issues. Now this is clear that  
8 this must be a summary out of a report that is  
9 going to PA clearance or something --

10 DR. BUCHANAN: Well --

11 MR. HINNEFELD: -- security  
12 clearances?

13 DR. BUCHANAN: It's just a draft.

14 It's not --

15 MR. FITZGERALD: We want to slow  
16 them up.

17 The report is in draft, ready to  
18 go --

19 MR. HINNEFELD: That's okay.

20 Before you go to DoD for their security or  
21 applications first.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. KATZ:    So it should come out  
2    in -

3                   MR. HINNEFELD:    Well, I'm just  
4    saying that normally the written reports are  
5    very instructive about the basis behind this  
6    number 5.  That's when I'm --

7                   DR. BUCHANAN:    Yes, they'll be  
8    more -- more details.

9                   MR. HINNEFELD:    Because I hate to  
10   compliment the contractor -- the Board's  
11   contractor here -- but there's a lot of well  
12   written stuff in the actual review reports.  
13   And the ideas usually come across pretty  
14   clearly that the basis for the finding in some  
15   has been more so than just what he did in the  
16   matrix.

17                  MR. FITZGERALD:    So that would  
18   take a couple of weeks that we'll be done with  
19   this.

20                  MR. KATZ:    Well, it sounds like  
21   the TBD revision is also correct or at least

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 for a couple months.

2 MR. ROLFES: Correct.

3 MR. FITZGERALD: There's been a  
4 couple of portions that have been in the  
5 works, I guess. I believe the site  
6 description has been updated. But I believe  
7 the other significant TBDs - the internal and  
8 the external as well as the environmental dose  
9 portions of the site profile are still in  
10 internal review at ORAU. And I believe we're  
11 hoping to get those out by the end of the  
12 year.

13 MR. ROLFES: And when you say out  
14 of ORAU or do you mean through DCAS review  
15 too?

16 MR. KATZ: I would expect that  
17 they'd be through DCAS review too by the end  
18 of December -- early January.

19 MR. ROLFES: And will that have to  
20 go to DOE too then?

21 MR. KATZ: Yes, it will.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. HINNEFELD:   That's usually two  
2 weeks.

3                   MR. KATZ:       Yes.     Okay.     So it  
4 sounds like that'll be out by January --  
5 sometime in January?

6                   MR. HINNEFELD:   We'll do what we  
7 can.

8                   MR. KATZ:       I mean, obviously it's  
9 something --

10                  MR. HINNEFELD:   Everything we do  
11 is a juggling act about which fire are we  
12 planning today or this week.

13                  DR. BUCHANAN:   And I know that the  
14 matrix usually comes out after the report. In  
15 this case, we signed that in the spring and we  
16 didn't want to clog up the clearance pipeline  
17 with a report --

18                  MR. HINNEFELD:   I didn't mean to  
19 complain at all. I'm just saying that usually  
20 the report includes really clear descriptions  
21 --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. FITZGERALD:    We sort of push  
2                   the matrix out faster given schedules.

3                   MR.        HINNEFELD:            I'm       not  
4                   complaining.  I understand.

5                   DR.    BUCHANAN:    And that reference  
6                   number on the beta dose is 14938.

7                   MR. ROLFES:    Thank you, Ron.

8                   DR.    BUCHANAN:            That's a 1959  
9                   Mallinckrodt lathe operation, shielded and  
10                  unshielded.

11                  MR. KATZ:    That was an item that  
12                  you wanted a chance for someone from the ORAU  
13                  staff to come back.

14                  MS. HOWELL:  Monica.

15                  MR. KATZ:    Monica.  Early on.  Is  
16                  that still open?  Do we want to see if she's  
17                  back with us from her call?

18                  MR. ROLFES:    Well, I don't know.  
19                  Did we want to discuss that?  That probably  
20                  falls back into the technical discussion of --

21                  MR.    HINNEFELD:            If it's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 technical discussion of the timing then I  
2 don't think we need to do it.

3 MR. KATZ: It was about the  
4 pedigree of the data.

5 DR. BUCHANAN: Using the ER.

6 MR. KATZ: So is that useful to  
7 decide anymore?

8 MR. HINNEFELD: No, I don't think  
9 so because we got to the point where the key  
10 question was what's the origin of the exposure  
11 history in the individual file. And if we  
12 have a dose reconstructor here, do you really  
13 need that?

14 I've had a couple email exchanges  
15 with ORAU, and I'm not entirely sure I  
16 understand them. So I don't know that it's  
17 worth talking about.

18 But apparently, the individual  
19 exposure records apparently are hard copies,  
20 records from the site. Some are handwritten.  
21 Some are printouts from Weldon Spring

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 monitoring program. And those are obtained  
2 from Oak Ridge Operations who is the holder of  
3 those records. So that's what shows up in the  
4 person's file is a report that was generated  
5 from Weldon Spring. That's what the dose  
6 reconstructor gets.

7 And it should be a little look in  
8 on Weldon Spring's claims if not and see  
9 exactly what's in there. It would be under  
10 the DOE response in the claim docs. It's part  
11 of the documents -- claim documents.

12 CHAIRMAN GIBSON: Okay. Is this a  
13 good time to break for lunch before we come  
14 back and cover the Site Profile preliminary  
15 responses for --

16 MR. HINNEFELD: I mean, it's  
17 convenient now to break again.

18 CHAIRMAN GIBSON: Come back after  
19 12:00 then?

20 MR. KATZ: Yes, It's noon. So  
21 after 1:00?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   CHAIRMAN GIBSON: Yes.

2                   MR. ROLFES: Well, I think we sort  
3 of discussed both of -- I think the Site  
4 Profile issue's really been discussed in the  
5 SEC evaluation portion. But I don't know how  
6 much detail you want. We can certainly  
7 discuss them if you --

8                   MR. HINNEFELD: I mean, we might  
9 as well run through them. It'll take what  
10 it'll take.

11                  MR. ROLFES: Sure.

12                  DR. MAURO: This is John. Real  
13 quick before you break, I just wanted to check  
14 with you this paper by Adams and Strom in  
15 Health Physics on DWEs. I'd like to ask do we  
16 get the green light to go ahead and look into  
17 that and perhaps write up a white paper on it?

18                  MR. KATZ: Yes. It may not  
19 require a white paper out of this. But  
20 certainly take a look at it and see --

21                  DR. MAURO: Okay. We'll take a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 look at it and be prepared just to report  
2 back. And certainly the work group could then  
3 decide whether it'd like a white paper or not  
4 depending on the complexity.

5 DR. MAKHIJANI: John, let me  
6 suggest that what we might do is compare  
7 what's in that paper with what we did before  
8 and write a short memo on that. It might be  
9 as simple as that. Or it might need a white  
10 paper. I don't know.

11 DR. MAURO: Right. Well, I'd like  
12 to also look at what's in that paper and what  
13 actually done for example on a number of  
14 places where DWEs were used in the past and  
15 whether or not that -- let's say we find that  
16 protocol reasonable in Adams, and then we'll  
17 see whether or not that protocol was in fact  
18 employed in many of the cases that we've  
19 reviewed in the past.

20 MR. KATZ: Yes. And John, the  
21 only thing I'm feeling a little uncertain

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 about is DCAS has part of this equation too to  
2 explain how that paper or its methodology sort  
3 of relates. And until you have that, you may  
4 not be able to respond fully on this issue.

5 DR. MAURO: Yes. I think I'd just  
6 like to do a little homework to see what was  
7 done.

8 MR. KATZ: Absolutely.

9 DR. MAURO: And you know why?  
10 Because it's going to come up again on Fernald  
11 real soon. And the more we know about it, the  
12 more intelligently we can speak about it.

13 MR. KATZ: Sure. But absolutely  
14 you can dig into it.

15 DR. MAURO: Okay. But we'll keep  
16 it light just enough so we get familiar with  
17 it.

18 CHAIRMAN GIBSON: Well, I guess I  
19 have no idea how much their work -- Mark,  
20 what's your sense as to how much is there to  
21 go through with TBD review that hasn't been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 covered?

2 MR. KATZ: Well, we've sort of  
3 hinted on some of these things. If you look  
4 at issue number 1, we've discussed atmospheric  
5 monitoring data for the operational period.  
6 And basically, many of these responses were  
7 basically saying that we're updating the Site  
8 Profile, and that should be coming out at the  
9 end of this year.

10 We might be able to run through  
11 these in 15 minutes possibly.

12 MR. HINNEFELD: It's okay with me.

13 CHAIRMAN GIBSON: We'll take a  
14 quick break, make sure we've got everyone  
15 still on the phone and then just --

16 MR. KATZ: Yes. You want to take  
17 a ten-minute break and then come back and  
18 we'll try to knock this off about half past  
19 the hour or so?

20 It may be useful, Mark, because it  
21 may help you in your final review of the TBD

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 to hear whatever -- okay. So in ten minutes,  
2 yes?

3 MEMBER LEMEN: Does that mean  
4 you're not going to take a lunch break and  
5 you're just going to try and finish the whole  
6 agenda and then --

7 MR. KATZ: I think so. We're  
8 going to try to do that. So if we find that  
9 it takes longer, we'll break at 12:30 for  
10 lunch.

11 MEMBER LEMEN: If we can finish  
12 the agenda, then we'd be done.

13 MR. KATZ: Then we would be done  
14 for the day. Yes.

15 The only other thing we have to  
16 talk about is possibly scheduling the next  
17 one.

18 MEMBER LEMEN: Okay. I just  
19 wanted to -- never mind. I'll just wait until  
20 I get back.

21 MR. KATZ: Okay. Thanks.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   (Whereupon,     the     above-entitled  
2     matter went off the record at 12:02 p.m. and  
3     resumed at 12:14 p.m.)

4                   MR.    KATZ:        Okay.        We    are  
5     reconvening after a short break.    We're going  
6     to try to wrap things up.    I think we can do  
7     it pretty quickly because Mark during the  
8     break looked at the TBD review responses and  
9     found that a lot of this has been discussed.

10                  MR.    ROLFES:    Yes, I think we've  
11     been discussing these issues really as part of  
12     the SEC discussion that we had earlier.

13                  Just to go through some of these,  
14     the first issue on the NIOSH responses to  
15     SC&A's review of the Weldon Spring Site  
16     Profile, we had discussed the lack -- well,  
17     SC&A found that there was a lack of  
18     environmental monitoring data for the  
19     operational period.    We now have more robust  
20     perimeter data.    And we've listed reports from  
21     which we got the environmental monitoring

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 data. I don't know if we need to discuss that  
2 any further.

3 MR. HINNEFELD: Well, not in terms  
4 of that. There's still the main issue of  
5 back-extrapolation using the plume model on  
6 the site. That's still something that needs  
7 to be talked about.

8 MR. ROLFES: Okay. All right.  
9 Let's see. Number 2, I think we have --  
10 special data for unmonitored workers internal  
11 environmental dose. That's essentially  
12 addressed in number 1 as well.

13 And number 3, lack of validation  
14 for maximum environmental dose, we've once  
15 again pointed back to our response to item 1  
16 and have mentioned the additional  
17 environmental monitoring data from Weldon  
18 Spring Plant environmental monitoring reports.

19 Let's see. Now if we take a look  
20 at number 4, basically SC&A has identified  
21 that there's an incomplete assessment of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 uranium decay products. And taking a look at  
2 what's presented here -- let's see -- I think  
3 if you look at the last paragraph of our  
4 response here, it says, NIOSH intends to  
5 revise the TBD to include contributions of  
6 thorium-230, -232 and decay products which are  
7 more important to internal dose. So this is  
8 something that we have agreed with you and  
9 have decided to update our Site Profile. And  
10 so that should be incorporated in the December  
11 revisions of Weldon Spring Site Profile.

12 DR. BUCHANAN: Question. I have a  
13 question on number 4, the last sentence there.

14 It says, change will only be appropriate with  
15 intakes before initial processing.

16 MR. ROLFES: Yes. Okay. The  
17 initial processing of materials would separate  
18 the thorium from the uranium. So that's --

19 DR. BUCHANAN: At what point would  
20 that be? How are you going to determine that  
21 in dose reconstruction? If a person was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 exposed to the whole chain or the purified  
2 chain?

3 MR. ROLFES: Well, usually if you  
4 actually take a look at the dose  
5 reconstruction methods that we use, if you  
6 are interpreting an individual's bioassay data  
7 for uranium, you convert that mass quantity  
8 into a specific -- into an activity that is  
9 excreted in a 24-hour time period. If you  
10 assume that all of that activity resulted from  
11 U-234 rather than all of the different  
12 isotopes that make up natural uranium, the  
13 dose is always going to be higher for the  
14 majority of the organs. I think there might  
15 be one or two organs where considering another  
16 issue, the internal dose could be slightly  
17 elevated.

18 But the bottom line is when you  
19 assume that all of the internal dose from  
20 uranium results from U-234 rather than a  
21 distribution of U-234, U-235, U-238, the U-234

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 internal dose is always going to be greater  
2 and more claimant-favorable. And that's the  
3 method that we use in dose reconstruction.

4 So I think that your concern about  
5 thorium-234, if we would evaluate thorium-234  
6 intakes, if we would look at the individual  
7 components -- the isotopic make-up of what the  
8 individual was exposed to -- the actual  
9 internal dose that we would calculate would  
10 likely be lower than what we would do in our  
11 dose reconstructions now.

12 DR. BUCHANAN: Well, my question  
13 though is what do you mean by that last  
14 sentence. These changes will only be half of  
15 the intakes before initial processing. Can  
16 you explain what that sentence means?

17 MR. ROLFES: Well, I think I  
18 mentioned it would be the -- let me see, let  
19 me read through this entire -- so the ratios  
20 of thorium-230 versus the U-234, we would  
21 really only be concerned about the thorium-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 230.

2 MR. HINNEFELD: Well, I mean what  
3 that sentence says is that after you would  
4 find it, then that stuff's gone. And that's  
5 what that sentence says. And your question is  
6 beginning new dose reconstruction, how do you  
7 know if this guy was exposed before or if it  
8 was afterwards.

9 So we'll have to take a look at  
10 what exactly is intended on that response.  
11 I'm having a little trouble following it  
12 myself.

13 DR. BUCHANAN: Okay.

14 DR. MAURO: This is John. I have  
15 a question.

16 Now we're dealing with  
17 concentrates where the material predominantly  
18 is the naturally occurring isotopes slightly  
19 enriched slightly enriched -- the two percent  
20 -- which would include the thorium-234  
21 protactinium, and of course uranium-234. But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 you wouldn't have very much thorium-230 or  
2 radium-226, right?

3 DR. MAKHIJANI: No.

4 DR. MAURO: So I guess the  
5 question I have for Ron, are you concerned how  
6 they're going to deal with the internal doses  
7 to thorium-230 and radium-226? Or did the  
8 question go toward more the short-lived  
9 progeny of the 238?

10 DR. BUCHANAN: I'd have to go back  
11 and re-read the whole thing again. But I  
12 remember that the inhaled thorium-234 was not  
13 included from the decay of the material in the  
14 person's body itself.

15 DR. MAURO: Okay. Yes. When you  
16 do the internal dose from 238 -- this goes to  
17 IMBA, I guess -- I believe the thorium-234  
18 ingrowth and the protactinium, that's all part  
19 of it.

20 Well, I'm drawing a little bit of  
21 a blank now, but --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. HINNEFELD:    Yes.    Well, the  
2                   response here is that what we wrote is that  
3                   the protactinium-234 and the thorium-234  
4                   intakes are small contributors to the dose.  
5                   And as a routine practice, what we do at  
6                   uranium intake, we assume all the uranium  
7                   activity is thorium-234. Uranium-234. And so  
8                   -- which gives you more dose per amount  
9                   inhaled than uranium-238. And by doing that,  
10                  you've covered essentially not only U-238 but  
11                  also those intervenings short- and half-life  
12                  daughters.

13                 DR. MAURO:    Yes.    I would agree  
14                 with you completely that --

15                 MR. HINNEFELD:   That's the first  
16                 part of the response then.

17                 DR. MAURO:    Yes.

18                 MR. HINNEFELD:   The second part of  
19                 the response gets into thorium-230 and 232 --

20                 DR. MAURO:    Okay.

21                 MR. HINNEFELD:    -- and their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 presence in the concentrates.

2 And what we say in our response is  
3 that we're going to revise it to take those  
4 into account that those will only be  
5 applicable to intakes before initial  
6 processing. While that's technically true, as  
7 a practical matter, it's not clear that we'll  
8 know when we do a dose reconstruction whether  
9 somebody's exposure was to pre-refined or  
10 post-refined uranium. And so why even make  
11 the distinction? I mean, to me, it's not  
12 going to be a lot.

13 DR. MAKHIJANI: It's a small  
14 thing.

15 MR. HINNEFELD: Yes. So I think  
16 we understand the whole thing. It's just  
17 we've got to clarify exactly where we're going  
18 with the response here.

19 I don't know that this response  
20 which has to do with the technical feasibility  
21 of doing dose reconstruction and the way that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       you       would       theoretically       do       a       dose  
2       reconstruction translates real well into how  
3       actually dose reconstruction is going to be  
4       done.    So that's the thing we need to think  
5       about on our part of this response.

6                   MR. ROLFES:    All right.    Looking  
7       on at issue 5, we discussed the radon  
8       exposure.    I don't know if we need to discuss  
9       this any further.    But we did discuss the  
10      method that we are coming up with.    We  
11      discussed our radon source term and our  
12      assumptions for employee exposure.    I think  
13      we've agreed that we would take a look at that  
14      again as well.

15                   Let's see.    If we move on to 6,  
16      the issue here was the different solubility  
17      classes listed for the same element.    This is  
18      really a dose reconstruction issue.

19                   And what NIOSH does when we  
20      complete a dose reconstruction, we would use  
21      the chemical solubility for the given nuclide

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 which resulted in the highest internal dose to  
2 the target organ in the dose reconstruction.  
3 So it's a matter of assumption that is  
4 claimant-favorable.

5 Should we move on?

6 Okay. Number 7, missed dose and  
7 co-worker data not adequately addressed. Our  
8 response here is that the TBD did not have a  
9 formal co-worker study in it. However, the  
10 urine data summarized in Tables V-8 through V-  
11 17 may be used by dose reconstructors to  
12 estimate the doses if an employee's records  
13 are not available for a given time period.

14 And the data included part of the  
15 median and 95th percentiles.

16 DR. BUCHANAN: Yes. That kind of  
17 goes back to our original verification of data  
18 and stuff.

19 Now I did have a question on the  
20 MDA. It wasn't really clear on page 18 of TBD  
21 5 exactly how it was decided upon to use that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MDA value. Maybe that was page 18.

2           There were some discussions made  
3 on the bottom of page 17. And then it was  
4 said that the .008 milligrams per liter was  
5 derived from Rocky Flats and supported for use  
6 at Weldon Spring. And so, to me, that's not  
7 quite a lot of support for it. If we're going  
8 to use .008 milligrams per liter at Weldon  
9 Spring, is there any other way we could  
10 substantiate that rather than say well, we  
11 used it at Rocky Flats? That was the way I  
12 understood it. If there's a different work  
13 for that, if I could hear it --

14           MR. ROLFES: All right. Yes, we  
15 can certainly look at the MDA.

16           Let's see. Yes, we didn't address  
17 that I don't believe in our responses. But  
18 that's something we'll look at -- the limit of  
19 detection for the uranium bioassay. And we  
20 can take a look back and see if they reported  
21 any less than values for example.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   But the fluorometric method that  
2 was used; it was pretty common and we can  
3 probably come up with a good estimate based on  
4 other sites that were doing the same  
5 operations in the same time period.

6                   DR. BUCHANAN: I would think as  
7 thorough as Mason was -- and he was there at  
8 that time -- that he would have said something  
9 somewhere about that detected limit because he  
10 was pretty thorough.

11                  DR. MAKHIJANI: And this also  
12 brings up the Mason comment in the mid-70s.  
13 And I think you have that document. Ron, did  
14 you send that document to Mark? I think NIOSH  
15 has that document --

16                  MR. KATZ: Yes.

17                  DR. MAKHIJANI: -- where there was  
18 this objection that bioassay data was never  
19 meant to be used for dose reconstruction.

20                  And this has come up before. And  
21 I think -- and I actually addressed this by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 saying using new models and reinterpreting old  
2 data.

3 But the thing that was different  
4 that struck me about this memo -- just to call  
5 your attention to it -- was that it was  
6 written in the mid-70s when the methods were  
7 already more developed and as a retrospective  
8 on what happened at Mallinckrodt by Mason.  
9 And I think it's worth another re-look and a  
10 response -- well, since a petitioner has  
11 raised it.

12 MR. ROLFES: Okay. What was the  
13 issue? I'm sorry.

14 DR. MAKHIJANI: The issue was that  
15 bioassay data was never collected for dose  
16 reconstruction.

17 MR. ROLFES: Okay. Yes, I mean,  
18 just explain that I don't have the document in  
19 front of me at this moment. But I did look at  
20 it a couple weeks back. And I suspect that  
21 this issue is related to the unavailability of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the biokinetic models to --

2 DR. MAKHIJANI: Right.

3 MR. ROLFES: -- interpret bioassay  
4 data.

5 DR. MAKHIJANI: I agree. And I  
6 know that NIOSH has addressed this before.  
7 But since it came up explicitly from the  
8 petitioner -- and I guess I should have  
9 remembered to bring it up in our prior  
10 discussion -- but since we're discussing  
11 uranium right now, I remembered it and  
12 forgotten all morning. It might be worth just  
13 if you feel the same response is valid since  
14 this came up explicitly.

15 MR. ROLFES: Okay. Sure.

16 Shall we move on to 8? This is  
17 related to shallow and extremity doses. And  
18 let's see. I'm looking at the review of the  
19 -- I don't know if you want to introduce this,  
20 Ron, or not. But I think we've already  
21 touched on the geometrical correction factors

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 you had previously mentioned.

2 DR. BUCHANAN: Yes.

3 MR. ROLFES: We have no extremity  
4 monitoring data for the time period that  
5 Weldon Spring was operating. But then again  
6 we don't have any cases right now for  
7 individuals that were handling uranium that  
8 had a skin cancer, for example, on an  
9 extremity like for example their hand was the  
10 specific search that I had done, or their  
11 forearm. But we do agree that if a case comes  
12 up where we need a geometrical correction  
13 factor or an extremity, then we would  
14 certainly look at that issue.

15 As far as the other organs, you  
16 had mentioned the head or lower torso, for  
17 example. You'd have to take a look at the  
18 dosimeter position. And I think Stu had said  
19 that this was more of a generic issue that  
20 spanned multiple sites rather than one  
21 specific site.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. HINNEFELD:     Yes.     What we  
2     should do is take a look at the Mallinckrodt  
3     because I'm quite -- about the use of geometry  
4     models. We should take a look at that and see  
5     how we feel about that or something similar to  
6     that.

7                   DR. MAKHIJANI:    You might consider  
8     generalizing it.

9                   MR. HINNEFELD:     Yes.     Exactly.  
10    Right.

11                  DR. MAKHIJANI:    Well, beyond the  
12    geometry question, the skin piece of that is  
13    less a geometry question. And the geometry  
14    question is that deep dose where the badge  
15    was, the organ.

16                  The skin dose question is a little  
17    more difficult. I was telling Stu off line  
18    that it might be worth -- I mean, this has  
19    come up a number of times also. And it might  
20    be worth looking at the Bethlehem Steel  
21    discussions where this came up first in our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 review process. There's quite a lot that was  
2 done. I mean, there's an item in the exposure  
3 matrix I just checked. I don't remember all  
4 of the discussion that led up to that item  
5 being in the exposure matrix. But I think  
6 with uranium -- and we've got to assume that  
7 uranium was handled at Weldon Spring because  
8 all of this was metal, right? And so people  
9 were handling it.

10 And so, even though you don't have  
11 wrist-to-ring dosimeters, you have to  
12 calculate it --

13 MR. ROLFES: Sure. I can work  
14 with -- but I'm not sure what the issue that  
15 you're --

16 MR. HINNEFELD: What he's saying  
17 right now is --

18 DR. MAKHIJANI: The literature  
19 available to you that you can refer to.

20 MR. HINNEFELD: The issue of what  
21 we were talking about working with uranium and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 not having egress monitoring and what do you  
2 do in a situation like that has been addressed  
3 and apparently resolved in Bethlehem Steel is  
4 what he's saying.

5 MR. ROLFES: Oh, okay.

6 MR. HINNEFELD: So we can look at  
7 Bethlehem Steel for ideas on how to deal with  
8 it.

9 DR. MAKHIJANI: Just trying to be  
10 helpful.

11 MR. ROLFES: It's really the  
12 contamination --

13 MR. HINNEFELD: You were acting so  
14 out of character, we didn't recognize you.

15 (Laughter.)

16 DR. MAKHIJANI: It's totally in  
17 character. You just don't know my character.

18 MR. ROLFES: Just to make sure  
19 we're on the same page, you're relating your  
20 concern about skin contamination rather than  
21 the shallow dose from a direct radiation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 source.

2 MR. HINNEFELD: Yes. We should  
3 just go into Bethlehem Steel and see what it  
4 says. I think it would be helpful for the  
5 discussion.

6 DR. MAKHIJANI: It would. I was  
7 part of that discussion.

8 MR. ROLFES: Okay. Issue 9, we  
9 have the badging policy was not consistent.  
10 And let's see. I don't know if you want to  
11 introduce this, Ron. Yes, we have quite a  
12 large response.

13 DR. BUCHANAN: Yes, our main  
14 emphasis there is that yes, the operators were  
15 badged, and we know that. But there are  
16 people probably would have been badged today  
17 that weren't badged back in those days.

18 And so, my concern is that how is  
19 the dose reconstructor going to know whether  
20 to assign them environmental external dose or  
21 some form of operator dose. And this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1       problematic in that if you look at the  
2       workers' records, they don't really say where  
3       they worked very explicitly as a function of  
4       time. They may be assigned to a certain  
5       department or a division or even a building.  
6       But that doesn't necessarily mean that the guy  
7       mowed the lawn or he stayed in that area. He  
8       could have been around any of this.

9                       So as far as badging, not so much  
10       that the workers were badged as opposed to the  
11       other workers that weren't badged. How do we  
12       know they shouldn't have been badged in  
13       certain times and certain instances? And so  
14       how are we going to sort out the difference  
15       between people that should just receive  
16       environmental dose and those that should  
17       receive say 50 percent of the operator's dose  
18       or something?

19                      MR.     ROLFES:            Again, that's  
20       certainly something that's important if an  
21       individual is monitored but appears to have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 had a potential for exposure. We certainly  
2 would assign an unmonitored dose to that  
3 employee. However, if we had indication that  
4 that individual had never entered into a  
5 production area or an area where they were  
6 storing radioactive materials, I would think  
7 that the ambient exposures would certainly be  
8 the more appropriate.

9 Let's see.

10 DR. MAKHIJANI: Can I ask an  
11 information question? What fraction of the  
12 workers were routinely badged at Weldon  
13 Spring? Just order of magnitude.

14 DR. BUCHANAN: About half.

15 MR. ROLFES: I was going to pull  
16 up our evaluation report and give you an idea  
17 of the external monitoring data here. I know  
18 it's answered in there.

19 DR. MAKHIJANI: That's a lot less  
20 than at other sites --

21 DR. BUCHANAN: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. MAKHIJANI: -- typically for  
2 the period.

3 DR. BUCHANAN: Right. The  
4 probable correct answer is about half.

5 MR. ROLFES: I'm hunting through  
6 the document at this --

7 DR. MAKHIJANI: Well, I'll find  
8 out. It's fine. I have the answer I need.

9 DR. BUCHANAN: It wasn't the kind  
10 of -- where everybody walked through the cave  
11 that day.

12 DR. MAKHIJANI: Right.

13 MR. ROLFES: Right. I'm not  
14 seeing it. Did you want me to continue to  
15 look for it?

16 DR. MAKHIJANI: No, no. I can  
17 find it. I have the answer.

18 MR. ROLFES: Okay. Did we discuss  
19 that, or is there anything else that you  
20 needed clarification and/or a response on?

21 MR. HINNEFELD: For this one,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 since it's such a long response, I would like  
2 SC&A to let us know if there are still  
3 questions after they've gone through the  
4 response.

5 MR. ROLFES: Sure.

6 MR. HINNEFELD: Okay?

7 DR. BUCHANAN: Yes. We haven't  
8 had time. I got this Friday.

9 MR. HINNEFELD: This one came out  
10 a little bit ago.

11 MR. ROLFES: Okay. And the final  
12 issue that we had in here is lack of  
13 sufficient co-worker data development for  
14 external dosage. It essentially is part of  
15 the previous question that was had.

16 DR. MAKHIJANI: That was the  
17 reason for my question. We established that.

18 MR. ROLFES: Okay. What we've  
19 identified in our evaluation report was that  
20 there were 8,000 external monitoring records  
21 in the CER database representing 1,850

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 employees during the period from 1957 through  
2 1967.

3 DR. MAKHIJANI: So the whole  
4 question of the CER database that we talked  
5 about in the morning becomes a lot more  
6 important.

7 MR. ROLFES: Okay. I think that  
8 covers the Site Profile Review matrix.

9 Is there anything else that we  
10 need to discuss?

11 DR. BUCHANAN: There were 28  
12 findings in Site Profile.

13 MR. ROLFES: Okay. I know that we  
14 didn't receive a matrix from SC&A. So for  
15 this meeting in advance of it, we prepared  
16 what we felt were the issues of concern. And  
17 so we tried to lump some of them into --

18 MR. HINNEFELD: Into those ten.

19 MR. ROLFES: Right.

20 (Laughter.)

21 MR. ROLFES: I know one of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 issues that wasn't included in this matrix was  
2 the recycled uranium issue. However, we did  
3 previously discuss that as part of the SEC  
4 evaluation.

5 So I don't know if there's other  
6 things that we did not address. If there's  
7 other issues that you've identified that we  
8 haven't really discussed or at least  
9 understood better, then we'd certainly --

10 DR. BUCHANAN: I haven't had time,  
11 of course. Like I say, I got this Friday at  
12 noon. I haven't had time to go back and look  
13 at this and see if it covers any update --  
14 lumped them all in for --

15 MR. FITZGERALD: Maybe that's an  
16 action that we should take just to come back  
17 and look at later. You've listed the primary  
18 ones. Whether there's any others that you  
19 haven't set as primary.

20 MR. KATZ: Right. If there are,  
21 you can just add them to this document.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. MAKHIJANI: Could I make a  
2 process suggestion that worked quite well at  
3 Hanford?

4 Since we have two matrices going  
5 on the same site that the issues that we think  
6 are SEC issues be at the top and the issues  
7 that are residual be in the same matrix. Then  
8 if we resolve SEC issues and agree that  
9 they're separate issues, then you can just  
10 note that and work from one matrix.

11 MR. FITZGERALD: Sort of avoid the  
12 duplication?

13 DR. MAKHIJANI: Yes. And then we  
14 can go back to our Site Profile and kind of  
15 bend those 22 and make a reference to those  
16 findings in this new consolidated matrix. It  
17 might make it easier.

18 MR. KATZ: So does SC&A want to  
19 merge these matrices and make a spreadsheet?

20 DR. MAKHIJANI: It might be  
21 useful.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. FITZGERALD: Yes, we'll draft  
2                   them and bring them back a lot earlier before  
3                   the next meeting. That way you can read it  
4                   and see if you agree with it or not.

5                   MR. HINNEFELD: Do you want to use  
6                   the one that we assembled as a starting point?  
7                   Or do you want to start with the 28 that you  
8                   wrote and sort of match up what these may  
9                   address or we should address?

10                  Well, there are two reasons I ask  
11                  that. You can use this one. Fine. But we  
12                  should probably get you a Word version of it  
13                  as opposed to a PDF version of it based on my  
14                  experience.

15                  Can we do that for him -- get a  
16                  Word version of it?

17                  MR. ROLFES: Yes. Certainly.

18                  MR. FITZGERALD: If you want to  
19                  just take a look and decide -- I'm not  
20                  familiar enough with the 28.

21                  MR. HINNEFELD: It's something to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 think about going forward.

2 MR. FITZGERALD: -- which way you  
3 want to start.

4 DR. BUCHANAN: Well, if you'll  
5 send me a Word version of this, and what I'll  
6 do is I'll go in and I'll take our 28 issues  
7 and somehow put this in with that. These are  
8 the ones that we didn't answer.

9 MR. HINNEFELD: If for nothing  
10 else, send us the 28, and we'll cross out  
11 which ones we think are addressed in number 1  
12 response or something because we're the ones  
13 who did that. Or there may be some that are  
14 not responded to.

15 MR. KATZ: Well, going back to  
16 Arjun's suggestion too, I mean, I think  
17 obviously a lot of these will go into the SEC  
18 portion of it. So just that meld will go into  
19 the new matrix.

20 DR. BUCHANAN: I can redo the Site  
21 Profile issue with the SEC's up front --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 listed first, and then list the other 28 --  
2 remaining 28.

3 MR. HINNEFELD: If they remain 28.

4 DR. BUCHANAN: Yes. They'll be --

5 MR. HINNEFELD: Some of the  
6 findings for review may be considered SEC.

7 MR. ROLFES: Well, it made sense  
8 to merge them but that's what they've done and  
9 that makes the conversation simpler if they're  
10 merged.

11 But we're coming out with one  
12 matrix, not two.

13 DR. BUCHANAN: Okay. And once I  
14 do that, do you want me to send it to you and  
15 you say okay, I answered these in here? Or do  
16 you want me to take this and put it into my  
17 matrix and say this is for the answers --

18 MR. HINNEFELD: Well, I'd kind of  
19 leave it to your discretion probably. And  
20 probably whatever works for you. You're  
21 assembling this matrix. Whatever works for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 you whether you want to use the 28 original or  
2 the 10 that we feel like we kind of summarized  
3 the 28 into -- whichever works for you. If  
4 you use the 28, we'll take a look and we'll  
5 see which one we think -- the three --

6 MR. FITZGERALD: Yes, with the  
7 admonition I think if we can simplify it by  
8 consolidation, then it would make more sense  
9 to have fewer than that.

10 DR. BUCHANAN: If you'll send me  
11 and --

12 MR. HINNEFELD: I don't have a  
13 Word file of it.

14 MR. FITZGERALD: Yes, I have the  
15 Word file.

16 MR. HINNEFELD: Okay.

17 DR. MAKHIJANI: Maybe since you  
18 know which of the 28 were merged if you could  
19 indicate that to Ron, it would make the job a  
20 lot easier.

21 MR. HINNEFELD: Yes. Can you do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that at this point, do you think?

2 MR. ROLFES: I'd certainly ask  
3 ORAU to do that since they're the ones that  
4 prepared this from the SC&A's review.

5 DR. BUCHANAN: If they merge some  
6 of them --

7 MR. HINNEFELD: Talk to -- make  
8 sure they don't an additional some sort of  
9 tasking --

10 MR. ROLFES: Right. There may  
11 have been something for example like the  
12 recycled uranium issue we discussed as an SEC  
13 issue that --

14 MR. HINNEFELD: Okay.

15 CHAIRMAN GIBSON: Okay. Do we  
16 want to hunt for a date now or do we want to  
17 at least get a time frame and how long is this  
18 going to take?

19 DR. MAKHIJANI: Seems like late  
20 January is --

21 MR. HINNEFELD: Yes. It sounds

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 like at least the evaluation report revision  
2 will be available in late January.

3 I hate to make schedule  
4 predictions very precisely in these meetings  
5 because there are a lot of things that go into  
6 what happens on a schedule between now and  
7 January.

8 CHAIRMAN GIBSON: Well, couldn't  
9 you just plan on late January? If you guys  
10 just keep me in the loop be it email then  
11 maybe after the first of the year we can start  
12 tossing around some dates or something. Does  
13 that sound right?

14 MR. HINNEFELD: Yes. I think for  
15 my purposes if I'm to be here, late January  
16 would be the preference rather than getting  
17 into February. I'm going to be on vacation  
18 for most of February until the Board meeting.

19 MR. KATZ: So why don't we just go  
20 ahead and grab a date for now? We can change  
21 it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   MR. HINNEFELD:    Sure.    Can we make  
2                   it tentative or something?

3                   MR. KATZ:     But why don't we pick a  
4                   date while we can?

5                   Like in the last week of January  
6                   which in my look is clear.

7                   MR. HINNEFELD:    Yes, I'm good that  
8                   whole week.

9                   MR. KATZ:     Dick, are you still  
10                  with us?

11                  MEMBER LEMEN:    Yes, I am.

12                  MR. KATZ:     So how's, for example,  
13                  the middle of the week -- the 26th of January  
14                  -- on your calendar?

15                  MEMBER LEMEN:    Twenty-sixth?    I  
16                  would prefer the 25th.    The 26th is kind of  
17                  heavy.     But I can probably switch things  
18                  around.

19                  MR. KATZ:     The 25th?    That makes  
20                  no difference.

21                  MR.    HINNEFELD:        It makes no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 difference to me.

2 Mark, you got a --

3 MR. ROLFES: The 25th? I don't  
4 believe I have any -- let me make sure. I'll  
5 be silent and let you know if I have a problem  
6 as soon as I can get back into my calendar  
7 here.

8 The 25th is Tuesday? That works  
9 for me.

10 MR. KATZ: Okay. Let's just set  
11 that as a tentative. Right now, we'll have  
12 that as a date. If we need to change it,  
13 we'll change it down the road.

14 MEMBER LEMEN: The 25th, right?

15 MR. KATZ: The 25th of January.  
16 And that would be another meeting here face to  
17 face.

18 DR. MAKHIJANI: I'll have to  
19 participate by phone probably. But that'll be  
20 just fine. I don't have a problem. That's  
21 fine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   CHAIRMAN GIBSON:   Okay.   And just  
2                   one more thing before we close out.

3                   If there's anyone on the line --  
4                   any claimants or petitioners that have any  
5                   comments or questions about today's meeting or  
6                   anything that we could potentially address in  
7                   the future, we'd like to open the floor and  
8                   hear from you now.

9                   Any claimants or petitioners who  
10                  would like to make a comment?

11                  (No response.)

12                  Okay.

13                  MR. KATZ:   Okay.   Thank you, Mike.

14                  MR. ROLFES:   The one other thing,  
15                  are we going to exchange emails about what  
16                  we've agreed to do sometime in --

17                  MR. HINNEFELD:   Yes.   You should  
18                  put together your patch-on list.   You send it  
19                  to Ted and the work group members and then the  
20                  --

21                  MR. KATZ:   Right.   SC&A will do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, Weldon Spring Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Weldon Spring Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the same.

2 MR. HINNEFELD: And copy Emily.

3 MR. ROLFES: Okay. Great.

4 MR. KATZ: And we're adjourned?

5 CHAIRMAN GIBSON: Yes.

6 MR. KATZ: We're adjourned.

7 Thanks.

8 (Whereupon, the above entitled-  
9 matter went off the record at 12:47 p.m.)

10

11

12

13

14

15

16

17

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701