

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

1

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

WORK GROUP ON TBD-6000

+ + + + +

WEDNESDAY,  
FEBRUARY 16, 2011

+ + + + +

The Work Group convened via  
teleconference at 11:00 a.m., Eastern Standard  
Time, Paul L. Ziemer, Chairman, presiding.

PRESENT:

PAUL L. ZIEMER, Chairman  
JOSIE BEACH, Member  
WANDA I. MUNN, Member  
JOHN W. POSTON, SR., Member

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

ALSO PRESENT:

TED KATZ, Designated Federal Official

2

DAVE ALLEN, DCAS

ZAIDA BURGOS, NIOSH

SAM GLOVER, DCAS

JENNY LIN, HHS

JOHN MAURO, SC&A

JIM NETON, DCAS

BILL THURBER, SC&A

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

C-O-N-T-E-N-T-S

3

AGENDA ITEM	PAGE
1. Roll Call and Call to Order (Ted Katz and Paul Ziemer)	4
2. Introductory remarks and overview of Agenda (Paul Ziemer)	7
3. NIOSH reply and clarification for Findings 6 & 7 of the Findings Matrix. Summary of final NIOSH recommendation on Bliss and Laughlin (Sam Glover)	13
4. Discussion and recommendation of WG on Bliss and Laughlin for upcoming Board meeting	15
5. GSI Update:	89
a. Overview of recent documents received from GSI petitioner (Paul Ziemer)	89
b. Status of NIOSH Path Forward on GSI	100

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21

P-R-O-C-E-E-D-I-N-G-S

4

(11:01 a.m.)

1. ROLL CALL AND CALL TO ORDER

MR. KATZ: Let's get started.

This is the Advisory Board on Radiation and Worker Health, the TBD-6000 Work Group. This is Ted Katz. I am the Designated Federal Official of the Advisory Board.

Roll call. Let's start with Board Members, and since this is site-specific -- we're basically addressing Bliss & Laughlin today. GSI we're just giving some updated information. And I'm also going to read a letter into the record, but there won't be deliberations about GSI per se.

So let's get started with Board Members. Let's speak to conflict of interest as well with respect to the site beginning with the Chair.

CHAIRMAN ZIEMER: Yes. Paul Ziemer, Chair of the Work Group. No conflict.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MEMBER MUNN: Wanda Munn, Member. 5

2 No conflict.

3 MEMBER BEACH: Josie Beach, Board  
4 Member. No conflict with either Bliss &  
5 Laughlin or GSI.

6 MEMBER POSTON: John Poston,  
7 Member. No conflict.

8 MR. KATZ: Okay. And any other  
9 Board Members? Do we have Mark Griffon?

10 (No response.)

11 MR. KATZ: Zaida, would you please  
12 give Mark Griffon a call --

13 MS. BURGOS: I will.

14 MR. KATZ: -- just to see that he  
15 didn't forget about this? Thanks.

16 MS. BURGOS: Okay.

17 MR. KATZ: Okay. And let's carry  
18 on, then, with NIOSH, ORAU team?

19 DR. NETON: Jim Neton, NIOSH. No  
20 conflict.

21 DR. GLOVER: Sam Glover, NIOSH.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 No conflict.

6

2 MR. ALLEN: Dave Allen, NIOSH. No  
3 conflict.

4 MR. KATZ: Very good. SC&A team?

5 DR. MAURO: John Mauro, SC&A. No  
6 conflict.

7 MR. THURBER: Bill Thurber, SC&A.

8 No conflicts.

9 MR. KATZ: Very good. Federal  
10 officials at HHS or other agencies or  
11 contractors to the feds?

12 MS. LIN: Jenny Lin, HHS.

13 MR. KATZ: Members of the public  
14 who wish to identify yourself?

15 (No response.)

16 MR. KATZ: I'm sure if Mark joins  
17 us, or Zaida will let us know. If he's not  
18 planning to join us, we'll hear then. But  
19 it's your agenda, Paul.

20 Everyone on the phone please mute  
21 your phones except when you are speaking, \*6

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 if you don't have a mute button, \*6 to take 7  
2 yourself off mute. Thank you.

3 CHAIRMAN ZIEMER: Okay. Thanks  
4 very much. I appreciate everybody being  
5 available this morning.

6 2. INTRODUCTORY REMARKS AND  
7 OVERVIEW OF AGENDA

8 CHAIRMAN ZIEMER: I did want to do  
9 a quick overview of the agenda and make sure  
10 we're all on the same line there. I had a  
11 strange thing this morning when I was pulling  
12 my copy off the email to get a hard copy.

13 I found on my computer my original  
14 email said it was never sent. It was sitting  
15 here in the out box, but it must have been  
16 sent because people have a copy of it. Is  
17 that correct?

18 MEMBER BEACH: I got one, Paul.

19 CHAIRMAN ZIEMER: Pardon me?

20 MEMBER BEACH: This is Josie. I  
21 got a copy.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   CHAIRMAN ZIEMER: Is there anyone                   8  
2                   who didn't get a copy of the agenda? I guess  
3                   that's my question. I don't know why it's  
4                   sitting on my computer with a note that it was  
5                   never sent because I was sure it went out.  
6                   And I know a copy got on the website as well.

7                   You will notice that the main  
8                   thing we are going to focus on is Bliss &  
9                   Laughlin. And Sam Glover will be leading us  
10                  through that. We will determine after the  
11                  discussion whether or not we are ready for  
12                  recommendations to the Board.

13                  And then with respect to GSI,  
14                  we're not going to have any technical  
15                  discussion there, just record some documents  
16                  that have been received and also briefly give  
17                  you some information on what has to be done in  
18                  terms of prioritizing the path forward in  
19                  terms of timetable. So that part should go  
20                  very quickly.

21                  So let's focus now on Bliss &

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Laughlin. And just as backup material, let me 9  
2 -- well, first of all, I appreciate Sam having  
3 sent us the document which summarizes a number  
4 of previous documents that you can refer to as  
5 well as the transcript of our last meeting in  
6 October.

7 And in that transcript, if you had  
8 a chance to look through that, you will see  
9 that we thought at the time that we were  
10 pretty well through with Bliss & Laughlin. In  
11 fact, we were debating whether or not to take  
12 a recommendation to the Board at the Santa Fe  
13 meeting.

14 And we decided that since NIOSH  
15 had agreed to put in writing some responses  
16 that had to do more with the issues of how a  
17 dose would be constructed, as opposed to the  
18 issue of whether or not there should be an  
19 approval of the special cohort petition, we  
20 thought we were pretty close to closure.

21 In fact, as I looked at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 transcript, the question that was raised 10  
2 toward the end of the meeting was are we  
3 prepared to recommend to the Board in Santa Fe  
4 that we concur with NIOSH and SC&A on Bliss &  
5 Laughlin? And that was that dose could be  
6 reconstructed.

7 And then we decided to wait until  
8 we got everything in writing in terms of  
9 details on dose reconstruction since the  
10 recommendation would have been to deny the  
11 petition. And that meant that dose would have  
12 to be reconstructed. And there were some  
13 details that we didn't have in writing.

14 So we decided to defer a  
15 recommendation. And the focus at that time  
16 was on findings 6 and 7, which needed more  
17 clarification. Although there were a few  
18 minor things on 1 through 4, we had closed  
19 issue 5. There were seven issues. And you  
20 might want to refer in that regard to the SC&A  
21 report.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   They had seven findings, the first                   11  
2                   four of which we had minor comments on, the  
3                   fifth of which we said was closed. And 6 and  
4                   7 we wanted some additional clarification on.

5                   But in going through this, I  
6                   realize that -- and Sam has fleshed all of  
7                   these out better because there were some  
8                   questions on all of them. And I think it will  
9                   be helpful, Sam, if you want to go through  
10                  your sort of bottom line on the seven issues  
11                  and any other materials you want to present.  
12                  And then we can discuss them one by one if  
13                  needed.

14                  Is Sam on the line there?

15                  DR. GLOVER: Yes, sir, however you  
16                  would like to do it. I mean, I am happy to  
17                  walk through the summary that we prepared.

18                  CHAIRMAN ZIEMER: Yes. I think  
19                  that would be helpful. And maybe the best way  
20                  to do that if everyone has the summary  
21                  document, it might be good if we went through

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21

CHAIRMAN ZIEMER: Sure.

13

3. NIOSH REPLY AND CLARIFICATION FOR  
FINDINGS 6 & 7 OF THE FINDINGS MATRIX.  
SUMMARY OF FINAL NIOSH RECOMMENDATION ON  
BLISS AND LAUGHLIN

DR. GLOVER: I sent you an email,  
Dr. Ziemer. We did present. The details were  
not given at the meeting. We didn't include  
those in the Evaluation Report. But we did  
prepare as part of basically the dose  
reconstruction the examples, the example DRs.

What we would use for a best  
estimate method, we included sort of a  
TBD-6000 overestimating approach, but we also  
included basically what was going to be the  
more fine-tuned method. And I have changed  
that a little bit because there are some  
changes to the documents. And so over the  
year and a half, a few things have changed.

CHAIRMAN ZIEMER: Right.

DR. GLOVER: I summarized those.

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 And hopefully everybody got that email. And 14  
2 I saw some back and forth. I thought maybe it  
3 was a good time to perhaps remind us of some  
4 of the things that did change.

5 When we first did Bliss &  
6 Laughlin, it started in '48 to '52.  
7 Department of Labor has changed the covered  
8 period to only be '51 and '52. So cases that  
9 were done with that four years of exposure,  
10 they were done with -- and I gave you the list  
11 of cases that were done -- it's in the folder  
12 -- using TBD-6000 or probably the TIB-4, TIB-2  
13 approaches. They would have been done 365  
14 days a year with those large exposure  
15 estimates.

16 And so now that we understand more  
17 about Bliss & Laughlin, the best estimate  
18 method is going to be for the six days, the  
19 one day in '51 and the 5 days in '52 -- and so  
20 there's a very large change in the dose that  
21 would be used for best estimate methods in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 future. So I wanted to, you know, kind of 15  
2 point out some of the changes that have  
3 happened.

4 TBD-6001 has also gone away. And  
5 so what I prepared for the Board had some  
6 references to handling of drums. And so  
7 because that reference is no longer really  
8 useful, the information that I prepared for  
9 you in the Excel sheet and in the Word  
10 document uses the metal-handling exposure, the  
11 same that would have been used for handling  
12 the metal when they did the surface work and  
13 they ground off the surface rods before they  
14 went to Bethlehem Steel or before they were  
15 then transported to LOOW. I used the same  
16 metal-handling figures, instead of the  
17 drum-handling, to update that.

18 So, for the most part, most of  
19 this data is very, very close to what you saw  
20 in the presentation in July of 2009.

21 4. DISCUSSION AND RECOMMENDATION OF WG ON

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21

BLISS AND LAUGHLIN FOR UPCOMING BOARD  
MEETING

16

CHAIRMAN ZIEMER: Right. Let me ask if there are any general questions on that before we proceed.

(No response.)

CHAIRMAN ZIEMER: Although there were a number of people for whom dose reconstructions were done based on an extended period, the Department of Labor subsequently reduced the eligible period. Isn't that correct, Sam?

DR. GLOVER: Yes, sir.

CHAIRMAN ZIEMER: But on those for whom -- and I don't know if there were such cases. I presume there were. Those who had successful claims that might not be successful under the new time scope, those still don't get changed back, do they?

DR. GLOVER: No. The general rule, DOL does not send those back to us for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 dose reconstruction.

17

2 CHAIRMAN ZIEMER: Right. Right.

3 So there are a number of claims that were  
4 based on an extended time period, which had  
5 they been done now would probably be much  
6 less. I assume that would be the case, or are  
7 the assumptions somewhat compensating?

8 DR. GLOVER: The example DRs  
9 included lung cancers. And I think the one  
10 that used the best estimate method had an 83  
11 percent PoC. So certainly it is not -- we'll  
12 walk through that.

13 Whole day exposure is at 5,480 dpm  
14 per meter cubed. And so that's about a little  
15 over 70 MAC air.

16 CHAIRMAN ZIEMER: Right.

17 DR. GLOVER: And so those would  
18 have been -- you know, that would be assigned  
19 for five exposure days --

20 CHAIRMAN ZIEMER: Right.

21 DR. GLOVER: -- type S material

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 that will make a compensable case depending on 18  
2 your claims.

3 CHAIRMAN ZIEMER: Under the  
4 previous assumptions, what would it have been,  
5 though?

6 DR. GLOVER: It would have been  
7 40,000 dpm per day times 365 days a year --

8 CHAIRMAN ZIEMER: Right, times --

9 DR. GLOVER: -- times 4 years.

10 CHAIRMAN ZIEMER: Right, right.

11 Okay. No questions apparently. So maybe we  
12 should proceed then. Do you want to go  
13 through each of these individually, Sam, and  
14 just flesh out your comments?

15 DR. GLOVER: Yes. Go ahead and  
16 walk through the responses to the findings?

17 CHAIRMAN ZIEMER: Right.

18 DR. GLOVER: And then would you  
19 like to walk through the calculations very  
20 quickly?

21 CHAIRMAN ZIEMER: I think we can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 do that as well, but let's go through the 19  
2 responses first and then also give SC&A a  
3 chance to react to any of these.

4 DR. GLOVER: Certainly.

5 CHAIRMAN ZIEMER: We'll do them  
6 one by one. So let's start with the first  
7 one, which out of the SC&A report would be  
8 identified as section 7.1.1, which is the  
9 internal monitoring data pedigree review.

10 DR. GLOVER: So I extracted these  
11 directly from the SC&A report. The  
12 description of the finding was NIOSH should  
13 describe reference procedural standings for  
14 performing individual dose reconstruction.

15 CHAIRMAN ZIEMER: Right.

16 DR. GLOVER: And the response I  
17 provided is largely included in the appendix  
18 in the Excel sheet, the details. Our response  
19 to NIOSH was "Develop a stand-alone appendix  
20 for TBD-6000 for Bliss & Laughlin. As all TBDs  
21 these change with time. However, based on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 information available, NIOSH has prepared a 20  
2 more detailed summary document," which is  
3 attached, "provide additional details which  
4 were not presented in the Evaluation Report.  
5 This material is attached as an appendix to  
6 this response in an Excel sheet," I believe  
7 which all of the members of the Board and SC&A  
8 have received.

9 DR. MAURO: Sam, is this the  
10 material that came out on Friday?

11 CHAIRMAN ZIEMER: No. It was on  
12 the 16th, I believe.

13 MR. THURBER: Monday, yes.

14 CHAIRMAN ZIEMER: Or no, today's  
15 the 16th. No, it was -- it was before that.

16 MR. THURBER: It was on the 14th,  
17 I believe.

18 DR. MAURO: The 14th, on St.  
19 Valentine's Day? Okay. I just wanted to make  
20 sure what you were referring to and with the  
21 revised spreadsheet. Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. THURBER: This is Bill 21

2 Thurber. Let me just make a brief comment  
3 here. Our original finding was that the NIOSH  
4 Petition Evaluation Report says that they had  
5 standards for doing this work. And all we  
6 said was, "Please advise us what the standards  
7 were." So it was more of an informational  
8 finding.

9 CHAIRMAN ZIEMER: Right. And I  
10 double-checked that against the transcript.  
11 And the statement, I think, that was made was  
12 that you weren't questioning the procedure so  
13 much as saying, "What is the procedure?"

14 MR. THURBER: Exactly right.

15 CHAIRMAN ZIEMER: "Tell us what it  
16 is."

17 MR. THURBER: Yes.

18 CHAIRMAN ZIEMER: "We agree you  
19 have a procedure, but you didn't tell us what  
20 it was."

21 MR. THURBER: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. GLOVER: And that is why I 22

2 have tried to be very -- I shouldn't say  
3 rigorous in the calculations of how to walk  
4 through where they came from, TBD-6000 or  
5 TIB-70 approach, and try to make reference to  
6 that in the attached documents so that would  
7 be relevant, directly relevant, or observable.

8 I hope I am answering the question  
9 that is asked.

10 CHAIRMAN ZIEMER: And, Bill, Bill  
11 Thurber, have you -- I know you haven't had  
12 too much time to look at this, but have you  
13 had a chance to sort of determine, does that  
14 answer the question for SC&A for --

15 MR. THURBER: I think it does.

16 CHAIRMAN ZIEMER: But you --

17 MR. THURBER: The one thing that  
18 would be helpful for me to have some  
19 clarification on is this. What we had up  
20 until Monday was a Petition Evaluation Report,  
21 which we reviewed on its merits. And these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 findings, which Sam is going over, are a 23  
2 result of that review.

3 Now we have a new document which  
4 is more in the way of a Site Profile. And  
5 it's not clear to me whether one document  
6 supersedes the other or how that question is  
7 going to be dealt with or if it's going to be  
8 dealt with because in regard to this first  
9 finding, I could certainly say, "Well, it's  
10 irrelevant if we're looking at how the  
11 procedures are provided in the new material  
12 that NIOSH has prepared." So I am confused a  
13 little bit about that.

14 CHAIRMAN ZIEMER: Sam, can you  
15 respond to that, or do you understand the  
16 question?

17 DR. GLOVER: I believe in the  
18 email I tried to make a little bit of  
19 reference to that. In the Evaluation Report,  
20 we provided a bounding method. At the time we  
21 presented this to the Board, we indicated that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 here was the bounding method but also we had 24  
2 best estimate dose reconstruction abilities.  
3 And those would be used for dose evaluation.

4 In the ER document, the Evaluation  
5 Report is not going to be used long-term as a  
6 Technical Basis Document for dose  
7 reconstruction. And, you know, I believe what  
8 we were asked was to provide the details on  
9 the calculations that would be used to  
10 determine dose. And I hope that I have done  
11 that.

12 CHAIRMAN ZIEMER: So basically  
13 this new document, which looks a little more  
14 like a Site Profile, is the basis for what you  
15 would use. The Evaluation Report simply is  
16 that. It's saying what you will do. But, in  
17 essence, you have to go to this second  
18 document. Is that correct now?

19 DR. GLOVER: We have those, the  
20 Site Profile reference, for some of the  
21 history.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 CHAIRMAN ZIEMER: Right.

25

2 DR. GLOVER: An actual separate  
3 appendix for actually doing dose  
4 reconstruction will be prepared. The dose  
5 reconstructors will use that. They won't  
6 reference the Evaluation Report.

7 DR. MAURO: Just so that I  
8 understand, so, in effect, the explicit  
9 protocol that is going to be used to do your  
10 dose reconstructions for, I guess the  
11 realistic cases is the material that's laid  
12 out in the spreadsheet and other materials  
13 contained in your Monday -- what would we call  
14 this, a supplement to the ER or is this the  
15 site -- you know, Bill looked more closely at  
16 it than I did, but we did have a chance to  
17 talk about it. And I guess it's our  
18 understanding is this to be considered an  
19 appendix or a supplement to the ER to be a  
20 little bit more explicit about exactly how  
21 we're going to go about doing these dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 reconstructions?

26

2 DR. GLOVER: In the interest of  
3 not creating a very long document that may be  
4 off topic, I didn't include some of the  
5 material that would go into the final  
6 appendix. These calculations would serve the  
7 basis for the appendix.

8 MEMBER BEACH: This is Josie. For  
9 the TBD-6000, not for the ER, correct?

10 DR. GLOVER: Yes. I guess this is  
11 not an -- it is listed as a supplement. This  
12 was basically as the predecessor for what  
13 would be the development of the appendix and  
14 also to show you basically how the example DRs  
15 were done in 2009.

16 CHAIRMAN ZIEMER: Well, I think  
17 Josie is asking if this is going to be sort of  
18 analogous to appendix BB. In other words,  
19 it's the appendix for Bliss & Laughlin under  
20 TBD-6000, correct?

21 DR. GLOVER: That is exactly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 correct.

27

2 MEMBER BEACH: Yes. And that has  
3 not been developed but you are working? You  
4 have got it partially completed?

5 DR. GLOVER: That is correct.

6 MEMBER BEACH: Okay.

7 DR. MAURO: So this material that  
8 came in is almost like a preview of -- as I  
9 understand it, it's quite a bit of information  
10 there in terms of how you plan to do these  
11 dose reconstructions, but it's almost a  
12 placeholder for an eventual appendix to  
13 TBD-6000. I just want to understand --

14 DR. GLOVER: I would say it's an  
15 outline, yes.

16 DR. NETON: Yes. This is Jim  
17 Neton. The question, though, is does that  
18 full appendix need to be fleshed out in order  
19 to determine whether this is either an SEC or  
20 a Site Profile issue.

21 DR. MAURO: Fair enough. Okay. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 think I understand.

28

2 DR. NETON: That is where we are  
3 going here. We are saying, here is how we  
4 propose to do all of these calculations, but,  
5 you know, is that enough information to make  
6 a determination that we can do it --

7 DR. MAURO: Got it, yes.

8 DR. NETON: -- one way or the  
9 other.

10 DR. MAURO: Okay.

11 CHAIRMAN ZIEMER: Yes. That is  
12 exactly the question. And, in fact, that is  
13 sort of the reason we postponed it last time,  
14 was that I think both NIOSH and SC&A as well  
15 as the Work Group Members last time based on  
16 the discussion, we were sort of in agreement  
17 that dose reconstruction could be done based  
18 on the information we had, but we didn't  
19 actually have the details on how that would  
20 come about. So we were a little reluctant to  
21 say, "Well, we'll just go ahead and not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 approve a Class for the SEC." You know, in 29  
2 the absence of those details, it does leave  
3 one a little uncertain that okay, we're pretty  
4 confident we can do this, but we haven't  
5 really seen it yet.

6 MEMBER MUNN: And seeing the  
7 material that we were just sent, it was my  
8 assumption that beginning on page 5 of the  
9 material we had, which is clearly titled as an  
10 appendix on details of dose reconstruction  
11 methods, it has been my assumption that that  
12 material or something very like it would serve  
13 as exactly that, as an appendix.

14 CHAIRMAN ZIEMER: Right, or, as  
15 Sam described it, it's kind of an outline of  
16 what -- you know, there may be some additional  
17 detail in it, but that would be the basic  
18 technical content is my understanding. Am I  
19 correct, Sam?

20 DR. GLOVER: Absolutely, yes, you  
21 are.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 CHAIRMAN ZIEMER: Yes. 30

2 MEMBER MUNN: It appeared fairly  
3 thorough to me. I wouldn't anticipate much in  
4 the way of --

5 CHAIRMAN ZIEMER: Okay. Well, as  
6 far as item 1 is concerned, in one sense, that  
7 has been answered. Now, the question is going  
8 to be I think at this point, SC&A, your level  
9 of comfort in sort of saying we're okay with  
10 that now or are you going to need some time to  
11 look at those spreadsheets and look at that  
12 methodology in a little more detail?

13 MR. THURBER: Well, as far as this  
14 first finding is concerned, I am comfortable,  
15 and we can move on. Now that -- when we get  
16 to some of the other ones --

17 CHAIRMAN ZIEMER: Yes. Right.  
18 Well --

19 MR. THURBER: -- we may have a  
20 little --

21 CHAIRMAN ZIEMER: -- I am trying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 to get a feeling for --

31

2 MR. THURBER: -- higher level of  
3 concern or maybe not. But I think that I am  
4 comfortable with that this new approach  
5 circumscribes a need for this to be resolved  
6 in the context of the Petition Report,  
7 Petition Evaluation Report.

8 CHAIRMAN ZIEMER: Yes. I am sort  
9 of trying to get a feel for which of these  
10 things we can actually close at this point.

11 MR. THURBER: Yes.

12 CHAIRMAN ZIEMER: And am I reading  
13 it correctly? SC&A is okay on this one. Work  
14 Group Members, anyone have concerns at this  
15 point? Unfortunately I guess we don't have  
16 Mark on the line. So I'm a little concerned  
17 about that.

18 But let's see. Work Group  
19 Members, at this point on this first item?

20 MEMBER MUNN: No. I thought your  
21 initial summary was pretty good. It was my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 thought that the only two action items that we 32  
2 had truly outstanding at this point were those  
3 6 and 7 items.

4 CHAIRMAN ZIEMER: Josie or John?

5 DR. MAURO: Well, I guess -- this  
6 is John.

7 CHAIRMAN ZIEMER: John Poston --

8 DR. MAURO: Oh, I'm sorry.

9 CHAIRMAN ZIEMER: -- or Josie  
10 Beach, if they had any issues on this first  
11 one at this point.

12 MEMBER POSTON: I don't have any  
13 concerns at this point, Paul.

14 CHAIRMAN ZIEMER: Okay.

15 MEMBER BEACH: And, Paul, this is  
16 Josie. I just got a text from Mark. He's  
17 looking at Deepwater evidence, so not going to  
18 make the call at all.

19 CHAIRMAN ZIEMER: Okay.

20 MEMBER BEACH: As far as this  
21 goes, I would prefer to have it all spelled

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 out, not what is going to be done but that is 33  
2 just me.

3 CHAIRMAN ZIEMER: Okay. That's  
4 good to have that on the record. Okay. I  
5 think just in terms of sort of consensus at  
6 this point, this one we can probably indicate  
7 is probably close to closure, if not closed,  
8 but we do -- well, I think -- let me say this.

9 I think the technical material is  
10 basically spelled out. I mean, all you would  
11 add to what we already have, Sam, I think are  
12 some additional sort of background words and,  
13 what, additional detail or -- I mean, I don't  
14 see anything you would add in terms of the  
15 technical content, is there?

16 DR. NETON: Yes. This is Jim  
17 Neton. I don't know that we need to do that  
18 at this point, though. I mean, it's always  
19 been sort of established that these are proof  
20 of principle-type calculations that we would  
21 offer and not have the complete, approved,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 authorized appendix in order to move the SEC 34  
2 petition evaluation forward. I just don't  
3 think that serves any purpose at this point.

4 I don't know what else, like you  
5 say, would go in there other than some  
6 explanatory text and some formatting.

7 CHAIRMAN ZIEMER: Right. I think  
8 the proof of principle part you have provided  
9 for.

10 DR. NETON: Right. That has  
11 always been sort of the criterion under which  
12 these were judged.

13 CHAIRMAN ZIEMER: Right. So okay.  
14 I think we can sort of look at the total  
15 picture when we're done, but in terms of where  
16 we are now, both NIOSH and the contractor,  
17 SC&A, seem to be in agreement on this  
18 particular one. I think at least three of the  
19 Work Group are comfortable in perhaps closing  
20 this one.

21 We don't have a category called

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 "Tentatively Closed," but I am going to take 35  
2 the Chair's prerogative and indicate that  
3 we're basically at closure on this.

4 Let's go to number two.

5 DR. GLOVER: Okay. A brief  
6 description was "NIOSH should ensure" -- this  
7 is section 7.2.3.1. That's for bounding  
8 operational period internal dose. The  
9 description was "NIOSH should ensure the text  
10 of the SEC petition evaluation was consistent  
11 with spreadsheet 2009." The tariff test  
12 correctly describes the analyses that were  
13 done.

14 I left it as open. I didn't think  
15 we'd closed any others. It's kind of similar  
16 to the other. I mean, we were pretty much  
17 just trying to show that the data support dose  
18 evaluation, not the final material that would  
19 be used for dose reconstruction.

20 I did review it. And I hope I  
21 captured what Bill was trying to do there. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 did look at our Excel sheet. I compared what  
2 we put in the report.

36

3 And they talked about some of the  
4 samples. I looked at the samples that were  
5 used. There were 20 samples that were used.  
6 The others were not used because a fan was  
7 used or that there was no operation in  
8 progress.

9 So of the process samples,  
10 obviously we don't use process samples when we  
11 have BZ and GAs identified. The BZs and GAs,  
12 which indicated when an engineering control  
13 was in place, they were lower. And so we  
14 chose only to use the BZs and GAs when a fan  
15 was indicated not being on.

16 And when I compared those 20  
17 samples, 13 of which were BZ samples, we  
18 generated this geometric mean of 2,603 with a  
19 GSD of 2.04, which seems to be substantially  
20 the same as what we indicated in the  
21 Evaluation Report.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. THURBER: This is Bill. It is 38  
2 my impression that NIOSH has developed a new  
3 spreadsheet with new assumptions as to which  
4 sample should be included and which samples  
5 should be excluded.

6 And so in a sense, that makes a  
7 discussion of what we referenced and, I think,  
8 NIOSH referenced as spreadsheet 2009 as  
9 irrelevant. The numbers are all in the same  
10 ballpark. The point that we originally made  
11 is, gee, we can't take what you, NIOSH, say is  
12 the source of your information and determine  
13 that it gibes with what you said in the ER.  
14 So that was the comment, which is exactly what  
15 Dr. Ziemer said a minute ago.

16 Now we've got a new spreadsheet,  
17 which comes up with very similar numbers.  
18 NIOSH -- Sam explained here that the new  
19 numbers took, excluded the process samples,  
20 which is the same as before. And they  
21 excluded certain breathing zone and general

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 area samples this time around that were 39  
2 excluded on the basis that in some cases,  
3 there were no machining operations being  
4 conducted. And in some other instances, there  
5 were some fans blowing across the turning  
6 machine, which would create some results that  
7 would be misleading.

8 And conceptually I think that's  
9 fine. Unfortunately, I can't count the same  
10 number of samples as Sam did. So, you know,  
11 we can maybe sort that out on the side because  
12 it isn't going to substantively affect the  
13 results, but some of the samples that NIOSH  
14 excluded as being taken with the equipment  
15 turned off, we don't read the data sheets the  
16 same way. And, similarly, some of the samples  
17 that were involved with whether the fans were  
18 turned on or not, we don't read the data  
19 sheets the same way.

20 But, that aside, which is a  
21 detail, we understand how the new calculations

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 were done. And they are different from the 40  
2 ones that were presented as in support of the  
3 ER a year or so ago.

4 CHAIRMAN ZIEMER: So in a sense,  
5 the original finding becomes moot --

6 MR. THURBER: Exactly.

7 CHAIRMAN ZIEMER: -- because it's  
8 not a matter any longer of having the old  
9 spreadsheet and text agree since we now have  
10 the new spreadsheet and sort of basically the  
11 new narrative in a sense.

12 Now my question, though, is you  
13 still apparently have some different  
14 interpretations on how you interpret those  
15 data sheets. Is that correct?

16 MR. THURBER: Yes, which samples  
17 are included and which samples are excluded.

18 CHAIRMAN ZIEMER: Oh, okay. Yes.

19 MR. THURBER: And, as I say, I  
20 don't believe that we'll --

21 CHAIRMAN ZIEMER: The methodology

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 looks okay?

41

2 MR. THURBER: Yes, the methodology  
3 looks fine. And I don't believe that if you  
4 put in a few more results or take out a few  
5 more -- it's actually put in a few more  
6 results -- that the geometric mean of the  
7 distribution is going to be significantly  
8 different than the 2,603 number that NIOSH  
9 quotes. I haven't done it, but I don't think  
10 it's going to change it substantively.

11 MEMBER MUNN: About how large is  
12 your perceived difference in the number of  
13 items --

14 MR. THURBER: Specifically NIOSH  
15 included -- I'm sorry, excluded four samples,  
16 which they said, "We exclude them because the  
17 equipment was not running." We look at the  
18 data sheets and we say, "Gee, we think two of  
19 those samples were taken when the machine was  
20 running." And those were quite low numbers.  
21 So if you add two more low numbers in, it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 would push the geometric mean down a little. 42

2 MEMBER MUNN: Yes, yes. So we are  
3 only talking less than a half-dozen items,  
4 then?

5 MR. THURBER: Yes. And there were  
6 three samples which NIOSH said were involved  
7 with -- I'm sorry. NIOSH, I believe, limited  
8 five samples because the fans were running.  
9 We think that the evidence only points out  
10 that the fans were running for two of the  
11 samples, not all five.

12 MEMBER MUNN: Okay.

13 MR. THURBER: So our reading --  
14 and, as I say, I may have totally missed  
15 something, that there should be a few more  
16 samples included, but, as I say, I don't think  
17 it will change the numbers.

18 MEMBER MUNN: It doesn't sound  
19 likely.

20 MR. THURBER: No.

21 DR. MAURO: But, Wanda and Paul

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 and the other Board Members, by way of 43  
2 process, you know, it sounds to me that there  
3 is fundamental methodology and the data out  
4 there, and it's really a matter of which data  
5 you use, how do you use it.

6 And in this particular case, it  
7 sounds like there might be some differences of  
8 opinion on what data should be used and how it  
9 should be used. And maybe there isn't. And,  
10 even if there is, it -- there is a difference,  
11 it sounds like it has potential to have a  
12 small effect.

13 I think it is important to realize  
14 that we're -- you know, right now we are just  
15 -- we are really -- SC&A has not responded; in  
16 other words, has not checked this what I would  
17 -- let's call it a new or revised Site Profile  
18 with any comments.

19 But what I am hearing is that we  
20 are not dealing with an SEC issue here. And  
21 I think it's important that the judgments be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 made right now that -- you know, SC&A has not 44  
2 weighed in on whether or not the details have  
3 been all ironed out, but it certainly sounds  
4 to me that we're not dealing with an issue  
5 that is unresolvable. We're dealing with the  
6 matter of just what's the best way to do a  
7 calculation.

8 And I think the Work Group needs  
9 to make a judgment that though maybe there are  
10 matters like this that still need to be  
11 resolved, can you move forward with a  
12 determination regarding SEC status or not  
13 without the so-called official resolution of  
14 these matters?

15 CHAIRMAN ZIEMER: Thank you, John.  
16 That is exactly right that the final  
17 adjudication of that is not an SEC issue.  
18 It's a detail which is a technical detail that  
19 could be worked out between SC&A and NIOSH in  
20 terms of, you know, were the samplers on or  
21 not?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   And, again, as you said, it has                   45  
2                   very little effect on the bottom line, but  
3                   either way we need to agree on that for dose  
4                   reconstruction, but it doesn't affect the SEC  
5                   issue.

6                   See if there are other comments or  
7                   questions from other Board Members?

8                   (No response.)

9                   CHAIRMAN ZIEMER: SC&A folks, as  
10                  far as an SEC issue, you're willing to close  
11                  this one?

12                  MR. THURBER: Absolutely.

13                  CHAIRMAN ZIEMER: And Board  
14                  Members?

15                  MEMBER MUNN: Yes.

16                  CHAIRMAN ZIEMER: Was that Wanda?

17                  MEMBER MUNN: Yes, it was.

18                  MEMBER BEACH: This is Josie. I'm  
19                  okay with closing that also.

20                  MEMBER POSTON: Yes.

21                  CHAIRMAN ZIEMER: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MEMBER POSTON: Yes from John. 46

2 CHAIRMAN ZIEMER: Okay. We will  
3 close that. Item three. Now this one ties in  
4 to some extent, maybe a lot of extent, to the  
5 first item, but, Sam, do you want to go ahead  
6 on this one?

7 DR. GLOVER: This, they want to be  
8 prescriptive on how we performed the -- see,  
9 this is number 3. This is for --

10 CHAIRMAN ZIEMER: Bounding.

11 DR. GLOVER: -- bounding  
12 operational internal dose. They asked that we  
13 be prescriptive on how calculations were  
14 performed for a bounding analysis. And that's  
15 what we've really tried to lay out in the  
16 appendix, is the prescription of how -- you  
17 know, just like Bethlehem Steel or any of  
18 these things, once you have determined your  
19 prescriptive method, you really aren't in a --  
20 you aren't trying to use bounding methods  
21 anymore.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   You have really laid it out pretty                   47  
2                   concisely. And there's not much sense in  
3                   doing one and then the other. You know, it  
4                   was kind of left bounding previously because  
5                   of how the cases had been done in the past,  
6                   but I went through the calculations.

7                   As you said, we'll talk about that  
8                   at the end on how we perhaps go through the  
9                   contamination calculations for the floor  
10                  loading and then how that -- I'm sorry. This  
11                  is for the operational period, so how we  
12                  determine using the 5,480 dpm per meter cubed,  
13                  how we assign the intakes with 8.8 hours per  
14                  day for the operating, the days of operation  
15                  that they actually conducted.

16                  And then we, of course, then used  
17                  a very long-term residual contamination factor  
18                  found in the FUSRAP study to include in the  
19                  operational period as well. So the first day  
20                  was in, I believe, February 24 -- April 24th,  
21                  1951. And they didn't have subsequent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 operations until September or October of '52. 48

2 So we looked at that as well.

3 MEMBER BEACH: Sam, this is Josie.

4 One of the other issues that came up was that  
5 the document didn't provide any guidance on  
6 how to treat the periods in between the  
7 operations. Does that cover that also?

8 DR. GLOVER: Actually, in the  
9 Excel spreadsheet, I actually show how the  
10 averages and including in the documents, the  
11 appendix how you calculate the averages  
12 between the different operating episodes.

13 MEMBER BEACH: Okay.

14 DR. GLOVER: And so we generate on  
15 the first day floor loading from that 8.8-hour  
16 day of 5,480 dpm per meter cubed, what would  
17 be your floor loading from that. And that is  
18 used for the time from that '51, that March --  
19 or April 24th until the next operating time,  
20 that 10 or 12-month period what was the  
21 contamination of the facility, what would they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 have ingested, what would they have breathed 49  
2 in, what would they have been exposed to from  
3 that surface contamination, from that single  
4 day of operation. And then it would have  
5 started back up. And we would have again  
6 added additional contamination on top of that.

7 So the calculations are very  
8 detailed for how those all add together. At  
9 least I hope they are. I've tried to make it  
10 very detailed.

11 CHAIRMAN ZIEMER: Bill Thurber?

12 MR. THURBER: Yes?

13 CHAIRMAN ZIEMER: Do you want to  
14 weigh in on this, answering the initial  
15 question of --

16 MR. THURBER: I will make a couple  
17 of comments. I agree with what Sam said, that  
18 indeed they have now provided something that  
19 is prescriptive. And, in particular, it does  
20 deal with the question that was just  
21 discussed. What do you do during the periods

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 good. It was just a matter of technical 51  
2 curiosity that I asked that question.

3 The other comment or question I  
4 would ask is this. You looked at and analyzed  
5 the dust sampling data for the four days in  
6 1952. And from that, you calculated geometric  
7 mean and geometric standard deviation. And  
8 then you chose to use the data from TBD-6000,  
9 instead of the actual data from Bliss &  
10 Laughlin.

11 And you point out that that is  
12 more claimant-favorable, which on an apples to  
13 apples comparison it is, but I just wondered  
14 why you chose to go that way given the fact  
15 that surrogate data is getting a lot more --  
16 the proper use of surrogate data or how  
17 surrogate data is being used is getting a lot  
18 more attention than it was perhaps two or  
19 three years ago.

20 DR. GLOVER: Well, you know,  
21 TBD-6000 still is an approved appendix or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 methodology. I thought with 20 samples, that 52  
2 this would give us a little more confidence  
3 that we were clearly bounding the dose for  
4 this activity. You know, with operations  
5 limiting, we certainly removed some of those.

6 This is what I presented a year  
7 and -- basically July of 2009. And so these  
8 calculations are, as I said in my email, are  
9 really identical. I know there was a  
10 spreadsheet sent out earlier, and I -- that  
11 may have been some -- which vintage that was,  
12 these numbers match up with what I presented  
13 at the Board meeting and what we used to  
14 support our -- bound our example dose  
15 reconstructions. There's been very little  
16 change in that.

17 So I used the 5,480 dpm per meter  
18 cubed data to do that. And I felt pretty  
19 confident that was a good number to use.

20 CHAIRMAN ZIEMER: Sam, this is  
21 Ziemer. Is the thought there that, even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1           though you have actual data that, in fact, the           53  
2           actual data may not be all-inclusive of what  
3           might have been there in terms of the overall  
4           area or if you use the overall data, obviously  
5           you have uncertainties in terms of variations  
6           through the facility or breathing zone levels  
7           and so on?

8                         Now there has to be a -- I think  
9           in a sense, Bill was asking for the rationale  
10          for why not use the actual data. Is there a  
11          reason? Obviously it tells you that had it  
12          been much higher, you would know your initial  
13          bounding was way bad, but it's the opposite.

14                        DR. GLOVER: The one thing when I  
15          looked at the data at the time, the first  
16          operations weren't supportive of Bethlehem  
17          Steel. And in that case, they actually  
18          machined out the outside edge of the material  
19          called conditioning the billet so that they  
20          wouldn't roll those lapses into the first  
21          rolling at Bethlehem Steel. They wanted to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 see what Bethlehem Steel was going to do with 54  
2 that continuous rolling. And so those details  
3 were provided in a document. And so it's a  
4 little different than what they did later,  
5 which was more of a straightening operation.

6 And they don't have any air data  
7 in that first operation. So I thought, you  
8 know, it's not all completely apples and  
9 apples. There is a slight difference in that  
10 initial operation.

11 And so I felt justified in using  
12 that 5,480 dpm per meter cubed.

13 CHAIRMAN ZIEMER: Well, Bill  
14 Thurber, does that answer that?

15 MR. THURBER: From my perspective,  
16 yes. You know, as I say, I think that, you  
17 know, certainly we at SC&A have been  
18 sensitized lately to the need to validate,  
19 carefully validate, the use of surrogate data.

20 CHAIRMAN ZIEMER: Right. Well, I  
21 think what you are saying, then, Sam, is that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 have perhaps some other information that leads 56  
2 you to one you feel more technically  
3 comfortable with.

4 So we are very comfortable with  
5 the concept of not only using, for example,  
6 TBD-6000 data, which might be more  
7 claimant-favorable and perhaps a better  
8 umbrella of what might have occurred. We also  
9 believe that there are times when models are  
10 better than scarce data.

11 So I just want to -- SC&A's  
12 perspective is these kinds of decisions in our  
13 mind are appropriate, but it's very important  
14 that the rationale for when you decide not to  
15 use the actual data and why -- and certainly  
16 this case it's clear that you're bounding, but  
17 what you just described, no, I didn't read the  
18 details. What you just described, it was not  
19 only -- was TBD-6000 limiting, but, in  
20 addition, there were reasons why there were  
21 certain aspects of the existing data that may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 not have been as complete as you would have 57

2 liked. So, I mean, we accept that rationale -

3 -

4 CHAIRMAN ZIEMER: Okay. Thank

5 you, John.

6 Board Members, any questions on

7 that?

8 MEMBER MUNN: No. It appears to

9 be perfectly valid use of surrogate data --

10 CHAIRMAN ZIEMER: And it is more

11 claimant-favorable.

12 MEMBER MUNN: Yes.

13 CHAIRMAN ZIEMER: But it's not an

14 arbitrary choice. There is a rationale for

15 it. John Poston, Josie, questions, comments?

16 MEMBER BEACH: None here, Paul.

17 CHAIRMAN ZIEMER: SC&A, are you

18 comfortable closing this one?

19 MR. THURBER: I am.

20 CHAIRMAN ZIEMER: Board Members,

21 any objection to closing it?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MEMBER MUNN: None at all. 58

2 MEMBER POSTON: No.

3 CHAIRMAN ZIEMER: Okay. Good.

4 Thank you.

5 Let's move on to the fourth one.

6 MEMBER BEACH: Paul, I thought we  
7 moved the fourth one to the Procedures Work  
8 Group.

9 CHAIRMAN ZIEMER: Hang on. Was it  
10 the fourth one or the fifth one?

11 MEMBER BEACH: I believe it was  
12 the fourth.

13 CHAIRMAN ZIEMER: Let me look at  
14 my notes here. That's correct. The fifth one  
15 is the one we closed before. Number four,  
16 that's a TIB-70 issue, right, and moved to the  
17 Procedures?

18 DR. MAURO: Yes, that is correct.  
19 This is John. The one percent per day is a  
20 generic issue that we are engaged in right now  
21 on OTIB-70.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. THURBER: This is Bill. 59

2 Indeed, what John said is correct. But I  
3 would note that in the proposed appendix to  
4 TBD-6000 covering Bliss & Laughlin, NIOSH has  
5 set that method aside and gone to a method  
6 that in the past SC&A has indicated that they  
7 much prefer, namely by fitting an exponential  
8 function to an initial point and a measured  
9 endpoint.

10 So from the perspective of Bliss &  
11 Laughlin, this comment is moot because they  
12 have changed the way they are doing it to a  
13 technique that we believe is superior.

14 CHAIRMAN ZIEMER: Sam, do you have  
15 a comment on that?

16 DR. GLOVER: Just to say that we  
17 detailed the calculations to show that we use  
18 a longer half-life than one percent. It is  
19 part of TIB-70. And, you know, they're using  
20 the surface-loading calculations and then the  
21 values from modern day measurements to see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 what the surface values were.

60

2 So yes, we did use a different  
3 number, much longer half-life in the facility,  
4 as discussed.

5 CHAIRMAN ZIEMER: In this  
6 particular case, the reason for not using  
7 TIB-70, then, is that you have some numbers.  
8 Is that correct?

9 DR. NETON: The TIB-70 is used.  
10 It's just a different approach. There are  
11 seven prescribed approaches in TIB-70.

12 CHAIRMAN ZIEMER: Oh, okay. Yes.

13 DR. NETON: The one that is under  
14 discussion at the Procedures Subcommittee  
15 level is the one percent per day depletion  
16 factor, but here I believe from what I have  
17 heard, we have initial or operational surface  
18 contamination and post-operational surface  
19 contamination. And that is used as a basis to  
20 determine the depletion rate, which is a  
21 superior value.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 I would say, though, that I think 61  
2 the 1 times 10 to the minus 6 was probably  
3 used in that calculation. It's also -- at the  
4 Procedures Subcommittee level.

5 CHAIRMAN ZIEMER: Right. So half  
6 of it is and half of it isn't, then, in a  
7 sense, right?

8 DR. NETON: Exactly.

9 CHAIRMAN ZIEMER: Yes. So it's  
10 either -- the 1 times 10 to the minus 6  
11 definitely came out of the TIB-70 thing. And  
12 then you have actual values for the rest,  
13 which means you don't have to assume a  
14 different model. So it's kind of a  
15 combination.

16 Just checking the transcript, we  
17 had previously agreed to pass this on to  
18 TIB-70. And in a sense, that is correct for  
19 the one value that you -- referencing that.  
20 Then you're using actual values for the rest  
21 of that calculation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   So I think what I am hearing is                   62  
2                   that SC&A agrees that this meets their  
3                   concerns. And it certainly seems to me that  
4                   it would close the issue. It's a combination  
5                   of closure and transfer, but it seems to me we  
6                   can go ahead and close this in that regard.

7                   Board Members, are you in  
8                   agreement on that?

9                   MEMBER MUNN: Yes. Wanda.

10                  MEMBER POSTON: Fine with me.

11                  MEMBER BEACH: That is fine with  
12                  me also.

13                  CHAIRMAN ZIEMER: Okay. Very  
14                  good.

15                  Item five we had already closed.  
16                  Actually, it wasn't even, really -- I think we  
17                  agreed it wasn't really a finding. It said  
18                  that the original statement was just a  
19                  statement of concurrence originally. So it's  
20                  just a comment.

21                  MEMBER MUNN: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                   CHAIRMAN ZIEMER: Number six was                   63  
2                   bounding the residual period. And, actually,  
3                   six and seven now are the ones that originally  
4                   we had sort of focused on as wanting more  
5                   detail.

6                   So, Sam, why don't you talk about  
7                   -- you can talk about them individually or  
8                   together, if you want.

9                   DR. GLOVER: They are highly  
10                  linked. Number six is bounding the internal  
11                  dose during the residual period. And so we  
12                  did use -- it's highly linked to number four.  
13                  We developed a surface contamination loading.  
14                  And then you deplete that as a function of  
15                  time.

16                  And so that data is then used to  
17                  -- I used the 1 times 10 to the minus 6  
18                  factor, which is out of TIB-70, probably  
19                  TBD-6000 as well, to take that decay corrected  
20                  value and then just in each interval because  
21                  I had to come up with 1952 averages, 1951.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                                   So you'll see all of the details                                   64  
2                                   between the different operations -- that into  
3                                   the residual period, but you'll see those  
4                                   surface loading and then depletion  
5                                   calculations using that very long half-life to  
6                                   determine what was the floor loading, how much  
7                                   then it would be resuspended in air, which is  
8                                   based on 1 times 10 to the minus 6 factor, and  
9                                   use those with the tabled values for how much  
10                                  dose you would get from handling or being in  
11                                  a contaminated area, floor loading, how much  
12                                  dose would you get from the air contamination,  
13                                  how much dose would you get.

14                                  Obviously in the residual period,  
15                                  there's not any handling of direct metal.  
16                                  You're only dealing with contaminated  
17                                  surfaces. And so those are highly linked  
18                                  because then that also then will drive.

19                                  So that's your external component  
20                                  and your internal component together, how much  
21                                  is in the air, how much is on the floor.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 was eight something.

66

2 MR. THURBER: -- is appropriate to  
3 the nature of the work that was done at Bliss  
4 & Laughlin. So that is one of the several  
5 things that was changed in the new work.

6 CHAIRMAN ZIEMER: Okay. Did you  
7 have any other comments on 6 and 7, Bill?

8 MR. THURBER: I might make this  
9 comment. We talked a little bit about the  
10 fact that some of this, the one percent per  
11 day and the resuspension factor of 10 to the  
12 minus 6 are things that are being reviewed by  
13 the Procedures Work Group.

14 And one of the things that was  
15 discussed in some detail here a few weeks ago  
16 with that Work Group is the fact that if you  
17 use a depletion factor of one percent per day,  
18 that is inconsistent with assuming a  
19 resuspension factor of 10 to the minus 6.

20 And we made the point, and I  
21 believe that NIOSH generally concurred, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 when you're dealing with resuspension factors 67  
2 and depletion rates, that the numbers should  
3 be compatible, if you will. And a second  
4 point is that in the past, SC&A has frequently  
5 argued that a resuspension factor of 10 to the  
6 minus 6 may be too low.

7 We have kind of refined our  
8 position over the last year or so. And we  
9 believe that if there is some evidence that  
10 the workplace was cleaned up after a  
11 particular operation, that a value of 10 to  
12 the minus 6 is probably -- for the  
13 resuspension factor is probably not  
14 unreasonable.

15 And there is evidence here in the  
16 case of Bliss & Laughlin, I believe, -- and I  
17 think Sam mentioned it or maybe it was  
18 mentioned in the document -- that the stuff  
19 was cleaned up and the oxide was carted off  
20 the same day or the day after the machining  
21 operations were done. So my feeling is that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the use of 10 to the minus 6 for the 68  
2 resuspension factor of Bliss & Laughlin is  
3 reasonable.

4 And the other point I would make  
5 is this. And, Sam, correct me if I am wrong,  
6 but the surface deposition from which -- to  
7 which you apply the resuspension factor, was  
8 calculated using this air concentration from  
9 TBD-6000 that we talked a little bit out  
10 earlier of 5,400 dpm per cubic meter.

11 And that is a high number relative  
12 to the air concentration that was actually  
13 measured at Bliss & Laughlin. So that  
14 certainly appears to be a claimant-favorable  
15 approach.

16 CHAIRMAN ZIEMER: Is that correct,  
17 Sam?

18 DR. GLOVER: That is correct.

19 CHAIRMAN ZIEMER: What is the  
20 bottom line on these two then? Bill Thurber  
21 or John Mauro, has SC&A seen enough on this to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 be comfortable, or do you need to look at 69  
2 anything anymore?

3 DR. MAURO: This is John. I just  
4 have one question with regard to the residual  
5 period dust loading. So it's not that you --  
6 I wasn't quite sure because Bill looked more  
7 closely at this. And, Bill, maybe you could  
8 help me, too.

9 Is the 10 to the minus 6 used as  
10 the way to get the slope? In other words, are  
11 there air concentration measurements during  
12 operation that then after that is over, then  
13 that air dust loading is assumed to decline at  
14 a rate consistent with the resuspension factor  
15 of 10 to the minus 6 per meter, or is it the  
16 residual activity on the surface after cleanup  
17 that is used to get the airborne concentration  
18 -- I'll call it the residual period and apply  
19 the 10 to the minus 6? So I wasn't quite sure  
20 how the 10 to the minus 6 per meter  
21 resuspension factor was being used.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. GLOVER: So real quickly, I 70  
2 took the air concentration data, that 5,480  
3 dpm per meter cubed, let that run 8.8 hours  
4 all day long, and used the deposition value  
5 that we generated for floor loading.

6 DR. MAURO: Okay.

7 DR. GLOVER: I used that for five  
8 days, the last five days of operation, so I  
9 could accumulate how much stuff would be on  
10 the floor.

11 DR. MAURO: Okay.

12 DR. GLOVER: And then said, "Okay.  
13 Let's run that to what they found in the  
14 FUSRAP. When they did the FUSRAP  
15 measurements, what data did they have then?"  
16 And I took the highest smearable data that  
17 they had for surface loading. So this is  
18 based on surface contamination limits.

19 DR. MAURO: I've got it. So  
20 you've got a beginning surface based on the  
21 deposition model, which we already reviewed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 and approved and accepted. And then you 71

2 actually have some measurements of surface  
3 contamination at the end of the FUSRAP period.

4 And so now you have got a beginning and end.

5 And it is to those values that you applied the  
6 10 to the minus 6 resuspension factor to get  
7 the airborne dust load.

8 DR. GLOVER: That's correct.

9 DR. MAURO: Got it. All right.

10 And, Bill, what I heard from you  
11 is that during the time period after the  
12 operation was over, that there was a cleanup  
13 that immediately followed. So your sense is  
14 -- and we all have come to the same place on  
15 this. If you do have a cleanup, the 10 to the  
16 minus 6 is a reasonable thing to do as  
17 recommended in NRC NUREG documents. And so  
18 that is the fundamental strategy you guys have  
19 adopted.

20 MR. THURBER: That is what I  
21 understand, yes, John.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. MAURO: Okay. Thank you.

72

2 And with that approach, I mean, I  
3 can just speak that what was just described to  
4 me and as I understand it, that is entirely  
5 consistent with our position here and in many  
6 other places where we have discussed these  
7 matters.

8 CHAIRMAN ZIEMER: Okay. Thank  
9 you, John and Bill.

10 Board Members, any questions on  
11 this? It appears to me that we have agreement  
12 between both NIOSH and SC&A on this approach.  
13 And if that is the case, unless we have  
14 questions ourselves we would be in a position  
15 to recommend closure on these two items.

16 MEMBER MUNN: My position is that  
17 this is more than adequate for the limited  
18 amount of exposure that these folks had in a  
19 few days of operations. It is very  
20 well-documented. I don't see how we could  
21 possibly ask for more data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 CHAIRMAN ZIEMER: John Poston, 73

2 Josie, comments, questions?

3 MEMBER POSTON: I am fine with it,  
4 Paul.

5 CHAIRMAN ZIEMER: Okay. Are you  
6 okay to close, John?

7 MEMBER POSTON: Yes.

8 CHAIRMAN ZIEMER: Josie?

9 MEMBER BEACH: I don't have any  
10 questions right now.

11 CHAIRMAN ZIEMER: Okay. Now what  
12 I am seeing here now based on what we have  
13 covered is that we would be in a position to  
14 recommend or make a recommendation to the  
15 Board that we have substantial agreement  
16 between NIOSH, the contractor, and the Work  
17 Group on the issues that have been raised on  
18 the contractor review of the Evaluation Report  
19 -- SEC class.

20 My question would be are we all  
21 comfortable with making that recommendation at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the upcoming meeting. And if we are, what we 74  
2 would need to do, I think, Sam, we would  
3 probably have to re-present the petition  
4 evaluation as it now stands.

5 And then I would report that SC&A  
6 had reviewed all of the items and has looked  
7 at the modifications or the responses and that  
8 we have agreed that all items are closed and  
9 that the recommendation would be that we agree  
10 that NIOSH can reconstruct dose and,  
11 therefore, would not recommend an SEC Class  
12 for this facility.

13 MEMBER BEACH: Paul, this is  
14 Josie. I have a quick question.

15 CHAIRMAN ZIEMER: Sure.

16 MEMBER BEACH: Are you by any  
17 chance going to try and get a hold of Mark to  
18 let him know where we're at?

19 CHAIRMAN ZIEMER: I will certainly  
20 be glad to do that. I have tried to get a  
21 hold of Mark recently just on closing out the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 TBD-6000 itself. And Mark is very busy, but 75  
2 I certainly would do that. And, again, this  
3 would only be a recommendation.

4 And, actually, we have a majority,  
5 even though Mark isn't here, but I don't know  
6 what else to do at this point. I mean, I  
7 can't guarantee that I can actually reach him  
8 before the meeting.

9 DR. NETON: Dr. Ziemer, this is  
10 Jim Neton. We've got sort of just a process  
11 question here. You mentioned something about  
12 NIOSH presenting or re-presenting the Petition  
13 Evaluation Report.

14 CHAIRMAN ZIEMER: Well, I was  
15 looking at just thinking about for refreshing  
16 the Board's memory on this whole facility. We  
17 need to have the description of the facility  
18 and what the recommendation is. That was  
19 presented, I think, a year or so ago.

20 And there have been a few changes  
21 since then, but I was thinking that there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 would be kind of maybe an abbreviated version, 76  
2 Sam, of what you presented before, just your  
3 slides and the final recommendations.

4 DR. NETON: The problem is Sam is  
5 not going to the Board meeting.

6 CHAIRMAN ZIEMER: Okay.

7 DR. NETON: But, you know, we  
8 could do something. And that's why I'm trying  
9 to get a handle on what really we need to do  
10 here.

11 CHAIRMAN ZIEMER: Sam, you  
12 distributed or you sent me this morning --  
13 were those revised slides, or was that the  
14 exact slides you presented before?

15 DR. GLOVER: Those were what was  
16 presented. There were no changes to those.

17 CHAIRMAN ZIEMER: Okay. Would  
18 those change any based on this material? Has  
19 anything in there changed?

20 DR. NETON: I was just talking to  
21 Sam about that. And I don't think so. You

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 know, mostly what Sam has done has --

77

2 CHAIRMAN ZIEMER: Yes, has just  
3 responded to the question --

4 DR. NETON: -- more detail.

5 CHAIRMAN ZIEMER: Yes, yes.

6 DR. NETON: I think there's one  
7 reference in here to TBD-6001 that would be no  
8 longer applicable, but other than that, I  
9 think nothing has really changed.

10 MR. KATZ: Paul, this is Ted.

11 CHAIRMAN ZIEMER: My only point is  
12 if we're going to make a recommendation to the  
13 Board, they need to have something to refresh  
14 their memory on what do they do at Bliss &  
15 Laughlin, what are the years, and --

16 MR. KATZ: Paul?

17 CHAIRMAN ZIEMER: Yes?

18 MR. KATZ: Paul, this is Ted. Can  
19 you hear me?

20 CHAIRMAN ZIEMER: Yes.

21 MR. KATZ: Okay, so I just want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 make a suggestion here. Why don't we -- I can 78  
2 distribute the PowerPoint that Sam sent along  
3 that is from the last presentation to all the  
4 Board Members.

5 CHAIRMAN ZIEMER: Sure.

6 MR. KATZ: And I think, Sam, if  
7 you will be available by phone for questions,  
8 then we can have that piece in place, too.  
9 But they can read the PowerPoint. They have,  
10 of course, the SEC Evaluation Report as well.  
11 Sam can be available for questions. And  
12 otherwise I think the Work Group can sort of  
13 bring people up to date on what the Work Group  
14 did.

15 DR. GLOVER: I certainly would  
16 make myself available. And from the  
17 presentations, there are very minor changes  
18 perhaps on the tables that had some specific  
19 values. They may have increased very slightly  
20 with the change in the TBD-6001 going away.  
21 So, again, very minor changes to this -- so I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 think that would be fine. If you re-present 79  
2 that or resend that out, I will make myself  
3 available. And certainly Dr. Neton and --

4 CHAIRMAN ZIEMER: And then I would  
5 make a brief presentation to cover what issues  
6 had been raised by SC&A. And, of course,  
7 John, you would be there or who is going to be  
8 there for SC&A?

9 DR. MAURO: Yes. I will be there,  
10 but hopefully Bill will be on the phone.

11 CHAIRMAN ZIEMER: Sure. And then  
12 we would just present what the issues were and  
13 how they were resolved and then make a  
14 recommendation.

15 Now, Ted, let me ask you this.  
16 The Board has asked recently that if we are  
17 going to take action on a site, that the Board  
18 know that in advance. Do we have enough -- I  
19 don't think we actually showed it that way.  
20 Did we in the --

21 MR. KATZ: Yes, we did.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 CHAIRMAN ZIEMER: We show it as an 80

2 action?

3 MR. KATZ: We did.

4 CHAIRMAN ZIEMER: Okay. Then

5 we'll --

6 MR. KATZ: Pretty sure. Let me --

7 before -- yes, I did. I'm just checking the

8 annotated agenda. Yes, I did show it as an

9 action.

10 CHAIRMAN ZIEMER: Okay. Okay. We

11 don't want to spring this on anybody --

12 MR. KATZ: Right.

13 CHAIRMAN ZIEMER: -- if there's

14 not enough --

15 MR. KATZ: No surprises.

16 CHAIRMAN ZIEMER: Well, that would

17 be the plan. Let me see if there are any

18 objections to that. In other words, we re-

19 show the NIOSH presentation. Sam, do you have

20 time to tweak those if there are some number

21 changes?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. GLOVER: I think the change 81

2 would be minor.

3 CHAIRMAN ZIEMER: Yes.

4 DR. GLOVER: If Jim and them will

5 let me. There is a certain time frame that

6 they try to hold me to.

7 DR. NETON: Yes. I think we will

8 give it a shot. I think we can do it.

9 CHAIRMAN ZIEMER: Well, if not,

10 can we just verbally say that those numbers

11 have changed slightly based on the technical

12 discussions, or do we --

13 DR. NETON: Yes, we can do that.

14 I'm getting a sense that we want to have this

15 loaded up, though, and available for viewing.

16 Is that what I'm --

17 MR. KATZ: This is Ted again. I

18 guess, Paul, my only worry about that, about

19 having them -- is we are already here on

20 Wednesday. And I would like to get this

21 information, both the PowerPoint and the copy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 of the Evaluation Report, out to all the Board 82  
2 members right away because I don't know. I  
3 mean, some of them probably are starting now  
4 preparing for next week.

5 CHAIRMAN ZIEMER: Well, I think  
6 there is sort of a practical process issue and  
7 it's sort of within the agency. We do have to  
8 allow them time to do what they have to do.  
9 And if there's not enough time, then we  
10 postpone the action. We could probably even  
11 act on this one by phone at the next phone  
12 conference.

13 But, you know, NIOSH and even the  
14 Board members just -- I don't want to be in  
15 the position of saying that we're just going  
16 to railroad this through. Actually, for this  
17 facility, as a practical matter, most of the  
18 dose reconstructions have already been done,  
19 number one.

20 I believe there's only like two  
21 outstanding ones at the moment. So I'm not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 is fairly straightforward. It's a small site. 84

2 It was limited work there. It's not like many  
3 other sites we've handled.

4 MEMBER MUNN: No. We're talking  
5 about --

6 CHAIRMAN ZIEMER: I would say if  
7 you can do that, fine. You know, again, I  
8 don't think there's a great sense of urgency.  
9 If we can't do it within the framework, we  
10 just postpone the action until the next time.

11 Ted, what do you think on that?

12 MR. KATZ: I mean, that is true.  
13 It sounded to me like what we are doing in  
14 terms of analysis is very minor. And, you  
15 know, if we postpone it to the next time, that  
16 just adds one item to the next Board's  
17 meeting. So just personally, where we can  
18 knock these things off, I think it would be  
19 good.

20 CHAIRMAN ZIEMER: Well, let's see  
21 if we can do it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 DR. NETON: This is Jim. When we 85

2 get this done, how do you want this

3 distributed: from us directly to the full

4 Board or --

5 MR. KATZ: Given the timing, that

6 would be great if you just -- and you are

7 talking about revising the Evaluation Report?

8 CHAIRMAN ZIEMER: No.

9 DR. NETON: Just the --

10 CHAIRMAN ZIEMER: Just the slides

11 I think, right.

12 MR. KATZ: Okay. Well, I mean, if

13 you just -- okay. I mean, yes, absolutely --

14 CHAIRMAN ZIEMER: You are only

15 talking about a couple of numbers on a couple

16 of slides I think, aren't you?

17 DR. NETON: The Evaluation Report

18 doesn't change. It is just a couple of

19 numbers on I think maximum three slides.

20 CHAIRMAN ZIEMER: Okay.

21 DR. NETON: Then we can reissue it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to the Board.

86

2 MR. THURBER: This is Bill  
3 Thurber. I would urge you to look at the last  
4 slide in the package. It says, Feasibility  
5 Findings to the Bethlehem Steel SEC Petition.  
6 You might want to change that.

7 DR. NETON: You're right.

8 MR. KATZ: Okay. That doesn't  
9 sound like a problem at all.

10 CHAIRMAN ZIEMER: Can you get that  
11 through the approval process?

12 DR. NETON: I think we can handle  
13 that.

14 CHAIRMAN ZIEMER: Thank you.

15 DR. NETON: We'll try to get this  
16 done today and out the door by tomorrow  
17 sometime. Sam, I guess you --

18 MR. KATZ: That's fine. Sam, you  
19 can send it to me. And I'll distribute it to  
20 the Board.

21 DR. GLOVER: That is good. That

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 is great.

87

2 DR. NETON: Because then maybe you  
3 can give him a little of couple sentence as a  
4 heads up as to why they're getting --

5 MR. KATZ: Absolutely.

6 DR. NETON: Okay. Great.

7 CHAIRMAN ZIEMER: Okay.

8 DR. NETON: We will try to get  
9 that done by tomorrow as soon as possible.  
10 And I've got to talk to Chris Ellison about  
11 that, but --

12 MEMBER MUNN: Should the slides be  
13 accompanied by a note from either Paul or Ted  
14 about the results of the Work Group  
15 deliberations today?

16 CHAIRMAN ZIEMER: Say it again,  
17 Wanda.

18 MEMBER MUNN: Should the slides be  
19 accompanied by a note from either Ted or you  
20 indicating the results of the deliberations we  
21 have had today?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. KATZ: Wanda, I'm not going to 88  
2 summarize the deliberations today. I'm just  
3 going to let them know that this will be  
4 presented by the Work Group but that the  
5 slides were revised as a result of the Work  
6 Group's interactions with SC&A and DCAS.

7 MEMBER MUNN: I wasn't suggesting  
8 a summary. I was just suggesting that a note  
9 indicate that all of the action items have  
10 been closed by the Work Group.

11 MR. KATZ: I mean, I will let the  
12 Work Group report out. I'm not going to  
13 report out for the Work Group. I will just  
14 let them know that they know this is on the  
15 agenda and that these materials, we have to  
16 prepare them.

17 MEMBER MUNN: That is fine.

18 CHAIRMAN ZIEMER: Have we normally  
19 done that? I don't think the work groups have  
20 normally notified us in advance, have we?

21 MEMBER MUNN: Not ordinarily, no.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MEMBER BEACH: No. I think, Paul, 89

2 that would be something you would do, right?

3 CHAIRMAN ZIEMER: Right.

4 MEMBER MUNN: Yes.

5 CHAIRMAN ZIEMER: But I don't  
6 think that has been done in the past, has it?

7 MEMBER MUNN: Not often.

8 MR. KATZ: No, no.

9 CHAIRMAN ZIEMER: I am not sure  
10 they ever have.

11 MEMBER MUNN: Well, on one or two  
12 occasions. One occasion I can remember some  
13 information was provided. But it was not a  
14 formalized thing. No, no. It's just fine.

15 CHAIRMAN ZIEMER: Okay. Well, I  
16 need to prepare a presentation myself. I can  
17 do that over the weekend. And then whatever  
18 I prepare, I will try to get out to the Work  
19 Group members. And I also will try to reach  
20 Mark and try to summarize for him, see what  
21 concerns he may have as well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21

5. GSI UPDATE:

90

A. OVERVIEW OF RECENT DOCUMENTS

RECEIVED FROM GSI PETITIONER

CHAIRMAN ZIEMER: Okay. Let's proceed. We have one final item, and it's a GSI update, General Steel Industries. And we are not going to have any technical discussions on this.

But for the record, I want to make sure that it's in the record that this past week we received a document. And you should have all received it, actually a reference to a paper on air activation related to high-energy accelerators. And I sent that reference to the Work Group. And I want to make sure. And that came from Dr. McKeel, the petitioner.

And then I think also we had another document. I believe, Ted, you agreed you would read it into the record. Isn't that correct?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MR. KATZ: That is correct, Mr. 91

2 Ziemer.

3 CHAIRMAN ZIEMER: Why don't you go  
4 ahead and do that?

5 MR. KATZ: Okay. So let me just  
6 preface this. This is a little bit lengthy,  
7 but I will try to read quickly. I have given  
8 the letter to James, our court reporter, so  
9 that if I am reading too quickly for his ears,  
10 we should be okay. But I'll try to do this  
11 clearly, even though quickly.

12 So this is dated February 9th,  
13 letter from Dr. McKeel to Dr. Ziemer, Dear Dr.  
14 Ziemer.

15 I ask that this letter be read  
16 into the Work Group official record and made  
17 part of the transcript for the February 16,  
18 2011 TBD-6000 Work Group meeting.

19 Several points I wish to make to  
20 the Work Group as they consider making a  
21 recommendation on the General Steel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Industries.

92

2 One, sensitivity to greater than 1  
3 MeV photons of Landauer GSI badges has not  
4 been sufficiently discussed by NIOSH. GSI  
5 site expert Ron Kobiske, physicist and former  
6 head of the Physics Department and Betatron  
7 program at Milwaukee School of Engineering,  
8 indicates the higher energy 1 to 25 MeV  
9 betatron photons are not captured and measured  
10 by standard Landauer film badges.

11 I wrote to Dr. Ziemer asking for a  
12 thorough technical discussion of this topic at  
13 the TBD-6000 Work Group level on 2/16/11. The  
14 meeting agenda has not been issued as I write.

15 Number two, betatron component  
16 activation. Elements with a  $t_{1/2}$  greater than  
17 15 minutes, IMRT article sent to the Board and  
18 circulated to TBD-6000 Work Group members,  
19 have been identified in this new article and  
20 in many publications the GSI co-petitioner and  
21 site expert John Ramspott have previously

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 brought to the attention of the Work Groups. 93

2 Internal component chronic activation can  
3 account for betatron residual radiation after  
4 the beam is turned off. See reference 1.

5 Number three, Allen path forward.

6 The co-petitioner requested a progress report  
7 to define what, quote, information reviews,  
8 unquote and, quote, calculations, unquote,  
9 that NIOSH has been doing the past 3.5 months  
10 since the October 12, 2010 TBD-6000 Work Group  
11 met have not yet been answered as of 2/9/11.

12 Four, Appendix BB. SC&A findings  
13 in a cover letter dated April 21st, 2008, of  
14 a 92-page report to contract officer Mr. Carl  
15 Staudt of CDC included the following, italics  
16 and bolding added for emphasis.

17 A) According to Appendix BB,  
18 betatron operators, who had the limiting  
19 exposures of all GSI workers, spent two hours  
20 per shift at a distance of six feet from the  
21 activated betatron apparatus and in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vicinity of irradiated steel. Our finding is 94  
2 that they spent over four hours per shift at  
3 distances of three to six feet from the  
4 betatron, during which time they were exposed  
5 to the irradiated steel.

6 As a result, their external doses  
7 per eight-hour shift were more than four times  
8 as high as those calculated in Appendix BB.

9 B) The recollection of a group of  
10 former workers was that overtime work was the  
11 norm and that a 65-hour week was a reasonable  
12 estimate of their work hours. We, therefore,  
13 conclude that they worked approximately 3,250  
14 hours per year, as opposed to the 2,400 hours  
15 per year assumed in Appendix BB. This would  
16 result in an additional 35 percent increase in  
17 their radiation exposures.

18 C) We identified several errors in  
19 the calculations of external dose rates from  
20 irradiated uranium that were furnished to us  
21 by OCAS. As a result, we found that the dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rates were overestimated by a factor of 16. 95

2 According to our models, the daily  
3 external rates from the radiography of steel  
4 and of uranium were about equal. Therefore,  
5 we found that the annual external dose rates  
6 were relatively constant from year to year,  
7 rather than varying with the amount of uranium  
8 radiographed each year.

9 D) We estimated annual external  
10 exposures of the betatron operators of about  
11 12 rem per year for 1952 through 1963, when  
12 only the 24-MeV betatron was in operation and  
13 about 14 rem per year for 1964 through 66,  
14 after the 25-MeV betatron was installed. One  
15 half of the annual dose was received in 1966,  
16 since the contract ended on June 30th.

17 These exposures are two to six  
18 times the external exposures listed in  
19 Appendix BB.

20 E) According to Appendix BB,  
21 workers who did not perform betatron

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 radiography or handle the metal within two 96  
2 hours of irradiation are to be assigned  
3 exposures of .72 millirem per hour.

4 Our analysis identified locations  
5 on the foundry floor, to which such workers  
6 had unrestricted access, that had exposure  
7 rates as high as 50 millirem per hour while  
8 the betatron was in operation. Locations on  
9 the roof, accessible to maintenance workers  
10 servicing ventilation equipment, had exposure  
11 rates of up to 1,000 millirem per hour.

12 Contrary to the assertion in  
13 Appendix BB, radiography employing the 60  
14 cobalt sources could produce higher dose rates  
15 than the betatron radiography. In the absence  
16 of detailed information on the locations of  
17 their work stations and the time spent on  
18 various tasks, we were not able to arrive at  
19 bounding estimates of external exposures of  
20 workers maintaining ventilation equipment, nor  
21 of those in the vicinity of the 60 cobalt

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 radiography sources, unquote.

97

2 SC&A thus identified very  
3 important findings in Rev 0 of GSI Appendix BB  
4 that was issued on June 25th, 2007 and not  
5 revised since then. The parent document,  
6 Battelle TBD-6000, that was issued 12/13/06  
7 has also not been revised. About 96 percent  
8 of the 276 GSI dose reconstructions have been  
9 completed by NIOSH based on the technically  
10 flawed Appendix BB.

11 DOL, parentheses, DEEOIC, Director  
12 Rachel Leiton, unparentheses, has informed the  
13 GSI co-petitioner that his perceived efforts  
14 to have denied GSI claims reopened cannot  
15 happen until all appendix issues have been  
16 resolved and the Board has certified the new  
17 information is valid and the SC&A findings,  
18 such as those referenced in A through E have  
19 been also resolved.

20 Five, the SRS Work Group on  
21 September 3rd spent 1.5 hours discussing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 sparse bioassay results as being too few to be 98  
2 representative of the entire workforce. The  
3 TBD-6000 Work Group apparently believes that  
4 NIOSH's total lack of urine bioassay  
5 individual monitoring data in the GSI workers  
6 is unimportant.

7 Six and last, SECs are being  
8 handled in a non-uniform way and wildly  
9 different criteria and lengths of time  
10 considering individual SECs are being used by  
11 the Board to recommend them for approval or  
12 denial. See item 5.

13 As but two examples from sites on  
14 which I am co-petitioner, NIOSH claims it can  
15 validly use very limited surrogate film badge  
16 data from 108 of 3,000 GSI workers,  
17 parentheses, 3.6 percent, unparentheses, to  
18 bound external exposures during the residual  
19 period.

20 At Dow Madison, NIOSH used very  
21 limited surrogate film badge data from another

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Dow plant that NIOSH has failed to justify as 99  
2 being similar to the Dow Madison.

3 For GSI and Dow, in contrast,  
4 NIOSH lacks any workforce urine bioassay  
5 samples for uranium or thorium while claiming,  
6 nevertheless, that they can reconstruct intake  
7 internal photon doses with sufficient  
8 accuracy. Yet, this fact has raised nary a  
9 question from any member of the Board.

10 This petitioner wonders why  
11 different criteria are being applied to large  
12 DOE sites, such as SRS, as compared to GSI,  
13 which, with up to 3,000 employees, is one of  
14 the larger AWE sites based on the numbers of  
15 claims, question mark.

16 Respectfully submitted, Daniel W.  
17 McKeel, Jr.

18 That concludes the letter. He  
19 asked that it be read into the record.

20 CHAIRMAN ZIEMER: Okay. Thank you  
21 very much, Ted.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com



This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1                                   So I just want to make sure that                                   101  
2                                   everyone got that and has a chance to  
3                                   familiarize yourself with that prior to the  
4                                   next meeting.

5                                   B.    STATUS OF NIOSH PATH FORWARD ON GSI

6                                   CHAIRMAN ZIEMER:   The final thing  
7                                   relating to GSI, which I put on the agenda, is  
8                                   the status of the NIOSH path forward.   At our  
9                                   face-to-face meeting in October, Dave Allen  
10                                  presented a White Paper, which was referred to  
11                                  as the path forward.   And it indicated a  
12                                  number of steps that were going to be taken by  
13                                  NIOSH to come to closure on dose  
14                                  reconstruction approaches at GSI.

15                                  One of the questions that arose  
16                                  was, when will this be done?   And where is it  
17                                  on the priority list with all of the other  
18                                  things that NIOSH is doing?

19                                  And we don't really have the  
20                                  answer to that, but I want to make you aware  
21                                  that we hope to have a timetable clarified in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 the near future with NIOSH as to when they 102  
2 will be done with the path-forward materials  
3 for the Work Group to review and for SC&A to  
4 review and when we might come to closure  
5 overall or on the GSI.

6 So that is sort of the question.  
7 I don't think we have an answer to that at  
8 this point and probably won't for a little  
9 bit.

10 But I would ask Ted, if you can  
11 speak to the issue, in terms of what has to  
12 happen for us to get sort of a timetable.

13 MR. KATZ: This is Ted. I'm  
14 sorry. There is another phone ringing at the  
15 same time. I hope it is not disturbing here.

16 I have spoken or traded e-mails  
17 and so on with several of the parties. Stu  
18 Hinnefeld is out of town until the end of the  
19 week. And I am hoping that there is time to  
20 have a discussion with Stu and Dave Allen and  
21 others who are involved prior to the Board

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 meeting so that we can discuss what resources 103  
2 are available because that is going to affect  
3 the timetable that they produce.

4 So that's why we don't have an  
5 answer right now. And I hope to get it. You  
6 know, best case, I hope to have at least some  
7 indication that I can tell you about at the  
8 Board meeting but if not, soon thereafter.

9 CHAIRMAN ZIEMER: And I will just  
10 add to that, that the ability of this Work  
11 Group to come to closure on GSI is very  
12 dependent on those documents in terms of when  
13 we get them and also SC&A in terms of what  
14 review needs to be done by them.

15 So there is kind of a domino  
16 effect sort of thing here. We need to know  
17 how far out we're talking in terms of when  
18 documents will be available.

19 And one of the concerns I have as  
20 Chair is if that time horizon stretches too  
21 far into the future, if we're going to be sort

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 of marking time for an extended period, what 104  
2 the implications are of that in terms of  
3 decision-making.

4 GSI is one of those sites that we  
5 have been dealing with for a fairly long time,  
6 measured in years. So we need to come to  
7 closure on it, in my mind, as soon as we can.  
8 It is stretched out. Clearly there have been  
9 some changes in terms of information and  
10 documents available that have affected this,  
11 but, nonetheless, the time has stretched out.  
12 And we do need to come to closure.

13 So I just wanted to have that on  
14 the record that we are trying to get the  
15 commitment from NIOSH as to when we might  
16 expect the documents and how they are  
17 prioritized with respect to other sites and  
18 other issues that are being handled and,  
19 again, being aware there are limits to both  
20 resources in terms of time and personnel.

21 So that is all I can say on that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 at this point. I would ask other Board 105  
2 members if you have questions or comments  
3 relating to that.

4 (No response.)

5 CHAIRMAN ZIEMER: In the silence,  
6 I assume not.

7 MR. KATZ: Let me just say, Paul,  
8 when I say your perspective on the need for  
9 finalizing this at this point with GSI and  
10 from that perspective, I'm bringing to the  
11 discussion with DCAS, whenever I can have it,  
12 with Stu and Dave Allen and others.

13 CHAIRMAN ZIEMER: Okay. Thank  
14 you.

15 That completes our business for  
16 today. I'll give an opportunity for any other  
17 final comments that anyone might have.

18 (No response.)

19 CHAIRMAN ZIEMER: If not, I thank  
20 you all. And we stand adjourned.

21 MR. KATZ: Thank you, everyone.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

This transcript of the Advisory Board on Radiation and Worker Health, TBD 6000 Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the TBD 6000 Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14

(Whereupon, the above-entitled

106

matter went off the record at 12:47 p.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)