

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

WORK GROUP ON SCIENTIFIC ISSUES

+ + + + +

MONDAY  
JUNE 20, 2011

+ + + + +

The Work Group convened telephonically at 1:00 p.m., Eastern Daylight Time, Paul L. Ziemer, Acting Chair, presiding.

PRESENT:

PAUL L. ZIEMER, Acting Chair  
R. WILLIAM FIELD, Member  
JAMES E. LOCKEY, Member  
WANDA I. MUNN, Member  
JOHN W. POSTON, SR., Member  
GENEVIEVE S. ROESSLER, Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## ALSO PRESENT:

TED KATZ, Designated Federal Official  
ISAF AL-NABULSI, DOE  
IULIAN APOSTOAEI, ORAU Team  
JENNY LIN, HHS  
JOHN MAURO, SC&A  
JOHN TRABALKA, ORAU Team  
JIM NETON, DCAS  
JOHN STIVER, SC&A

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

## C-O-N-T-E-N-T-S

|   | <u>PAGE</u> |
|---|-------------|
| Roll Call   | 4           |
| Call to Order   | 6           |
| Discussion on proposed revision<br>of the guidelines of non-radiogenic<br>cancers | 7           |

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:01 p.m.)

3 MR. KATZ: So great. So Paul,  
4 you're on. It is now 1:00 p.m. by all my  
5 clocks, so why don't we see about, let's start  
6 with roll call beginning with the Board  
7 Members.

8 ACTING CHAIR ZIEMER: You can  
9 proceed with the roll call, Ted, if you want  
10 to and then we'll see if we have our group  
11 here.

12 MR. KATZ: Right. So beginning  
13 with the Board Members, and Paul has agreed to  
14 chair this session for this Work Group even  
15 though Dr. Richardson ordinarily chairs this  
16 Work Group.

17 ACTING CHAIR ZIEMER: And Wanda is  
18 here I heard.

19 MEMBER MUNN: Yes.

20 MEMBER POSTON: John Poston's  
21 here.

22 MEMBER ROESSLER: Gen Roessler's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 here.

2 MEMBER LOCKEY: Jim Lockey's here.

3 ACTING CHAIR ZIEMER: And that's  
4 all the Board Members I believe that were on  
5 the Group, right, except for Dr. Richardson  
6 who's --

7 MEMBER ROESSLER: Dr. Lemen -

8 ACTING CHAIR ZIEMER: Dr. Lemen  
9 will not be here today.

10 MR. KATZ: He cannot make it,  
11 right. So that's the full roster of Board  
12 Members we expect. So let's move on to NIOSH-  
13 ORAU staff.

14 DR. NETON: Yes, this is Jim Neton  
15 in Cincinnati.

16 DR. APOSTOAEI: Hello, we have  
17 here Iulian Apostoaei and John Trabalka from  
18 SENES Oak Ridge.

19 ACTING CHAIR ZIEMER: Okay.

20 MR. KATZ: Very good. SC&A staff?

21 DR. MAURO: John Mauro here from  
22 SC&A. Hi, everyone.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   ACTING CHAIR ZIEMER: Hi John.

2                   MR. STIVER: John Stiver from  
3 SC&A.

4                   MR. KATZ: Welcome to both of you.  
5 Agency officials or contractors to the Feds,  
6 HHS or other agencies?

7                   MS. LIN: Jenny Lin, HHS.

8                   DR. AL-NABULSI: Isaf Al-Nabulsi,  
9 DOE.

10                  MR. KATZ: Welcome. And this is  
11 Ted Katz on the, Designated Federal Official  
12 for the Advisory Board.

13                  And last but not least, any  
14 members of the public on the line who wish to  
15 identify themselves?

16                  Okay, then. We can carry on.

17                  ACTING CHAIR ZIEMER: Okay, I'll  
18 officially call the meeting to order. I  
19 assume we have the official recorder in place.

20                  MR. KATZ: We do.

21                  ACTING CHAIR ZIEMER: Yes, we're  
22 ready to proceed then and remind everyone when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you speak since the recorder may not know  
2 everyone's voice, to identify yourself as you  
3 make comments.

4 Our job today is to suggest some  
5 comments and perhaps some position paper to  
6 respond to the 42 CFR Part 81 docket on the  
7 proposed revision of the guidelines on non-  
8 radiogenic cancers.

9 Dr. Neton made a presentation on  
10 the NIOSH proposed revisions at our last full  
11 Board meeting.

12 And I want to make sure everyone  
13 has before them a copy of the docket itself,  
14 which is Federal Register Volume 76, Number  
15 54, dated Monday, March 21. It is referenced  
16 as, the docket reference is RIN0920-AA39.

17 Are there any of the Work Group  
18 Members that do not have a copy of that? It  
19 was part of our packet at the last meeting so  
20 I assume you all have that.

21 What I thought we would do, and  
22 let me outline my thoughts on how to proceed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 on this and then we can get some comments  
2 back.

3 The docket itself asks two main  
4 questions of commenters, although it doesn't  
5 limit comments to those questions.

6 But it seems to me that it would  
7 be of value for the Board as proposed through  
8 this Work Group, would respond to the two main  
9 questions which are enumerated on Page 15268  
10 of the Federal Register of that particular  
11 reference. It's in the middle top among your  
12 public participation.

13 The first question is, "Does  
14 epidemiological and other scientific research  
15 support finding that CLL is caused by  
16 radiation, and what are the major limitations  
17 of the determination (whether affirmative or  
18 negative)?"

19 And the second question, which is  
20 a bit longer but let me go ahead and identify  
21 it. "If CLL were to be covered under EEOICPA,  
22 does the risk model proposed by the National

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Institute for Occupational Safety and Health  
2 use the best available science and  
3 methodological approaches to express the dose-  
4 response relationship between radiation  
5 exposure and CLL?"

6 And I'm going to end the question  
7 at that point although there's some additional  
8 wording beyond that, but those are the two  
9 main questions.

10 And it seemed to me that it would  
11 be at least appropriate for the Board to  
12 address those either in terms of saying we  
13 agree with NIOSH's position, or if we have  
14 concerns about it to identify what those might  
15 be.

16 And then beyond those two if there  
17 are additional issues that we wish to address  
18 those could be enumerated.

19 And this would be directed as per  
20 the docket instructions to the NIOSH docket  
21 office so that they would go into the public  
22 record.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So let me ask first if there's  
2 sort of general agreement that that's how we  
3 should proceed.

4           MEMBER LOCKEY:     That sounds good  
5 to me, Paul.

6           ACTING CHAIR ZIEMER:   Yes, and who  
7 else has --

8           MEMBER LOCKEY:     It's Jim Lockey.

9           MEMBER MUNN:     And this is Wanda.  
10 That seems reasonable if you choose not to  
11 address the other lesser questions.

12          ACTING CHAIR ZIEMER:   Well, I'm  
13 not saying not to address them.   I'm just  
14 saying those are the two main ones that are  
15 identified in the docket.   It would seem to me  
16 it would be useful.

17          MEMBER MUNN:       Well, certainly  
18 there are two scientific issues here.

19          ACTING CHAIR ZIEMER:   Yes.

20          MEMBER ROESSLER:   And this is Gen.  
21 I agree that that's an appropriate procedure  
22 here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. KATZ: Paul?

2 ACTING CHAIR ZIEMER: Yes.

3 MR. KATZ: This is Ted. And this  
4 is sort of a friendly amendment to your  
5 suggestion about providing your comments to  
6 the docket.

7 You are actually, this is part of  
8 the Board's charter, to advise the Secretary  
9 on these guidelines. So in fact, I believe  
10 and I think this is how we've done it in the  
11 past, the Board would send a letter to the  
12 Secretary, I mean that will be put in the  
13 docket by NIOSH as well, but --

14 ACTING CHAIR ZIEMER: Okay, I  
15 wasn't quite certain of the route, but either  
16 way the intent is to put it in the public  
17 record. So what you're saying is it would go  
18 to the Secretary and then by that route would  
19 feed back to NIOSH.

20 MR. KATZ: Right.

21 ACTING CHAIR ZIEMER: Yes, and it  
22 certainly wasn't my intent that we bypass the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Secretary. I was just looking at the  
2 instructions in the docket itself and that's  
3 where it said to submit them. But I  
4 understand that the point is we actually  
5 advise the Secretary, so that would be  
6 appropriate.

7 Let me also add an additional  
8 comment or a thought here. And the first  
9 thing is, the question is, "Does  
10 epidemiological and other research support the  
11 finding that CLL is caused by radiation?"

12 One of the concerns that I had was  
13 that for the most part, and although not  
14 completely, but most of our Board Members are  
15 not in a position technically to evaluate that  
16 research.

17 I certainly don't consider myself  
18 in a position to evaluate that epi research  
19 that's basis for this, and in fact have to  
20 rely on those who are experts.

21 Now we do have on the Board some  
22 epidemiological people. In fact, one of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 persons who's not able to participate with us  
2 was one of their NIOSH evaluators whose  
3 comments are in the docket.

4 So we already know that we have a  
5 high level of expertise amongst our Board and  
6 that person's expertise is reflected in some  
7 of the comments here.

8 And we have a couple of others on  
9 the Board who have epidemiological background.

10 But it seemed to me from my own  
11 personal point of view and I think a lot of,  
12 and I would sort of assume that the other  
13 nontechnical people might feel this way, that  
14 we very much have to rely on the evaluations  
15 made by those international experts that NIOSH  
16 has itself relied upon to evaluate the  
17 scientific literature.

18 So one of the things that I would  
19 expect perhaps to include in the comments  
20 would be the fact that we are not specifically  
21 as a Board evaluating the actual scientific  
22 literature on this, but we are evaluating the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 process that NIOSH used to reach their  
2 conclusion.

3 That is I, for example, would be  
4 willing to accept the fact that the top  
5 experts in this field have reached a somewhat  
6 inconclusive but not, it's sort of a negative  
7 way of going about it in saying, we can't show  
8 that CLL is not radiogenic.

9 And therefore in keeping with the  
10 policy that says that we will, for claimant  
11 favorability, will make certain policy  
12 decisions that we would accept CLL on that  
13 basis. That it's appropriate in that NIOSH's  
14 approach for erring on the side of the  
15 claimant when the scientific knowledge is  
16 lacking.

17 And at least it's soon clear to me  
18 that there's no consensus that would rule out  
19 CLL as being radiogenic. There's not a full  
20 consensus that it is, but not a consensus that  
21 you can rule it out either.

22 MEMBER ROESSLER: Paul, I have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 comment.

2 ACTING CHAIR ZIEMER: I was  
3 thinking of it in those terms, so let's get  
4 some feedback on that.

5 Yes, Gen Roessler?

6 MEMBER ROESSLER: Yes, this is  
7 Gen. Before I make my comment on what you  
8 just said, I noticed I'm on the website, the  
9 NIOSH website, and it looks like Dr. Field is  
10 also on this committee. Now he is an  
11 epidemiologist.

12 I'm wondering if that listing is  
13 wrong or is he not on this group?

14 MEMBER FIELD: Gen. Gen, I'm on  
15 the phone. This is Bill Field.

16 MEMBER ROESSLER: Oh, okay. I  
17 didn't hear your name. Okay, so we can --

18 MEMBER FIELD: Yes, I was here at  
19 the very beginning.

20 MEMBER ROESSLER: Yes, okay. Then  
21 I guess then Paul --

22 ACTING CHAIR ZIEMER: Yes, I said

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 we have some Board Members who are in that  
2 position, but if we want to speak for the full  
3 Board we may --

4 MEMBER ROESSLER: Yes, I just  
5 wondered if Bill was on and he is. Then I  
6 guess I'll continue.

7 Before we started the phone call,  
8 I wrote down some thoughts which I think agree  
9 pretty well with what you just said.

10 My conclusion after reading  
11 through this very complicated material is that  
12 personally I don't disagree with the approach  
13 to add CLL, even though I'm not convinced that  
14 CLL is radiogenic. I think it's as you say,  
15 inconclusive.

16 But again as you said, making a  
17 change like this is consistent with NIOSH's  
18 procedure to err on the side of the claimant  
19 when the state of scientific knowledge is  
20 lacking.

21 So I think I'm just sort of saying  
22 yes, Paul, I agree with what you just said.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           ACTING CHAIR ZIEMER:    Right.  It  
2   seemed to me, and again I'm relying on the  
3   experts, and most of those I recognize the  
4   names and they're the individuals, if somebody  
5   raised the question I would have said, ask  
6   that person, ask that person.

7           There's obviously sort of a split  
8   amongst the experts, but it's not conclusive  
9   but we approach it in a sense from a policy  
10  point of view.

11           So at least as I would personally  
12  approach it would be to say, for example, if  
13  we agreed with the fact that it appears from  
14  the experts that we cannot rule out CLL as  
15  being radiogenic that we therefore under the  
16  stated policy, that we proceed with the  
17  concept that we include it as a claimant-  
18  favorable approach where the science is  
19  lacking.

20           MEMBER MUNN:    Paul, this is Wanda.  
21   I would not disagree with anything that  
22  you've said so far.  It's very clear I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 from the outset this is another one of those  
2 cases that we've been faced with for the last  
3 decade of attempting to prove a negative,  
4 which of course is not going to happen.

5 I also agree with your comment  
6 with respect to our ability to analyze the  
7 original material and the literature that's  
8 out there.

9 However, the people on this  
10 particular Work Group in my humble opinion are  
11 certainly categorically capable of analyzing  
12 the comments that were made by the experts  
13 with respect to those reviews.

14 And that being the case, we  
15 understand, for example, what the reviewer  
16 means when he says that "the CLL induction  
17 weighs heavily towards the conclusion that CLL  
18 is similar to other hematological malignancies  
19 whose etiology involves structural changes at  
20 the chromosomal level".

21 We understand that and are able to  
22 evaluate that in a fairly objective way I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 think.

2 I think what I heard you say,  
3 which is my personal view after absorbing as  
4 much as I could of this, that there still is  
5 no clear cut answer to, is CLL radiogenic?

6 I personally suspect that it  
7 probably is not, but there is no way to prove  
8 that and there is some evidence that it might  
9 be.

10 Therefore, as you've already  
11 stated, NIOSH in my view has no option other  
12 than to follow the course that they have laid  
13 out in this ruling.

14 ACTING CHAIR ZIEMER: Okay, other  
15 comments?

16 I'm trying to get a feel for  
17 whether there's a consensus towards the first  
18 question, and that's the question of including  
19 CLL on the list of radiogenic cancers within  
20 the framework of the manner in which it's  
21 outlined by NIOSH in terms of both the  
22 uncertainty of the conclusion as well as the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 policy part of it that says that in the  
2 absence of sufficient scientific information  
3 that would conclude that it was not that we  
4 take the position that it is.

5 And then if the answer to that is  
6 yes then we can proceed to the model issue.

7 MEMBER MUNN: Yes, that it is  
8 under certain circumstances.

9 ACTING CHAIR ZIEMER: Bill Field,  
10 do you have any sort of comment on this in  
11 terms of the epidemiology at this point?

12 MEMBER FIELD: Yes, and I guess my  
13 view of this starts, what was the evidence to  
14 I guess initially determine that it was not?

15 And I think the primary basis for  
16 that conclusion was based on the atomic bomb  
17 survivors, and we know that CLL is a very rare  
18 cancer for the Japanese population.

19 So I guess part of it goes back to  
20 the generalizability of those in that  
21 population and very intense but short-term  
22 exposures producing CLL that could be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 identified epidemiologically was the initial I  
2 guess premise that it's not radiogenic.

3 But I think there's been some  
4 studies, and from my perspective, I'm the  
5 author of one of the studies that had to do  
6 with radon and CLL and I took part in a  
7 conference that was organized by NIOSH where  
8 experts came from all over the country to  
9 review just the subjects.

10 Yes, I must say I don't think  
11 there's anything that clearly points to CLL  
12 until it clearly demonstrates that it is  
13 radiogenic. But I think there is subjective  
14 evidence to suggest that it may be.

15 I guess I have that view of it,  
16 but I think at this point the evidence from my  
17 perspective is stronger that it is radiogenic  
18 than the evidence that it's not. That's sort  
19 of my basis for belief.

20 But I think what the Agency says  
21 is that the Agency finds the evidence of  
22 radiogenicity offered by epidemiology to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 non-determinative, but no longer believes it's  
2 possible to state that the Probability of  
3 Causation equals zero. I guess I agree  
4 completely with that statement.

5 ACTING CHAIR ZIEMER: And if it's  
6 not zero that means there's a slight, there's  
7 some risk, and then you go from there to what  
8 is the risk model.

9 Let me get other comments on this  
10 first question then. Others reflect or agree  
11 with what Ted and Gen have stated? Because if  
12 we have agreement I'm going to shoot you all  
13 an email in about a couple minutes which has  
14 some suggested wording.

15 But I don't a wordsmith right here  
16 right now, I want to get sort of a general  
17 consensus.

18 MEMBER FIELD: Paul, this is Bill  
19 again. I haven't seen the review from the one  
20 reviewer that did not think there was  
21 evidence. Is that available somewhere?

22 ACTING CHAIR ZIEMER: Let's see,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I can catch Jim Neton on the line here. I  
2 think the reference is given. I'm looking in  
3 the footnotes right now.

4 DR. NETON: Paul, I can give you  
5 that reference. It's John Boice's review and  
6 it's, if you look on the regulatory docket 209  
7 it's the fifth one down from the subject  
8 matter expert reviews, labeled "Boice,  
9 Reconsideration of Chronic Lymphocytic  
10 Leukemia."

11 MEMBER FIELD: And Jim, where  
12 would you find that, the actual review?

13 DR. NETON: It's actually his  
14 report and it's on the regulatory docket  
15 associated with this NPRM. And the regulatory  
16 docket can either be gotten directly off of a  
17 NIOSH website or our DCAS website.

18 MEMBER FIELD: Okay, because I  
19 think if we're going to change policy it'd be  
20 I think worthwhile to at least, or at least  
21 suggest a change, at least be worthwhile to  
22 consider what his thinking is not to believe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it's not zero.

2 DR. NETON: Sure. It's available.

3 MEMBER MUNN: Jim, didn't one of  
4 our either Work Groups or Subcommittees have  
5 all of those reports available at one time?  
6 I've read them.

7 DR. NETON: Well, they're all  
8 listed like I say in the regulatory docket  
9 online. I made sure we got them all there.

10 I don't think we actually reviewed  
11 these reports separately in a Work Group  
12 though because this was undergoing rule making  
13 and we were pretty circumspect about what we  
14 talked about.

15 MEMBER MUNN: Well, I found them  
16 somewhere. I remember reading.

17 DR. NETON: Yes, it was a John  
18 Boice review that the only one of all of them  
19 out of the five, that one review John Boice  
20 said definitely not.

21 There was another review that said  
22 it's difficult to tell, and the other three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 basically agreed that it should not continue  
2 to be excluded.

3 MEMBER LOCKEY: Bill Field?

4 MEMBER FIELD: Yes.

5 MEMBER LOCKEY: Hi, Jim Lockey.  
6 Wasn't there a meta-analysis done, I'd have to  
7 go back and look what I read, but that said  
8 that there was an elevated rate, like for 38  
9 studies or something and that included in the  
10 meta-analysis and there was like six percent  
11 increased risk?

12 MEMBER FIELD: That doesn't ring a  
13 bell. Does that ring a bell to you, Jim?

14 DR. NETON: No, I don't recall a  
15 meta-analysis showing an increase risk for  
16 CLL. The only real positive association I  
17 think I've seen is the one with the Czech  
18 uranium miner study that came out a few years  
19 back that identified a significant excess  
20 relative risk.

21 There's been a number of studies  
22 that have since the rule was published early

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 on, identified excess relative risk but they  
2 were not statistically significant.

3 But I agree with Bill Field that  
4 the weight of the evidence seems to be  
5 shifting a little bit. You start compiling  
6 enough studies that show us excess relative  
7 risk even though it's not significant, you  
8 start to question the assignment of zero  
9 probability.

10 MEMBER FIELD: Right, Jim. And  
11 the paper you're talking about that's Rericha  
12 and Dale Sandler I think was on that paper and  
13 some others. And I think NCI was very  
14 critical?

15 DR. NETON: Yes.

16 MEMBER FIELD: I talked to Dale  
17 about that. NCI was very critical in  
18 identification of CLL in their registry, was  
19 it clearly CLL they were seeing.

20 One of the problems with doing  
21 these mortality, where these studies that most  
22 of these that are done look at mortality as an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 end point and a lot of these don't show up on  
2 death certificates.

3 So what was different about that  
4 study that was performed that Jim just  
5 discussed, that was a paper that didn't look  
6 at mortality as an outcome. It looked at  
7 incidence through an incidence registry.

8 MEMBER LOCKEY: Yes, this is Jim  
9 Lockey. The study I was thinking about was  
10 non-ionizing radiation. I'm sorry, I got it  
11 confused. Okay.

12 ACTING CHAIR ZIEMER: Well, yes,  
13 any further -- so Dr. Boice's arguments also I  
14 guess went to mechanism as well the causation.  
15 I think he talked about chemical studies as  
16 well. Isn't that correct?

17 DR. NETON: That's correct.

18 MEMBER FIELD: This is Bill Field.  
19 And I think for mechanistic arguments it's  
20 very difficult because we're not really sure  
21 what the target organ is.

22 So I think it's very difficult to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 really be able to predict what the mechanistic  
2 pathways are or what the causal pathways are  
3 from a biological viewpoint.

4 ACTING CHAIR ZIEMER: Okay, any  
5 further comments on the first question of  
6 inclusion of CLL in the list?

7 All right, if not let's talk for a  
8 moment about the second one which has to do  
9 with mechanism. There's several parts to  
10 this.

11 One is as NIOSH pointed out, CLL  
12 is now classified as a form of non-Hodgkin's  
13 lymphoma and that seems to be important in  
14 terms of thinking how to model it.

15 So NIOSH proposes a risk model  
16 that is in essence similar to the use of  
17 lymphoma and multiple myeloma risk models.  
18 They have a latency period which is based on  
19 some bit of scientific evidence, and it  
20 appeared to me at least from what I could read  
21 about this that they have selected a pretty  
22 conservative midpoint value for the latency

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 period, 10-year. Originally they had selected  
2 15 and it's now 10. They have a fairly large  
3 uncertainty spread in the midpoint of five  
4 years.

5           And then they're using an approach  
6 where they determine the weighted dose to the  
7 B lymphocytes based on the dose to a given  
8 site and the probability that a B-cell  
9 precursor will occupy that particular site.  
10 So that's the way they have modeled it.

11           It seemed to me that one could  
12 argue that that is a model that in a sense  
13 uses the best available science from what we  
14 know about both this particular type of cancer  
15 as well as what the way you might go about  
16 doing the dose reconstruction.

17           And Jim outlined this pretty well  
18 of using the weighted doses, in his  
19 presentation at our meeting. So let me get  
20 comments on that.

21           Is the model appropriate and  
22 scientifically defensible?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MEMBER MUNN: This is Wanda.

2 ACTING CHAIR ZIEMER: Any  
3 comments?

4 MEMBER MUNN: Yes. I believe so,  
5 and one could always argue the level of  
6 uncertainty and I think you could bring  
7 arguments there either way on it.

8 But the choice of five years  
9 certainly seems reasonable and should be  
10 claimant friendly.

11 ACTING CHAIR ZIEMER: Other  
12 comments?

13 MEMBER FIELD: Jim, this is Bill.  
14 I agree. I think it's very rational and  
15 certainly and sort of an outline given what we  
16 know.

17 MEMBER LOCKEY: Yes Paul, Jim  
18 Lockey. I agree with that. I mean there's  
19 uncertainties, but by the nature of what we're  
20 doing the benefit goes to the claimant so I  
21 would agree with the model.

22 MEMBER ROESSLER: This is Gen. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 do too. I'm going mostly on what I remember  
2 from Jim Neton's presentation to us not only  
3 this past time but before.

4 I think when you read this Federal  
5 Register material it's a little bit hard to  
6 wade through it and really figure out what the  
7 risk model is. But I base mine on what Jim  
8 had discussed with us at several meetings.

9 ACTING CHAIR ZIEMER: Well, it  
10 was a weighted dose. It's done similar to how  
11 you calculate the risk from organ doses when  
12 you're doing the weighted ICRP models.

13 Jim, you talked about that.

14 DR. NETON: Yes, and it's exactly  
15 that. It's analogous. It's a weighted dose  
16 because for internal exposures it's a non-  
17 uniform exposure, and then you have to  
18 calculate the weighted dose to which B  
19 lymphocytes are exposed over time.

20 If it was an external parallel  
21 beam exposure there would be no weighting  
22 involved of course, but our biggest challenge

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in this business is the internal dose.

2 DR. MAURO: This is John Mauro.  
3 If I could just come in with a thought. As I  
4 was listening it seems that there's a line  
5 that's being blurred in my mind that the first  
6 part of your conversation went toward whether  
7 or not it's a go, no go, yes, we need to  
8 assume that CLL can be caused by radiation  
9 exposure.

10 Next question I thought I would  
11 hear more about is okay, given that we'll go  
12 down that road it becomes like a risk per rem  
13 and what's the risk coefficient? And that  
14 sort of blends in a funny sort of way with  
15 well, what tissue are we talking about?

16 So there's a blending of the risk  
17 per rem and the modeling of the rem. In other  
18 words, you have two, it's almost like three  
19 steps.

20 Yes, there's effect, we think  
21 there might be a bad effect. Two, what is the  
22 risk coefficient, the lifetime risk of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 cancer program, of that particular cancer  
2 program. And what are the rem, what are the  
3 dose and to what tissue?

4 I'm having a little trouble in the  
5 last two, they seem to be overlapping in my  
6 mind.

7 DR. NETON: John, this is Jim.  
8 The risk per rem is independent of the dose.

9 DR. MAURO: Yes.

10 DR. NETON: It's the standard risk  
11 model that we would use for multiple myeloma  
12 and lymphoma.

13 So that's a stand-alone risk model  
14 by itself, excess relative risk per sievert  
15 with the various adjustments applied.

16 DR. MAURO: Oh, so your risk  
17 coefficient is presuming that the  
18 radiosensitivity so to speak is the same as it  
19 would be for multiple myeloma?

20 DR. NETON: With some adjustments.

21 DR. MAURO: Okay, I didn't follow  
22 that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. NETON: Right. And that's the  
2 risk model itself.

3 Now you do bring up another point  
4 though which is the dose reconstruction which  
5 is particularly unique to this chronic  
6 lymphocytic leukemia and frankly other  
7 lymphomas, which is what's the target organ?  
8 And those are very hard to separate.

9 The risk model is there but we  
10 included in the risk model discussion how  
11 we're going to do the dosimetry because it was  
12 frankly a fairly difficult issue to deal with  
13 and we wanted to make sure that people  
14 understood how we were approaching it. But it  
15 is a separate issue.

16 There's three issues here really.  
17 There's the, is it radiogenic? If it is, or  
18 should be considered radiogenic, if it is what  
19 is the risk coefficient or risk model that  
20 will be applied? And if you do have a risk  
21 model how are you going to calculate the dose  
22 of the target organ?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MAURO: Right.

2 DR. NETON: Unfortunately, the way  
3 that the Federal Register notice came out, it  
4 really only appears to question, ask questions  
5 regarding the first two of those. But  
6 embedded in that is this dosimetry calculation  
7 as well. I hope that clarifies it.

8 ACTING CHAIR ZIEMER: And if it's  
9 a whole body external dose that's one thing.  
10 If it's an internal with specific organs are  
11 radiated that's a separate different thing in  
12 the calculation. But either way you're doing  
13 the weighted organ situation depending on  
14 whether it's a uniform to everything or a  
15 specific organ or several organs.

16 DR. NETON: Well, we wouldn't  
17 necessarily weight on a uniform whole body  
18 exposure.

19 ACTING CHAIR ZIEMER: No.

20 DR. NETON: There are some  
21 adjustments that can be made. They're trivial  
22 compared to the what we do for internal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1     though, you're right.

2                   ACTING CHAIR ZIEMER:     I have a  
3     draft of some proposed comments and they would  
4     follow from what we have talked about here. I  
5     didn't want to send them out in advance  
6     because I didn't want to necessarily bias  
7     thoughts that you might have had.

8                   But they now have gone into  
9     cyberspace and depending on --

10                  MEMBER ROESSLER:     They have come  
11     through.

12                  ACTING CHAIR ZIEMER:     They are  
13     through? Boy, they came through faster than I  
14     can walk from my computer back to the table  
15     here.

16                  MEMBER ROESSLER:     Yes, well, I  
17     just got them.

18                  ACTING CHAIR ZIEMER:     Faster than  
19     a speeding bullet.

20                  MEMBER ROESSLER:     Yes.

21                  MEMBER MUNN:            They've even  
22     traveled all the way to Washington State.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   ACTING CHAIR ZIEMER:           Really?

2    Isn't that astounding?

3                   MEMBER MUNN:    Yes.

4                   MEMBER POSTON:    It's too hot in  
5    Texas for them, they're not here yet.

6                   MEMBER MUNN:    Things have always  
7    been a little slower there, John.

8                   ACTING CHAIR ZIEMER:    Yes, well,  
9    the Pony Express isn't quite as fast I guess.

10                  Anyway,        has        that        reached  
11    everybody?  Or do you have your emails open?

12                  MEMBER LOCKEY:  Yes, I have mine.

13                  MEMBER POSTON:       Still lagging  
14    behind.

15                  ACTING CHAIR ZIEMER:       Now this  
16    was sent to everyone who was on the Ted Katz  
17    mail-out of the meeting announcement.  So I  
18    think, Jim, did it -- I'm not sure.  John  
19    Mauro and Jim, were you on that list?

20                  DR. NETON:  I got a copy, Paul.

21                  ACTING CHAIR ZIEMER:       Okay.

22                  DR. MAURO:  I'm not sitting at my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 computer right now so I --

2 ACTING CHAIR ZIEMER: Oh, okay.

3 DR. MAURO: Please go ahead.

4 ACTING CHAIR ZIEMER: Okay.

5 MEMBER POSTON: Paul, this is  
6 Poston and I still don't have it.

7 MEMBER ROESSLER: Thank you, Paul,  
8 for sending it to the email addresses that are  
9 not CDC. I didn't warm up my CDC computer  
10 this morning.

11 ACTING CHAIR ZIEMER: Well, I  
12 sent it to where Ted does and he usually sends  
13 them to multiple addresses.

14 John Poston's on that list, right?  
15 Ted, are you there?

16 MR. KATZ: Yes, I'm here. And  
17 John's on that list.

18 MEMBER ROESSLER: He's on the list  
19 but it's using his CDC address.

20 MEMBER POSTON: Yes, so send it to  
21 j-poston@tamu.edu. That's where I am.

22 ACTING CHAIR ZIEMER: Okay, I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 going to resend here, John, to you. Just one  
2 second here. Let me pull this back up.

3 Okay, now when I drafted this you  
4 see I addressed it the Docket Office. That  
5 would all change, okay?

6 Okay, so there's three sort of  
7 parts to this and let's just take a look. And  
8 you can polish this further but I want to sort  
9 of see if this is the sense of what we want to  
10 say.

11 Number one, Board offers, and of  
12 course this would go to the Board for  
13 approval. The Board offers the following  
14 comments on the question, "Does  
15 epidemiological and other scientific research  
16 support the finding that CLL is caused by  
17 radiation?"

18 First bullet, although most  
19 Members of the Board do not have expertise in  
20 epidemiological research, we are able as a  
21 Group to assess the approach used by NIOSH to  
22 answer this specific question. That approach

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 has been detailed in docket number NIOSH 209.

2 Through the use of recognized  
3 experts NIOSH has been able to demonstrate  
4 that the available epidemiological evidence is  
5 insufficient to rule out an association  
6 between ionizing radiation and CLL.

7 Second bullet, including CLL as  
8 radiogenic is appropriate in that it follows  
9 NIOSH's approach of erring on the side of the  
10 claimant when scientific knowledge is lacking.

11 So those are the comments on that  
12 first question. Are those appropriate and are  
13 there others that should be added?

14 MEMBER MUNN: This is Wanda. They  
15 appear appropriate to me. You need to spell  
16 out CLL the first time.

17 ACTING CHAIR ZIEMER: Yes.

18 MEMBER ROESSLER: And this is Gen.  
19 I would on the second bullet perhaps put  
20 something like "therefore, if it's appropriate  
21 to include CLL" and so on. The second bullet  
22 really follows from the first one.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   ACTING CHAIR ZIEMER:     Okay.  Yes,  
2     we can do additional wordsmithing, but I want  
3     to make sure that the statements are  
4     conceptually correct and acceptable keeping in  
5     mind we need full Board approval.  So I want  
6     to make sure that those who are not technical  
7     will feel comfortable.

8                   I think this does not say that we  
9     have reviewed all the underlying scientific  
10    evidence.  It basically says we believe that  
11    NIOSH took the right approach.

12                  MEMBER LOCKEY:  Paul?

13                  ACTING CHAIR ZIEMER:  Yes.

14                  MEMBER LOCKEY:  When I was looking  
15    at the language, "epidemiology evidence is  
16    insufficient to rule out", it's insufficient,  
17    rule out back to back.

18                  I was just wondering if there's  
19    another way to reword that.

20                  ACTING CHAIR ZIEMER:       Well, I  
21    think the double negative is almost needed.  
22    It's not sufficient to rule it in.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MEMBER LOCKEY:    I know that.    I  
2    was just trying to, I can understand this  
3    double negative.    I was just wondering what  
4    another way to say it that way and then I  
5    dropped it.

6                   Let me look at it.    If I come up  
7    with something I'll --

8                   ACTING CHAIR ZIEMER:    All right.

9    Okay, I thought in a certain sense you were  
10   trying to prove the negative and you can't  
11   prove it so it's insufficient to disprove it.

12                   Well, okay, shall I move on?   Or  
13   are we sort of okay with this given that we'll  
14   allow between now and whenever, we'll allow  
15   additional time for people to, I know this is  
16   hitting you suddenly but it, sort of had a  
17   pre-discussion on it and I want to see if  
18   we've captured it correctly.

19                   Shall I move on?

20                   MEMBER MUNN:    Let's move on.

21                   ACTING CHAIR ZIEMER:        Second  
22   item, this is really a statement that we agree

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 with the reason for doing it this way. The  
2 Board agrees with the NIOSH position set forth  
3 in the docket, and then I quote that, "given  
4 that the law requires the use of the upper 99  
5 percent credibility level in making  
6 compensation decisions, the inclusion of CLL  
7 despite the limited evidence of radiogenicity  
8 it's considered appropriate by NIOSH."

9 Now that's NIOSH's own statement.

10 And I'm proposing that we endorse that. Any  
11 concerns? I guess not.

12 Okay, third item. The Board  
13 offers the following comments on the question,  
14 "If CLL were to be covered by EEOICPA, does  
15 the risk model proposed by the National  
16 Institute of Occupational Safety and Health  
17 use the best available science and  
18 methodological approaches to express the dose-  
19 response relationship between radiation  
20 exposure and CLL?"

21 First bullet, we agree that the  
22 use of the lymphoma and multiple myeloma risk

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 model as a starting point is appropriate given  
2 the fact that CLL is now classified as a form  
3 of non-Hodgkin's lymphoma.

4 Second point, the proposed risk  
5 model makes use of the available scientific  
6 literature concerning the latency period for  
7 CLL and selects a conservative, claimant-  
8 favorable value for the midpoint of the  
9 latency period.

10 Third, the proposed uncertainty  
11 band for the midpoint of the latency period is  
12 sufficiently large so as to fairly reflect the  
13 spread seen in available studies.

14 And finally, we concur with the  
15 approach of using the weighted radiation dose  
16 to the B lymphocytes based on the dose to a  
17 given site and the probability that a B-cell  
18 precursor for CLL will occupy that site.

19 MEMBER ROESSLER: This is Gen. I  
20 have a comment on the first bullet. Okay, the  
21 end part, "given the fact that CLL is now  
22 classified as a form of non-Hodgkin's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 lymphoma", should we say who has now  
2 classified it?

3 ACTING CHAIR ZIEMER: It'd  
4 probably be good to do that.

5 MEMBER ROESSLER: I don't  
6 remember.

7 ACTING CHAIR ZIEMER: I'm  
8 thinking it was an international group. Jim,  
9 can you help us?

10 DR. NETON: Yes, I'm wondering if  
11 the World Health Organization?

12 MEMBER ROESSLER: Yes, WHO I  
13 think.

14 ACTING CHAIR ZIEMER: Classified  
15 by the World Health Organization.

16 MEMBER ROESSLER: I think that  
17 would add weight to that.

18 ACTING CHAIR ZIEMER: Good.

19 MEMBER MUNN: Was NCI in there?

20 DR. NETON: Yes, NCI also.

21 ACTING CHAIR ZIEMER: Then  
22 National Institute itself, or National Cancer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Institute, yes.

2 DR. NETON: I have to go back to  
3 the original document to get this  
4 specifically.

5 MS. LIN: Yes, you're correct.  
6 It's NCI and WHO. It's on Page 15271.

7 DR. NETON: Okay, thanks.

8 ACTING CHAIR ZIEMER: Okay, I'll  
9 add both of those to this. Good, thank you.  
10 Other comments?

11 MEMBER LOCKEY: Paul, Jim Lockey.  
12 Let me see what you think about this language  
13 in that "insufficient to rule out."

14 Available epidemiology evidence  
15 supports a possible and biologically plausible  
16 association, is that too strong? Epidemiology  
17 evidence supports a possible and biologically  
18 plausible association between ionizing  
19 radiation and CLL.

20 MEMBER ROESSLER: I don't think  
21 that's really what we're saying here. That's  
22 pretty strong.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MEMBER LOCKEY:     How about this,  
2     "possible association" and take out the  
3     biological plausibility?

4                   MEMBER ROESSLER:    I think that's  
5     still too strong. I think the "insufficient  
6     to rule out" is really what we're going on.

7                   MEMBER MUNN:           And the  
8     classification by non-Hodgkin's lymphoma by  
9     WHO and NCI.

10                  MEMBER LOCKEY:    Okay.

11                  ACTING CHAIR ZIEMER:   We might be  
12     able to do both by saying there may be a  
13     possible, well let's see.

14                  MEMBER LOCKEY:    Well, there is  
15     some studies that says a possible association,  
16     elevated risk, right?

17                  MEMBER ROESSLER:    But then there  
18     are some that say there isn't.

19                  MEMBER LOCKEY:    And there's some  
20     that say there isn't, right.

21                  DR. NETON:        This is Jim. There  
22     are none that have a statistically significant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 result except for --

2 (Simultaneous speaking.)

3 MEMBER LOCKEY: So you would say  
4 that was possible, right, Jim?

5 DR. NETON: Yes, I would say.  
6 Yes.

7 MEMBER FIELD: Yes, I think I'd  
8 pick the word "epidemiology" is used as  
9 suggested, suggestive association.

10 MEMBER LOCKEY: Suggested to me is  
11 stronger than possible.

12 MEMBER FIELD: Yes.

13 MEMBER MUNN: And it's still truly  
14 uncertain.

15 MEMBER LOCKEY: Or you could say  
16 "a possible but uncertain", how about that?  
17 "A possible but uncertain association",  
18 supports a possible but uncertain association?

19 MEMBER ROESSLER: Sounds better.

20 ACTING CHAIR ZIEMER: Possible  
21 but uncertain association.

22 MEMBER FIELD: I guess when I hear

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that I think what's the uncertain part? Is it  
2 the confidence interval?

3 ACTING CHAIR ZIEMER: You mean  
4 just, how about just the possible? What if we  
5 said, "because some studies suggest the  
6 possible association, NIOSH has demonstrated  
7 that the available evidence is insufficient to  
8 rule out?" No, let's see. That's a little  
9 too wordy.

10 MEMBER ROESSLER: Perhaps we  
11 should just leave it and the Board Members  
12 might have other ideas. I think it says what  
13 we intend to it's just cumbersome.

14 MEMBER LOCKEY: It is cumbersome.  
15 And "insufficient to rule out," I'm not sure  
16 what that means. That's why I was, I know  
17 what possible means. That usually means 33  
18 percent, something like that. But I don't  
19 know what insufficient to rule out means.

20 MEMBER MUNN: Well, it means you  
21 don't have enough information to say  
22 absolutely it's, can you prove that negative?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   ACTING CHAIR ZIEMER:   And that may  
2   have actually been one of NIOSH's own  
3   statements. I'm looking to see.

4                   Does that ring any bells, Jim?

5                   MS. LIN:    The statement that you  
6   have "insufficient to rule out" actually comes  
7   from one of the reviewer, and NIOSH quoted  
8   that. It doesn't necessarily mean that that's  
9   the language that NIOSH will use to describe  
10   CLL sufficient.

11                  Page 15270, the second paragraph  
12   is, one reviewer concluded that "the available  
13   evidence is insufficient to rule out an  
14   association between ionizing radiation and  
15   CLL," end of quote.

16                  ACTING CHAIR ZIEMER:   All right,  
17   yes. See, it's right there in the docket.

18                  MEMBER LOCKEY:    That was one of  
19   the reviewers though. We could say "supports  
20   a possible but not firm association"?

21                  MS. LIN:    I think if I'm to take a  
22   sentence from this NPRM and say that that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 NIOSH's position I would say that it just  
2 doesn't, it can no longer say that the PoC is  
3 zero.

4 DR. MAURO: This is John Mauro. I  
5 have a thought on this. The term  
6 "insufficient to rule out" on the surface  
7 sounds fine. But then you realize that  
8 statement is true about an innumerable number  
9 of biological endpoints.

10 MEMBER LOCKEY: Absolutely, that's  
11 the problem. That's my problem with that  
12 statement. You could say that about anything.

13 Most anything, not everything but  
14 almost. I'm sorry, I shouldn't have been so  
15 dogmatic.

16 ACTING CHAIR ZIEMER: Okay, well,  
17 I'll tell you what. Let's work, we don't want  
18 to spend all our time wordsmithing this now.

19 Let me work on it and if others of  
20 you have some wording just send it to me and  
21 I'll take what you get and prepare a final  
22 thing to supply to the Board for their meeting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and then we'll have another crack at it.

2 Would that be agreeable?

3 In other words, we want to get rid  
4 of the "insufficient to rule out" and put in  
5 some terms that indicate that there could be  
6 an association.

7 That's the point, right?

8 MEMBER MUNN: Well, some of us are  
9 not so hot about completely abandoning the  
10 "insufficient to rule out." That means  
11 something in my mind and I think it would mean  
12 something in the mind of a nontechnical reader  
13 or a reviewer who was not completely steeped  
14 in the full depth of the literature. It  
15 simply means you don't have enough to say for  
16 sure that it's not true.

17 ACTING CHAIR ZIEMER: Well, I  
18 think we're sort of all agreeing with the  
19 concept, and the question is do we have it  
20 worded in a way that we're all comfortable  
21 with.

22 And let me just suggest that you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 all give it some additional thought and send  
2 me suggested wording for that sentence. And  
3 then let me take a look at it so that we don't  
4 have to spend a half hour here today on a  
5 particular sentence. I think right now it's  
6 of agreeing conceptually.

7 MEMBER LOCKEY: Yes, I agree.

8 ACTING CHAIR ZIEMER: Okay. Now  
9 with that exception of that particular phrase,  
10 are there other points that need to be added  
11 to this document or any major heartaches with  
12 it?

13 MEMBER MUNN: No.

14 ACTING CHAIR ZIEMER: As I see it  
15 right now, what we're doing with what I have  
16 here is supporting NIOSH's position, and it's  
17 not providing new evidence. For example, it  
18 doesn't talk about major limitations of the  
19 determinations.

20 I think we're already aware of  
21 those and I don't know whether we need to  
22 discuss them. But I think it is important,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 for example, if we're on record as saying yes,  
2 we agree that CLL should be added and we agree  
3 with the model that's going to be used. Those  
4 two things are very important.

5 MEMBER MUNN: It's brief. It  
6 addresses all of the questions that are asked  
7 in the docket. Looks fine.

8 MEMBER FIELD: Yes, I agree. I  
9 think you did an excellent job putting this  
10 together.

11 MEMBER LOCKEY: I do too.

12 MEMBER ROESSLER: And I agree too.

13 ACTING CHAIR ZIEMER: So shall we  
14 sort of, I'm wanting to take it by consent  
15 that we're in general agreement with the draft  
16 with the minor editorial changes plus finding  
17 some alternate wording for that first bullet  
18 if needed.

19 Is that where we stand? Anyone  
20 have heartache with that?

21 MEMBER MUNN: No.

22 MEMBER LOCKEY: No.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ROESSLER: None here.

2 ACTING CHAIR ZIEMER: Okay, I'm  
3 going to, unless I hear objection I'll take it  
4 by consent that this will be the basic  
5 document.

6 We'll change it to a letter to the  
7 Secretary and I guess get some suggested  
8 wording on that first bullet and then we'll  
9 have it, provide a draft.

10 Ted, when would we need to get the  
11 draft to the Board? Obviously as soon as  
12 possible, but what's the drop-dead date?

13 MR. KATZ: Well, I mean it will be  
14 good to get it to the Board at least a week in  
15 advance of the meeting, but it's not extensive  
16 so I think that would be gracious plenty.

17 ACTING CHAIR ZIEMER: Okay. So  
18 let's ask all of you who have wording  
19 suggestions on that bullet or anything else  
20 that pops into your mind, if you could get  
21 that to me in the next week and then I'll  
22 develop a final document and we'll go from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 there. How will that be?

2 MEMBER FIELD: That sounds great.

3 ACTING CHAIR ZIEMER: Okay? Any  
4 other final comments?

5 MEMBER MUNN: No, good job. Thank  
6 you.

7 ACTING CHAIR ZIEMER: If not  
8 we'll then adjourn the meeting. Thank you all  
9 very much.

10 (Whereupon, the above-entitled  
11 matter went off the record at 2:00 p.m.)

12

13

14

15

16

17

18

19

20

21

22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)