

This transcript of the Advisory Board on Radiation and Worker Health, Scientific Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Scientific Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON SCIENCE ISSUES

+ + + + +

WEDNESDAY
OCTOBER 12, 2011

+ + + + +

The Work Group convened via teleconference at 2:00 p.m., David B. Richardson, Chairman, presiding.

PRESENT:

DAVID B. RICHARDSON, Chairman
R. WILLIAM FIELD, Member
GENEVIEVE S. ROESSLER, Member
PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Scientific Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Scientific Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal Official
JENNY LIN, HHS
JAMES NETON, DCAS
SUSAN REUTMAN, NIOSH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Scientific Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Scientific Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

C-O-N-T-E-N-T-S

Discussion of scope of task
for the Work Group..... 5

Discussion of priorities and interests
(NIOSH-enumerated list of topics;
other issues)..... 10

Process for review, discussion,
reporting to Board..... 17

Adjournment..... 54

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (2:03 p.m.)

3 MR. KATZ: Well I would go ahead
4 and get started. Dr. Richardson, by the way I
5 sent to you, in response to your question, I
6 sent you the --

7 CHAIRMAN RICHARDSON: I can see
8 them, thank you.

9 MR. KATZ: Okay. Very good.

10 CHAIRMAN RICHARDSON: So I
11 circulated a very brief agenda. And maybe
12 before starting are there additions to that
13 that people would like to make? Or revisions?

14 DR. NETON: Yes, this is Jim
15 Neton. I don't think that we've seen it on
16 our end.

17 CHAIRMAN RICHARDSON: Okay. I can
18 tell you, three items on the agenda. One a
19 discussion of the scope of work for the
20 Working Group. The second one is a discussion
21 of priorities and interests. So to go through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the list of topics and then to give them some
2 sort of ranking for our attention.

3 And the third one was to discuss
4 what sort of process we're imagining taking
5 for review and discussion and reporting back
6 to the full Board on recommendations.

7 DR. NETON: Great. Thanks.

8 CHAIRMAN RICHARDSON: So the first
9 issue was the scope of task and I wanted, I
10 mean partly it was for my own clarification,
11 and I asked Ted to send me, I had misplaced or
12 mis-remembered the description that Dr. Melius
13 had written up describing the responsibilities
14 for the Work Group.

15 And I tried to pull out what I
16 thought were the four issues that I thought
17 were central to it. And I wanted to just to
18 maybe lay them out and see if there was kind
19 of agreement, or a kind of consensus that we
20 understood what this meant, what the Work
21 Group was being tasked with.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So the first one was --

2 MEMBER ZIEMER: David, this is
3 Ziemer, did we all get copies of that too or
4 not?

5 CHAIRMAN RICHARDSON: I don't
6 know. I didn't remember seeing it previously.

7 MEMBER ZIEMER: I don't recall
8 seeing it at all. Ted, have we gotten that?

9 MR. KATZ: Nope. So, Paul, you
10 know I can't recollect exactly how Dr. Melius
11 distributed it. I think he distributed it to
12 the Board, but in any event it was put on the
13 NIOSH website as a Work Group description.
14 And I can send it to you. To your email.

15 MEMBER ZIEMER: No, that's fine, I
16 can pick it off the website, I wasn't sure if
17 we had gotten it separately.

18 CHAIRMAN RICHARDSON: Okay. I can
19 tell you what I believe are the key points in
20 it and we can start from there. It looks like
21 four sentences and I've got four points. One

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of the sentences is relatively long, but it's
2 just a list.

3 The first issue was that the Work
4 Group will focus on what I would call disease
5 risk model issues that are important to the
6 program. So as distinct from Work Groups that
7 are focusing on kind of exposure assessment
8 issues.

9 The way that this group was tasked
10 was to focus on those issues that relate to
11 models for disease risks and how they've been
12 incorporated into IREP and into the program,
13 which I think was kind of useful for me
14 because some of the scientific issues that
15 NIOSH has identified relate to kind of
16 exposure assessment issues.

17 The second one was the Work Group
18 will review the current status of each
19 scientific issue that has been identified by
20 NIOSH in their status updates. So these
21 include incorporation of epidemiologic studies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from nuclear workers. Questions regarding
2 dose and dose rate effectiveness.

3 Questions regarding interactions
4 between other agents, including smoking and
5 other occupational hazards in radiation. Age
6 at exposure and grouping of rare and
7 miscellaneous cancers.

8 The third point is how the
9 description ends is, "The Work Group may
10 identify new science issues that may impact on
11 disease risk models." So here the scope
12 includes, I believe, the description is to
13 include those issues that have been previously
14 identified and then to be able to, if
15 necessary, add new items to the list.

16 And then lastly, the Work Group
17 will assess each issue and report back to the
18 Board. And that gets to, I think, what I
19 think would be useful for us to have a
20 discussion about that's the process for what
21 we mean by an assessment of an issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So that, for me, was I think the
2 first issue on the agenda was do we
3 understand, as a Work Group, do we have
4 consensus on what's meant by the scope of the
5 task of work and do we have any feedback we
6 want to give on that?

7 MEMBER ZIEMER: Okay. So you're
8 looking for comments, David?

9 CHAIRMAN RICHARDSON: Yes.

10 MEMBER ZIEMER: Well let me start,
11 this is Ziemer. I think the things that have
12 been identified here certainly are in keeping
13 with the discussion that we had as a full
14 Board when this Work Group was suggested.

15 Also if we were to find, as we
16 move forward, that there's some additional
17 issue or sort of additional scope item that we
18 should be addressing we can always suggest
19 that the overall scope of the Work Group be
20 modified.

21 So I don't we're necessarily, our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hands are tied or locked in by saying let's go
2 with these four. I think these four make
3 sense and I think it's a good place to start.

4 CHAIRMAN RICHARDSON: Okay. Are
5 there other comments that other people who
6 feel like this is reflecting what the
7 discussion was?

8 MEMBER FIELD: Yes, this is Bill
9 Field. I agree completely with Paul. I think
10 this is a good place to start. And if we need
11 to expand it we can do that at a later date.

12 MEMBER ROESSLER: Yes, this is Gen
13 Roessler. I just joined in a bit ago. Right
14 now I'm just listening, I'm between flights in
15 Atlanta and I'm going to try and join in on
16 the teleconference as much as I can.

17 CHAIRMAN RICHARDSON: Okay. Good.
18 Well I will say I went through the transcript
19 where the discussion about forming a
20 Scientific Work Group was formed.

21 And I feel like it does conform

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pretty well to the discussion. I think some
2 of the things are made a little bit more
3 explicit here about what we're talking about.

4 But I agree it's a good starting
5 point. So if that's agreed then we would sort
6 of take that as the key principles of the kind
7 of, at least for now, the terrain that we
8 would be moving through.

9 The next thing was to talk about a
10 discussion of priorities and interests within
11 the scope of work. So there are a number of
12 issues listed and there's the potential to
13 include other issues on the list.

14 And it seemed to me like these,
15 given our resources and our time and the
16 complexity of the problems, we probably want
17 to make some priorities and not try and tackle
18 all of these simultaneously.

19 And so going through the list of
20 topics I thought we might make a pecking order
21 and then I would open up discussion about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 whether we want to focus on a single issue or
2 maybe at most two issues simultaneously and
3 not too much more that.

4 So there's incorporation of
5 information, I guess I would call it
6 information that's external to the life span
7 study and to the risk models. Dose and dose
8 rate effectiveness factor. Grouping of
9 cancers. Age and exposure. And interaction
10 with other workplace exposures.

11 So we have some, we've been tasked
12 with at least thinking about each of these
13 items as a starting point. And how we'd like
14 to do that. So are there suggestions?

15 MEMBER ZIEMER: I guess I'd like
16 to hear from NIOSH as to sort of maybe where
17 we are on some of these. I know NIOSH has
18 some studies going on that may sort of flesh
19 out some of these and perhaps we can, maybe
20 Jim Neton could give us an idea of where we
21 are on some of these.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 What's NIOSH doing? You sort of
2 talked about some of this at the meeting, Jim,
3 I know. But I think it's probably worth sort
4 of revisiting that and renewing our memories
5 on that.

6 DR. NETON: Yes. Sure. This is
7 Jim Neton. Most of these have been fairly
8 static to be honest with you. We have been
9 primarily involved in the collection and, you
10 know, assimilation and review of literature as
11 it emerges, the scientific literature.

12 The one area where we do have a
13 fair amount of work, the SENES, our
14 contractor, has produced is in the dose and
15 does rate effectiveness factor area.

16 They've put together a draft, 200
17 plus page review, of all the current
18 literature on DDREF. And it's actually out
19 for review by, I believe it's part of an ICRP
20 committee that has it for sort of a stepping
21 stone for a document that they're putting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 together. So that does exist in draft form.

2 We did start an initial attempt at
3 grouping of some rare and miscellaneous
4 cancers. And in particular we were looking at
5 possibly pulling out the prostate cancers from
6 the what's now called, I forget the name of
7 it, it's All Male Genitalia, I think is what
8 it's called, or something like that.

9 It was an analysis done some time
10 ago, I would have to dig it out, which
11 indicated that actually the risk model for
12 prostate cancer might go down if we did that.

13 And we never really completed that effort.

14 In the area of age of exposure,
15 interaction of other workplace exposures,
16 again, just mostly a compilation of
17 literature. The corporation nuclear worker
18 epi studies DSHEFS, another division within
19 NIOSH, still has a little bit of an active
20 program in that area.

21 And Doug Daniels along with Mary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Schubauer-Berigan and others have recently
2 published a meta-analysis of a numbers of
3 sites. I believe it was like 25 sites or
4 something like that. A meta-analysis of a
5 risk model for leukemia among specifically
6 targeted occupational cohorts.

7 And that was published and my
8 recollection is the risk factor they derived
9 was fairly consistent with what's been
10 determined from the Hiroshima/Nagasaki
11 studies. And that's about the extent of it
12 right now.

13 CHAIRMAN RICHARDSON: Okay. Well
14 it's useful to know. So the topic that you
15 feel like is most advanced right now, in the
16 work you've done, relates to DDREF. And so
17 one option for us is to start with something
18 that you feel like you have something that we
19 could engage with.

20 Is that report at a point that
21 this Work Group could read the draft, or would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you ask that we work in parallel to you as
2 opposed to in response?

3 DR. NETON: Yes. No, I believe
4 that the draft is in reasonable form where it
5 could be reviewed. It's missing,
6 interestingly, the conclusion section.
7 Because, you know, what one makes of this body
8 of knowledge has been the biggest conundrum
9 for us.

10 I mean there's a lot of data out
11 there. A compilation of animal studies, epi
12 studies and others that one can look at. And
13 then to determine whether the current DDREF
14 that's used in IREP is sufficient or it needs
15 to be modified based on that knowledge. It's
16 still up in the air, but all the literature is
17 there, so it's available.

18 MEMBER ZIEMER: David, this is
19 Ziemer again. And I think we don't have any
20 sort of responsibility to help any of these
21 things come to conclusion in the scientific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sense, I don't think.

2 As I understand it what we would
3 do as a Work Group would be to assess where
4 things are and report to the Board. So it
5 seems to me it would be worth looking at, for
6 example, that study.

7 And even if they're at the point
8 where they've reviewed the literature and so
9 on and haven't been able to sort of close it
10 out, I think that's the kind of information
11 the Board needs. It certainly wouldn't be up
12 to us to sort of reach the conclusions as I
13 understand it.

14 And let me make one other comment,
15 if I might. On the sort of list. The
16 interaction with other workplace exposure, it
17 seems to me is one which would be low priority
18 for us.

19 In part because I don't think that
20 our Board has any responsibility for that
21 under the legal framework that we're working

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on. We look only at the radiation. I mean
2 that's certainly an important area.

3 But until the law develops a sort
4 of an acceptable way of addressing multiple
5 exposures like that. And part B, I don't know
6 that we would have any responsibility. Just a
7 kind of reaction though, maybe others don't
8 agree with that.

9 CHAIRMAN RICHARDSON: No, I agree
10 with you concerning the first point that, I
11 mean this will get I guess to the last point
12 on the agenda eventually of what's the process
13 for review and what's to be reported.

14 But my sort of understanding was
15 identifying needs or gaps that might stimulate
16 some future work to move forward. It's not to
17 propose a distribution, for example, for a
18 parameter in IREP.

19 MEMBER ZIEMER: Right.

20 CHAIRMAN RICHARDSON: And so
21 working through topics where we think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's kind of relevant recent research would
2 make sense to me as a starting point.

3 The question of the interactions,
4 I think there are some points of IREP do
5 involve models, for example, of radiation and
6 smoking. Where the radiation risk --

7 MEMBER ZIEMER: That is covered,
8 you're correct. Right.

9 CHAIRMAN RICHARDSON: And so that
10 would be, I guess, an example of where --

11 MEMBER ZIEMER: Yes, I was
12 thinking more about chemical exposures. And
13 in fact we certainly would be in a position to
14 say this is an area that needs greater study.

15 I mean the chemical and radiation,
16 I think we all sort of know, at least
17 intuitively, that there is likely to be such
18 interactions.

19 And that going forward it would be
20 useful to know and have a scientific basis on
21 which to evaluate that. But beyond that I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not sure what we would do.

2 CHAIRMAN RICHARDSON: Right.

3 DR. NETON: David, this is Jim
4 Neton. I just thought of one more thing I
5 might throw out on the table, if I may. One
6 thing that we have been struggling with
7 internally is what might be able to be done
8 with the data that we actually collect on
9 these workers in-house.

10 You know we have over 30,000 cases
11 and right now we don't do any research with
12 the cases. But it always seemed to us that
13 there might be possibilities for doing some
14 sort of research in this area using these
15 cases in particular.

16 It would have to undergo IRB
17 review and everything if we were to proceed
18 down that path. But, you know, if the Working
19 Group had any insight or ideas in that area it
20 would certainly be welcomed by us.

21 CHAIRMAN RICHARDSON: Well that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 definitely an interesting topic.

2 MEMBER ZIEMER: I think that's a
3 great idea. You guys have gathered so much
4 information over the last decade. We probably
5 have a wealth of data that could be mined by
6 someone, maybe even on this.

7 Jim, I don't know if you were
8 talking the interaction with other exposures,
9 but we certainly have information that people
10 have given us about those things.

11 Maybe someone could mine that.
12 You know, some group would have to fund it and
13 so on. But I think we could make
14 recommendations of that type. You know, how
15 can all the data that have been gathered be
16 used for other related scientific studies? A
17 great notion.

18 DR. NETON: Right. You know we
19 have like a brief smoking history on all lung
20 cancers at least. And we have a tremendous
21 amount of monitoring data, particularly the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 external data, for all workers that we've gone
2 and retrieved the best amount of information
3 out there.

4 Internal, bioassay data, it's all
5 there. The only problem is one can recognize
6 this as somewhat of a biased population that
7 we have. But if one can work around those
8 issues it seems to me that there might be some
9 valuable research that could be done.

10 CHAIRMAN RICHARDSON: There's
11 potentially several ways that that could be
12 useful. I mean it could be useful in its own
13 right and it could be useful for strengthening
14 some of the other topics that the group has
15 been tasked with.

16 Like incorporation of the nuclear
17 worker cohort study information if there's
18 questions about the confounding by smoking or
19 questions about healthy worker effects, or
20 selection effects.

21 That some of the data that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have in-house could either resolve or account
2 for those sort of potential biases in the
3 worker data. So that could also be useful in
4 that way.

5 In terms of the priorities, in
6 addition to the enumerated list, one topic
7 that I know that's out there that's not on
8 the list, but I know it's under discussion by
9 NCRP and maybe by other organizations.

10 EPA I think also, is questions
11 regarding relative biological effectiveness
12 and kind of the assumptions that are made in
13 the current disease risk models and whether
14 those are supported by the contemporary
15 literature.

16 And so there's, you know,
17 potentially a review that's as extensive as
18 the review of DDREF that would be on kind of
19 toxicological and mechanistic and what little
20 epidemiologic evidence there is concerning the
21 RBE for exposures to tritium or neutrons and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the other components.

2 And I guess I would propose
3 considering that at some point on the list of
4 issues. I think SENES has been involved in
5 the review of that topic as well.

6 DR. NETON: Are you talking about
7 the RBE for neutrons and tritium and stuff?

8 CHAIRMAN RICHARDSON: Yes, low
9 energy photons.

10 DR. NETON: Actually that's sort
11 of been done originally by SENES when we first
12 put the program together. We were the first
13 ones, at least to my recollection, to propose
14 an increased RBE for photons below a certain
15 energy. I believe 100 keV. And likewise for
16 low energy betas, which would include tritium.

17 So yes we've done some of that.
18 The study that SENES has recently done is an
19 update on all of that information. Although
20 I'm not sure much has changed in the area of
21 the RBE to the lowering of photons.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But I found that to be a very
2 fascinating piece of research that was done.
3 Because it's not considered in any of the
4 regulatory standards, at least in the United
5 States these days. And it seems to be real.

6 CHAIRMAN RICHARDSON: So I would
7 imagine, or I would suggest that that might be
8 a type of topic that also at least would be
9 worth having a review by the Work Group and
10 reporting back to the Board with the status of
11 those assumptions regarding RBEs.

12 MEMBER ZIEMER: I concur with
13 that. I think that's a good suggestion. This
14 is Ziemer again.

15 CHAIRMAN RICHARDSON: In terms of
16 resources do you think that we have the, we
17 would like to move through these one at a time
18 or more than one at a time? I think I would
19 lean probably towards one or no more than two
20 topics at a time just to allow us to have an
21 informed discussion of this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ZIEMER: You mean on those
2 individual topics, like dose and dose rate
3 effectiveness --

4 CHAIRMAN RICHARDSON: Right.

5 MEMBER ZIEMER: And when you're
6 talking about resources you're just talking
7 about the time and effort for the Work Group?

8 CHAIRMAN RICHARDSON: Yes.

9 MEMBER ZIEMER: Yes. That would
10 make sense I think. I don't know, what do you
11 think, Bill?

12 MEMBER FIELD: Yes I think one at
13 a time. It seems like in, you know,
14 stratifying the ones to look at first, maybe
15 the ones to look at first would be the ones
16 that any decision or maybe long-term outcomes
17 that would be created because of our review,
18 it seems like there should be some
19 consideration put into how many worker's dose
20 reconstructions may be affected by that.

21 So I guess it's the potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 impact on that. And, Jim, I had a question.
2 You have mentioned that there is a
3 questionnaire for smoking for lung cancer, is
4 that right?

5 DR. NETON: Yes.

6 MEMBER FIELD: Okay. Is it just
7 for lung cancer where the PoC will be adjusted
8 based on smoking history?

9 DR. NETON: Lung cancer and I
10 think cancer of the bronchus.

11 MEMBER FIELD: Okay. But those
12 are the only two and there's --

13 DR. NETON: Yes, respiratory.

14 MEMBER FIELD: Yes, I'm just
15 wondering what was the, well I understand the
16 basis for it since 85 percent of lung cancers
17 are caused by smoking, but there's other
18 smoking related cancers as well.

19 And I'm just wondering were they
20 ever considered that they should be adjusted.

21 Or I guess who made the decision that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 should be adjusted because of smoking.

2 As there's other lifestyle factors
3 that you could argue that you could adjust the
4 PoC for based on, you know, things like
5 obesity or, you know, there seems to be a fine
6 line there drawn.

7 DR. NETON: Yes, well the decision
8 was made after looking at all the literature,
9 Mary Schubauer-Berigan worked on this pretty
10 extensively in the beginning, that the only
11 data that were of sufficient quality to make
12 that adjustment were the lung cancer and
13 smoking adjustments.

14 She did look at other organs and
15 there were some data out there to suggest that
16 other cancers could be related to smoking.
17 But at least at the time that this was put
18 together, over ten years ago now, we didn't
19 feel that there was enough evidence to make a
20 good quantitative model from it.

21 MEMBER FIELD: I guess myself, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know Dick Lemen brought this up before. I
2 think this is a whole area that would be worth
3 looking at. Adjustment of the PoC based on
4 other risks. And it may not be chemical, but
5 it could be just lifestyle factors.

6 DR. NETON: Yes.

7 MEMBER ZIEMER: The approach on
8 that to see what's in the literature and as to
9 whether or not we think it's sufficiently
10 mature for somebody to take a hard look at it
11 or what would --

12 MEMBER FIELD: Well, I guess I'm
13 just looking at it from the scientific
14 justification. Because we know these other
15 cancers that are affected by smoking or you
16 have an increased risk due to smoking.

17 You know, when you do epidemiology
18 studies you always look to see if you have
19 this certain one that's increased. Not just
20 the pharynx or the larynx, you look at several
21 different cancers that may be associated with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it.

2 And I guess the rationale, or the
3 justification, for adjusting it for one but
4 not others, and I think what Dick was arguing
5 that it shouldn't be adjusted for lung cancer
6 at all.

7 Why is it just adjusted for one
8 when we know it's associated with others and
9 those aren't adjusted. I guess it's there you
10 go with the way the attributable risk due to
11 smoking. But I guess it's just the whole case
12 that is made that you, at just smoking, that
13 you adjust the PoC for lung cancer because
14 they smoke.

15 But yet there's many other
16 lifestyle factors that affect our, I guess not
17 ability, but the propensity to develop cancer
18 that we're not looking at.

19 And I'm wondering when this was
20 all written up what was the justification for
21 saying we're going to pick this one lifestyle

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 factor out and we're going to ignore the
2 others. And I was just trying to figure out
3 if there was a historic basis where this was
4 considered.

5 And my guess is it was considered
6 because the attributable risk is so great from
7 smoking that you had to build in some factor.

8 But I think, based on my conversations with
9 Dick and other people, this is an issue that's
10 going to come up.

11 CHAIRMAN RICHARDSON: Yes, well I
12 think it's certainly a topic that would
13 probably be useful for us to look at and
14 understand a little bit more regarding the
15 methods that have been used. You know, right
16 now what they've done, it's sort of, as Jim
17 said, Mary made a case that there was perhaps
18 enough evidence.

19 It wasn't so much that smoking was
20 a strong cause of lung cancer and there was
21 less evidence that smoking was a cause of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 other types of cancers.

2 Because I think that that's been,
3 I mean a list of smoking associated cancers
4 has been on the table since the 80s and
5 probably not long after the Surgeon General's
6 report.

7 But it was that she thought there
8 was enough evidence regarding kind of the
9 question of whether smoking and radiation
10 interacted in a multiplicative scale or an
11 additive scale.

12 And then there was a critique that
13 came forward on IREP and I wrote one of the
14 critiques and several other people wrote
15 comments about the evidence was really fairly
16 ambiguous about whether these joint effects
17 were additive or multiplicative.

18 And the data were too sparse in
19 the life span study, for example, to try and
20 understand how those joint effects were
21 happening and whether, which model fitted the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data better.

2 So there's right now IREP has got
3 this hybrid where they fit an additive model
4 for the two effects and a multiplicative model
5 and I think they average them.

6 And if that's the resolution to
7 that then you're right you could also fit
8 additive and multiplicative models for other
9 disease risk factors.

10 Or for smoking and its effects on
11 lots of other cancer sites, if you were so
12 motivated. And --

13 MEMBER ZIEMER: Well, David, was
14 Mary, or maybe Jim can answer. Was Mary
15 saying that the interaction for radiation and
16 smoking for lung cancer that the data were
17 more robust than for the other organs where
18 you might have interaction between radiation
19 and smoking?

20 DR. NETON: Yes.

21 MEMBER ZIEMER: Was that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 issue?

2 CHAIRMAN RICHARDSON: Yes.

3 DR. NETON: Yes. That's true, Dr.
4 Ziemer, that the data were better for smoking
5 and lung cancer, obviously. And my
6 recollection was, I think this might be
7 documented in the technical basis for the IREP
8 model on our website.

9 MEMBER ZIEMER: If that's the case
10 maybe one should ask the question whether or
11 not that's still situation. Maybe there, I
12 mean, that's been a decade ago I guess.

13 CHAIRMAN RICHARDSON: Right.

14 DR. NETON: Right, that's true.

15 MEMBER ZIEMER: So maybe only to
16 revisit it, or at least to revisit it in terms
17 of saying do we have more robust data for
18 either other organs, well I guess other
19 organs. Ted, as a starting point we'd be
20 talking smoking, whether we get into obesity
21 or something that's a whole -- another ball

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 game too.

2 CHAIRMAN RICHARDSON: Yes, and in
3 fact in the last couple of years there was
4 substantially more work on the relationship
5 between smoking and radiation in the A-Bomb
6 survivors too.

7 They went back and did a lot more
8 linkage work with what they call the Adult
9 Health Survey in the cancer incidence data.
10 So that's been remodeled as well. I mean
11 there probably is maybe time to look at that
12 assumption.

13 MEMBER ZIEMER: It might be worth
14 revisiting that at least.

15 DR. NETON: Well we actually did
16 go back. And maybe this was before, David,
17 your time on the Board. Went back and
18 modified our smoking adjustment for lung
19 cancer based on the Pierce re-analysis that
20 was published.

21 And at that time what we ended up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doing was including both Pierce and the
2 original analysis and picked the higher
3 Probability of Causation for the results.

4 And we did indicate at that time
5 that as the data became clearer we might drop
6 the original one if it seemed to us to be
7 reasonable. We haven't gone back and looked at
8 that since then. One thing I'd also --

9 MEMBER FIELD: Yes, I think it
10 would be very interesting to go back and look
11 at that. And I'd be really interested to see
12 what information is collected to quantify
13 smoking history.

14 Because there's so much to these
15 interactions are based on total pack years and
16 pack-year rate can be important. Year, date
17 first started smoking. So it'd be very
18 interesting to see can be captured in the
19 questionnaire and if that's what's being used.

20 DR. NETON: The questionnaires are
21 very rough. I don't think there's anything

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 such as a pack-year calculation. It's really
2 more have you smoked and how many packs per
3 day.

4 And I think if you quit smoking
5 within the last five years. I've forgotten.
6 I'd have to go back and look at the form but
7 it's pretty basic.

8 One thing I just want to point
9 out. I looked up the original Act. And when
10 the Act speaks of NIOSH producing guidelines
11 for Probability of Causation there's a Section
12 3C that says that we should take into
13 consideration type of cancer, past health
14 related activities, such as smoking, and other
15 information on the risk of developing a
16 radiation related cancer from workplace
17 exposures and other relevant factors.

18 So that really is in the Act
19 itself.

20 MEMBER ZIEMER: So it opens the
21 door for anything that we think might be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 relevant?

2 DR. NETON: It does. And it
3 specifically calls out smoking in their
4 language, so one of the reasons I think also
5 we focused on that. And that was doable at
6 that time.

7 MS. LIN: Sure. But I just want
8 to point out the regulations sort of pin down
9 exactly the type of personal and medical
10 information that you should use to determine
11 the Probability of Causation and in Section A
12 1.5(f) you should see smoking history if the
13 claim is for lung cancer or a secondary cancer
14 for which lung cancer is a likely primary
15 cancer.

16 And it stops there instead of
17 saying all the other factors, X, Y and Z. So
18 within the regs you have a very specific list.

19 MEMBER ZIEMER: Yes.

20 DR. NETON: There's no doubt that
21 we would have to, if we were to develop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 quantitative models for other factors, the
2 regulation would need to be changed.

3 MS. LIN: Right. And obviously
4 we'll talk about it when we get there.

5 DR. NETON: Yes. In the preamble
6 I think we said as much. That there were no
7 quantitative models we can develop at this
8 time but we would continue to look at them and
9 evaluate them as the science emerged.

10 MS. LIN: Yes.

11 DR. NETON: That's true.

12 CHAIRMAN RICHARDSON: Jim, could I
13 ask for one clarification? You had talked
14 about the work on RBE, is that that SENES has
15 done for you, is that wrapped within that 200
16 page draft report on DDREF?

17 DR. NETON: No. No, it's not. I
18 might have misspoke when I was speaking of
19 that. No, the RBE work was actually published
20 in the Health Physics Journal shortly after it
21 was incorporated into IREP. It went out there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in the peer review literature.

2 CHAIRMAN RICHARDSON: Right. And
3 you know the UK NRPB has put out a report on
4 RBE and I think the Canadian, whatever they
5 call themselves, Radiation Protection
6 Organization also has done something. At
7 least for like tritium and low energy photons.
8 Something like that.

9 DR. NETON: Right.

10 CHAIRMAN RICHARDSON: So there
11 would be several reports that might be useful
12 to review that have come out in the last 18
13 months or two years.

14 DR. NETON: Yes. Agreed.

15 CHAIRMAN RICHARDSON: So I could
16 propose a pecking order and then we could
17 start shuffling. Maybe to start with the
18 DDREF and then move on to RBE. And then maybe
19 adjustment of the Probability of the Causation
20 for other factors, such as smoking.

21 And then look at age at exposure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The incorporation of nuclear worker studies.

2 And end with grouping of rare and
3 miscellaneous cancers. Oh and we also have
4 the issue of the use of data in-house. We
5 should have that on the list.

6 MEMBER ZIEMER: Can you repeat
7 those, David? So it's DDREF?

8 CHAIRMAN RICHARDSON: And then
9 RBE, and both of those I think we'll be able
10 to start by kind of a review of existing
11 documents.

12 MEMBER ZIEMER: Right.

13 CHAIRMAN RICHARDSON: And then the
14 issue that we were just recently discussing,
15 the adjustment of the PoC for other factors
16 that are risk factors for the disease that the
17 claim is.

18 And then age at exposure. And
19 then incorporation of nuclear worker studies.

20 And then the last two. Grouping of rare and
21 miscellaneous cancers and use of data in-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 house.

2 MEMBER ZIEMER: I'm okay with
3 that.

4 MEMBER FIELD: Yes, David, this is
5 Bill. I think that's a reasonable order.

6 CHAIRMAN RICHARDSON: Okay. Now
7 if that's agreeable that would keep us busy
8 for some time.

9 MEMBER ZIEMER: Yes.

10 CHAIRMAN RICHARDSON: In terms of
11 what the deliverable is, in terms of each
12 review and the sort of process that we might
13 take. As I understood it in the notes I took
14 from the discussion it was to assess the issue
15 where possible.

16 Evaluate what needs to be done to
17 address the question with the hope that we
18 might stimulate additional work, if necessary
19 to move forward on the issue, as it relates to
20 the risk models used by the program.

21 And if there are considerations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that impact on timeliness or scientific
2 validity to kind of raise those and point
3 those out to the Board. And so if that sort
4 of the objective of the review the question is
5 how do we do that.

6 I assume what we would do is break
7 into some sort of group where one person takes
8 maybe primary lead for writing a first draft
9 of a review, document based on the information
10 that we pull together.

11 We rely on the rest of the Board
12 to help identify relevant literature. Then we
13 circulate that draft and we begin working
14 together to think about what the aims of kind
15 of a short report would be on the topic.

16 And I'm imagining sort of a
17 deliverable that's probably not very long. So
18 it wouldn't end up being another 200 page
19 document based on a review of a 200 page
20 document.

21 But maybe more like a two-page

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 document. Does that sort of sound like a
2 reasonable idea for what the review would be?

3 MEMBER ZIEMER: That certainly
4 makes sense to me. In other words we would
5 tell what we did and what our recommendation
6 is?

7 CHAIRMAN RICHARDSON: Right.

8 MEMBER ZIEMER: Is that right?
9 And basically these are reports to the Board?

10 CHAIRMAN RICHARDSON: Yes.

11 MEMBER ZIEMER: And then if there
12 was implications beyond that it would be up to
13 the Board to pick up the ball and carry
14 something forward or, you know, if they're
15 going to recommend somehow that the
16 legislation needs to be modified that would
17 have to be handled by the Board.

18 CHAIRMAN RICHARDSON: Right.

19 MEMBER FIELD: That sounds good,
20 David.

21 MR. KATZ: This is Ted. I just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wanted to respond a little bit to what Paul
2 just said about legislation. I mean I think
3 the aim here is the regulations were set up
4 with the anticipation that science would
5 continue moving forward.

6 And that more could be done down
7 the road on this front, specifically as well
8 as others. And so I think the aim for the
9 Board, as entire, is as this work develops to
10 be able to make recommendations, give guidance
11 to NIOSH about changes that it can make on any
12 of these factors.

13 And it sounds like the timing's
14 pretty good. It sounds like NIOSH has done
15 quite a bit of work and it's getting close to
16 at least producing sort of a evaluative works,
17 on this first topic at least. But so, again,
18 the Board is here to give guidance and advice
19 on this topic.

20 But I don't anticipate that this
21 really, that you'd need statutory changes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And Jim gave an example where you might need a
2 regulatory change to implement something.

3 But I think what you're aiming to
4 do is give guidance to NIOSH where the Board
5 believes it can move forward. That there is
6 enough science, enough water under the bridge,
7 to make a change.

8 MEMBER ZIEMER: Ted, I was really
9 only referring to the remark that Jenny made
10 that the legislation, well I guess both you
11 and Jenny had talked about what the
12 legislation said, and the comment that if you
13 were going to go beyond that there would have
14 to be a modification.

15 And of course that would have to
16 generate with NIOSH, not with the Board. The
17 Board --

18 MR. KATZ: I understand, Paul. I
19 think Jenny was talking about the regulation
20 not the legislation. But it's just --

21 MEMBER ZIEMER: Well that's what I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meant, yes.

2 MR. KATZ: Okay. I'm probably
3 splitting hairs with you.

4 MEMBER ZIEMER: Yes, right. No,
5 that's what I intended to address was that
6 comment.

7 MR. KATZ: Okay.

8 CHAIRMAN RICHARDSON: Okay. So as
9 we start on this, well choosing, Jim, would
10 you be willing to share with us the document
11 that SENES Oak Ridge has produced on DDREF and
12 circulate that to the Work Group? With the
13 understanding that it's a draft.

14 DR. NETON: Yes. Provided a draft
15 not for circulation, that sort of all the
16 provisos and such. But, yes.

17 CHAIRMAN RICHARDSON: Of course.
18 And I think it would be, well I hope for your
19 purposes it's useful to receive our comments
20 on them. I think it's terrific if an
21 organization like ICRP is looking at it as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 well. I'd imagine that we'll bring a
2 different perspective to it that ICRP would.

3 DR. NETON: Oh yes.

4 CHAIRMAN RICHARDSON: In the sense
5 of the difference between thinking about DDREF
6 in terms of radiation protection versus the
7 framework here of compensation, which really
8 may recast thinking on some issues. But, you
9 know, there's certainly an overlap between
10 them. But it could be that --

11 DR. NETON: Right and there's one
12 other thing. I think Bill Field, Bill
13 mentioned an interesting point to me, which is
14 which of these parameters are going to have
15 the biggest effect on the dose
16 reconstructions.

17 And, you know, I've not done this
18 but it always seemed to me that DDREF would be
19 a fairly insensitive change in the final
20 outcome of the dose reconstruction.

21 Partly because how we default to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 an acute exposure, unless we know otherwise.
2 But you know there's a lot of conservatism
3 built in to our calculations, so I'm not sure
4 how much the DDREF really kicks in in many of
5 these dose reconstructions.

6 That's something we might think
7 about as we look through this paper and review
8 the literature and such.

9 CHAIRMAN RICHARDSON: Okay. Yes,
10 it's useful. And maybe you can remind us of
11 that again.

12 DR. NETON: Yes, I will. And it's
13 almost, you know, I'm not trying to make more
14 work for myself. But one might want to do a
15 sensitivity analysis and see how much change
16 would result in --

17 Essentially what I think if you
18 look at the literature, and I don't want to
19 prejudge this, but it would be sort of
20 tweaking the central tendency on the DDREF and
21 maybe looking at the spread, the range a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 little bit.

2 And I don't think we can justify a
3 huge change at this point. But anyway, I've
4 said enough on that. I don't want to bias you
5 guys.

6 CHAIRMAN RICHARDSON: In terms of
7 writing is there somebody who's chomping at
8 the bit to take a lead on draft of a first
9 document on this first topic? If not, facing
10 a lot of silence --

11 MEMBER ZIEMER: Nobody's chomping
12 at the bit.

13 CHAIRMAN RICHARDSON: I'd be
14 willing to write something that's rough as a
15 starting point for us to discuss.

16 MEMBER ZIEMER: Sure.

17 CHAIRMAN RICHARDSON: And I think
18 we'll, if this is actually 200 pages, we'll
19 need more than a week or two in order for me
20 to do that. But --

21 MEMBER ZIEMER: Well there's not a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 big urgency on that in terms of a time table
2 is there? I mean --

3 CHAIRMAN RICHARDSON: I don't
4 think so, no.

5 MEMBER ZIEMER: I mean I assume
6 that, for example, at our next regular meeting
7 you would simply report that the Work Group
8 has gotten underway and that we're looking,
9 for example, at that particular issue.

10 CHAIRMAN RICHARDSON: Right.

11 MEMBER ZIEMER: And then at some
12 point down the line, when we're done doing
13 that, we'd have the report.

14 CHAIRMAN RICHARDSON: Good.

15 MEMBER ZIEMER: Does the Board
16 have to approve the scope, or has that
17 already, or the scope of the Work Group?

18 CHAIRMAN RICHARDSON: Ted, I think

19 --

20 MR. KATZ: This is Ted. I think
21 it's approved. I don't remember the process

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but I believe Jim let out that statement that
2 is now on the web. And everything that you've
3 talked about fits within it.

4 MEMBER ZIEMER: Right.

5 MR. KATZ: So there's nothing
6 changed here. I think you're good in terms of
7 you have your task already. As far as the
8 Board entirely is concerned, there's nothing
9 more to do there I don't think.

10 MEMBER ZIEMER: And we've agreed
11 to follow that and we've agreed on the order
12 in which we'll address these issues. And
13 we're going to get underway with the first
14 one. And when we're done we'll report, right?

15 MR. KATZ: Right. That makes good
16 sense to me.

17 CHAIRMAN RICHARDSON: I think that
18 sounds good. I think the question that Jim
19 posed of kind of the sensitivity of different
20 hypothetical claims to DDREF, I think is maybe
21 an interesting one also to bring forward to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Board.

2 I don't know if we would want to
3 return that to you, Jim, as something down the
4 line, not thinking this in the near term, or
5 whether we have other resources for kind of
6 exploring those sorts of questions.

7 But that's the sort of thing that
8 I think would have to, it would involve
9 somebody using the IREP program under
10 different scenarios.

11 DR. NETON: Yes, that could just
12 be a recommendation of the Board. I mean, it
13 might ought to be done. And maybe a
14 recommendation as to how we go about it, a
15 little bit. You know, something like that.

16 CHAIRMAN RICHARDSON: Okay.

17 DR. NETON: I'm not trying to make
18 more work for myself, I think that's important
19 to be done in the context of this whole
20 review. It doesn't make much difference and
21 we could spend a lot of time discussing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 subtleties of adjustments and not much really
2 return on our investment.

3 MR. KATZ: This is Ted. And just
4 to add on to what Jim's saying, I mean SC&A's
5 not on the line for this, but doesn't, I mean
6 they have resources too so if this is
7 something that, it makes a lot of sense to me
8 too since it impacts how important the repeat
9 work is, in a proximal sense.

10 But, so if this is something that
11 you recommend and DCAS doesn't have resources
12 to get to in a timely fashion and you want to
13 plunge forward on that then you can consider
14 using SC&A to do that kind of technical work.

15 CHAIRMAN RICHARDSON: Okay.
16 Because I agree that as we report back to the
17 Board it would be useful to kind of contextualize
18 the relative importance of some of the
19 different issues that are out there in terms
20 of scientific uncertainty.

21 And specifically within the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 context of this program. Again it's going to
2 be different that ICRP would bring to it.

3 So we might want to, that's where
4 this Work Group might be able to add some
5 value to this discussion, for this context.
6 Well, that's what I had for the agenda. I
7 think we, at least we have a way forward.

8 MEMBER ZIEMER: Sounds good.

9 CHAIRMAN RICHARDSON: So if
10 there's no other questions. Bill, you'll get
11 to your 3:15 meeting.

12 MEMBER FIELD: Sounds good.

13 CHAIRMAN RICHARDSON: Great.
14 Would you like me to write up minutes of this
15 conversation? Or how does that happen, Ted?

16 MR. KATZ: Well there'll be a
17 transcript. That ordinarily takes 30 days or
18 so to come out. So I think the only, I mean,
19 we have a Board meeting on October 20th, I
20 believe, the teleconference.

21 But I think reporting out there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pretty simple right. So you don't need to
2 produce any minutes of this meeting, we'll
3 have that. But you probably should give a few
4 minutes to tell the rest of the Board where
5 we're headed.

6 CHAIRMAN RICHARDSON: Okay. Well
7 great. Thanks.

8 DR. NETON: Okay. And I'll work
9 to get that DDREF document out to the Board.
10 Probably not until later in the week though.

11 CHAIRMAN RICHARDSON: Okay.

12 MEMBER ZIEMER: To the Board or
13 just the Work Group?

14 DR. NETON: I'm sorry. The
15 Working Group.

16 MEMBER ZIEMER: The Work Group.

17 MR. KATZ: Sounds good, so are we
18 adjourned?

19 CHAIRMAN RICHARDSON: I think we
20 are.

21 MR. KATZ: Thank you everybody.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1
2
3
4
5
6
7
8
9

CHAIRMAN RICHARDSON: Bye.

(Whereupon, the above-entitled
matter went off the record at 2:58 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com