

This transcript of the Advisory Board on Radiation and Worker Health, Mound Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Mound Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES¹
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

MOUND WORK GROUP

+ + + + +

TUESDAY
APRIL 10, 2012

+ + + + +

The Work Group convened in the Brussels Room of the Cincinnati Airport Marriott, 2395 Progress Drive, Hebron, Kentucky, at 9:00 a.m., Josie Beach, Chair, presiding.

PRESENT:

JOSIE BEACH, Chair
BRADLEY P. CLAWSON, Member
PHILLIP SCHOFIELD, Member*
PAUL L. ZIEMER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Mound Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Mound Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

2

ALSO PRESENT:

TED KATZ, Designated Federal Official
ISAF AL-NABULSI, DOE*
TERRIE BARRIE*
ROBERT BARTON, SC&A*
RON BUCHANAN, SC&A*
MEL CHEW, ORAU
SAM CHU, ORAU
JOE FITZGERALD, SC&A
DEB JERISON*
KARIN JESSEN, ORAU
JENNY LIN, HHS
JOHN MAURO, SC&A*
ROBERT MORRIS, ORAU*
JIM NETON, DCAS
JOE PROVECCHIO, SC&A*
JOHN STIVER, SC&A
BRANT ULSH, DCAS

*Participating via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Mound Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Mound Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

C-O-N-T-E-N-T-S	3
Welcome and Introductions	4
<u>Work Group Discussion</u>	
Tritides	7
Adequacy/Completeness of Internal Dosimetry	94
Radon	196
Action Items/Plans	273

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P-R-O-C-E-E-D-I-N-G-S

(9:01 a.m.)

MR. KATZ: Good morning everyone in the room and on the call. This is the Advisory Board on Radiation and Worker Health, Mound Workgroup. Let's get going with roll call. And we're speaking about a site so please speak to conflict of interest as well when you register.

(Roll Call)

MR. KATZ: Very good, that runs through out list. There's an agenda for the meeting. For folks on the phone you can find it on the NIOSH website under the Board section under meetings. And there are also some papers associated with this meeting. Most of them should be posted and some are probably in the process of being posted, but they should be up there very shortly, if they're not already.

Josie, it's your meeting.

CHAIR BEACH: Okay, thanks, Ted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Good morning, everybody. We do have an agenda
2 posted, as Ted said. I'm going to just
3 briefly go through it.

4 We're going to start with tritides
5 this morning. Tritides, we're going to go
6 ahead and have NIOSH present on them. I know
7 SC&A had a paper ready, but the tritides
8 approach has changed considerably, so SC&A's
9 paper, I'm sure they're going to redo that
10 paper.

11 So we'll have NIOSH go through,
12 explain this new approach so that we're all
13 understanding what's happening, Brant, on your
14 side. And then we can ask clarifying
15 questions. I think we are going to have to
16 come back on tritides on a later date but
17 we'll get as much information as we can today.

18 Then we're going to go into data
19 adequacy and completeness, the internal
20 issues, with radon following. I didn't put it
21 on the agenda, but I do want to give the
22 workers a chance to comment after radon,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 before we get into Work Group recommendations.

2 And then of course we'll schedule another
3 meeting, because I would like to tie this up
4 before the June meeting if at all possible.

5 I'm going to go ahead and schedule
6 breaks at 10:30 and then lunch from 12:30 to
7 1:30. We'll try to stick to that schedule as
8 close as possible so you can all kind of
9 follow.

10 And then the last item will be
11 Action Plans, and of course that's where the
12 schedule will come in. So if you think of
13 that and towards the end of the day that we
14 can get scheduled before June it would be
15 helpful.

16 MR. KATZ: Let me just remind,
17 folks on the phone, I didn't say anything this
18 time but I should. We have a number of people
19 on the phone. Please mute your phones except
20 when you're addressing the group. If you
21 don't have a mute button then press *6 to mute
22 your phone and then you can press *6 again to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 take your phone off of mute. Thanks.

2 CHAIR BEACH: Okay. So, Brant if
3 you want to go ahead and start on the Tritides
4 White Paper that came out recently.

5 DR. ULSH: All right.

6 CHAIR BEACH: March 30th I
7 believe.

8 DR. ULSH: Sounds right. This is
9 a long-running issue, like all of the ones
10 that remain. We've been discussing it for
11 months, if not years. The specific issue that
12 is being discussed here is tritides, which is
13 a bit of an unusual form of tritium. We're
14 most commonly familiar with tritium in the
15 form of tritiated water, which is very mobile,
16 goes anywhere in the body. Behaves just like
17 water.

18 Tritides are a bit different.
19 It's tritium bound to a metal molecule and to
20 varying degrees it is less soluble than
21 tritiated water. And it's also less mobile.
22 And it comes in a particulate form rather than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a water vapor form. And that implies that
2 there are some very significant differences
3 between the two forms of tritium.

4 For one thing, we're kind of
5 concerned about the most limiting case, the
6 worst case, which is the least soluble form of
7 a tritide. So what happens there is if a
8 person were to inhale some of this it would
9 stay pretty much in the lung. And the concern
10 has been can you detect it with a urinalysis
11 that you might use for a typical tritium
12 program.

13 So that's the issue in a nutshell
14 that we've been discussing for quite some
15 time. NIOSH's initial position, and it's our
16 current position, is for this particular
17 tritide that we're concerned about the
18 insoluble tritium. We know, from interviews
19 with workers, we know who has been involved
20 with working with this compound. It was a
21 very small program.

22 I've got my visual aid here on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 table, but I'm not going to go into it in any
2 detail. Those who were present at the
3 meetings that we had in Germantown and in
4 Livermore know how to interpret that. And I'm
5 not going to say anything more about it.

6 We have had discussions about the
7 scale of this program, or the activities with
8 this compound at Mound. It was very, very
9 small. It involved ten to 15 workers, we've
10 provided a list of the workers involved. Now,
11 that list of names was provided to us by the
12 workers who were directly involved in the
13 program.

14 However, the Working Group
15 expressed some concern about people who were
16 not on the list. People who might have come
17 in to change the trash, service the equipment,
18 do maintenance. Those kinds of activities.

19 So in response to that, and in
20 response to a specific request from the
21 Working Group, NIOSH examined swipe data. And
22 the purpose of this analysis was to address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 that concern about, well not necessarily for
2 the people directly involved, the people that
3 are in the list of ten or 15 workers, whatever
4 it is. But these other people, maintenance
5 people, technicians, whatever, what is their
6 exposure potential.

7 And that is what this swipe
8 analysis is meant to address. We presented an
9 initial version of this at the Germantown
10 meeting of this on January 6th. And in that
11 paper I think we didn't capture all of the
12 locations at Mound where work with tritides
13 was conducted. And I think we also did not
14 capture the D&D years.

15 So the Working Group requested
16 that we expand that paper and make those two
17 changes. We've done that. We've delivered it
18 to SC&A and the Working Group, I think March
19 30th was the date that you said there.

20 The conclusion is that the doses
21 are, they're trivial. They're in fact
22 fraction of a millirem range, which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 basically what we heard in the interviews that
2 we conducted with the workers. And by we I
3 mean the Working Group and SC&A and us jointly
4 interviewed the workers that were involved in
5 this program.

6 And our analysis backs that up.
7 This is a program where people were working
8 with tritium, they were monitored for tritium.
9 There are some challenges interpreting
10 bioassay when this compound is possible.

11 But we've been told over and over
12 and over again that this compound was never
13 deliberately handled in the open environment.
14 It was always handled inside double
15 containment.

16 And it's a particulate tritium, it
17 doesn't go everywhere like you might be
18 thinking if you're thinking of a typical
19 tritium gas or tritiated water compound. One
20 of the workers involved was kind of
21 incredulous when we asked this. And said
22 you're asking me how much, basically how much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dust can get out of a tritium-tight glove box.

2 And it just doesn't make sense,
3 because these particles are bigger and less
4 mobile than tritium gas. So if you're working
5 in a facility to try to prevent tritium gas
6 from spreading all around it's really overkill
7 for this kind of a compound.

8 So we've presented our analysis.
9 Just a few minutes ago Joe sent out a piece
10 from Bob Barton at SC&A raising some concerns
11 about our paper. There might be a couple of
12 mistakes, I don't know, I haven't had time to
13 investigate that.

14 But that's where we are, NIOSH and
15 ORAU, with the tritide issue.

16 CHAIR BEACH: I have a couple of
17 questions. Just on this new paper I noticed
18 that you did three interviews with health
19 physics professionals?

20 DR. ULSH: Yes.

21 CHAIR BEACH: Are those new
22 interviews for this particular paper?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Yes, the discussions
2 themselves are new, but they're people that
3 we've talked to in the past. And the notes
4 from these interviews are in the SRDB, right,
5 Mel?

6 DR. CHEW: Yes, they are.

7 CHAIR BEACH: Is there a number
8 for this? Normally you list it in your paper.

9 DR. ULSH: Okay so what you're
10 saying is that the SRDB number for the
11 interview notes is not in the paper?

12 CHAIR BEACH: Well I was just
13 curious if you had the SRBD number so we could
14 go look at those.

15 DR. ULSH: If I don't I'll get it
16 for you.

17 CHAIR BEACH: Okay.

18 DR. ULSH: But they are people, I
19 mean obviously we can't talk about names here
20 for Privacy Act reasons.

21 CHAIR BEACH: Oh, I know that.

22 DR. ULSH: But they are people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, they're certainly people that we've
2 talked to before. I think they're people
3 you've talked to before as well.

4 MR. FITZGERALD: I suspect there's
5 an overlap.

6 DR. ULSH: Yes.

7 CHAIR BEACH: It just wasn't clear
8 from your paper if these were new or existing,
9 that's why I was questioning.

10 DR. ULSH: Yes, the discussions
11 themselves are new. As we went through this
12 paper we had some questions, so we went to
13 talk to those people again.

14 CHAIR BEACH: Okay. Work Group
15 Members, any other questions for Brant at this
16 time?

17 MEMBER CLAWSON: Yes, when you did
18 these interviews did you ever think to call us
19 in there? That we'd like to be a part of
20 these, because we have tried over the years to
21 be able to, so that we're not pounding on
22 these people, everybody doing different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things. And so that all of us are hearing the
2 same thing. I was kind of taken back and
3 surprised because I hadn't heard anything
4 about this.

5 DR. ULSH: Well they weren't
6 official interviews. They were basically,
7 since we've talked to them so often, we have
8 working relations that we just picked up the
9 phone and called them and asked them. I think
10 you guys have talked to them in a similar
11 capacity, maybe not.

12 So no, I mean we didn't. These
13 people are known to you. If you want to check
14 after you look at the interview notes, if you
15 want to check with them feel free. Call them.

16 MEMBER CLAWSON: No it's. I'm not
17 going to ask them a total different thing,
18 Brant, I'm looking at so many times people
19 just ask if we could all come in at the same
20 time so they're not having to go through --
21 Because I didn't know who, I've got a good
22 idea who they were with. It's just this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 several different sites this has happened for
2 and I was just wondering.

3 MR. STIVER: Brant, I have a
4 question for you. I noticed the first
5 analysis you guys did seems to be more of a
6 bounding demonstration. It was not really
7 intended to be coworker model.

8 But just given the assuming 100
9 percent tritides in the swipes the highest
10 possible factors contributing to dose in the
11 model you were able to demonstrate in your
12 paper, your claim was that these doses are
13 less than a couple hundred millirem.

14 And the new model seems to be a
15 more of a best estimate type approach. And I
16 was wondering are you planning to use this as
17 a coworker model now as opposed to a
18 demonstration? Or is that still the intent?

19 DR. ULSH: The reason it changed
20 approach, and you're right it did change, is
21 because I explicitly asked the ORAU Team to do
22 a best estimate rather than a huge bounding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over estimate.

2 As you know, it's kind of
3 analogous to be over estimating strategy that
4 we follow with the dose reconstructions.
5 We'll start out, we'll overestimate it, we'll
6 throw the kitchen sink at it. But that gives
7 you a PoC greater than 50. Well you need to
8 do a more precise and more best estimate.

9 And that was the situation here.
10 I didn't want to get into a situation where we
11 were using unrealistically high overestimates
12 and then walk in with a dose of a few rem
13 because that doesn't tell us anything.

14 So I instructed specifically, the
15 ORAU Team to back off on some of these wild
16 overestimates and make them more best
17 estimates.

18 Now in terms of your question,
19 will we use this for a coworker model. I
20 don't know. That's more of a TBD issue that
21 we'll have to talk about. My initial reaction
22 is this was meant as a demonstration project

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to give a best estimate of what the exposure
2 potential to these tertiary people would be.

3 If we came out with a very
4 significant dose estimate we would have a
5 problem. That's not what we're seeing here.
6 We're seeing fractions of a millirem. Or if
7 Bob Barton is correct and we've made a couple
8 of mistakes we're talking a few millirem.

9 MR. STIVER: Okay. You know,
10 obviously we're just beginning to review this.

11 So just the types of questions you'll
12 probably hear from us today are more regarding
13 clarification.

14 And one that seemed to really be a
15 driver was a reduction and resuspension
16 factor. The previous was three to the minus
17 three per meter. And you went down to five
18 times ten to the minus fifth.

19 DR. ULSH: Yes in the first
20 revision we used three to the minus three,
21 because we wanted to use the absolute highest
22 resuspension factor, because we didn't want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sit here and argue about what the resuspension
2 factor should be. It's directly proportional,
3 the doses that we estimate are directly
4 proportional.

5 If you don't like the negative
6 five number that we've used in the current
7 White Paper, which we've provided the
8 reference for. It's out of OTIB-70 I believe.

9 MR. STIVER: Yes, it was out of
10 TIB-70.

11 DR. ULSH: TIB-70, yes. If you
12 like a negative four number, multiply by ten.
13 You're still talking a few tens of millirem.

14 DR. MAURO: Brant, this is John
15 Mauro. I see you're using five minus five,
16 regarding the resuspension factor, and I was
17 originally the reviewer of the RF portion.
18 So, I mean, I'm just looking at it purely as a
19 resuspension factor. The only observation, I
20 guess I have two observations and they're
21 fairly simple.

22 You may want to consider that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resuspension factor literature, that is the
2 underpinning of your five times ten to the
3 minus five, and I like the five times ten to
4 the minus five number, when you're using it
5 for total activity on the surface.

6 In this case, and this is just a
7 thought to consider, what you're really
8 working with is not the total activity on the
9 surface but what you have to observe, as a
10 swipe.

11 So you're really only looking at
12 the removable portion of the activity on the
13 surface. And, as a rule of thumb, as you
14 probably know from Reg Guide 1.86, they make a
15 distinction about a factor of five between
16 when you're dealing total activity versus the
17 removable material.

18 Just a thought, you may want to
19 increase that five minus five per meter by a
20 factor of five. And that would be compatible
21 with the difference between total deposited
22 activity and removable. One quick

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 observation.

2 The other one is a little
3 discussion, here's where I'm a bit at a loss
4 is all the literature on resuspension factors
5 goes toward, I actually made a list of them,
6 it must have been about 20 papers, going to
7 the source documents in our White Paper.

8 And there is that enormous range
9 that you correctly point out. And your three
10 minus three was certainly at the upper end of
11 that range. Well when you look at the data
12 it's largely either plutonium, maybe uranium.

13 There are some experimental work where they
14 actually use some type of dust, where they
15 were working with milligrams per square meter
16 and per cubic meter.

17 Any thought to whether there's
18 anything about a tritide, like a hafnium
19 tritide, that is chemically unusual, I have no
20 reason to believe it is or is not, where, for
21 some reason, the literature that does not, of
22 course, include tritides on resuspension

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 factor or anything about the chemistry and the
2 particle size distributions, all of which I
3 understand are areas that we can't go into.

4 But that's another thought that
5 came to mind when I was thinking about your
6 resuspension factor and the degree to which
7 the literature itself, upon which your five
8 minus five is based, is reasonably applicable
9 to this particular chemical form of tritium, a
10 hafnium tritide.

11 Those are my real, quite frankly,
12 you know I read through your material and
13 those are the two things that hit me right
14 away. And you may want to give some thought
15 to that.

16 DR. ULSH: Okay. Thank you, John.

17 I appreciate your comments. With regard to
18 the factor of five, you know, we could
19 entertain, I'm not committing that we would
20 increase it by a factor of five. But I assume
21 that SC&A will be making comments. And we'll
22 certainly give that due consideration.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I would point out though that even
2 a factor of five doesn't increase the doses
3 that we estimate to a level that I think would
4 be of concern. And I guess it kind of depends
5 on where we go from here.

6 At the end of the day, when the
7 Working Group makes its recommendations, I
8 don't know if you're going to ask for more
9 work from us on this or if you're going to
10 make a decision to move forward.

11 Well, certainly, if it's the
12 Working Group's desire I guess we would look
13 at whatever response SC&A wants to provide.

14 Now in terms of the second
15 question, do tritides behave like whatever
16 materials were used to generate the literature
17 value of the resuspension factors? It's not
18 an issue that we explicitly considered. It's
19 not an issue that we explicitly consider in
20 any other situation either. I don't know.

21 DR. MAURO: Yes, Brant, the only
22 reason I bring it up is I think in every other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 situation where we were using resuspension
2 factors, I think it was plutonium, thorium and
3 perhaps uranium oxides, and a lot of the
4 literature itself is based on that.

5 So the source documents that are
6 the basis for, for example, OTIB-70 numbers,
7 where they're largely used at AWE facilities.

8 You know we see that all the time so we know
9 that the literature is in fact directly
10 applicable to the circumstances we're dealing
11 with.

12 Here we have a circumstance that
13 is, as you pointed out correctly, is a little
14 unusual. And quite frankly I'm thinking just
15 about a metal tritide.

16 And for all intents and purposes,
17 you know, if you're talking about hafnium or
18 some of the other metals, if you just think
19 about it as a metal, as a finely separated
20 metal at a very small particle size, you know,
21 five micron distribution or whatever, you
22 know, intuitively one would say well why would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it behave any differently.

2 But I have to say I just don't
3 know. And the degree to which we could be at
4 least thinking about that might be helpful.

5 DR. ULSH: I'm with you, John. I
6 just don't know if these compounds, these
7 tritides are salt. That's what they are,
8 they're salts. A metal combined with
9 hydrogen. So I don't know if that means
10 anything.

11 DR. MAURO: Exactly. And I
12 understand what you're saying. So one could
13 say, well it's just like any other metal.
14 Yes. I'm not sure. I just don't know. Well
15 the tritide is not, I'm maybe asking a
16 question I shouldn't ask, it's not a hydrated
17 thing like a hydrate. It's a hydrogen on the
18 metal.

19 In other words the tritium itself
20 is not HTO. It's T that's tied to the metal?
21 Or you cannot answer that question.

22 MEMBER ZIEMER: It's not HTO.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: I think Paul had a
2 comment, John.

3 MEMBER ZIEMER: Well the tritium
4 in a sense, in this case, for particle size
5 considerations is trivial. So if you're
6 talking about let's say iron oxide particles
7 or hafnium, or any other metal, it seems to
8 me it's the metal, it's going to behave like
9 whatever that metal is. The presence of the
10 tritium I can't see that that would change how
11 the particles would behave in terms of
12 resuspension.

13 DR. MAURO: Yes, Paul, my
14 intuition goes in the same direction as yours
15 on that. But I hate to just jump to that
16 conclusion.

17 MEMBER ZIEMER: But I do have a
18 separate question if I might raise it. My
19 understanding is that the swipe samples, at
20 the time that they are taken and even now,
21 were understood to be just tritium wipes, not
22 tritides, is that correct?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Yes, and that's really,
2 it's an accurate assumption. And that was one
3 thing that I was going to point out here.

4 MEMBER ZIEMER: That you're
5 assuming, in your model, as kind of a worst
6 case, that the swipes are actually tritides,
7 is that correct?

8 DR. ULSH: We're assuming that all
9 of the activity detected from the swipes are
10 100 percent insoluble tritides. And that is a
11 huge --

12 MEMBER ZIEMER: Right. In reality
13 it's almost the other end of the spectrum.

14 DR. ULSH: Yes.

15 MEMBER ZIEMER: It's probably all,
16 or close to all --

17 DR. ULSH: Darn close to it.

18 MEMBER ZIEMER: But that raises
19 the other question. Do you have some level of
20 confidence that a swipe made of tritide versus
21 that of normal formed tritium, which is just
22 contaminated surface, would they look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different to a PC-3, or I guess it was a PC-5,
2 or a scintillation counter.

3 I know that in the scintillation
4 counter, and probably in the PC-3, you're
5 still looking at, for the tritide, just the
6 surface. And you had some discussion, which I
7 didn't fully follow on how the counts
8 represent the true activity.

9 DR. ULSH: Yes, exactly. You're
10 talking about self-absorption really.

11 MEMBER ZIEMER: Right.

12 DR. ULSH: That is a topic that we
13 were specifically asked to address at the
14 Germantown meeting. So you did have a
15 discussion of that in the paper here.
16 Basically what happens is with tritiated water
17 self absorption is simply not an issue. What
18 you see is what you get.

19 With tritides, if the particles
20 are big enough, there's a potential for some
21 of the beta activity from the tritium, from
22 the interior of the particle, to never make it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out of the particle and not be detected in a
2 liquid scintillation cocktail.

3 So the question would be then,
4 well how much of the activity are you missing?

5 Turns out it doesn't matter. It simply
6 doesn't matter. What is important is in the
7 liquid scintillation cocktail, if you want to
8 call it the apparent activity instead of the
9 true activity, the apparent activity is what
10 is important from a dosimetric standpoint,
11 because if the tritium decay is at the
12 interior of a particle and no radiation, no
13 energy ever makes it out of the particle, well
14 it's true it won't be counted in the liquid
15 scintillation cocktail.

16 But it also won't escape to
17 irradiate the lung. So it's not
18 dosimetrically important. What we need to
19 focus on is the apparent activity. And that
20 is stated explicitly in the Mound Technical
21 Basis Document. Not the one that NIOSH wrote,
22 the one that Mound wrote, for stable tritiated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particulates. It's the apparent activity that
2 is dosimetrically important. Did I answer
3 your question?

4 MEMBER ZIEMER: Yes. That's what
5 I thought you did. But I just wanted to make
6 sure that we understood why that was done that
7 way. And that the actual swipes were probably
8 not tritides or if there were tritides it
9 would be very small. I mean these things were
10 opened inside the glove boxes, right?

11 DR. ULSH: Absolutely. Now it
12 could have been a tritide, it could have been
13 iron. It could have been rust. I mean
14 there's a lot of metal equipment. But we're
15 not terribly concerned about the rust, it's
16 not one of the highly insoluble tritides. The
17 highly insoluble tritides were handled inside
18 double containment, inside glove boxes.

19 MR. FITZGERALD: Yes, I guess a
20 couple of comments since there's a lull here.

21 (Laughter.)

22 MR. FITZGERALD: Just to expand a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 little bit. The reason we're still talking
2 about this, just going off your comment, Paul,
3 is that in the interviews it became apparent
4 there were, you know, as there are with
5 handling tritium in glove boxes, there was a
6 history of releases and that was acknowledged
7 and not surprising either.

8 And that was where the concern
9 over perhaps tritides being released with the
10 tritium in this leakage and whether that would
11 have been an exposure source. Not so much for
12 the operator, since they of course were on
13 bioassay, but for the support workers who many
14 have been in the labs. That's where, sort of
15 this has gone.

16 I guess my other clarifying
17 question. We looked at sort of the previous
18 version of the assessment and this latest
19 version. I think you've clarified a little
20 bit but I just want to make sure I understand.

21 The last version was a maximizing
22 dose estimate. And, well, all the assumptions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were maximizing in terms of coming up with the
2 significance, demonstrating the significance
3 of the exposure source. This is also
4 demonstrating significance of the exposure
5 source. This is a best estimate.

6 So really it's the context of the
7 review. I mean what in fact, what assumptions
8 are selected and how one goes about that. But
9 I mean the purpose is still the same. You're
10 trying to demonstrate the dose significance,
11 potential dose significance or exposure
12 significance of the insoluble tritides, with
13 these assumptions.

14 And I think you pointed out, and
15 this is where I was trying to follow from
16 before, that the resulting level would, at
17 best, be millirem. And now you're saying
18 actually with the best estimate it would be
19 fraction of millirem. And it would have to be
20 a higher level to be of consequence or
21 significance.

22 I guess my question is what level

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would it have to be if it were to be something
2 that would be of consequence in this program.

3 I'm just trying to figure out if it's not a
4 few millirem or a fraction of millirem at what
5 point, I mean, I think at the last meeting
6 maybe Jim said this, if it were tens of rem,
7 hundreds of rem, then we would have to address
8 it. I remember that comment.

9 But now we're sort of in the
10 minuscule range, but I'm just trying to figure
11 out where would that have to be to be of
12 exposure significance?

13 DR. ULSH: Yes, it's a tough
14 question and I --

15 DR. NETON: Well I guess I might
16 be able to kind of answer it. I think when I
17 referring to this tens of rem or hundreds of
18 rem issue had more to do with the significance
19 of the overestimate. If you do an
20 overestimate you get into the tens or hundreds
21 of rems and you kind of have to like sharpen
22 your pencil, so to speak, because you just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can't get away and say okay we can bound it
2 and it's really high.

3 The extreme bounding estimate came
4 out I think it was like 100 millirem or 200
5 millirem and so that didn't rise, at least in
6 my mind, to a level of concern that we have a
7 huge issue here.

8 As far as levels significant to
9 where we'd include things in dose
10 reconstructions I think we've drawn the line
11 at a millirem. I mean anything a millirem or
12 higher is going to go into a dose
13 reconstruction.

14 So clearly if they get into the
15 millirem ranges we would be including the
16 potential exposure in a dose reconstruction.

17 MR. FITZGERALD: Now we're talking
18 about the two analyses, one was a maximizing,
19 maybe a bounding approach. And this is a best
20 estimate approach.

21 DR. ULSH: Let me clarify that,
22 because I know you're going off what I said so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's really kind of my --

2 MR. FITZGERALD: Well I'm just
3 saying the two papers have struck me, that was
4 the context.

5 DR. ULSH: Perhaps I misspoke a
6 little bit earlier. This is still an
7 overestimate. It's just not as overestimating
8 as the first one that we did. We backed off
9 on the resuspension factor, as has been
10 pointed out.

11 But as Paul pointed out we've
12 still included some overestimating assumptions
13 here. First and foremost, all the activity on
14 the swipe is insoluble tritide. That's a huge
15 overestimate. Especially when we know the
16 operating history where this material was
17 contained.

18 There are some other
19 overestimating assumptions that are in here in
20 terms of what percentile was picked. It's
21 just that this is not quite as enormous an
22 overestimate as the first one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: I guess that's my
2 point. Are we sort of in this scaling process
3 where I could put a different group of HPs in
4 the room and say I want a conservative
5 construct on this, and by virtue of picking
6 certain assumptions, because you really don't
7 have any real data, so what you're doing is
8 you're using these very conservative
9 assumptions to try this.

10 So you're selecting these, whether
11 it's ten to the minus fifth or fourth, you
12 know, you're picking a number. And these
13 numbers are cumulative, you know, when you add
14 these assumptions together they'll give you a
15 result.

16 And what, I guess just off the
17 top, concerns me and this goes back to your
18 answer, is that there's in a sense there's
19 almost a target level of what would be
20 considered de minimis in this program. And I
21 think you're saying it's about a millirem.

22 And if we are simply playing a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 numbers game where it depends on what
2 assumptions you pick, and if you change those
3 assumptions, and I think we had a, I don't
4 know, couple hundred millirems CEDE to lung
5 that probably equated to several millirem
6 whole body, that would probably, that might
7 actually fall in on what would be considered
8 not a negligible exposure in EEOICPA. Here
9 we've rejiggered the numbers and now it comes
10 in slightly below a millirem, perhaps.

11 But do you see what I'm getting
12 at? It sort of becomes this calculational
13 effort. And the issue becomes one of whether
14 one falls below or above a millirem as far as
15 whether it's a dose reconstructable exposure.

16 I think that's not a good place for the
17 program to be when you're talking about
18 something as significant as an exposure
19 cohort.

20 So that's where I'm a little
21 concerned about we're operating in an arena,
22 we've had many discussions about this, where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we lack quantifiable data and site
2 characterization data. So we're employing
3 assumptions. And in this case trying to
4 demonstrate the significance of an exposure
5 potential.

6 But the implication of doing so is
7 that we're trying to make a judgement as to
8 whether that exposure potential is something
9 that should be dose reconstructable under the
10 program.

11 And my concern is that seeing the
12 two, and these are two worthwhile efforts and
13 actually I think I even told Brant I thought
14 this latest analysis was a stronger analysis.

15 But nonetheless, it sort of brings
16 me back to we could have a number of analyses
17 that would give you a spectrum of assumptions
18 that would be as bounding, I shouldn't use
19 that word, as maximizing as the first one we
20 looked at a month or two ago.

21 And perhaps with this one as the
22 other bookend, and maybe with others in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 between, but the implication is that perhaps
2 some of them would make this dose
3 reconstructable under EEOICPA, some would not.

4 And I guess my question for the Work Group is
5 it's sort of a policy question almost.

6 I know the one millirem has been
7 used as a benchmark. But when it gets into a
8 province where you lack real site data and
9 you're employing assumptions, then my concern
10 is whether those assumptions end up driving
11 the consideration rather than the site-
12 specific data which I think is the essence of
13 the Act, that the site-specific data should be
14 employed.

15 DR. NETON: Well where you're
16 going though, Joe, is really how are we going
17 to do a dose reconstruction, is what you're
18 going to say.

19 MR. FITZGERALD: No, no. Not even
20 that far. I'm before that. I'm just saying
21 where do you have an exposure potential for
22 which a dose reconstruction would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 warranted?

2 DR. ULSH: Okay. Let me clarify a
3 few things. First of all I can't answer the
4 how significant is significant. It's kind of
5 like defining pornography. I know it when I
6 see it. And I'm going to leave that question
7 to the policy makers and the Advisory Board to
8 deal with.

9 I don't consider fractions of a
10 millirem significant. If you do, do something
11 different than I would do. And it's not
12 accurate to say we don't have site-specific
13 data.

14 This analysis is based on swipe
15 data from the site. It is based on
16 resuspension factors from the literature. We
17 know what material was there. There was a
18 number of site-specific parameters that we
19 have used here.

20 So we can talk about generalities
21 all we want, but this is a specific situation
22 at Mound and what we've shown you is that even

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 under the conservative assumptions that we've
2 used here you're still talking fractions of a
3 millirem. If you think that's significant and
4 that's the basis for an SEC then you know what
5 you need to do. I say it's not.

6 MR. FITZGERALD: I would tell the
7 Work Group that that's not the issue. It's
8 not the result that I'm dwelling on, because
9 like I said I can get a number of HPs in a
10 room, give them the task and we could come up
11 with a number of results, which I think any
12 one of which, including your own, you could
13 substantiate. You could justify. You could
14 argue that these were subjective but well
15 thought out assumptions.

16 But what I'm saying is when you
17 get into an arena where you're taking those
18 assumptions to come up with a level of
19 significance, as far as what is going to be
20 considered in or out, because that's
21 essentially what you're talking about. Is it
22 a exposure that's going to be addressed or not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 under the program?

2 Then I'm a little more nervous
3 that the Act was written to deal with
4 circumstances where, you know, your records
5 aren't available. Your monitoring information
6 is lacking. And even your surrogate
7 information is lacking.

8 And I understand what you're
9 saying, I don't want to bring the Work Group
10 back through two years worth of debate on what
11 site-specific information is.

12 But in this particular case we
13 don't have the monitoring information. We
14 happen to have tritium information but we
15 don't have the ratios and what have you.

16 DR. ULSH: We do have monitoring
17 information. We've got tritium bioassay and
18 we've got --

19 MR. FITZGERALD: Let me finish,
20 Brant. So really what I'm saying is if you
21 had a result that gave you your several
22 millirem, as the first assessment from January

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 did, where would that leave you? And that's
2 what I'm concerned about.

3 If you had two assessments, one a
4 little more maximizing than the other, just be
5 perhaps more best estimate, and you had a
6 range of values in between, where does it
7 leave you in terms of making that decision on
8 what to accept and what is the de minimis
9 level? Is it in fact one millirem, de facto
10 one millirem?

11 If it is then I think I would
12 defer to the Board to say, okay, we have
13 different ways to apply your assumptions and I
14 think the calculational methods that Brant has
15 laid out are fairly solid. But if we use
16 different assumptions I think SC&A will
17 provide analysis on those assumptions and the
18 numbers changed, are we talking about that
19 clean a threshold.

20 Is there that much confidence in
21 these assumptions that you would deny or
22 accept based on the difference between a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 millirem or three millirem or five millirem?

2 DR. NETON: That's yet to be seen
3 based on SC&A's analysis. I mean we're happy
4 to look at the analysis you guys come up with
5 and if it shows that there's a plausible upper
6 bound of ten millirem, or whatever it comes
7 out to be, we're happy to deal with that.

8 And I would suggest at that point
9 the discussion points to the fact that it may
10 be that high, we would include it in dose
11 reconstruction.

12 MR. FITZGERALD: Well now it's
13 ten. See I --

14 DR. NETON: I'm not saying it's
15 ten. I'm saying whatever you say.

16 MR. FITZGERALD: I know but one
17 millirem --

18 DR. NETON: One millirem will be
19 included in a dose reconstruction, I can
20 guarantee that. Anything over one millirem.

21 MR. FITZGERALD: One millirem?

22 DR. NETON: Yes, sir.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: This is John. I'd
2 like to jump in a little bit here. As I
3 understand it the one millirem is a number
4 that has come up simply because as a practical
5 matter when you run your calculations and
6 you're doing your dose reconstruction, per
7 given year, and you find a dose of that
8 particular internal or external exposure is
9 less than one when I look at input it rounds
10 off to zero.

11 So it's not that everyone agrees
12 that one is the right threshold of no
13 significance, it just turns out to be from a
14 practical manner that's what happens.

15 And when I do my reviews of a case
16 I will do the calculations and I'll see your
17 zeros, a whole string of zeros. I'll check a
18 number and say do I come in under one millirem
19 and if I do I say okay, I agree.

20 I think that the more important
21 question is, what I see here, is you have come
22 up with a method to place a plausible upper

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bound on the exposures to people from
2 tritides. And if I was reviewing a case right
3 now, with this methodology, what I would look
4 at is two very important issues.

5 One is, I probably would not use
6 your resuspension factor. I'd probably
7 increase it by a factor of five and see what
8 happens. And then I would make sure that
9 whatever swipe data you have, that you're
10 using, applied to the particular, had
11 sufficient data that you could say I could,
12 for the scenario, what kind of work the worker
13 might have been doing over the course of a
14 given year.

15 And if there's sufficient swipe
16 data there and that it covers just about the
17 full range of things that worker might have
18 been involved in.

19 And in my mind, if you have that
20 swipe data and it covered the range and
21 perhaps you picked the upper 93rd percentile
22 of that data, and that data did include all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the activities that he might have been
2 involved in, and you use this what I would
3 call a little bit more elevated resuspension
4 factor, I would probably walk away saying this
5 is -- and wherever the number came in, I would
6 argue, this is just me speaking now, yes
7 that's probably a reasonable upper bound.

8 Especially given the point that
9 Paul just made that in reality the swipe data
10 is probably not all tritides. It's probably,
11 maybe dominated by tritium itself, tritiated
12 water, we don't know.

13 But even if it were all halfium
14 tritide I have to say, as a reviewer, of a
15 dose reconstruction I would be less concerned
16 if you came in less than one. I would say is
17 this a plausible scenario and did you place a
18 reasonable upper bound on the guy's dose given
19 his work involvement.

20 And these are the two places I
21 would look. One, the resuspension factor.
22 And two, do you have adequate swipe data to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 capture the full range of activities he might
2 have been involved in?

3 MR. FITZGERALD: And, John, I
4 would also add that some sense of the
5 uncertainty, because if you're really trying,
6 you know, there's been some terms here.
7 Maximizing, best estimate and now we're using
8 upper bound.

9 But since upper bound's the normal
10 parlance, certainly the upper bound would need
11 to consider the ranges and the uncertainties
12 involved so that they could be accommodated in
13 the upper bound. That's something that --

14 DR. NETON: Well that's one thing
15 I was going to mention. Is we've been talking
16 about bounding analyses here but honestly many
17 times in dose reconstructions we will put a
18 distribution in there for the dose. It will
19 be the best estimate with some uncertain
20 distribution about it. I mean that's often
21 the technique that's used for internal dose in
22 particular. But we haven't gotten that far

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 yet, that's something.

2 MR. FITZGERALD: No, this is a
3 threshold question, really.

4 DR. ULSH: And I think it's worth
5 pointing out here the context of what we're
6 talking about. First of all everyone that
7 we're talking about, all work with tritides
8 was done in tritium areas at Mound. So any
9 worker who would have been in these areas was
10 already on tritium bioassay.

11 And you can argue about the
12 interpretation of that, but we used 69,000
13 swipe data, these are site-specific from
14 Mound. We're using tritium urinalysis data
15 from Mound. We're here using site-specific
16 data. Now the situation that we're talking
17 about here is we already have an SEC for
18 anyone who had any tritium urinalysis data up
19 through 1980.

20 That covers the bulk of the time
21 period that we're talking about. It doesn't
22 cover D&D, but it covers certainly the time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period when active work was being done with
2 insoluble tritide at Mound. So what you're
3 talking about here, I mean if you decide that
4 this is insufficiently accurate or for
5 whatever unacceptable, what you're talking
6 about is talking tritide doses away from
7 people for which they would already be covered
8 under an SEC.

9 CHAIR BEACH: That doesn't relieve
10 us of the responsibility of --

11 DR. ULSH: No it doesn't, but --

12 CHAIR BEACH: -- sorting this out.

13 DR. ULSH: I think it certainly
14 comes into play here because this is an SEC
15 question. And what I'm saying is the SEC
16 question for this particular group of people
17 has already been answered. I say let us
18 calculate the tritide doses for people who are
19 not going to qualify for whatever SEC you
20 designate.

21 MEMBER ZIEMER: You have another
22 lull, Joe, if you want it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 MR. FITZGERALD: You want me to
3 fill this one too?

4 MEMBER ZIEMER: We're pondering.

5 MR. FITZGERALD: No, I think again
6 I don't dispute what Jim said. There's
7 different flexibilities reported in the dose
8 reconstruction process. But this is an
9 interesting issue in the sense that what's
10 being postulated is a threshold for even
11 considering something for dose reconstruction.

12 I mean it's almost not a dose
13 reconstructability issue in a normal SEC
14 sense.

15 It's sort of saying is this a
16 exposure that rises to a level of significance
17 such that we would even deal with dose
18 reconstructability and the question of who to
19 assign the dose to.

20 And right now, you know, I spent
21 some time with the previous White Paper, I
22 might add it actually came out about Thursday

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 last week. And it was sort of like, oh, okay I
2 guess we're going to have to rewrite that.

3 But nonetheless having looked at
4 it I think this is a stronger assessment. But
5 nonetheless that issue remains that whereas
6 that first White Paper one would have come up
7 with, I guess, several millirem whole body or
8 pick a number whatever it is. This one
9 happens to have a more conservative
10 assumption. It comes in fractions of
11 millirem.

12 And that sort of got me thinking.
13 So well, it's all in the calculations and
14 what assumptions you employ, what
15 uncertainties you include. And you can come
16 up with almost any value depending on what
17 kind of assumptions you take. And the
18 question is which one is bounding.

19 Well I think that's a real good
20 question for the Work Group because I think
21 that would be kind of where we would have to
22 come from in our analysis to say, okay,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stepping back from all of this in the end it's
2 sort of, you know, we're doing a
3 demonstration. We're not doing a dose
4 reconstruction but a demonstration of what
5 would be the bounding assessment.

6 Not necessarily maximize, but
7 something that would reflect the uncertainties
8 involved. And is that somehow going to fall
9 above one millirem. I mean I think we haven't
10 really broached this question of de minimis
11 before. But I think this is where this comes
12 from.

13 So from our standpoint that's kind
14 of where we would go back and take a look at
15 the numbers. And I sent you that response
16 from Bob Barton just because that's sort of a
17 late breaking, real-time reaction. But we're
18 looking at some of these assumptions from the
19 standpoint of the basis for the assumptions,
20 the numbers. And we're trying to understand
21 them better.

22 And we'll look at maybe what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 uncertainties that would be inherent in each
2 one. But you know I think what we would come
3 back with is some kind of validation as to
4 whether or not in our view a bounding
5 assessment of that results in a number
6 fractions of millirem or something above a
7 millirem.

8 But it still makes me a little
9 nervous that really we're kind of playing in
10 that field. That really we're not to a dose
11 reconstructibility test, we're still looking
12 at whether something is going to be in the
13 game or not as far as exposure. So that's
14 pretty much all we can go back and look at it.

15 MEMBER ZIEMER: That's more of a
16 generic question than it is a decided, it's a
17 policy question in part. And it, in a sense,
18 is one you theoretically could face at any
19 site where you have assumptions on what to
20 include or what's trivial. I mean we have it
21 at some other sites.

22 Some things that you say, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, the incremental addition of that to this
2 total is so minuscule that it's not worth our
3 time in doing it. And I don't know if it's
4 always a millirem.

5 But you could in most cases you
6 could take a millirem and put it in the IREP
7 model, a year in time, and see what it does to
8 the PoC if it effects it in the third or
9 fourth decimal place, which I still object to
10 even showing in some cases, you know they
11 should round it off at least to whole numbers
12 and maybe even fives. But that's --

13 MR. KATZ: I think the principle
14 that the Board's been operating on and the
15 program has been operating on since the
16 beginning has been that if you're in a
17 minuscule, I won't say what that range is, but
18 a minuscule dosage range that it still would
19 be accommodated by the conservatisms, because
20 you're not ignoring any dose, even if you're
21 not explicitly, you don't have a model or you
22 don't have representation for that particular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dose.

2 But if you're talking about one
3 millirem for something that you didn't
4 actually calculate and you've already more
5 than covered that by your dose estimate
6 process in general then you're not spending
7 the time taking it up. I think the program's
8 done that in dose reconstructions.

9 DR. NETON: Yes I was going to say
10 it's very common in the residual contamination
11 group.

12 DR. MAURO: Yes, and Ted, this is
13 John. What you explain is exactly what I'd
14 run into where NIOSH in a dose reconstruction
15 would say that we've checked these numbers,
16 they're coming out less than one millirem and
17 that's the end of the story.

18 I've seen places where they've
19 actually run the numbers, came in at less and
20 put zeros in, the IREP input and the
21 attachment Appendix A. But I've also seen
22 circumstances, which I've found favorable,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 where they say we did the calculations, we
2 came in at less than one and we were ignoring
3 it. I've seen both.

4 And I would check both and in each
5 case I'd left that as not a binding, in other
6 words yes, I agree and the fact that you did
7 not explicitly address it and put zeros in,
8 the IREP input, I did not have a finding on
9 that. I just simply concurred, yes that the
10 number was less than zero.

11 And I seen circumstances where
12 they've came in at 1.5 millirem or three or
13 four. Checked the numbers and they're in
14 there. And they're in the run. So what I
15 think we have here is we're talking about a
16 coworker model right now and whether or not we
17 think one can be constructed.

18 (Simultaneous speaking.)

19 MEMBER ZIEMER: You're only using
20 this --

21 MR. FITZGERALD: It's a
22 demonstration.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes, we talked about
2 that earlier.

3 DR. MAURO: Oh, okay. Well I may
4 be jumping the gun. But I'm trying to see
5 that we have a coworker model that in my sense
6 I feel comfortable with. If that's not the
7 conversation we're having then I may be off
8 base here.

9 MR. FITZGERALD: No. I think you
10 do, I think Paul might have touched on it. I
11 think it's a policy issue as well as a
12 technical issue. I mean, again, we can spend
13 some time looking at the assumptions but I
14 think there may be some policy implications.

15 And working at some of the other
16 sites the issue does come up. And I think
17 different tests have been used to determine
18 whether or not the exposure is significant or
19 not, I guess is the best way to put it. And
20 that consistency of a policy application is
21 something I guess it's the Board's province.
22 And we won't go there obviously but we'll go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and look at the technical assumptions and come
2 back with our best take on that.

3 But I still think there's another
4 question and that's something the Board will
5 have to deal with.

6 CHAIR BEACH: Well, Ted, I'm going
7 to direct this to you. Joe brings up a good
8 point on a policy issue where it's not just
9 this Work Group, there are other Work Groups
10 dealing with this exact thing. Is this
11 something that we would transfer maybe to SEC
12 Work Group to look at as a policy question or
13 just take it out to the full Board to discuss
14 during a meeting?

15 MR. KATZ: Well and I'm not sure
16 whether it's so much an SEC issue as a dose
17 reconstruction issue, in which case it might
18 go to the Procedures Subcommittee, because if
19 you are at this range you're not really having
20 a debate about whether you're sufficiently
21 accurate if you're capping it within, you
22 know, whether it's one millirem or a fraction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of a millirem, you're not going to make a
2 claim that this is not insufficiently accurate
3 at that point, which is the SEC issue then.

4 I mean you're more, it's an issue
5 of how are you handling it in the dose
6 reconstruction. Like I said, I mean, I
7 thought the way it was it was just basically
8 these minor doses are assumed to be more than
9 handled by other conservatisms. But anyway
10 that's a policy issue and so the Procedure
11 Subcommittee is one place to take it up.

12 MR. FITZGERALD: If I can expand
13 on that though. I think the threshold value
14 is one issue. But the other issue is the
15 level of uncertainty involved in getting
16 there. Because if you had a lot of
17 quantitative data, and you are on solid
18 quantitative ground, and you got to one
19 millirem that would be one thing.

20 But if you are bereft of typical
21 monitoring data or site characterization data
22 that you would want to use, you would have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 use simplifying assumptions and whatever.
2 That's a different issue, because the
3 uncertainty range would make the one millirem
4 less certain. And if that were the decision
5 point one could argue that it may not be
6 something you could hang your hat on as well.

7 So there's a judgement call I
8 think that comes into play. It's not just
9 looking at the one millirem procedurally but
10 looking at what's the basis for deriving a
11 value that would be compared to that one
12 millirem.

13 And that's where I'm having some
14 concern here, because I think in this
15 particular case we really do lack a lot of the
16 hard data. And I understand what Brant's
17 saying, but I think this, in a relative way,
18 we have less hard data on the tritides than we
19 normally would some other source terms.

20 MR. KATZ: All I was saying is
21 that if the uncertainty range though is a
22 range that's from fractions of a millirem to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 couple millirem, that's a different
2 circumstance than if the uncertainty range is
3 fractions of a millirem up to tens of rem,
4 then you're in this wild world of what's
5 sufficiently accurate.

6 MR. FITZGERALD: That would be
7 useful for the Board to discuss, because I
8 think it is going to be a common issue, or has
9 been a common issue. You know, looking at Los
10 Alamos with mixed activation products, I mean
11 we're talking about short lived, you know,
12 you'd probably be fairly small for a lot of
13 workers and that was a SEC, or still is an SEC
14 discussion.

15 And what level of significance
16 would you even consider MAT's to be something
17 you'd want to dose reconstruct? Well, you
18 know, so we're going to hit that in a lot of
19 places.

20 MR. KATZ: So in another way it's
21 sort of rubber hits the road, which relates to
22 what I was just saying about where the range

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is is health endangerment. If you're beyond
2 certainty range keeps you within in the
3 millirem and below you can't make the case for
4 health endangerment, which is an element of
5 the SEC.

6 MR. FITZGERALD: Well that's a new
7 element.

8 MR. KATZ: No it's an original.

9 MR. FITZGERALD: A new
10 implication. I'm just saying that I
11 understood the one millirem to be more of a
12 structural issue as far as what IREP can
13 process it's not a health effects based. I
14 mean if it was health effects it would be way
15 up the scale from one millirem.

16 DR. NETON: It's not health
17 effects. It's practical.

18 MR. KATZ: Right, I'm not
19 questioning that.

20 DR. MAURO: This is John. This
21 endangerment question has sort of been
22 plaguing us for a very long time and I know it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is something that we really can't go to. I
2 don't think we can resolve that. Quite
3 frankly we've seen it too many times.

4 And I think in this case, you
5 know, if that's where this is headed I don't
6 think we're going to get to the end. In my
7 opinion once you engage that question, we'd
8 love to be able to engage that question to ask
9 the second part. But you know we can't go,
10 it's just not going to happen.

11 At the one millirem it just turns
12 out to be, like you said, Joe, a mechanistic
13 issue. The mechanics. And no one is troubled
14 by that, the one millirem cutoff on IMBA. So
15 really what I'm hearing is listen we don't
16 know how much tritides are out there. We
17 could make all of these assumptions without
18 any real measurements whatsoever, of tritide
19 levels anywhere.

20 And what's been done is almost
21 like a think piece, what NIOSH did. It is a
22 think piece here, let's just walk through this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and make this assumption, this assumption,
2 assuming we've got thousands and thousands of
3 swipe data under every possible circumstance,
4 take the upper 95th percentile of that data.

5 And let's say if everyone agrees,
6 yes, listen at 95 percentile there's no
7 circumstances you could envision where anyone
8 could have ever possibly been exposed at the
9 95th percentile all year long. You know, DPM
10 per centimeter squared.

11 And then on top of that use an
12 average annual resuspension factor that
13 everyone agrees certainly on the upper end,
14 what we have is conceptually. Conceptually
15 approach that one side of the house could
16 argue, well listen that's a health physicist
17 thing. I have no problem with that.

18 On the other side of the house is
19 hold the presses. You have no data. You
20 know, we don't have one measurement of one
21 tritide anywhere that we could even talk
22 about. And that becomes the policy issue in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 my mind. And there's a dilemma.

2 As a health physicist the logic
3 sequence that you folks have gone through,
4 Brant, I have to say I like. You know, with
5 the caveats that I brought up earlier.

6 But the policy issue that you're
7 doing all this without any measurements
8 anywhere of any tritides, I can understand
9 that also being what do you do about that
10 within the context of this statute that we're
11 working?

12 MEMBER ZIEMER: Well, John, I
13 think you have to say if there were tritides
14 in the workplace then the swipes capture them.

15 You can't say there's no tritide. We don't
16 know that it's -- I mean they're assuming it
17 all is, but if there's some there then it's
18 there.

19 DR. MAURO: Oh yes, I believe
20 there is some there. But none were measured.

21 I mean that's why I'm saying this is really
22 not a scientific question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: They all were
2 measured.

3 DR. MAURO: This is a question,
4 you know, we have no data on what the levels
5 were anywhere. Of tritides.

6 MR. STIVER: Yes, John, the
7 problem is that there were tritides there it's
8 just the uncertainty can range anywhere from
9 zero to 100 percent.

10 DR. MAURO: Okay, that's the same
11 thing as saying we have no data.

12 DR. NETON: I don't know why this
13 is any different than the Class WYS --

14 MEMBER ZIEMER: I assume it's all
15 the same.

16 DR. NETON: No we always pick the
17 most insoluble material to maximize the dose.
18 And we've been doing this consistently for
19 ten years. So it's an insoluble form of
20 tritium it's sort of like it's an X, you know.

21 DR. MAURO: Okay.

22 MR. FITZGERALD: Well I guess we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could pontificate as well.

2 (Laughter.)

3 CHAIR BEACH: So I want to ask
4 Brant, would it be helpful for Bob Barton to
5 go over his paper that he sent out this
6 morning just for clarification?

7 DR. ULSH: It might.

8 MR. FITZGERALD: It's not even a
9 paper, apologies to Bob, I'm sure he didn't
10 realize I was going to do that. I think it
11 would just be helpful if we were to talk about
12 it, that Brant had it in front of him. I sent
13 it out this morning.

14 DR. ULSH: We can at least get a
15 head start on it, responding to it.

16 MR. STIVER: Yes, Bob got the
17 spreadsheets Friday and he's had a couple days
18 to look at it.

19 MR. FITZGERALD: We're talking
20 real time. I sent it to Brant, I got it this
21 morning.

22 DR. NETON: I don't think the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Board --

2 DR. ULSH: No, Josie sent it
3 directly to me.

4 CHAIR BEACH: I have a copy of it.

5 MR. FITZGERALD: I sent a brand
6 new copy to Josie, but literally I got it this
7 morning about 8:30. So this is --

8 MR. STIVER: Yes it basically
9 looks how the swipe data were used to
10 calculate the annual doses. In some instances
11 there was only about 167 hours worth of swipe
12 data, basically one month, which was used for
13 the entire year. So it's a matter of whether
14 the doses really represent an entire year of
15 exposure.

16 And, Bob, why don't you go ahead
17 and take over here and just kind of give
18 everybody and overview of what we've found so
19 far.

20 MR. BARTON: Sure, thanks, John.
21 As you just said, the issue that we found is
22 in some instances the annual doses were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 calculated based on less than a full year of
2 exposure time. And just to kind of explain
3 what I mean.

4 The original approach taken was to
5 kind of separate these swipe samples into
6 months so that you could take each individual
7 month, put it to a distribution, calculate the
8 mean and the 95th percentile and from that you
9 could apply a breathing rate and an exposure
10 time and you essentially get a total intake
11 for that month.

12 And then you sum each intake for
13 each individual month and you get an annual
14 dose based on 12 months of intake. The
15 problem came when you didn't have data for
16 each month. For instance in a lot of cases
17 they would combine three or four months just
18 to be able to get enough data to fit the
19 distributions of that.

20 The problem is they would combine
21 three or four months, come up with an air
22 concentration, apply a breathing rate. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then apply only a month's worth of exposure
2 duration. So a lot of times you would have,
3 for a given year, maybe they would break it up
4 into, well let's say quarters.

5 So every three months we're going
6 to combine all the data, come up with an air
7 concentration and then calculate an intake
8 based on that. Unfortunately with the way the
9 spreadsheet was set up it didn't take into
10 account that now you have three months worth
11 of data instead of one month.

12 Now this becomes especially
13 problematic if you only calculate, you know,
14 the 95th air concentration for an entire
15 year's worth of data. Then essentially you're
16 only applying an exposure times one month to
17 the entire year.

18 And this was actually the case for
19 the two most recently added rooms. There were
20 two originally and two more were added in the
21 most recent report. And the data that was
22 found there again in a similar distribution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the 95th percentile air concentration was
2 calculated but were only applying 167 hours of
3 exposure potential.

4 And basically what that does is if
5 you were to scale it to a full year of
6 exposure you're multiplying those derived
7 doses by essentially 12, because you're
8 extrapolating that one intake to a full year.

9 So essentially what we did was we
10 took a look at NIOSH's most recent report and
11 what they do is they set up sort of a case
12 study in which they have a worker who's
13 exposed two years. They assume the
14 concentration they're exposed to are the two
15 highest years that they have data for. And
16 then they assume ten years after that two year
17 exposure let's see what the doses are at that
18 point.

19 And that's where sort of a
20 fraction of a millirem came out of. So what
21 we did is we went and we used the exact same
22 methods. The same resuspension factor that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NIOSH used and we came up, and again, the
2 dosage for, especially, the two new rooms
3 increased by a factor of 12.

4 So essentially what the effect is
5 is your bounding dose in this case study
6 increases to about 3.7 millirem for one of the
7 rooms. And I believe it was like 0.95
8 millirem when you consider the best estimate
9 case. So that's really, and in this sort of
10 systemic error in the spreadsheet calc it
11 applies to both the most recent analysis and
12 the one before that.

13 It's just a question of it was
14 never taken into account if you're going to
15 combine data for multiple months or say you
16 only have data for one month, extrapolating
17 that to what a full year of exposure would
18 have been.

19 And also there's a second page to
20 what was sent out. And that simply is in
21 NIOSH's proposed case study, like I said they
22 would use the air concentration for the two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 highest years, when we went and looked at the
2 spreadsheet we found that for a couple of
3 rooms the highest years didn't seem to be
4 correct.

5 So again, we mimicked what NIOSH
6 proposed as their case study. Pulled out the
7 data for the year that we found had the
8 highest air concentrations, derived air
9 concentrations that is, because this is based
10 on swipe data.

11 And another factor to that was for
12 a couple of the rooms the doses increased on
13 them. But really the bounding scenario did
14 not change.

15 So that's essentially what we
16 found. Again, kind of a first crack at all of
17 this. But that effect in the bounding cases
18 that were identified you're essentially going
19 to go by a factor of 12 if you extend it to a
20 full year.

21 MR. STIVER: Okay. Thanks a lot,
22 Bob.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Yes. Obviously we
2 can't respond to this right now, but thank you
3 for the heads up and we'll take a look. If we
4 have questions I think the spreadsheets that
5 you're talking about I think Sam is the one
6 who constructed those. So if it's agreeable
7 to you if Sam has questions figuring out what
8 Bob did we'll just communicate with Bob --

9 (Simultaneous speaking.)

10 MR. STIVER: If the Board's okay
11 with that then you and Bob can work this out.

12 DR. ULSH: Sure, we'll copy both
13 of us and Joe so that everyone's in the loop.
14 Josie, if you want to be involved we'll copy
15 you too.

16 CHAIR BEACH: Sure. All right,
17 any other clarifying questions or anything
18 else?

19 MEMBER CLAWSON: I've just got
20 one, because Brant made a comment earlier
21 about that everybody was monitored on this.
22 How many different people were on the tritium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bioassay?

2 DR. ULSH: Thousands. I don't
3 know.

4 MEMBER CLAWSON: Thousands of
5 people were on, thousands of different people
6 were on the tritium bioassay?

7 DR. ULSH: Yes, because we went
8 through the tritium urinalysis logbooks and
9 counted, well we tabulated every name of any
10 person who had left a urinalysis result. We
11 did that in support of the radon class. It's
12 thousands, tens of, well let's just stick with
13 thousands. I can't say more specifically than
14 that.

15 MEMBER CLAWSON: Well, you know,
16 each one of us draw from our own specialities
17 and stuff like that. And one of the things
18 that struck me kind of interesting about the
19 people that you interviewed here is that they
20 are all health physicists.

21 You realize that going to people
22 like that and asking them a question, they're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not going to say, gee, yes I really screwed
2 up. We've found this is really a bad problem
3 and go from there.

4 But the point I'm trying to get to
5 is this. Earlier on you said that there was
6 ten people that were involved.

7 CHAIR BEACH: Fifteen.

8 MEMBER CLAWSON: Fifteen people
9 that were involved and they were monitored. I
10 can tell you from my experiences that that
11 usually is not all the people that had access
12 into there.

13 DR. ULSH: And I'm not saying that
14 it is, Brad. The ten to 15 people, well as
15 you recall because I think you were in the
16 interview.

17 MEMBER CLAWSON: We were involved
18 in the interviews.

19 DR. ULSH: Yes. So that's the
20 list of names that were given to us by the
21 workers. And they were not all health
22 physicists, one was. But we had production

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 chemists. I think, I want to say I think one
2 of them was a rad tech but I can't say that
3 for sure. But they were not all health
4 physicists, they were production people.

5 MEMBER CLAWSON: Well I was just
6 trying to say there's a lot more personnel
7 that come into this picture, but they're not
8 looked at the big picture. And to paint this
9 picture that Mound was this robust and
10 wonderful health physics program would be
11 totally different than any other site that
12 we've dealt with.

13 We were learning in this process.
14 We were learning different things as we were
15 coming into it. And I think there's probably
16 a lot more involvement into it than what we
17 figure.

18 But I've just watched some of the
19 interviews that they're talking about in here.

20 And in your conclusion of work practices,
21 procedures and health physics program used at
22 Mound protected against insoluble tritides and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the process in which it was encountered.

2 I can tell you today, even in
3 today's we're still finding stuff that we
4 never even figured. And what worries me
5 partially in the D&D era is we've heard from
6 numerous workers that it was just spot-checked
7 people. Certain people had dosimetry, certain
8 other ones didn't. Others were on the
9 bioassay program. And they all weren't.

10 I just question how really covered
11 they were, especially in the D&D era, and in
12 the earlier years. It's just --

13 DR. MAURO: This is John. Brad's
14 question, it brought to mind another issue
15 that I just remembered that goes along with
16 what Brad just brought up. When you use the
17 resuspension factor approach it's always been
18 my experience that what we're really with are,
19 okay, these are the exposures from the stuff
20 that's been deposited.

21 It's not apparent, from what I can
22 tell, what do you do for people who were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 involved on the operations period, where the
2 exposure is a combination of material that
3 might have become airborne due to direct
4 leakage from whatever, a glove box or however
5 it might get out, and in addition to what may
6 have accumulated on surfaces.

7 It seems that the intent of a
8 resuspension factor has always been mainly
9 from the stuff that's resuspended and not as a
10 way to come to grips to what exposures might
11 be from this material that's directly injected
12 into the air from a leaking source.

13 Is it your contention that somehow
14 the approach that you've laid out captures
15 both exposure scenarios?

16 DR. ULSH: I don't know. I'm
17 thinking on the fly here, John, in response to
18 your question. I can tell you that we know of
19 a couple of specific incidents where
20 particular individuals were exposed. And
21 those were identified by Mound dosimetry
22 personnel going back to look over the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 urinalysis results for specific patterns that
2 indicate exposure to insoluble tritides.

3 That's described in the McConville
4 and Woods Fusion Technology paper and the
5 doses are reconstructed for those accident
6 type scenarios. All of the people that are on
7 the list of ten to 15, were on tritium
8 urinalysis.

9 I guess if one of those people
10 were to become a claimant and file a claim we
11 would interpret their tritium urinalysis data
12 just like we do in any other situation, in the
13 way that's the most claimant favorable.

14 So if they come in with a lung
15 cancer we would calculate their lung dose
16 based on their tritium urinalysis data as if
17 it were insoluble tritides. If they come in
18 with a prostate cancer we'll assume it's
19 tritiated water, because that's what gives you
20 the highest organ dose.

21 In terms of the support people
22 that Brad mentioned and people that were,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perhaps, had access to these areas during the
2 operational period, they would also be on
3 tritium urinalysis. So I don't know.

4 DR. MAURO: I think you bring up
5 an important dimension to the way you're
6 looking at the problem. And that is you feel
7 that you can parse people now. That where in
8 some cases you are actually going to use the
9 bioassay results for certain people where you
10 believe that they might have been exposed to
11 direct airborne activity that may have leaked
12 out, and separate them from the people that
13 you feel confident, no they only way they
14 could have been exposed is from resuspension
15 of deposited activity.

16 And that's a dimension of analysis
17 that wasn't apparent from looking at your
18 White Paper, if that's the strategy you're
19 envisioning.

20 DR. ULSH: I think so. The White
21 Paper was a specific response to a specific
22 question. And that is, for those people not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 known to be directly involved in working with
2 this program, because remember even in terms
3 of tritides the insoluble tritides at Mound
4 that we're talking about, the one in
5 particular, makes up a very tiny fraction of
6 the total tritides that were handled at Mound.

7 And the tritides themselves make
8 up a small fraction of the total tritium
9 inventory at Mound.

10 DR. MAURO: Right. Oh no, I fully
11 understand that. But what the interesting
12 dilemma is, would that sub-population of
13 people that you say okay, this group we're
14 going to use the bioassay data. We know
15 what's going to happen there, even if you
16 assume the MDL.

17 Let's say here's a group of
18 people. We have lots of great bioassay urine
19 sample data on them, we're going to assume
20 they were exposed to halfnium tritides and
21 we're going to use one half the MDL for
22 tritium and urine and you're going to come up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with this big whopping dose for the
2 respiratory tract, we all know that.

3 And on the other hand those
4 workers that were not involved in that but are
5 going to be assumed to only have been exposed
6 to the resuspended material, we know that
7 they're going to come in really low. Perhaps
8 below one millirem a year, depending on
9 whatever.

10 But if that's the conceptual model
11 of how you attack this problem I think it's
12 important that we all understand it, if that
13 is your strategy.

14 DR. ULSH: You put me on the spot
15 and I'm going to roll the dice. I'm going to
16 say that's it.

17 (Laughter.)

18 DR. ULSH: I reserve the right to
19 change my opinion if I get caught in a bind.

20 MR. STIVER: And John Stiver. I
21 might come to Brant's rescue here. I don't
22 typically do this. But at our last meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there was a long discussion about this very
2 issue. And the point being is that you
3 certainly wouldn't use the bioassay data to
4 model a stable tritides exposure, just for the
5 reasons you've cited.

6 And that was part of the reason,
7 at least my interpretation, as to why you
8 chose a high resuspension factor on that first
9 model was to potentially cover these
10 situations during a period of time when there
11 were direct injections or even fugitive
12 injections that would not have been detected
13 necessarily, as opposed to accident scenarios.

14 And I guess that was one of the
15 questions I had about the new resuspension
16 factor whether that really could be considered
17 to be bounding for all, not just resuspension,
18 but also potential maybe missed direct
19 injection and what the basis for that might
20 be.

21 Again, it'll be something that
22 comes out in our analysis in the paper. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's something that kind of concerned me.

2 DR. ULSH: Yes, I think we
3 specified in our latest White Paper what the
4 basis for the resuspension factor is. Pulled
5 out of OTIB-70 and I think you can look in
6 OTIB-70 and see what situation that particular
7 value applied to.

8 MR. STIVER: It's incorporated by
9 reference in those?

10 DR. ULSH: Yes, yes. But if it's
11 not clear let us know.

12 MR. STIVER: Okay. It'll be
13 something, if it becomes an issue we'll bring
14 it up.

15 MR. FITZGERALD: I guess I have
16 just one comment. One thing I had a little
17 trouble with in the paper, and maybe Mel or
18 Karin can jump in on this. I have to confess
19 the Department's treatment of tritides was
20 just as I was leaving. I don't recall really
21 dealing with that issue. I should have been
22 dealing with it but I didn't deal with it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And it just strikes me, when I
2 read the paper where it's talking, I think
3 Brad mentioned this, about the very good
4 practices that were being applied. And I kept
5 looking at the dates and, you know, the dates
6 and relevance pre-dated, correct me if I'm
7 wrong on this, predated the Mound TBD on
8 tritides. You know, tritide management I
9 think, which was in the 90s I believe. Mid-
10 90s.

11 And certainly predated the
12 Department's which was 2003 I think. So it
13 was a late breaking recognition. And actually
14 the Defense Board was mostly responsible for
15 the Department coming up with its TBD in 2003
16 because they came up with a recommendation
17 that this was a big issue.

18 And I don't have an answer today
19 but I think I almost have to run this down.
20 If, under this particular scenario, which is a
21 pretty extreme scenario when you're
22 considering all the contamination to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tritide on a swipe. And you're talking
2 fractions of millirem it almost begs the
3 question so what was the big deal if in fact
4 the site, the Department and the Defense Board
5 all three felt this was such a compelling
6 issue in terms of monitoring and dose
7 implications.

8 So help me out on this. When you
9 make the statement that Mound had it together
10 in terms of its health physics management,
11 this particular issue in the '80s, I just have
12 trouble with that just because it looked like
13 the recognition and the actual procedural
14 response and everything else, the health
15 physics response was in the mid-'90s and
16 beyond.

17 And there was seemingly a sense of
18 this was a big deal. And this conclusion not
19 only wasn't it a big deal, it's hardly even
20 worth dose reconstructing and also Mound had
21 it all together ten years before everybody
22 else, including itself, since it didn't issue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 its own TBD until the mid-'90s.

2 So I'm just looking at this
3 timeframe and trying to figure out these
4 statements and how they jive with that
5 history, operational history.

6 DR. ULSH: I can jump in a little
7 bit and then let Mel and Karin correct
8 whatever I say that's wrong. The actual date
9 of the Mound Technical Basis Document for
10 Stable Tritiated Particulates was January
11 24th, 2000. And I think that formed the basis
12 for the later Department-wide, like 2003 or
13 something like that?

14 DR. CHEW: Yes, 2003, 2004,
15 somewhere in there.

16 DR. ULSH: Okay. My understanding
17 is that the genesis of this when people
18 started talking about these tritide issues was
19 the 100 millirem per year monitoring
20 requirement.

21 People realized that with these
22 highly insoluble tritides if we based it on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tritium analysis we're not going to be able to
2 detect 100 millirem per year tritium dose.
3 That's highly unusual. I think that's the
4 understanding that came in and resulted that
5 regulatory requirement.

6 MR. FITZGERALD: 835.

7 DR. ULSH: Yes. Does that sound
8 right?

9 DR. CHEW: Yes.

10 DR. ULSH: Therefore they started
11 talking about estimating the doses or bounding
12 the doses, I don't know if they used that
13 term. But using not necessarily the tritium
14 urinalysis data but on top of that we're going
15 to do the lapel air sampling and we're going
16 to do the swipe sampling because that give you
17 the lower missed dose, for lack of a better
18 term.

19 MR. FITZGERALD: So in a sense
20 that statement that's in the White Paper is
21 somewhat qualified for that recognition that
22 more stringent controls were applied, if for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 no other reason than to get below 100
2 millirem.

3 But I just have maybe some
4 difficulty understanding the claim about the
5 practice back when it was actually happening
6 versus when all of these steps were being
7 taken to make it more stringent. And I agree
8 that was a main driver.

9 So yes they did have practices
10 that controlled, they knew tritides were
11 there. But the degree to which they
12 controlled them perhaps wasn't nearly as much
13 as they did ten, 15 years later when it became
14 an issue of, administratively, they had to be
15 able to measure more precisely.

16 And that's where the breathing
17 zone samples, lapel samplers, I mean all of
18 that came into being too. I'm just trying to
19 understand that, rationalize it.

20 DR. CHEW: Joe, I want to answer,
21 just to know your timeframe here. The program
22 had a lot to do with this particular issue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 too. I think without getting into the details
2 the purpose of this particular tritide being
3 formulated, compounded, it was important to
4 follow-on programs into the system.

5 And we see that now at other
6 places like Savannah River, Los Alamos. And
7 at times early on, to go back, a little
8 history, the only really metal tritide issue
9 was with accelerator targets.

10 And we know what those in
11 particular were. But this particular program,
12 as everybody knows in interviews that you were
13 also involved in, was very specialized and was
14 for a specific need for part of the program
15 itself.

16 And so when that introduction came
17 into the program and the laboratories
18 themselves realized that they're going to be
19 using this at a greater extent then that's why
20 they're much more conscientious of the issue
21 here. And that's what the Defense Board
22 wanted to do. And I think you knew that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Yes I do. And I
2 still think there's a timeframe issue that
3 we'll treat gingerly, but nonetheless probably
4 treat to some extent in our analysis, because
5 we have addressed it way back when but then we
6 sort of went of into more esoteric things like
7 dose calculations and haven't really gone back
8 to the operational perspective. But at any
9 rate I just get your reaction to that.

10 CHAIR BEACH: It's important to do
11 that. So what I have for action items is
12 NIOSH is going to provide the interview notes
13 or the SRDB number. Of course Brant's not in
14 here, but I'm sure Mel will help him out
15 there.

16 And SC&A to review the White Paper
17 and provide a report to the Work Group. And I
18 think it's important for the Work Group
19 members too to think about that policy
20 question and if we want to go forward or make
21 that part of our discussion when we report to
22 the Board. So, something to ponder.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So let's go ahead and take our
2 break now, between this and data adequacy.
3 Take 15 minutes, Ted? Quarter to 11:00, that
4 work for everybody?

5 MR. KATZ: Okay, so I'm just
6 putting the phone on mute.

7 (Whereupon, the above-entitled
8 matter went off the record at 10:26 a.m. and
9 resumed at 10:42 a.m.)

10 MR. KATZ: Okay. We're back.
11 Mound Work Group.

12 CHAIR BEACH: Okay, so we're going
13 to go ahead and get into the internal issues,
14 adequacy and completeness. It's kind of a
15 three part discussion.

16 We're going to start with the
17 thorium White Paper that NIOSH recently sent
18 out. And then we'll move into an SC&A memo.

19 And that'll tie up some of the
20 loose ends with action items that we discussed
21 at our last meeting in November of last year.

22 So we can kind of come to some closure on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 internal issues and the path forward.

2 So I guess, Brant, we'll let you
3 take it away with the thorium paper.

4 DR. ULSH: All right. The last
5 time NIOSH weighed in on data adequacy issues,
6 we had been discussing a number of them over
7 the years, I think it was in August of last
8 year when we issued our report, and that
9 report went through SC&A's report on the same
10 topic, point by point, and responded to it.

11 The one exception was several
12 comments related to thorium-232. And for
13 those, we reserved opinion on that. We said,
14 you know, we're working on a comprehensive
15 position and we'll address it in a subsequent
16 document.

17 So the document that I sent out,
18 retrospective dose reconstruction for
19 thorium-232 activities at Mound Lab is meant
20 to address those thorium comments where we had
21 reserved opinion.

22 To try to make this a short story,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I started with MJW's pre-1989 Dose
2 Reconstruction Report.

3 And they, in the appendix of that
4 report, identified by name workers who had
5 been monitored for various radionuclides
6 including polonium-210, plutonium-238,
7 tritium, actinium-227, and also thorium-232.

8 So I went through their report and
9 made a list of all of them that had been
10 exposed to thorium or had been monitored for
11 thorium-232 and then I bounced that list
12 against NOCTS to see if any of those people
13 were claimants, because if they were, then I
14 had their dosimetry results.

15 And I found 20 people, 20
16 claimants who had been monitored to
17 thorium-232. I then asked ORAU to conduct
18 partial internal dose reconstructions for
19 thorium based on monitoring results that were
20 in their dosimetry file.

21 So what this paper that I've just
22 sent to you describes is the dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reconstructions for those 20 people,
2 basically, as a demonstration methodology,
3 using the techniques that we used.

4 So I wanted to focus on the real
5 situation, not abstract generalities that make
6 implausible assumptions piled upon implausible
7 assumptions.

8 I wanted to say: this is how we do
9 it, and what are the results? Just to give
10 you some background, Mound, in 1954, in
11 December, received trainloads of monazite sand
12 extracts.

13 This was in support of the
14 upcoming breeder reactor program where they
15 were going to irradiate thorium-232 and
16 generate uranium-233.

17 So Mound was slated to operate a
18 thorium-232 refinery. They were going to go
19 through and pull out the thorium-232 from
20 these monazite extracts.

21 Well, Mound received, like I said,
22 trainloads of this stuff in 55 gallon drums.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And right after they got it in the summer of
2 '55, the thorium refinery project was
3 cancelled.

4 So Mound is left sitting with
5 these thousands of thorium drums, well
6 monazite sand drums. And unfortunately, some
7 of the extracts were caustic and corrosive.
8 So they ate through the drums and the material
9 had to be redrummed several times between '55
10 and the mid '60s, I think 1965ish.

11 At that time, they got tired of
12 doing that. So they built Building 21, and
13 that's the name of the building. The name of
14 it, Building 21, and in actuality, it's an
15 underground storage silo.

16 The roof is basically at ground
17 level. It's in a remote part of the Mound
18 site in the back hill.

19 And they got tired of redrumming
20 this material, so they dumped it into Building
21 21 where it sat until 1974 when they sold the
22 material to General Atomics. General Atomics

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 came to the site, packaged it up and took it
2 away.

3 So in a nutshell, that's the
4 thorium activities at Mound. Mound never
5 operated the refinery, they never did any
6 significant work with the thorium other than
7 redrumming the extracts.

8 So if you look at the thorium
9 urinalysis results, in the past, we've had a
10 bad opinion of thorium urinalysis, I guess.
11 But when I looked at it, I saw about 350 or so
12 urinalysis results for thorium, one third of
13 which were positive.

14 Now, the reason that's significant
15 is because of the knock on thorium urinalysis
16 has been that it's so insensitive that you can
17 get a really high missed dose. In other
18 words, you could get a negative result and
19 still have a significant intake.

20 So the fact that you have one
21 third of these samples that are positive, I
22 think, speaks to this argument about it being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 implausibly high. If they're positive, they
2 are what they are. They're not implausible.

3 It's hard to say that the missed
4 doses are implausibly high when a third of
5 them are positive.

6 So we reconstructed the doses for
7 thorium for these 20 people. We compared it
8 to what's more widely recognized as more
9 significant radionuclides, polonium-210 and
10 plutonium-238.

11 We also looked at different
12 organs. We looked at lung, bone, and then a
13 non-systemic organ. I used prostate just to
14 represent that.

15 And what we found was that the
16 thorium doses that we calculated were of a
17 similar magnitude to the doses that we
18 calculated for polonium-210 and for
19 plutonium-238.

20 And for those, no one's talking
21 about those being implausibly high. So the
22 goal of this was to compare it to these other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two radionuclides and see, you know, if
2 thorium's 100 times higher than these, then
3 this is a problem.

4 So don't ask me exactly what the
5 number is because I don't know. But the fact
6 that they're of similar magnitude and we seem
7 to have opined that plutonium-238 and
8 polonium-210 are not implausibly high, I would
9 make the argument that neither is thorium-232.

10 They're not trivial. We do have
11 to include them in dose reconstruction. They
12 are significant.

13 But I think the point of this
14 White Paper is that these doses are not
15 implausibly high, and it's not a valid basis
16 for an SEC because of that. So that's the
17 thorium White Paper.

18 MR. FITZGERALD: Yes, of course
19 we've had it for about a week. And Ron
20 Buchanan's on the phone. I had Ron take a
21 look at -- is that on?

22 MR. KATZ: Yes, it's on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Okay. I had Ron
2 certainly scan it and see if --

3 MR. KATZ: Oh, whoa. Who was
4 that? It was muted.

5 DR. ULSH: Don't make me repeat all
6 that.

7 MR. KATZ: Holy mackerel.

8 MEMBER ZIEMER: He's probably read
9 it.

10 CHAIR BEACH: Yes, but we have
11 Phil on the line that didn't hear any of that.

12 MR. FITZGERALD: Phil?

13 MEMBER SCHOFIELD: It came back on
14 just now.

15 MR. KATZ: Phil, how long were you
16 muted for?

17 MEMBER SCHOFIELD: Oh, about five
18 minutes.

19 MR. KATZ: That's about how long
20 Brant was talking.

21 CHAIR BEACH: All right, Brant.
22 Let's see if you can do it again.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Brant, take two.

2 DR. ULSH: Well, let me see if I
3 can make the short story even shorter. Phil,
4 we're talking about the thorium White Paper
5 that I sent out, week, week and a half ago,
6 whatever.

7 This White Paper was meant to
8 address the remaining issues that we didn't
9 address in our previous internal data adequacy
10 response.

11 We had kind of left thorium
12 hanging out there. So the purpose of this
13 paper that I just sent out was to take a look
14 at the workers, the claimants from Mound who
15 were monitored for thorium-232, actually do
16 internal dose reconstructions for them.
17 Compare the doses to polonium-210 and
18 plutonium-238.

19 The long and short of it is what
20 we found is the thorium doses are of a similar
21 magnitude to those other two. So the point of
22 our paper is that these thorium doses are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 significant. They have to be included in dose
2 reconstruction. But they're not implausibly
3 high, by the same reasoning that plutonium-238
4 and polonium-210 are not.

5 MR. FITZGERALD: And I guess what
6 I was going to say is, you know, given the
7 fact we got the report last week, I asked Ron
8 Buchanan to take a look at it.

9 See if we would have the
10 opportunity today to ask some clarifying
11 questions, something that would help us
12 develop, you know, our review of this latest
13 paper.

14 And he's on the phone. So, Ron,
15 could you highlight some of the questions that
16 perhaps Brant and his folks can answer?
17 Hello?

18 MR. KATZ: Ron, are you on the
19 phone?

20 MR. FITZGERALD: Are you on mute?
21 Ron Buchanan?

22 MR. KATZ: Phil, are you still on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the phone?

2 MEMBER SCHOFIELD: Yes, I am.

3 MR. KATZ: It's still live. Ron
4 Buchanan, are you on the line? Are you on
5 mute, perhaps? Someone want to call Ron?

6 MR. FITZGERALD: Yes, he was on
7 when we started this morning.

8 MR. KATZ: Maybe he hung up and is
9 dialing back in.

10 CHAIR BEACH: Because he thought
11 he cut off, yes.

12 MR. KATZ: Because he thought he
13 was cut off. Maybe.

14 CHAIR BEACH: Well, I have a
15 question, Brant, while we're waiting.

16 DR. ULSH: Fire away.

17 CHAIR BEACH: How do you know it
18 was just those 20 people that did the
19 redrumming?

20 DR. ULSH: Because we reviewed the
21 health physics progress reports for the time
22 periods that we have them. There's also, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think, an interview in the SRDB where we
2 interview a guy who was involved with this.

3 And he told us about how many
4 people were involved, and this is consistent
5 with it.

6 The health physics progress
7 reports told us how many people had been
8 bioassayed for thorium-232.

9 I compared that and we had pretty
10 good agreement. So all of those things
11 together tell me. And if you think about the
12 scale of this, it seems to be about the right
13 number.

14 CHAIR BEACH: Okay.

15 DR. ULSH: So bottom line is, if
16 you wanted to argue that other people could
17 have been exposed, then I guess I would come
18 back with, well, we have the data sufficient
19 to do a coworker model if we needed to.

20 CHAIR BEACH: How many urinalysis
21 reports do you have for those 20 individuals?

22 DR. ULSH: How many what?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: How many urinalysis
2 reports?

3 DR. ULSH: Well, I can tell you
4 that there were 300 total thorium urinalysis
5 results.

6 CHAIR BEACH: From '55 to '75?

7 DR. ULSH: That sounds about
8 right. I would have to look for sure, Josie,
9 but it's over the time period of the thorium
10 redrumming.

11 CHAIR BEACH: And have you sent
12 that access, those results to SC&A? Or have
13 they asked for those?

14 DR. ULSH: Well, they haven't
15 asked for it, I think.

16 MR. STIVER: I think we would
17 definitely like to see those.

18 DR. NETON: Brant, I thought when
19 you put out the report, or maybe that was just
20 to me, you sent references to locations where
21 that original --

22 DR. ULSH: Yes, that's on the HPT.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Okay, that wouldn't be
2 acceptable to them?

3 DR. ULSH: Right. As you might
4 imagine, when we do dose reconstructions,
5 there are a number of support files that go
6 with each one. Those are available and I'll
7 make them available to you.

8 DR. NETON: Okay, thank you.

9 DR. ULSH: I mean, these aren't
10 full dose reconstructions. They're just
11 partial internal. But we'll have the IMBA
12 runs and the, you know.

13 MR. STIVER: You guys even know the
14 raw data, the results --

15 DR. ULSH: Yes, they'll be in
16 there.

17 MR. STIVER: The methodology's all
18 laid out in your paper.

19 DR. ULSH: Yes.

20 MR. KATZ: I don't think it will
21 transmit that well. I don't know why he can't
22 get in.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: He had no problem
2 until just now. And then he couldn't get back
3 on after the break.

4 MR. KATZ: And we don't have
5 enough people to be clogging the line, not
6 even close. Is he trying again?

7 MR. FITZGERALD: Yes, he's trying.

8 MR. KATZ: Okay.

9 MEMBER SCHOFIELD: Ted, I had to
10 dial back in to get anything.

11 MR. KATZ: Okay, it sounds like
12 Ron's having a similar issue. But the code's
13 not working for him for some reason.

14 DR. NETON: Maybe he tried and
15 couldn't get in because it was on mute.

16 MR. KATZ: Didn't realize he was in
17 -- but he would still know he was joining the
18 party because you get a message saying --

19 DR. NETON: Nothing, silence,
20 right?

21 MR. KATZ: Yes. But I think he's
22 trying right now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes, he's trying again.

2 MR. STIVER: Brant, you said the
3 redrumming --

4 (Simultaneous speaking.)

5 MR. FITZGERALD: I have his
6 question set anyway.

7 DR. ULSH: More or less.

8 MR. STIVER: More or less?

9 DR. ULSH: The material arrived in
10 the winter of '54, in December. I think they
11 started redrumming in the summer of '55. I
12 might be wrong on that.

13 There might be a couple-year
14 delay. And by 1965, they had emptied it into
15 building 21.

16 MR. STIVER: Okay.

17 DR. ULSH: So between those years.

18 MR. STIVER: Do you have any
19 information on how many redrummings took
20 place?

21 DR. ULSH: Those numbers, I think,
22 are available in the health physics progress

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reports, because that's where I pulled them
2 from.

3 MR. STIVER: Okay, they're in the
4 progress reports?

5 DR. ULSH: But, the health physics
6 progress reports are only available up through
7 1960, I think.

8 MR. STIVER: Okay. So you have
9 some evidence near your time period, but not
10 necessarily later.

11 DR. ULSH: Well, between '60 and
12 '65 I don't have progress reports that
13 describe that redrumming effort in detail.
14 They were doing it during the summer months
15 because it was outside.

16 MR. STIVER: It was a continuing
17 effort?

18 DR. ULSH: Yes.

19 MR. STIVER: Due to the corrosive
20 nature.

21 MR. FITZGERALD: I have Ron's
22 question set. And I will just go through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them. And, you know, certainly it's too bad
2 he can't participate. But I assume Ron,
3 you're not on?

4 MR. KATZ: It's mysterious.

5 DR. CHEW: I just emailed some of
6 the other people that they can hear us.

7 MR. KATZ: So everyone else is
8 getting on.

9 MR. FITZGERALD: Okay. If Ron
10 were here, what he would ask, and pardon me if
11 I read these, I don't want to miss any --

12 MR. KATZ: That's fine.

13 MR. FITZGERALD: -- of his
14 nuances. In addition to the drum material
15 from ULC, Mound also received thorium
16 containment materials from the St. Louis
17 Airport, according to Page 15 of the TBD.

18 And the quote from the TBD was,
19 this is the Cotter concentrate issue. SW
20 building was used in the Cotter concentrate,
21 i.e., St. Louis Airport case starting in the
22 early '70s and terminated late in that decade,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 late in the '70s.

2 Pile and plant operations in SW
3 were to recover thorium-230 and palladium-231.
4 The Cotter concentrate contained 99.9 grams
5 per drum of thorium-232, and 11.1 grams per
6 drum of thorium-230, according to Page 16 of
7 the TBD.

8 Additionally, thorium was used in
9 other areas of Mound as stated on Page 12 of
10 TBD. And the quote from TBD, again, was --
11 and I'll give you a copy of this.

12 DR. ULSH: Yes, I can't copy it
13 all down.

14 MR. FITZGERALD: Right, right.
15 No, I'll give you a copy, don't worry about
16 that. But this is the quote from the TBD.

17 "Thorium-232 was often substituted
18 for plutonium-238 compound for modeling
19 purposes and research development because this
20 isotope was less expensive, less hazardous and
21 had physical characteristics similar to
22 plutonium-238.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 "It is possible, therefore, to
2 find thorium-232 compounds identical to the
3 238 compounds." That's, again, a quote from
4 the TBD. His concern, he didn't see that
5 treated specifically in the White Paper.

6 Sort of one activity for thorium
7 and just was wondering if that was intentional
8 or?

9 DR. ULSH: Yes, it was intentional
10 because this was the biggest, most significant
11 activity with thorium-232. You know, Joe, you
12 were around for the Rocky Flats, when we were
13 talking about thorium-232 there.

14 And the same kind of situation
15 existed there where they would use thorium as
16 sort of a almost, non-radioactive substitute
17 for plutonium.

18 MR. FITZGERALD: Right.

19 DR. ULSH: The same kind of thing
20 here. Mound wasn't involved with metallurgy
21 or grinding or polishing these thorium parts.

22 And in addition, in our previous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 response on the data adequacy thing, issue, we
2 talked about how Mound monitored for the
3 controlling radionuclides.

4 So if you've got small amounts of
5 thorium-232, from a radioactive standpoint,
6 and I'm thinking of the Cotter concentrate
7 now, and larger amounts of other
8 radionuclides, they did a gross alpha
9 procedure.

10 And that's described here, in
11 fact, in this White Paper. And we attribute
12 it to the most limiting of the radionuclide
13 mixtures.

14 So certainly, for the Cotter
15 concentrate program, that's the strategy that
16 we would employ there.

17 Sure, we would consider
18 thorium-232 in the mix, I guess, for the
19 possible interpretation of gross alpha
20 results.

21 But I can tell you that's not
22 going to be the one that's going to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 controlling in that situation.

2 MR. FITZGERALD: Okay, so it was
3 with some forethought. This was, in a sense,
4 I don't want to use the word boundary. This
5 was sort of the activity that presented as the
6 highest potential exposure.

7 DR. ULSH: Yes, well, I didn't
8 approach it exactly in those terms. But yes,
9 I guess I would agree with that. I approached
10 it as they had trainloads of this stuff.

11 If I'm going to be looking at
12 situations where people could have been
13 exposed to thorium-232, this is the one I'm
14 going to look at. Not, well, we've got this
15 little part here that's thorium instead of
16 plutonium.

17 MR. FITZGERALD: This would be the
18 most significant source term --

19 DR. ULSH: Exactly.

20 MR. FITZGERALD: -- in terms of
21 quantity and treatment. Okay, that was sort
22 of off the top, we haven't gone through it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'll give you this to look at, but
2 heck, I can't even read it.

3 CHAIR BEACH: He's younger.

4 MR. FITZGERALD: Oh, okay. I
5 would need reading glasses. But this is why I
6 actually printed it before I left. I realized
7 that there's no way.

8 DR. ULSH: Oh, he used small font.

9 MR. FITZGERALD: Yes, he used
10 small font. Josie actually came up with a
11 larger font, so I'm using her copy. Anyway,
12 the second comment or question is: I'll read
13 this.

14 NIOSH is assuming that the
15 statement on Page 18 of the TBD, and this is
16 the quote -- oh no, I'm sorry. This is from
17 the White Paper.

18 "Fortunately, Mound had a
19 comprehensive radiation protection program,
20 including effective bioassay techniques for
21 detecting intakes of all three radionuclides.
22 Therefore, internal organ doses can be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 calculated."

2 And his comment is: it is correct
3 for 1954 through the clean-up period of '75
4 and beyond for any residual field buildings or
5 other sources of thorium.

6 And his concern is: however, other
7 DOE sites such as Weldon Spring, he's been
8 working on Weldon Spring, did not have thorium
9 monitoring during the '50s and '60s.

10 And likewise, Los Alamos was not
11 able to provide them with much guidance
12 concerning how to, in fact, evaluate thorium
13 intakes and Y-12, as another example, did not
14 use their mobile thorium counting unit at
15 Weldon until '66.

16 So he was just reflecting on the
17 fact that it didn't seem like it was a whole
18 lot of, if not knowledge, application in terms
19 of monitoring for thorium in the '50s and the
20 early '60s.

21 And was just wondering if that
22 statement of having the so-called rigorous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 practice applied across the history of the
2 thorium-232 handling, because it doesn't seem
3 to be consistent with other sites when they
4 tangled with thorium-232 and their ability to
5 monitor it.

6 So even though there's a, I think,
7 a very detailed bioassay procedure listed
8 there that was, I guess, available, whatever,
9 that's his concern from other sites. Why
10 would, you know, Mound stand out?

11 DR. ULSH: I can tell you that
12 this procedure, this gross alpha procedure
13 that Mound actually developed, they used it
14 for a number of different radionuclides, the
15 gross alpha part of it was the same.

16 And then they used sequential
17 stripping off of the columns for first the
18 radium and second for thorium.

19 That was developed at Mound. Why
20 it wasn't used at Weldon Spring or LANL I have
21 no idea, because I haven't been involved with
22 those sites.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 All I can tell you is I know
2 exactly what the procedure is for Mound, and I
3 know they used it, that's recorded here.

4 MR. FITZGERALD: Again, as a
5 reflection, when he went through that detail,
6 I don't think he was familiar with Mound's
7 particular approach to thorium but found it
8 different than the other sites.

9 So, you know again, I'll go
10 through this. The next question, and this
11 actually has to do with the protocol.

12 The procedure listed in the
13 paper's relatively lengthy chemical procedure
14 would require considerable time to perform,
15 especially on routine urine samples.

16 According to Page 13 of the paper,
17 this is the White Paper, both urinalysis
18 results for the 20 workers included in this
19 study were prepared in accordance with the
20 procedures described above. These results
21 were entered into the, I guess it's CADW tool,
22 the lung tool.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Yes.

2 MR. FITZGERALD: To calculate
3 intakes of organ-specific doses for the lung,
4 bone and prostate.

5 And the question is did each of
6 the 20 cases have routine or special work
7 assignment urinalyses preformed using this
8 procedure and recorded in accordance with a
9 written sampling procedure?

10 Or was there just spot checking of
11 urine samples for thorium? You know, in other
12 words, what was the actual implementation? Is
13 there any knowledge of that?

14 CHAIR BEACH: And before you
15 answer, I just emailed that to you, Brant, on
16 your CDC email.

17 DR. ULSH: Okay, thank you. I got
18 a little buzz from my BlackBerry, that's
19 probably what it was. I'm not quite sure how
20 to interpret Ron's question, but I'll take a
21 crack at it.

22 MR. FITZGERALD: It might be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 easier just to read it. I mean, there's a lot
2 there, but I think his concern is the actual
3 implementation of the protocol itself and how
4 it was used for the 20 workers.

5 DR. ULSH: Well, the protocol
6 itself, if I understand what you're talking
7 about is the actually gross alpha procedure
8 followed first the radium stripping and then
9 the thorium stripping.

10 Yes, it is a lengthy procedure,
11 but they used the gross alpha technique
12 extensively, not just for thorium.

13 Thorium-232 was certainly not one
14 of the main radionuclides at Mound. Those
15 were plutonium-238, polonium-210 and tritium.

16 But the fact that they had those
17 gross alpha procedures that they could add on
18 to detect thorium and its radium daughters
19 meant that they had a technique available to
20 use as needed, and they used it for the people
21 in this thorium-232 program. I don't think
22 that everyone at Mound would be on a routine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thorium-232 urinalysis program because it
2 wasn't one of the main radionuclides.

3 They just used it for this
4 program, and a few others as appropriate. I
5 mean, they used the same technique for the
6 ionium program, thorium-230, because
7 chemically it would pull off the ionium.

8 MR. FITZGERALD: Right.

9 MEMBER ZIEMER: But Joe, were they
10 asking, you used the word "spot check." Was
11 he asking if they just did spot checks in this
12 series?

13 MR. FITZGERALD: Yes, he was
14 reflecting --

15 MEMBER ZIEMER: Or were they
16 routinely doing thorium as part of this group?

17 MR. FITZGERALD: He was reflecting
18 the fact that it seemed like a pretty detailed
19 and lengthy procedure, and for the time, it
20 would have been, again, I think he's looking
21 at it not with a lot of intimate knowledge of
22 the Meyer program as it existed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But was wondering, you know, was
2 this routinely applied for all of the workers
3 potentially exposed to thorium? Or did they
4 use it more of a spot check, you know, taking
5 a sample of the workers that would have been
6 involved in the program?

7 The implementation, in other
8 words, the actual monitoring program for
9 thorium as opposed to the actual procedure,
10 versus the procedure.

11 DR. ULSH: I understand now, I
12 think, what you're saying. I have seen no
13 description anywhere that this was done only
14 on a spot basis for thorium.

15 The way Meyer described it, and
16 that's referenced in the White Paper, I don't
17 know if he was talking -- well, he actually
18 does have a specific section on the
19 thorium-232 program and urinalysis.

20 I guess I would refer Ron to that
21 to see if that provides the details that would
22 answer his question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 My impression is certainly that
2 they, just like with the other urinalysis
3 programs at Mound, they applied it to the
4 workers who were involved.

5 That's the language that Meyer
6 uses. He doesn't say that they just did a
7 spot check for thorium. I mean, it's --

8 MR. FITZGERALD: Yes, I think when
9 he was looking at the 20, then the 60 which
10 the 20 was drawn from, you know, I guess there
11 was 60 that had results. And there was 20 for
12 which you had --

13 DR. ULSH: Well --

14 MR. FITZGERALD: -- actual
15 claimant information, is that --

16 DR. ULSH: There were, I can't
17 remember the number that MJW identified in
18 their pre-1989 Dose Reconstruction Report.
19 But in the appendix, it goes through and lists
20 them by name and tells what their thorium
21 doses were and elevated nuclides as well.

22 I went through and pulled out the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 names of any that had thorium-232. And I
2 can't remember exactly what that number is.
3 It's in the White Paper somewhere.

4 MR. FITZGERALD: Right.

5 DR. ULSH: I bounced that larger
6 list against NOCTS to see how many of them
7 were claimants and identify 20 individuals.

8 MR. FITZGERALD: Yes, I think the
9 number was 60 that 20 was drawn from.

10 DR. ULSH: Could be, yes.

11 MR. FITZGERALD: So that was the
12 source of his question, trying to figure out,
13 you know, it's just that procedure was cited
14 in there. Was that routinely applied to the
15 60?

16 Based on what you saw on the 20,
17 did it look like they had, you know, a fairly
18 complete set of results in terms of analysis?

19 DR. ULSH: Well, they didn't have
20 huge numbers of thorium urinalysis results.
21 But that kind of goes along with what you
22 might expect from an episodic program.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And this was clearly an episodic
2 program because since it was outside, they
3 were only doing it in the summer months.

4 And so we see generally, you know,
5 a couple of samples from these people right
6 around the time that they were doing the
7 redrumming efforts.

8 So then they would take a break
9 over the colder months and we don't see
10 thorium results there.

11 MR. FITZGERALD: Now, I think you
12 in the paper note that the actual raw data,
13 the monitoring data and individual data is on
14 the SRDB, is available.

15 I mean, we can get to it. I think
16 that would probably answer some of these
17 questions.

18 DR. ULSH: If it's not, I'll get
19 it to you. Jim and I were just talking, since
20 I asked ORAU to do partial internal dose
21 reconstructions for thorium.

22 And keep in mind, this list of 20

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people, some of them have already been
2 compensated based on dose reconstruction or
3 based on SEC. But I just wanted to show,
4 here's the 20 people and we can do it in every
5 case. That's why we did it.

6 MR. FITZGERALD: Right.

7 DR. ULSH: And I can make those
8 support files available to SC&A. And they're
9 going to contain the typical things that you
10 would see in one of our internal dose
11 reconstructions, have the urinalysis results
12 and, you know, all the different IMBA
13 calculations and what not.

14 So that might answer some of these
15 questions.

16 MR. FITZGERALD: I think so.
17 Again, this was just his initial reading of
18 the paper over the weekend.

19 So I mean, I think these are
20 initial, you know, questions about the data
21 itself. Now I think there's sort of a
22 additional question that you can read there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Was any of the air data in table
2 three of the White Papers on Page 22, or other
3 air data employed in the dose reconstructions?

4 Or was it just strictly urinalysis?

5 DR. ULSH: I'm getting to Page 23,
6 but I can tell you that it was urinalysis.

7 MR. FITZGERALD: Okay.

8 DR. ULSH: The reason I put that
9 in, the air data in this report was simply to
10 show, oh yes, there it is, Table 3, that Mound
11 was monitoring not just with urinalysis but
12 also with air monitoring.

13 They were monitoring for thorium-
14 232 and they were also monitoring for short
15 lived daughter products.

16 And if you look at the number of
17 samples that they had, just for instance from
18 Meyer's 1955 report, I think that's one of the
19 health physics progress reports.

20 April to May of '55, they took --
21 well, just in the WD low risk they took 56
22 sampling days. It records the maximum and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 average air concentrations.

2 This data was simply shown here to
3 illustrate that Mound was monitoring for this.

4 They recognized that there was a
5 radiological, you know, situation with it that
6 they had to monitor for, and they did it.

7 I didn't take these air samples
8 and then go ahead and calculate a dose
9 reconstruction. I used the urinalysis data
10 for that.

11 MR. FITZGERALD: Okay.

12 DR. ULSH: I suspect, now this is
13 a hunch, that if you have a person where he
14 has only urinalysis data and they're all
15 negative, and I were to make some assumptions
16 and calculate a missed dose from the air data,
17 it will probably be lower.

18 MR. FITZGERALD: So the air data's
19 available if it had to go that far?

20 DR. ULSH: Yes.

21 MR. FITZGERALD: I mean, if you
22 didn't have urinalysis data?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: Yes.

2 DR. NETON: These are general area
3 air samples?

4 DR. ULSH: Yes.

5 MR. FITZGERALD: Okay. The next
6 question was: was access to and working with
7 the thorium containment materials controlled
8 by physical barriers and/or procedural
9 requirements? Sort of an operational
10 question.

11 DR. ULSH: I know that they would
12 have had an exclusion zone. I think I'm
13 recalling that from the interviews, when we
14 talked to the worker that was involved.

15 He did tell me that they had
16 respirators, but you know, they weren't real
17 rigorous about using them, especially on hot
18 days.

19 I don't know about physical
20 barriers, that we discussed. But keep in
21 mind, this was done in a remote area of the
22 site. It wasn't done in the front parking lot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where everyone would walk past it on the way
2 in.

3 This was a destination. If you
4 wanted to go to the back hill where --

5 MR. FITZGERALD: That's where this
6 question was headed. Just, you know, could
7 you reasonably identify the cohort, the group
8 that would have been potentially exposed to
9 the thorium.

10 And, you know, was there any way
11 to demarcate that? So what you're suggesting
12 here on this activity was, you know, you
13 didn't just wander by or wander in.

14 It was, you know, you were there
15 for a specific task and it was --

16 DR. ULSH: Well, yes. I don't
17 want to state it too rigorously. I mean, if
18 you wanted to talk to Fred, and he was working
19 on it, you drove back to 21 and talked to
20 Fred.

21 CHAIR BEACH: Well, and if you've
22 ever worked on a DOE site, everybody comes to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look when there's something happening. So you
2 tend to have three workers and 20 people
3 watching. Every day.

4 DR. ULSH: Okay. Can't say. This
5 was 1955. That's a lot of time we're talking
6 about.

7 CHAIR BEACH: Probably wouldn't be
8 much different than today.

9 MR. FITZGERALD: Now, I think this
10 goes to the, you know, I think there's the 60
11 workers that showed up with some positive
12 indications in the MJW database for exposure,
13 which you picked the 20 that had the actual
14 claims, I think, from that 60.

15 Would there be, you know, a worker
16 group with potential exposures higher than the
17 60? I mean, the 60 is just what you can
18 actually pick out from the database.

19 And this goes back to the MJW, you
20 know, how they actually put that database
21 together.

22 And I guess the question is: is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the presumption that that MJW review actually
2 parsed out who would have been potentially
3 exposed in addition to who actually had?

4 You know, one thing is to look at
5 the database and say who had actual thorium-
6 232 indications in their exposure record? The
7 other is to figure out who is potentially
8 exposed. And I think that's where he's coming
9 from with that.

10 DR. ULSH: The MJW, the table that
11 I looked at in the back of the MJW report, and
12 in fact, MJW's report focused on the workers
13 who had greater than 20 rem committed
14 effective dose equivalent.

15 So these are the highest exposed
16 workers of the Mound cohort.

17 MR. FITZGERALD: Right.

18 DR. ULSH: Now not all of them
19 have those high doses from thorium. In fact,
20 most don't. There's a number of them from
21 polonium-210 and a number of them from
22 plutonium-238.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But the 60 in there certainly have
2 non-zero thorium doses. So in general, these
3 are the highest-exposed workers. And that's
4 really about as specific as I can get.

5 I have no reason to think that the
6 people who showed up, first of all, the people
7 who were monitored for thorium-232, as you
8 noted, it was a, you know, labor intensive
9 procedure.

10 MR. FITZGERALD: Right.

11 DR. ULSH: They're going to do it
12 for the people that are involved with the
13 work. I have no reason to think that people
14 who were not involved with the work would have
15 had a higher exposure potential.

16 MR. FITZGERALD: So perhaps the
17 strategy is once you can demonstrate there's a
18 way to use the data to dose reconstruct, if
19 somebody comes in, perhaps on a CATI interview
20 or whatever and identifies possible thorium
21 work, even if they didn't show up in this, you
22 know, this MJW database, then there's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pathway.

2 Although, I guess you would have
3 to use a coworker distribution of some sort.

4 DR. ULSH: I guess we would, yes.
5 I mean, it's the same as any other situation
6 where if someone identifies in the CATI that
7 they worked with a particular radionuclide,
8 and we have no indication of it in their
9 dosimetry file, we generally approach that
10 with a coworker file, right Jim?

11 MR. FITZGERALD: Yes, and in
12 particular in this case as to the MJW
13 threshold, what, 20 rem?

14 DR. ULSH: Yes.

15 MR. FITZGERALD: Yes, so it is
16 certainly possible you have, you know, some
17 workers who didn't quite get to that level but
18 would have raised their hand and said yes, I
19 did this or that with the drums, but did not
20 certainly get exposed as much.

21 DR. ULSH: I will tell you that
22 the thorium-232 urinalysis results were in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dosimetry files.

2 So if we have a worker, a claimant
3 show up with thorium-232 urinalysis result and
4 it's not listed in MJW's report or in our
5 paper here, we would do a dose reconstruction
6 on them for thorium-232.

7 MR. FITZGERALD: Yes, there's
8 really three groups that you have the group
9 that's included, the highest-exposed thorium
10 workers.

11 And what you're saying is that you
12 have workers that were, in fact, bioassayed
13 but did not rise to the level that they would
14 have picked up in MJW's screen.

15 DR. ULSH: Yes.

16 MR. FITZGERALD: And then you have
17 workers that presumably weren't bioassayed for
18 thorium-232 but would be self-identifying or
19 perhaps would indicate that they might have
20 had some contact.

21 DR. ULSH: Yes. Sure.

22 MR. FITZGERALD: So I guess it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the last one where a coworker model would have
2 to come into play somehow.

3 DR. ULSH: Yes, I mean, the
4 purpose of my White Paper was not to say these
5 are the only people who were exposed to
6 thorium.

7 MR. FITZGERALD: Right.

8 DR. ULSH: It was simply to say
9 here's the guys that we have dosimetry files
10 on hand so we can actually do it. And we can
11 do dose reconstruction in all 20 cases to
12 demonstrate that we can do it.

13 Now, I'm not saying that there
14 aren't other people, other claimants, future
15 claimants that --

16 CHAIR BEACH: Well, I'm just
17 curious, Brant. What level of detail did you
18 have on those 20 individuals? Did you have
19 craft-specific for their HPTs or the guys
20 actually redrumming, or do you have that
21 level?

22 DR. ULSH: The raw urinalysis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 records are included in the thorium-232
2 redrumming log book. That's described by
3 Meyer.

4 So if they've got a urinalysis
5 result in the thorium-232 redrumming log book,
6 it stands to reason that that's what they were
7 involved with.

8 We've got the same level of detail
9 on these people as we do for any claimant. I
10 mean, you know when they worked and in some
11 cases, what their job titles were.

12 In fact, I think, oh, I'm trying
13 to remember. I wrote this a while ago and I
14 think I put in the White Paper kind of the
15 range on employment, how many years of
16 employment they had.

17 CHAIR BEACH: Yes, you did. Got
18 that. And it was six to 45. It was quite a
19 large range.

20 DR. ULSH: Yes, I have that
21 information available for each of the 20
22 people. But I didn't want to put it in here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for fear of Privacy Act.

2 CHAIR BEACH: Yes, no, no.

3 MR. FITZGERALD: I mean, MJW
4 database, I think, it's been a while since --

5 DR. ULSH: Well, the MJW reports
6 are in the SRDB.

7 MR. FITZGERALD: Okay.

8 DR. ULSH: I think that's in
9 reference to your --

10 MR. FITZGERALD: Not necessarily
11 the database itself.

12 DR. ULSH: Well, what do you mean?

13 MR. FITZGERALD: Well, I mean the
14 actual --

15 DR. ULSH: -- the electronic
16 database?

17 MR. FITZGERALD: Yes. The actual
18 1,500, whatever it was that had the 20 rem
19 threshold.

20 DR. ULSH: No, those are listed in
21 the table.

22 MR. FITZGERALD: Oh, they're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 listed actually, okay.

2 DR. ULSH: Yes. That's where I
3 got them.

4 MR. FITZGERALD: Okay. So the
5 only thing that's not up there is, I think,
6 the 20 specific claims, from what you're
7 saying.

8 DR. ULSH: Right. The support
9 files for our dose reconstruction.

10 MR. FITZGERALD: Right.
11 Everything else that the MJW report, I guess
12 the Meyer's bioassay, you know, that reference
13 is there. So there's only that one piece
14 that, and you can make that available in case
15 we need to go through that. Okay.

16 Just going down to the next
17 question, I think you can see that --

18 CHAIR BEACH: Maybe.

19 MR. FITZGERALD: Maybe. I think
20 you may have actually touched on this already.
21 What situation or procedure triggered the need
22 to obtain the particular urinalysis sample,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you know, the gross alpha and have them
2 analyzed for thorium, and the actual recording
3 of the results themselves?

4 I think you said earlier that they
5 knew who was actually involved with the
6 thorium work. And those would have been the
7 ones that would have been earmarked for that
8 kind of sampling.

9 DR. ULSH: Yes.

10 MR. FITZGERALD: Okay.

11 DR. ULSH: Yes, worked with
12 thorium-232.

13 MR. FITZGERALD: Right. It would
14 have been a judgment call by the HP or
15 whomever at --

16 DR. ULSH: It always is.

17 MR. FITZGERALD: -- at that point
18 in time. Next one. The paper does a good job
19 in demonstrating that thorium is an important
20 consideration for some Mound works.

21 I think the question is operations
22 again. However, there are still some issues

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concerning who was monitored and had their
2 samples analyzed for thorium, and how often.
3 We've covered a lot of that.

4 And I think what he's saying is
5 that the evaluation we would do at this point
6 is looking at the selection of the workers for
7 bioassay and the monitoring and the procedures
8 of dose reconstruction cases in detail which
9 is what, you know, with the addition of the 20
10 cases, I think we'll have enough to go over.

11 DR. ULSH: All right.

12 MR. FITZGERALD: Would you be the
13 point of contact if there's anything that
14 comes up? I think we would like to go ahead
15 and just package this thing.

16 DR. ULSH: That would be me, I
17 wrote this.

18 MR. FITZGERALD: Okay. Sorry,
19 Ron. That must be frustrating. He was on
20 most of the morning and couldn't dial back in.
21 Okay, I think that, yes, I think this is fine.

22 CHAIR BEACH: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Like I said, that
2 will help us get started on that.

3 CHAIR BEACH: All right. So Work
4 Group Members, any more questions on thorium,
5 clarifying?

6 MEMBER ZIEMER: Not a question,
7 but just a comment. This is a general comment
8 just for this particular paper. But it shows
9 up here and it has before.

10 It would be helpful if everyone
11 who does White Papers put the date of the
12 paper on the paper.

13 CHAIR BEACH: Yes.

14 MEMBER ZIEMER: I know it shows up
15 in the file name, but sometimes when we file
16 these, we file them in a separate way.

17 DR. ULSH: Okay. Will do.

18 MEMBER ZIEMER: It's always
19 helpful. Or the paper's connected with an
20 email that's dated, but they become separate.

21 And just a reminder to do that, that's very
22 helpful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: All right, will do.

2 Thanks, Paul.

3 CHAIR BEACH: Any other comments
4 on thorium? Phil, how are you doing? Any
5 questions, comments?

6 MEMBER SCHOFIELD: Doing okay
7 here, so far. I'm hearing most of it.

8 CHAIR BEACH: So just to recap on
9 thorium, I sent you Ron's questions, so that's
10 done.

11 And the only other action item I
12 have was for NIOSH to make available the raw
13 data support files to SC&A. Anything else?
14 Did I miss anything else?

15 MR. FITZGERALD: No, I mean I
16 think we'll now try to look at the information
17 and come back with a response on thorium.

18 MR. KATZ: Joe, if you would just
19 email me Ron's questions, too, then I could
20 send it to the court reporter, just to make
21 sure.

22 CHAIR BEACH: I will do that right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 now.

2 MR. FITZGERALD: Yes, I think she
3 answered that one.

4 MR. STIVER: And send those to me
5 too, Joe, if you would please?

6 CHAIR BEACH: Okay, and so then
7 we're onto, if you have it, Joe's or SC&A's
8 paper dated January 12th, 2012.

9 It's subject: adequacy and
10 completeness of Mound internal dosimetry.
11 We'll go ahead and let Joe start that.

12 MR. FITZGERALD: Okay. I think at
13 the last Work Group --

14 MEMBER ZIEMER: What's the date on
15 that?

16 MR. FITZGERALD: January 12th.

17 CHAIR BEACH: 12th.

18 MEMBER ZIEMER: Okay.

19 MR. FITZGERALD: Yes. The last
20 Work Group meeting, you know, I think Brant
21 walked through the NIOSH response to a
22 proposal or actually an action that we took a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 year before. This has a lengthy history.

2 I hesitate to go through it.
3 Maybe I will. But at the time, I said that,
4 you know, I think we had squeezed as much as
5 one could squeeze out of this issue, and I
6 felt that we're, on a technical level, sort of
7 reaching diminishing returns. We're at a bit
8 of an impasse.

9 And so I wanted to use this
10 opportunity, rather than continue to exchange
11 White Papers, just to kind of step back and
12 sort of do an overview of the issue and come
13 to some kind of a closure recommendation for
14 the Work Group.

15 So that was the purpose of the
16 memo. And also to identify any loose ends
17 that, given the history of this thing, that we
18 may not have covered in any detail.

19 And that was the attachment that
20 we talked about earlier. Just a little bit of
21 background, because this does have a bit of
22 history.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I mean, this sort of originated
2 from a number of specific, radionuclide-
3 specific issues that were raised in the Site
4 Profile and carried forward into the
5 Evaluation Report Review that SC&A conducted
6 anywhere from, you know, singling out issues
7 with neptunium and curium to some issues on
8 plutonium and uranium.

9 But there was a number of
10 questions involved with that. And there was
11 also issues that were broached by the Work
12 Group that, as usual, asked SC&A to look at
13 data adequacy completeness, both for external
14 and internal sources. And we essentially have
15 done that, as well.

16 At some point, I believe it was in
17 2010, the Work Group decided just to
18 consolidate the issues.

19 To take the data adequacy and
20 completeness issues for internal and also
21 these very specific, radionuclide-specific
22 questions, since a lot of them really got into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 adequacy, to treat them as one issue. That's
2 how that evolved.

3 And also, at about the same time,
4 I think there was a request that Josie had to,
5 when we did that, to look at these various
6 White Papers. Just make sure we weren't
7 losing anything in the process of
8 consolidating this thing into one issue.

9 And that was the origins of this
10 matrix, which I've attached to this January
11 memo. But it also is the same matrix that I
12 think was included in a paper about a year and
13 a half ago that SC&A presented on status. So
14 that's been around for a while.

15 In terms of background, we raised
16 a number of these issues about whether, in
17 fact, given the lack of apparent bioassay data
18 for a number of nuclides, in particular these
19 so called other nuclides or exotics, whether
20 in fact there was a dose reconstruction
21 approach that would enable one to address
22 these other nuclides in the absence of that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data.

2 We talked about the gross alpha
3 counting technique at Mound, whether in fact
4 that, since this was, I think, the first time
5 we actually looked at that particular
6 procedure, whether or not you could strip out
7 the alphas and still come up with a
8 sufficiently accurate representation of the
9 number of the specific nuclides involved.
10 And that question came up as well.

11 In response to a number of
12 questions that the Work Group raised and we
13 raised, I think that was the beginning of, you
14 know, the review of what was, I think, called
15 for short the road map.

16 And that was certainly presented
17 by NIOSH to identify each of these processes
18 and to show, you know, whether or not there
19 was a bioassay method for each nuclide.

20 And we spent some time looking at
21 the road map, and we'll go through all that. I
22 think most are familiar with it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And a lot of it got down to
2 whether or not the road map and the King
3 report with the Meyer report within the two
4 bases, I guess, for the road map, whether they
5 could be interpreted to abide a sense of
6 exposure potential, or whether they, in fact,
7 just connoted that the radionuclide may have
8 been present but certainly did not carry that
9 implication that it could have been exposure.

10 And I think we spent a lot of
11 time, it sort of reminded me of, you know, if
12 one could find Mr. King or Dr. King, it would
13 have been useful. But we never were able to
14 find him.

15 CHAIR BEACH: Not for lack of
16 trying.

17 MR. FITZGERALD: No, we definitely
18 tried. But nonetheless, the interpretation of
19 how to apply the King report and the road map
20 and everything, I think was a lot of the
21 effort that this Work Group addressed.

22 So anyway, in this memo, I wanted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to boil down, we essentially had two
2 questions.

3 You know, can the lack of bioassay
4 data for radionuclides being used at Mound be
5 rationalized on the basis that either
6 radionuclide form or handling precluded any
7 exposure potential, therefore making any such
8 monitoring unnecessary?

9 Or that operations were limited
10 during these time periods to intermittent
11 campaigns for which event-driven bioassay
12 coverage would have been sufficient?

13 And I think that was maybe a
14 lengthy way of just saying that, you know,
15 could you explain the lack of bioassay,
16 routine bioassay based upon the fact that the
17 site, the health physicist and the operations
18 did not recognize any exposure potential, so
19 therefore there would not have been any
20 bioassay, routine bioassay, therefore no
21 bioassay records for these things?

22 And the second one, of course, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the use of gross alpha as a surrogate for
2 radionuclide-specific bioassay, and that was
3 the analysis we did.

4 And we spent a great deal of time,
5 certainly, on the first one. But I, you know,
6 haven't looked over the record for this. I
7 haven't sat at this table for two or three
8 years, I think, on the issue of the King
9 Report.

10 I don't think there's a clear way
11 to resolve that. And I think there was very
12 legitimate considerations on both sides.

13 But I think what I came to the
14 conclusion was that yes, in the King report by
15 itself, given the ambiguity of the context of
16 that report, and again the words can be
17 interpreted different ways, I think one could
18 argue you would need to have something beyond
19 just the King report to corroborate the
20 exposure potential.

21 I mean, I think that's something
22 that, in the end, one comes up with that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Obviously I can come up with that conclusion.

2 And with that, you know, the
3 attempts that we've gone through to, you know,
4 to determine exposure potential in other ways,
5 I think with the lack of actual data, it just
6 becomes a bit of a futile exercise, I think,
7 in the end. Trying to demonstrate the exposure
8 potential without having much in the way of
9 monitoring or operational data, I think in the
10 end there wasn't a way to actually resolve
11 that question objectively.

12 So, but we attempted to. I think
13 it was a way to see if that could be a means
14 to get around this impasse.

15 So anyway, I think that's where we
16 came out. That literally, even though we went
17 through almost 100 examples -- no, it was
18 like, I guess 20 or 30 examples with 100
19 comments coming back, I don't think there's a
20 way to resolve this objectively.

21 I understand where the finding
22 was. There was no obvious exposure, or if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there was an exposure, you have an event
2 specific bioassay, so what's the issue?

3 So, you know, I think that's about
4 we're as far as we can take it. But saying
5 that, I guess there's a residual frustration
6 in the sense that we get into trying to
7 demonstrate exposure potential in the absence
8 of, you know, specific information for some of
9 these nuclides.

10 And I don't see how there's any
11 way to do that. I mean, I think I threw out,
12 personally myself threw out let's go look at
13 some examples and use incidents and what not
14 to see if that might shed some light.

15 And I don't think that really shed
16 too much light. I think it was, maybe at some
17 point, a frustration just trying to go
18 forward, find some way out of this.

19 So I think we're still left with
20 this concern that, you know, where you're
21 looking for a quantitative basis to indicate
22 that you have exposure potential to nuclides

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that are cited as being in these at the site
2 sort of time frames.

3 But there's no bioassay records,
4 and how you square that, and you know, on what
5 basis beside the program itself. That, you
6 know, Meyer ran a good program and had
7 techniques, procedures available.

8 You know, I think the road maps
9 certainly suggest that. There were procedures
10 available. But were they effective, applied
11 or not?

12 What are their effects on
13 exposures, I don't think there's any way to
14 really underscore that.

15 And as a parting shot I'd say
16 it's just interesting in contrast to look at
17 Mound. This is the question earlier about,
18 you know, the techniques that were used for
19 gross alpha.

20 Did Mound, in fact, have a health
21 physics monitoring program in the '50s and
22 '60s that stood apart from other AEC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 laboratories such that there was an ability to
2 monitor for a number of these nuclides that
3 apparently other labs did not possess.

4 And I think there's a bit of a
5 struggle between exposure potential. There
6 was no exposure potential because it just
7 turned out that all the forms of the nuclides
8 that were present did not lead to exposure.

9 Or, you know, the techniques to
10 monitor were such that any exposure would have
11 been picked up? So it still left me with a
12 sense that we didn't quite get to a hard
13 resolution.

14 But again, I think our
15 recommendation is that there's not much more
16 that can be gleaned on this topic. And that
17 was the inclusion that we're forwarding to the
18 workers.

19 That, you know, I think we've done
20 about as much as can be done on the subject.
21 And there's just nothing else that would shed
22 any light on whether or not these figures are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 real and whether or not dose reconstruction
2 could be done with sufficient accuracy.

3 Now, with that, as Josie was
4 pointing out, there was two issues that came
5 out of the last NIOSH White Paper. One was
6 thorium, we just talked about that.

7 The other was the early years of,
8 I guess, the polonium process. This was the
9 February '49 to September of '49.

10 And I think there was a
11 recognition that there wasn't any obvious
12 issues with including those. But I'll leave
13 that to you all. And the other one was the
14 thorium.

15 And then Table 1, which was the
16 attachment, is just again, a old rack up, this
17 goes back to 2010 of pretty much the White
18 Papers SC&A submitted to the Work Group.

19 And which ones, and this is my
20 estimation, more recent estimation, which ones
21 are open and which ones are closed. And you
22 know, I would defer to NIOSH if that is your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understanding of some of these issues or not.

2 I could not find any actions or
3 any responses to certain specific items which
4 are listed here.

5 CHAIR BEACH: So, and I think Joe,
6 you took these from the 100 or 96 comments
7 that SC&A put out, NIOSH commented on, of last
8 year prior to November?

9 MR. FITZGERALD: Yes, these are
10 everything prior to November.

11 CHAIR BEACH: So you've taken
12 everything from that and just correlated it. I
13 just want to make sure everybody was on the
14 same page of where all this came from because
15 --

16 MR. FITZGERALD: Yes. For
17 example, thorium was cited, but obviously
18 that's being addressed. So even though it's
19 thorium, it's listed as being reviewed by
20 NIOSH. That was as of January.

21 CHAIR BEACH: Okay.

22 MR. FITZGERALD: So that's moving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 forward.

2 CHAIR BEACH: And we did ask for
3 information from NIOSH on these. So I guess
4 I'll let you go ahead and take over, Brant?

5 DR. ULSH: Just one administrative
6 clarification. For those of you who are
7 gluttons for punishment and want to go plow on
8 back through here to see where everything came
9 from.

10 The hundred or whatever it was
11 comments, they weren't actually formatted by
12 SC&A as a hundred comments.

13 It was SC&A's report, and I took
14 that report and cut it up piece by piece into
15 a hundred-plus comments and issued a response
16 to each one. So don't be confused about where
17 that came from.

18 CHAIR BEACH: Thank you.

19 DR. ULSH: To be honest with you,
20 I looked at the recommendation on Page 5 of 8,
21 where basically SC&A recommends closing these
22 issues, just a couple of exceptions, thorium,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 polonium in 1949, and tritides.

2 And this issue has been so
3 contentious and I was so anxious to get it in
4 the rearview mirror that I stopped there. Oh
5 my God, we're done.

6 But I'll go through and look in
7 more detail and attach more. I don't want to
8 plow back through the history of all of this
9 if we're close to agreement.

10 So I guess I'll just talk
11 specifically about the polonium one in 1949 to
12 remind everyone what the issue is.

13 We have an SEC for Monsanto. I
14 can't remember how far forward in time that is
15 off the top of my head.

16 And then we have an SEC for Mound
17 for all workers that picks up in September of
18 1949. And I think that leaves a gap at the
19 beginning of 1949.

20 CHAIR BEACH: February 1st, 1949
21 to September 30th, 1949.

22 DR. ULSH: Okay, so that's kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the gap period that we're talking about. I
2 have no real objection to filling in that gap
3 somehow, making it kind of a continuous SEC.

4 We're going to have to think about
5 how to do it, because the basis of the current
6 Mound SEC is the radium, actinium, thorium
7 separations activities.

8 And that material did not arrive
9 on site at Mound until September of 1949. So
10 I don't know how we would go back and extend
11 that earlier when the material wasn't even on
12 site.

13 But maybe there's a way that we
14 can extend the Monsanto SEC forward. I don't
15 know, I'm just thinking out loud here. That
16 might be more technically justifiable.

17 I mean, to be honest with you, at
18 the time, Monsanto was transitioning to the
19 Mound site. It was Unit 5 of the Monsanto
20 Project. Before that time, Monsanto had
21 several different operating units, Units 1
22 through 4.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One at Bonebrake Seminary, one at
2 Runnymede Playhouse. There were a couple of
3 others, but I can't recall off the top of my
4 head. But they were scattered around the
5 Dayton area.

6 And in 1949, all those activities
7 were sort of consolidated at the Mound site.
8 So I think administratively, I'm going to have
9 to put my head together with Jim and maybe
10 Jenny or whoever if there are legal issues
11 about how to do this.

12 But, you know, people are going to
13 be going back and forth between Monsanto and
14 Mound, when they're trying to open the
15 facility. I'm not going to try to tell you
16 that there's a bright line distinction between
17 the two sites.

18 So I really have no objection to
19 filling in that gap somehow. It's just a
20 question of how we do it.

21 CHAIR BEACH: So is that
22 something, because I know --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 CHAIR BEACH: That's where it was
3 left last year. So is this something --

4 DR. NETON: Yes, there's something
5 else going on with Mound, though, the early
6 years of Mound is becoming --

7 DR. ULSH: No, well, we're doing
8 an 83.14, I think, to address those gaps in
9 the tritium log books for the radon.

10 CHAIR BEACH: Oh, radon.

11 DR. NETON: No, the Mound, the DOE
12 --

13 DR. ULSH: Oh, yes.

14 DR. NETON: -- on that paper has
15 reclassified the Mound site in the very early
16 years.

17 DR. ULSH: Mound, or --

18 DR. NETON: It was Monsanto.

19 DR. ULSH: Monsanto.

20 DR. NETON: Monsanto is going to
21 become a DOE facility.

22 DR. ULSH: So I don't know what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the implications of that are at all.

2 DR. NETON: We're issuing an
3 83.14, I think, no, it can't be an 83.14, I
4 think it's an 83.14. Since it's changed, and,
5 Jenny, you might know more about this than I
6 do, but since it's changed facility
7 designation, since it's already an SEC class
8 for the AWE portion, then it becomes a DOE
9 facility.

10 That opens the door for
11 contractors to become eligible. So that's
12 currently ongoing behind the scenes now,
13 trying to, I think, develop an 83.14 case for
14 the new DOE facility that it made.

15 CHAIR BEACH: I thought maybe you
16 hinted at that a little bit at the last --

17 DR. NETON: Yes, I did, I did.

18 CHAIR BEACH: So --

19 DR. NETON: And that's become
20 official. It's going to be a DOE facility,
21 reclassified as a DOE facility.

22 MR. FITZGERALD: Now, would that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 make this moot? I don't know.

2 DR. NETON: I don't know.

3 CHAIR BEACH: It depends on what
4 time frame.

5 DR. NETON: It depends on, yes,
6 the years.

7 CHAIR BEACH: Yes.

8 DR. ULSH: Yes, I don't know about
9 the policy, procedural intricacies of how to
10 do this. But it seems to me that if you've
11 got an SEC at Monsanto that covers all
12 workers, then you've got this nine month gap,
13 and then you got a Mound SEC that covers all
14 workers --

15 CHAIR BEACH: And that's the gap
16 we were trying to fill.

17 DR. ULSH: Yes, I'm not going to
18 defend that gap.

19 CHAIR BEACH: Yes.

20 DR. NETON: I think I said this
21 last time. I'll take this back.

22 CHAIR BEACH: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: I mean, this all sort
2 of came about the same time as the
3 redesignation of the Monsanto facility.

4 It wasn't clear to me what,
5 normally when I bring this up, I think of the
6 context of new designation, but this is
7 different from that. This is the gap
8 designation.

9 CHAIR BEACH: Right. Well, and it
10 was Joe's recommendation that left me with
11 some thoughts and considerations. So I took
12 some time and kind of thought about closing it
13 and where the Work Group fits into that.

14 And I just, so I wouldn't miss
15 anything I wrote down some of the concerns or
16 thoughts that I have. And I'm going to go
17 ahead and go through those. And of course,
18 other Work Group Members, please jump in.

19 So first, on the internal concern:
20 how does this Work Group judge exposure
21 potential where no quantitative monitoring
22 data or source term data exists, okay?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Originally this issue was raised
2 for a number of radionuclide sources for which
3 adequate bioassay data and source term
4 characterization was lacking.

5 And I know some of this is
6 paraphrasing where Joe's paper took off. Some
7 of the things that I wanted to highlight, the
8 road map was developed as a response.

9 NIOSH has stated numerous times,
10 and I'll emphasize numerous because Brant has
11 on many occasions, that their interpretation
12 is that the road map provided a useful guide
13 in D&D efforts to determine what radionuclides
14 should be considered for monitoring workers.

15 SC&A did disagree with this
16 interpretation. And I honestly disagree with
17 that interpretation as well, based on
18 discussions years ago and how that was
19 actually brought to the Work Group.

20 Can NIOSH explain how Mound's
21 laboratory internal dosimetry program
22 technologically and recordkeeping could have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stood above the other labs in the '50s and
2 '60s?

3 And that goes back to Joe's
4 report, just kind of categorizing. Los Alamos
5 has an SEC from '43 to '75. Livermore, Ames,
6 Brookhaven, Sandia, all those labs all have
7 SECs during that time period.

8 So the question remains, and I
9 know Joe stated this, but how does Mound stand
10 up above?

11 The other question, this exact
12 question came up in Randy Rabinowitz's ten
13 year review.

14 In it, Randy points out uniformity
15 issues among different sites, a difference in
16 results across SECs when the petition requires
17 NIOSH to bound internal exposure to
18 radionuclides other than uranium.

19 Seventeen SECs have been granted.
20 And I'm just taking a snapshot of Randy's
21 report, which I'm sure you've all read.

22 NIOSH could not bound internal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thorium or other exotic exposures, yet in at
2 least three instances, NIOSH has concluded
3 that it could use internal uranium doses to
4 bound thorium doses.

5 Mound was listed as one of those
6 three examples. So the question for the Work
7 Group is: do we accept the recommendation to
8 close this?

9 And I guess I'd throw that out
10 because those are my concerns on this issue.
11 And there's a lot of years they're looking at.

12 DR. ULSH: Do I get a chance to
13 respond to that?

14 CHAIR BEACH: Sure.

15 DR. ULSH: Basically, I'll give
16 you my position as the SEC person on Mound
17 because I was not involved with LANL or Sandia
18 or any of the other sites.

19 CHAIR BEACH: I understand.

20 DR. ULSH: And I was not tasked
21 with responding to Randy's report, either.
22 I'll leave that to Jim. My position is Mound

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was a pretty good site in terms of the
2 radiation protection program.

3 But I take issue with the premise
4 that it's head and shoulders above the other
5 facilities.

6 I think they were, by and large,
7 all pretty good. It depends on the site, it
8 depends on the data that you have there, what
9 kind of activities were being done.

10 At Mound, for example, with
11 thorium, they had a urinalysis procedure and
12 they applied it in a scale that seems to me to
13 be commensurate with the activities that were
14 there.

15 Like I said, I haven't been
16 involved with those other sites. If there was
17 the exact same situation at LANL and had I
18 been in charge, maybe I would have argued it
19 the same way, I don't know. I don't know what
20 the particulars are at those other sites.

21 But all I can tell you is, from
22 the specific situation at Mound, the specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data that's available there and the specific
2 materials that are available there, we have
3 laid out technical approaches for how to do
4 dose reconstruction.

5 So I won't talk about Randy's
6 report. That's not something that I've dealt
7 with. You know, Jim, I don't know if you want
8 to make any comments either.

9 DR. NETON: Not at this time.

10 CHAIR BEACH: Well, and I guess
11 the Work Group needs to make a decision. We
12 do have some open items, we have some expected
13 items back from NIOSH.

14 And SC&A is going to give us
15 something on the thorium paper. So I guess my
16 recommendation would be, we are going to
17 schedule another meeting, is to hold those
18 open for the next meeting.

19 But then I would suggest not
20 closing the issues and taking them before the
21 full Board. That's my recommendation. Other
22 people may have different ideas on the Work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Group.

2 But I just wanted to get what my
3 thoughts were thrown out before the very last
4 meeting, hopefully. Before June's full Board.

5 DR. NETON: That very last
6 meeting, I've been saying that for half a
7 year.

8 MR. FITZGERALD: Is there a sense
9 when this last meeting is roughed in?

10 CHAIR BEACH: Well, I think right
11 before break we were talking about the end of
12 May, first of June.

13 MR. FITZGERALD: Early June?

14 CHAIR BEACH: But we're going to
15 try to shoot for the end of May, right? Is
16 that --

17 MR. KATZ: End of May or early
18 June depending on when SC&A and if there are
19 deliverables from DCAS by the end of this
20 meeting, as to when you can deliver those so
21 that we have plenty of time in advance and no
22 one's dealing with having had a paper only for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a week.

2 CHAIR BEACH: Right. The key is
3 to have a time to answer those White Papers as
4 they come out, so we're not left where we are
5 at this point.

6 MR. KATZ: Right.

7 MEMBER ZIEMER: A couple of
8 comments. One, indirectly I guess, speaks to
9 Randy's comment. I think suggesting that
10 there would or should be uniformity across the
11 sites is simply not the case.

12 In fact, one of the things we saw
13 in the Tiger Teams was lack of uniformity
14 across the sites in virtually everything.
15 Part of it's a not invented here syndrome or
16 something.

17 Sites like to do their own kind of
18 dosimetry for many years, their own
19 instruments, built them and used them. There
20 was almost competition between the sites on
21 how you should do things.

22 So I wouldn't accept that because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some site did or didn't have a particular
2 program, another site would or wouldn't have
3 that. I think there was a lot of differences
4 in sites.

5 So I know that Randy kind of
6 implied that there might be this uniformity,
7 but I'm not sure there is. There was a lot of
8 sharing.

9 I know they've had groups that
10 shared how they did things, and often went
11 back and, you know, protected why theirs were
12 better.

13 CHAIR BEACH: I guess, help me
14 out, Randy's report wasn't really speaking to
15 what was done at the sites, but how NIOSH does
16 their reconstruction.

17 MEMBER ZIEMER: Oh.

18 CHAIR BEACH: That's kind of where
19 I was getting at, I think.

20 MEMBER ZIEMER: Well, I think the
21 implication was if they couldn't do thorium
22 here, they shouldn't be able to do it there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Okay. I understand.

2 MEMBER ZIEMER: But what I'm saying
3 is: I don't think that necessarily follows,
4 the fact that these sites weren't doing
5 thorium in a certain way that this one
6 couldn't.

7 And so, but I don't want to push
8 that any further than to say I don't think it
9 follows that that would necessarily be the
10 case.

11 I'm trying to understand the
12 suggestion on filling in the gap and how that
13 fits in with the rest of the opening and
14 closing of items here.

15 CHAIR BEACH: Well, that gap's
16 been discussed for several --

17 MEMBER ZIEMER: No, I think NIOSH
18 is saying let's go ahead and deal with that.

19 DR. ULSH: Well, we had talked
20 about, we're going to have to address the
21 situation with the radon class for the years
22 where we don't have the log books. We're also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to have to address this gap period.

2 And layered on top of this is
3 whatever the Working Group finally decides. I
4 mean, if you guys, I don't even want to
5 speculate. But there are actions that you
6 could take that would preempt a lot of that.

7 And if you wind up doing it,
8 there's no sense in having these discussions.
9 So we're kind of, I don't want to say that
10 we're waiting to see where the dust settles,
11 because that's not really true.

12 But I think we are talking about
13 going ahead with this radon class adjustment.

14 At least, we've proposed to do it.

15 DR. NETON: Well, just for the
16 years.

17 DR. ULSH: For the years where we
18 don't have, and I just don't know how we're
19 going to fill that gap. I don't know what the
20 procedures are for the '49 issue.

21 CHAIR BEACH: Well, and that's the
22 topic because be brought it up a year ago and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we were left with the same sense that NIOSH
2 was going to look at that and would report
3 back now. So it's just kind of in the
4 balance.

5 MEMBER ZIEMER: Right. And then
6 as I understand it, Joe, on the big adequacy
7 issues, you're okay with the second one on the
8 use of the gross alpha.

9 MR. FITZGERALD: Yes, yes.

10 MEMBER ZIEMER: So the other one
11 has to do with documenting the decision on
12 when or when not to do the bioassays, I guess.
13 Is it mainly --

14 MR. FITZGERALD: Yes. I, like
15 Brant, hesitate to dive into that pool.

16 MEMBER ZIEMER: No, no. I don't
17 want to re-discuss it. But there's kind of an
18 understanding that this is how you would do
19 it. But was it actually done? Is there any
20 way to --

21 MR. FITZGERALD: We've been
22 grappling with the legitimate question, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is, you know, in the absence of routine
2 bioassay information --

3 MEMBER ZIEMER: Right.

4 MR. FITZGERALD: -- how do you
5 demonstrate exposure potential? And very
6 early on, the King report was a nice
7 convenient ring to grab. But it turned out
8 there were some questions about its intent.

9 Did it really identify these
10 nuclides by room? Just for the sake of sort
11 of signaling to D&D folks that, just watch out
12 for these nuclides. Or did it actually
13 connote some recognition of potential exposure
14 in those rooms?

15 And, you know, stepping back from
16 it, there wasn't any good way to resolve that.
17 You know, just looking at the words and trying
18 to figure out, you know, what the words meant
19 without having, you know?

20 And we did interview various
21 people and we got sort of, you know, we did
22 get input back.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But in the end, you know, my sense
2 was, given the stakes involved, because you're
3 talking about a fair number of years in terms
4 of an SEC, I can accept the fact that, you
5 know, having some corroborating information
6 that would be hard information would be
7 something that --

8 MEMBER ZIEMER: As to why you did
9 or didn't?

10 MR. FITZGERALD: Yes. And you
11 know, but without any operational information,
12 the frustration is it's very hard to come up
13 with corroboration over as long as two
14 decades.

15 And that sort of raises this
16 question that remains. That, you know, okay,
17 we couldn't find the smoking gun in the way of
18 actual there was exposure here that should
19 have been routinely monitored but was not.

20 But on the other hand, you know,
21 certainly EEOICPA was always directed toward
22 trying to address gaps in recordkeeping and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dosimetry. And so that would suggest the
2 struggles.

3 I mean, you know, we need to have
4 something hard to explain away these years.
5 And, you know, I think it was a legitimate
6 debate on that. I mean, it was a lengthy
7 debate, a frustrating debate.

8 But it was a legitimate debate how
9 you do that when you don't have good
10 quantitative information.

11 And in the end, I think, we sort
12 of paint ourselves in a corner where yes, I
13 think we could continue to do this give and
14 take, but actually without some good site
15 specific quantitative data to corroborate what
16 the King report might have been suggesting, it
17 just wasn't going to lead to a conclusion.

18 And I think we owed the process
19 some conclusion that, you know, if we can't do
20 that, then let's just close it out. But you
21 know, it is a tough one. It is a tough one to
22 actually deal with exposure potential. I mean

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 --

2 MEMBER ZIEMER: Well, and I guess
3 I'm asking what does closing it out mean in
4 this particular case?

5 MR. FITZGERALD: I think my
6 recommendation was you know, there wasn't any
7 technical solution or pathway for this issue.
8 And we've just about tried everything we could
9 try.

10 And I'm willing to accept that the
11 corroborating evidence that we were seeking
12 just didn't seem to be available and
13 therefore, recommend to the Work Group that we
14 close the issue out. And that's pretty much
15 what this memo says.

16 MEMBER ZIEMER: Right. But the
17 result in closing that is what in terms of
18 SEC?

19 MR. FITZGERALD: Accept the
20 Evaluation Report as written.

21 CHAIR BEACH: For all internal.

22 MR. FITZGERALD: For internal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dosimetry.

2 MEMBER ZIEMER: To accept the ER
3 and not this.

4 MR. FITZGERALD: Right, right.

5 MEMBER ZIEMER: Okay. I wanted to
6 make sure I understood that.

7 MR. FITZGERALD: Right.

8 CHAIR BEACH: With the exception
9 of what we're working on. Tritides, thorium.

10 MR. FITZGERALD: Yes, thorium,
11 tritides --

12 CHAIR BEACH: Polonium.

13 MR. FITZGERALD: -- polonium in
14 the early years. And again, there's some
15 specific issues attached from these previous
16 data adequacy ones.

17 But again, that's almost more in
18 the line of a matrix of, you know, are these
19 loose ends tied? Less fundamental questions,
20 but more of just making sure those are real.

21 So really, it's tritides, polonium
22 in the early years, and thorium which are the,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sort of, remaining issues.

2 MEMBER ZIEMER: Okay.

3 MEMBER CLAWSON: I would just like
4 to add a comment on Paul's earlier comment
5 about that it was not unusual to be able to
6 see different sites having different
7 monitoring programs.

8 And I think this is really the
9 root of the whole issue. And this is why they
10 went with one site wide in the later years,
11 RadCon manual, because you look at the places
12 like Hanford, basically they worried about
13 plutonium.

14 But they could have cared less
15 about uranium. And I think this is kind of
16 what has got us into this issue.

17 And because I somewhat, and no
18 disrespect, Brant, I chuckle when I hear the
19 terms robust monitoring programs and stuff,
20 but we didn't monitor for this whole broad
21 radionuclides that we had.

22 So in that context, I just, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would have to agree somewhat with Randy on
2 this. I think yes, it's not unusual to see
3 this, but this is in the context of when we go
4 back to monitor or redo for them.

5 I see a whole different way of
6 doing things. I see different things and I
7 think really with what you've said is true,
8 this is why we are where we're at here,
9 because they didn't have a routine program.

10 So I think that's kind of part of
11 the issue is that.

12 CHAIR BEACH: Well, I have an
13 issue because there were gaps in the data.
14 Most of the stuff that we have is after 1990.

15 MEMBER CLAWSON: And I know
16 today's -- but we still have gaps in the
17 programs today. And we're still trying to
18 work them out even today.

19 And so to be able to say that we
20 can go back, I really, really have a hard time
21 with that.

22 MEMBER ZIEMER: Of course, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 keep in mind that none of this information was
2 collected for the purpose for which we're
3 using it anyway.

4 MEMBER CLAWSON: You know, Paul,
5 that is really part of the problem is a lot of
6 this is, and don't ever think that I'm saying
7 that the health businesses didn't do a good
8 job.

9 You know, I look at how come the
10 health physicist program got started, and
11 really it's because of all these DOE sites
12 that slowly got connected together and
13 actually sharing the information that they're
14 learning from some of the sites that was
15 classified and everything else.

16 But it was in a forum where they
17 could. But as any of us know, you put in a
18 room a scientist or a health physicist, it
19 doesn't matter, and you're going to have a lot
20 of different ideas of how it's going to go.

21 And I never want anybody to think
22 that I'm degrading that they did a brilliant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 job. They did the best job that they could
2 with the information that they had at that
3 time.

4 You look over the last few years
5 of what they had learned of the daughter
6 products and everything else and how it really
7 affected a lot of people, and I think that
8 they were doing the best job.

9 I've been criticized that I'm
10 knocking them. And I'm not in any way. But
11 really the information that was pulled for
12 this that we're using right now was really not
13 designed to be able to do what we're doing
14 right now.

15 So we're making a lot of judgments
16 and assessments and assumptions. And we've
17 got a saying, but I hear you can't say it
18 about assumptions, because they make fools out
19 of a lot of us sometimes.

20 So I do believe this is why we're
21 at the program. And I don't know what else
22 more we can do with that. But I don't want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 see it closed. So I think that's part of the
2 root of the issue.

3 MR. KATZ: I guess another
4 perspective to take in terms of Joe's issue of
5 corroborating, lacking corroborating
6 information for some of these other
7 radionuclides and very particular situations
8 is down the road, I mean, if people come
9 forward and say I was involved in this
10 operation involving X, Y, or Z, that might be
11 the time when you get corroborating
12 information that in fact, there was an
13 operation that wasn't monitored for these
14 items that right now, you only know as
15 possibly having had exposure potential.

16 But so, you may see an 83.13 or
17 83.14 down the road on one of these items that
18 you don't have information on right now.

19 CHAIR BEACH: Okay, any other
20 comments? So I'll just leave it with the
21 action items. I will go forward with the memo
22 answering the open items.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 A brief memo is what we talked
2 about last year on this attachment to Joe's
3 report. And that includes if you know
4 something about the polonium. I know you
5 don't have any idea when DOL's going to come
6 out with that. And for us to do something in
7 advance of that --

8 DR. NETON: It's out. I just
9 checked the website and --

10 CHAIR BEACH: Oh, it is.

11 DR. NETON: -- it's listed as a
12 DOE facility.

13 CHAIR BEACH: Does it give the
14 years?

15 DR. NETON: Yes. I'm assuming
16 we're talking about the Dayton Project, right?

17 Early years. It says '43 to '50, it was a
18 DOE facility.

19 CHAIR BEACH: Oh. So that covers
20 the time period we're addressing. Okay, so --

21 DR. ULSH: Well, it does, but what
22 if you have someone who shows Mound employment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and --

2 DR. NETON: See, Mound was covered
3 from '47 to present.

4 DR. ULSH: Well, it's a covered
5 facility. The SEC doesn't start until
6 September of '49.

7 DR. NETON: '49.

8 CHAIR BEACH: Yes, right. And
9 then '59 to '80 for the radon. So the
10 actinium and thorium and radium.

11 DR. NETON: Are you talking about
12 someone who would have worked --

13 CHAIR BEACH: February 1st, 1949
14 to September 30th at Mound.

15 MR. FITZGERALD: At Mound versus -
16 -

17 DR. NETON: It's almost an
18 employment identification issue bundled up
19 within this. I would have to go back and
20 restudy this in light of this new DOE class
21 and what it means.

22 MEMBER CLAWSON: Because of that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 change, maybe if, I'm not saying this next
2 Board meeting, you can give us an update on
3 that.

4 DR. NETON: Yes, I can certainly
5 give you an update of where the 83.14 is. But
6 I'm wondering if this is not the time to do
7 something. As long as we're doing an 83.14
8 for the, let's say the Mound issue, not --

9 CHAIR BEACH: Well, there's an SEC
10 at Monsanto that covers the individuals from
11 there. That's just that time period between
12 when Mound took over that operation is what I
13 remember. So that was just those people fell
14 through that gap there.

15 DR. NETON: Mound's current Mound
16 SEC starts in '50, is that right?

17 CHAIR BEACH: No, '49.

18 DR. NETON: '49.

19 DR. ULSH: September of '49.

20 DR. NETON: Right. And the basis
21 for the polonium program.

22 DR. ULSH: No, the basis for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Mound was the radium, actinium, thorium
2 separation.

3 DR. NETON: Right, that's correct.

4 CHAIR BEACH: And this was for the
5 fission activation products associated with
6 polonium process at Mound during both of the
7 extended -- excluded period, which is what was
8 written up in Joe's paper.

9 It's something Kathy brought up a
10 couple years ago.

11 DR. NETON: Yes, I remember. It's
12 just I keep --

13 CHAIR BEACH: I remember her
14 kicking it around.

15 DR. NETON: -- having to relearn
16 it because I think about it and then the ball
17 gets dropped.

18 CHAIR BEACH: Yes, so we took it
19 up as an action, I think, at our last meeting.

20 DR. NETON: Well, we're going to
21 have to go back and, let's see if I can get
22 there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Okay, so that one --

2 DR. NETON: That one's considered
3 open.

4 CHAIR BEACH: -- we'll hold you to
5 it this time.

6 DR. NETON: Yes, hold me to it
7 next time. And we'll accommodate this.

8 CHAIR BEACH: And then there's
9 just a couple in the attachments. Some of
10 these are addressed by the thorium or the
11 tritium. I think there might be a couple that
12 --

13 MR. FITZGERALD: I updated it as
14 of January, but obviously thorium is now --

15 CHAIR BEACH: Yes. So I don't
16 know if you would want to update this or just
17 work on this.

18 MR. FITZGERALD: I think, you
19 know, thorium may be the one that was a little
20 outdated. But you know, I would just say take
21 a look at it and see if it's --

22 MEMBER ZIEMER: On you tables, are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you talking about the tables?

2 MR. FITZGERALD: Yes. There's an
3 attachment.

4 CHAIR BEACH: The attachment.

5 MR. FITZGERALD: And that came
6 from actually an earlier report, SC&A 2010,
7 which is referenced. And I just updated that
8 original table. It's now outdated again, of
9 course, it's three months ago.

10 CHAIR BEACH: And I know you got
11 to the recommendation and wanted to quit, but
12 I wanted to drag a little more out of you.

13 MR. FITZGERALD: Could put it in
14 the body of the report.

15 CHAIR BEACH: Okay, anything else?
16 Shall we start our lunch break early before we
17 get into radon? Does anybody have any
18 objections to that?

19 DR. NETON: No.

20 CHAIR BEACH: All right. I don't.
21 So an hour? Get back at --

22 MR. KATZ: So 1:15?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Well, let's just
2 make it 12:30, since that's what we had stated
3 earlier. 1:30.

4 MR. KATZ: Oh, 1:30.

5 CHAIR BEACH: 1:30.

6 MR. KATZ: Thanks everyone on the
7 phone. We'll reconnect after lunch. Bye.

8 (Whereupon, the above-entitled
9 matter went off the record at 12:15 p.m. and
10 resumed at 1:29 p.m.)

11 MR. KATZ: Good afternoon. We're
12 reconvening the Mound Work Group after lunch
13 break. Phil, can I check, are you still
14 there?

15 MEMBER SCHOFIELD: Yes, I am.

16 MR. KATZ: Are you back again, I
17 should say. Great. I think you can get
18 started.

19 CHAIR BEACH: Yes. I'll go ahead
20 and kind of give you a brief of what's
21 happening the rest of the day while we wait
22 for Mel to get back in since he's the one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's going to lead this discussion.

2 We're going to talk about radon.

3 We're going to start with Mel, who wrote the -
4 - well, no, Samuel, excuse me, Samuel, latest
5 White Paper for NIOSH. We will then talk
6 about the interview notes and open it up for
7 Work Group discussions at that point.

8 After radon, we'll go ahead and if
9 there's any workers on the line that would
10 like to make comments, other than the Work
11 Group Members, we'll go ahead and have some
12 time for that.

13 So, Mel, are you, or Sam, going to
14 start?

15 MR. KATZ: Brant.

16 CHAIR BEACH: Brant, you're going
17 to. Thank you. Okay. Excuse me.

18 DR. ULSH: Yes. It's me again.

19 MR. STIVER: He's going to be the
20 choo-choo train master of ceremonies today.

21 MS. LIN: That's actually really
22 funny.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CHEW: That's good? You're
2 the only one that caught it.

3 DR. ULSH: All right. So the
4 radon issue. This has been going on for, oh
5 geez, four years now, and basically it started
6 with an interview that SC&A conducted a number
7 of years ago with a few people, and based on
8 that, there was some concern on SC&A's part
9 about where the tunnel underneath SW-19 ran,
10 whether or not it went on into R Building and,
11 therefore, posed a potential exposure pathway
12 for people in R Building or not.

13 We've gone through a number of
14 iterations on this. First of all, we looked
15 at the interview notes, since this was before
16 the time that we did joint interviews, and we
17 followed up with one of the interviewees and
18 got a number of clarifications about where the
19 concern that the tunnel might have gone into R
20 Building came from.

21 He didn't say explicitly that it
22 had, but it was just kind of an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interpretation. Then I talked to a former
2 worker who had actually been in the tunnels
3 for a particular project that he was involved
4 with, and he indicated to me that the tunnel
5 did not go into R Building.

6 And then we went over to the Mound
7 Museum collection of drawings and pulled out
8 original blueprints for both R and SW Building
9 that showed that, in fact, the tunnel did not
10 go into R Building.

11 So I thought we were done, but
12 then the concern evolved into, well, could
13 radon have leaked from the tunnel and been
14 picked up by the building ventilation system
15 and circulated throughout R and SW Building
16 that way?

17 So then we went back to the Mound
18 Museum drawings collection and pulled out
19 ventilation drawings. And we gave those to
20 the Working Group in Germantown on January
21 6th, and some concern was expressed at that
22 time that perhaps the ventilation systems for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the two buildings may have been interconnected
2 and could have presented an exposure pathway.

3 So what has happened between
4 January 6th and now is that I asked Sam Chu,
5 who is a licensed mechanical engineer, to sit
6 down with the ventilation drawings and
7 determine to what degree that's a plausible
8 scenario.

9 Well, long and short of it is, and
10 really, this is kind of common sense with how
11 you design a building where you're going to be
12 conducting radioactive operations, you really
13 don't want a system that's going to take air
14 from one laboratory where you might have an
15 accident, a contamination incident that
16 introduces radioactive contamination into the
17 room air, and then a ventilation system that
18 would suck air out of that room and spread it
19 all around the building.

20 That kind of defies common sense.
21 That's not what you would really hope for.
22 Instead, you would want the exhaust system to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 run that room exhaust air out of the building
2 so that any contamination is not spread around
3 the building. Of course, you'd run it through
4 filter banks to remove contamination.

5 And in fact, what we saw at Mound
6 is exactly what the workers have told us, and
7 that is that the individual laboratories or
8 rooms were kept at negative pressure compared
9 to the hallways, and the hallways were kept at
10 negative pressure to the outside.

11 So the whole building was kept at
12 negative pressure so that any contamination
13 incidents wouldn't push contamination out of
14 the rooms into the hallways and out into the
15 environment; rather, air would be sucked in
16 from outside and vented through filters
17 through the exhaust system.

18 So the long of short of it is, Sam
19 looked at the different pressure differentials
20 across the various areas of the R and SW
21 Building, the tritium complex, and found that
22 it's just not plausible that the ventilation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 system would have served as a route of
2 circulating radon from the tunnel and under
3 SW-19 throughout the building.

4 So that's kind of where we are
5 with that report. Now I'd like to draw an
6 analogy just so we can all be clear on what
7 we're talking about here because I know you
8 all are considering whether or not the Class
9 needs to be expanded.

10 Can anybody smell anything? Like,
11 vinegar? You know, that's kind of my point
12 because when I came in this morning --

13 Mr. KATZ: It was a trick
14 question.

15 DR. ULSH: It was a trick
16 question. I brought in a little jar of
17 vinegar, which, if you're sitting here, you
18 can smell it now, and I had it sitting in my
19 lunch box with it cracked open.

20 Now, like any analogy, this is
21 going to be limited. I mean, there's going to
22 be differences, but let me kind of draw a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 picture for you.

2 What we know is that a particular
3 individual was sitting in SW-19, which is an
4 access-controlled area. People don't just
5 wander in here, even the interview that you
6 conducted last week said that, and at his
7 desk, he was sitting there, and he showed up
8 with a strange whole body count.

9 And that's how they discovered
10 that they might have a radon problem. They
11 did some investigations. They tracked it back
12 to SW-19, where his desk was, and right by his
13 desk was some cracks in the floor, and that's
14 how they discovered the tunnel.

15 Since the room was at negative
16 pressure, it was drawing radon into the room,
17 and that's how he got exposed to radon.

18 So the question is, well, how do
19 we place people in SW-19? Well, we can't do
20 that. We can't tell you exactly who was in
21 that room and who wasn't, but what we can tell
22 you is that that was an area that was part of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the tritium complex in SW Building.

2 And anyone who would have been in
3 there would have been on tritium bioassay.
4 The problem with that Definition is that it
5 captures a lot of people who were never in
6 that building -- or never in that room,
7 rather. We just have to accept that. We
8 can't draw the net any tighter than that.

9 So I think the only remaining
10 question is how adequate is that Class
11 Definition to capture people who might have
12 been exposed?

13 Well, let me give you, like I
14 said, an analogy. This is the radon source.
15 I can't get to a tunnel underneath the floor
16 so I had to use my lunch box, but if I'm
17 sitting here, I can smell it now. I don't
18 know if you guys can.

19 But if you were to go out in that
20 hallway, you wouldn't be able to smell it.
21 Why? Well, because of dilution and because
22 the ventilation system would be sucking it out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and sending it outside. It wouldn't be
2 spreading it all around the hotel.

3 Well, this is also, sort of, an
4 access-controlled room. If you tried to get
5 in here after lunch, it was locked. At Mound,
6 SW-19 was locked. You didn't just wander in
7 there, and you certainly didn't do it unless
8 you were on tritium bioassay.

9 We've already designated a Class
10 that includes this entire hotel. What you're
11 talking about is expanding it to include the
12 Hampton Inn next door and the Comfort Inn next
13 to that, from this. It doesn't make any
14 sense.

15 We've already given a very
16 generous Class Definition, and the reason that
17 I crafted the Class Definition in this way is
18 because I didn't want to spend three or four
19 years fighting about whether we've captured
20 everybody.

21 Well, you can see that that
22 strategy was kind of an abject failure because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here we are today. But I simply don't see any
2 basis for expanding the Class beyond what it
3 already is.

4 And I'm going to put this away
5 because it stinks.

6 MR. FITZGERALD: If I can
7 interject, I mean, this might be a good
8 question. We originally addressed this issue.

9 We noted the interviews that pointed out the
10 -- and it was an anecdotal reference to the
11 radon going into, I guess it was, room R-128,
12 as I recall. No dispute there.

13 And this Work Group discussed it
14 and pretty much concluded that, yes, there was
15 a source that implicated SW, particularly, SW-
16 19, so no argument there either, and with a
17 possibility of it getting into R Building on
18 that one side.

19 And I think it was NIOSH that came
20 back at about that point in the discussion
21 and, by virtue of the isotopic mix, you know,
22 the radon, thoron, and actinon, and the fact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that it was fairly prodigious in quantity. I
2 mean, a lot of thoron in the tunnel, and what
3 have you, and it's all in the transcripts.

4 And that was when the SEC Class
5 was first proposed. And I went back and
6 looked at some of that discussion because it's
7 been awhile. And, you know, we were pretty
8 clear that we thought it was SW and R, we've
9 never changed that. We just that, you know,
10 the two buildings were implicated, quite apart
11 from, you know, exactly where the tunnels
12 went, but we thought the two buildings were
13 implicated. And it was made pretty clear at
14 the time, and I think Brant is correct. I
15 think there were some misgivings that it was
16 SW-19 that figured most prominently in the
17 measurements that were taken in terms of
18 potential exposure, but I think Brant raised
19 this back in January 2010, but Labor couldn't
20 construct the Class Definition on one room.

21 And as Brant pointed out, it had
22 to include anybody who, you know, might have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had access who could, I guess the way to put
2 it, they didn't know who frequented the room,
3 who went in and who went out. So it was sort
4 of left that people that would have access
5 would be included, and it was left at that.
6 At the time, we were concerned, I'm just
7 trying to recreate this, we were concerned
8 that somebody would raise their hand.

9 I remember having this discussion,
10 maybe a clerical support worker or a
11 maintenance person or somebody who wasn't a
12 hands-on tritium operator that, you know,
13 might not have tritium bioassay in R and SW.

14 And at that time, I guess there
15 was an individual who was interviewed who made
16 it very clear, that person had pretty good
17 knowledge of the tritium operations, that
18 nobody could enter the buildings without
19 having a tritium bioassay.

20 And so that, you know, that aspect
21 of trying to have a safety net to capture
22 anybody who might not have a tritium bioassay,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but was in R and SW, that got dropped. And I
2 think it went forward as just being those on
3 the tritium bioassay log.

4 And that was fine. You know, I
5 think that was the premise where we were
6 coming from and that seemed to address it. So
7 when this thing came back and it turned out
8 that, in fact, there may be individuals in, in
9 this case, R building who did not get a
10 tritium bioassay, that's precipitated this
11 whole discussion.

12 I mean, certainly, it wasn't on
13 our volition, but certainly on NIOSH's part,
14 this question's been raised. And, you know,
15 there's two elements to it. You know,
16 clearly, one issue is can we somehow clarify,
17 you know, this question of radon exposure in
18 terms of ventilation?

19 And that was the paper that Sam
20 put together, and before that, actually, in
21 October, I guess the original paper was
22 October, that was issued and this latest paper

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is more on the maintenance and ventilation.
2 It addresses that issue.

3 And at the time, we indicated that
4 it would probably be useful to interview
5 workers who would have some knowledge of
6 whether people from the clean side of R
7 Building could, in fact, have free access of R
8 and SW as well. That was the flipside of the
9 issue.

10 You know, one was, can radon get
11 to the clean side of R Building, on one hand,
12 and can the individuals on the clean side of
13 the R Building get to SW-19, say? So those
14 are the two issues.

15 And I think we've been looking at
16 the analyses on the ventilation, and in fact,
17 interviewed 'identifying information redacted'
18 to get, sort of, a person-on-the-floor
19 perspective on that issue as well.

20 And we have specific questions
21 about the Chu paper, but I'm not sure, in
22 general, we have any very big objections to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the fact that, you know, examples aside, radon
2 would have easily gotten all the way over to
3 the clean side of R Building.

4 So we can have that discussion,
5 and I think we need to go through some of the
6 mechanics, and that was never an assertion
7 that we had. We just said there was a source
8 of radon, apparently in R-128 got into R
9 Building, and that was the genesis of, I
10 think, including R Building as part of the
11 Class Definition.

12 So, you know, that's as far as
13 we've gotten. So we have some comments on the
14 ventilation report, and I don't know, who's on
15 the phone? Is Bob? Who's going to handle
16 that? Joe Provecchio? Anybody?

17 MR. STIVER: I asked Joe
18 Provecchio to call in, and I haven't gotten a
19 response from him.

20 MR. FITZGERALD: Okay. I didn't
21 hear his name though.

22 CHAIR BEACH: I don't think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 asked who was on the phone first thing, did
2 we?

3 MR. KATZ: We asked earlier today,
4 but not since lunch.

5 MR. FITZGERALD: We may need to
6 call him.

7 MR. KATZ: I'm sure they would
8 have responded if they -- where is that coming
9 from?

10 DR. CHEW: I'm going to mute that.

11 MR. FITZGERALD: Can you call him?
12 I know he had --

13 MR. KATZ: So, Joe Provecchio, are
14 you on the line?

15 DR. MAURO: Hi. This is John. I
16 just tried to call Joe, and I left a message
17 for him to call in. I don't know if he's on
18 the line.

19 MR. KATZ: Okay. John Stiver sent
20 him an email, too.

21 DR. MAURO: Yes. I just called
22 him about three minutes ago.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay.

2 DR. MAURO: You know, if I can
3 help. I did spend some time with Joe going
4 over the drawings and the material. Joe
5 Fitzgerald, did you have a chance to talk to
6 Joe directly about all these matters?

7 MR. FITZGERALD: No, no, we have
8 his comment, but I just wanted to, you know,
9 as with Ron, I was hoping that he would have
10 the opportunity to interact directly. We're
11 not having much luck today.

12 DR. MAURO: Oh, sorry. Then
13 you've got more than I have. Okay.

14 MR. FITZGERALD: Yes. Well, while
15 we're waiting. I mean, we did have an
16 opportunity to interview some people, and one
17 person was a maintenance manager who did work
18 at Mound in the '80s and '90s and was
19 responsible for maintaining the HVAC systems,
20 not only in R and SW, but other buildings at
21 Mound.

22 And what we were hoping to do is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 supplement what we got from Sam Chu's paper by
2 just getting some sort of perspective of his
3 experience since he dealt directly with those
4 systems. And does everyone have a copy of the
5 notes?

6 MR. KATZ: That should have been
7 circulated.

8 MR. FITZGERALD: Okay. Yes. And
9 I just want to go over those because we were
10 going to cover that in any case, and this gets
11 into some of the issues that I think both
12 support and, probably, corroborate some of
13 what Sam Chu did.

14 MS. LIN: Joe, before you go on.

15 MR. FITZGERALD: Yes.

16 MS. LIN: I didn't have a chance
17 to review this document for PA purposes, so
18 just refrain from divulging individual --

19 MR. FITZGERALD: Individuals,
20 okay.

21 MS. LIN: -- information, not just
22 the names, but specific --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Any identifying
2 information.

3 MS. LIN: Thank you.

4 MR. FITZGERALD: Okay. So anyway,
5 we were talking about the kinds of activities
6 and he was involved with different aspects of
7 HVAC maintenance and the ventilation systems.

8 And we asked him, basically, were both R and
9 SW Buildings maintained at negative pressure
10 to the outside?

11 And his answer was yes. And in
12 terms of the actual lab space, the lab space
13 was maintained at negative to the corridors,
14 with some exceptions. I think the note was
15 that, in some cases, you could adjust the
16 relative pressure so that it would flow,
17 actually, out to the corridor if it were the
18 type of operation that required that.

19 So there was some adjustment
20 needed, but the picture he painted for us was
21 a pretty strong recognition of the status of
22 pressure within the facility and within the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actual lab space. And if there was any
2 aberrations in that pressure, anything that
3 was off-normal activities, would be shut down
4 immediately.

5 And this is pretty much standard,
6 I think, in a lot of different DOE and AEC
7 labs. So this was no different. So there was
8 assurance from his standpoint that, you know,
9 you didn't have any anomalies or any off-
10 normals that would have led to a pressure
11 gradient that would have given you a different
12 in terms of flow.

13 The other questions, you know, was
14 the reports on the differential pressure made
15 every day or was this done weekly? He claimed
16 it was done weekly but that they were checked
17 daily. So there was a lot rigorous controls
18 on that.

19 And were the R and SW Buildings
20 isolated? No. They were isolated from each
21 other. They were independent with their own
22 exhaust systems and, basically, they were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 monitored that way.

2 And we went through and,
3 literally, there were airtight doors, but not
4 the traditional two-door airlocks. And all
5 these are laid out in the notes.

6 So, in general, I think the
7 picture he painted was that you had a
8 ventilation system that would have likely
9 exhausted radon across the facility such that
10 it would have been less likely that you would
11 have seen demonstrable radon levels on the,
12 was it the west side? The side away from SW.

13 DR. ULSH: That's the east side.

14 MR. STIVER: The east side.

15 MR. FITZGERALD: East side. And
16 so he kind of presented this picture that you
17 had a number of corridors that had exhaust
18 points, and you had monitored pressure, but
19 that the way it was managed, understandably
20 so, was that the cold side, which was the east
21 side, would have been less likely to see air
22 that was flowing from the west side.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I think that was very helpful,
2 and I think that perspective helped us
3 understand that, you know, the ventilation
4 system was well thought out and controlled,
5 and that's pretty much the configuration that
6 he was familiar with.

7 Now we also raised the flipside of
8 the question, which is, okay, you know, that
9 was in terms of the radon getting to the cold
10 side of the R Building, what about this issue
11 of workers from the cold side being able to
12 move through R Building and actually move into
13 SW Building. Is that something that was an
14 issue?

15 And his answer was, yes, that,
16 basically, you could do that. You had to don
17 smocks and shoe covers if you did enter, I
18 don't want to say the hot side, but the
19 hotter, you know, the tritium or radiological
20 side of R Building.

21 And since everybody there was
22 already wearing smocks and shoe covers, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean, you would obviously stand out if you did
2 not, but you, in fact, had a supply of those
3 items at the point, the juncture, where you
4 went to radiological areas and were expected
5 to don those, and you could enter.

6 But, basically, his claim was
7 there was no restriction. You could certainly
8 do that and he, in fact, did that. We also
9 posed that same question to another
10 individual, who I will not name, just as an
11 aside, just to corroborate whether or not that
12 was the case.

13 And that individual confirmed
14 that, in fact, that was the case, that really,
15 it was the, you know, standard practice to don
16 these smocks and shoe covers in order to move
17 about R and SW from the cold side.

18 The rest of it's in the notes, but
19 we did pose some of the questions. Some of
20 these questions, I think, Brant, you've had
21 identified, and we're still waiting for some
22 written responses, but certainly, by the time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this individual was working, this was in the
2 '80s, it appeared that SW-19 was pretty well
3 locked down.

4 I mean, it was not being used for
5 something, but it was definitely locked down.

6 He couldn't speak about the time period that
7 we're talking about since he wasn't actually
8 working at that time, although, you know, he
9 expected it to be somewhat similar that you
10 could, in fact, be able to move around.

11 CHAIR BEACH: But the other
12 individual was there during that time period,
13 wasn't he?

14 MR. FITZGERALD: Yes, but we did
15 not do a formal interview.

16 CHAIR BEACH: Okay.

17 MR. FITZGERALD: So there's
18 probably issues that could be raised. So I
19 guess, in sum, I mean, the rest of it's in the
20 notes, but in sum, I thought the discussion
21 with some of the folks that actually worked
22 here, former workers, was corroborative on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ventilation issues.

2 That it appeared that the
3 likelihood of a radon movement to the cold
4 side was minimal, or small, but that the
5 likelihood of workers being able to move about
6 from the cold side seemed to be there as far
7 as, you know, it was certainly feasible, it
8 was done, and that's about where we left it.

9 I mean, there's no indications of
10 how often and how many, but you could, in
11 fact, make that movement. And we were going
12 to interview to get that feedback, so that's
13 about where it stands now.

14 DR. ULSH: Okay. Well, no one
15 from NIOSH or ORAU participated in that
16 interview on Thursday. I assume it was just
17 an honest mistake we didn't the call-in
18 information.

19 MR. FITZGERALD: Well, you were
20 invited.

21 DR. ULSH: We were invited, but I
22 sent an email beforehand because I hadn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 received the call-in information, so I can't -
2 - that's not meant as a criticism, it's just
3 to point out that we weren't involved, so I
4 can't say how the questions were asked or how
5 they were answered and what kind of
6 interpretations were given.

7 I don't really know. What I can
8 tell you is that we, together with you in many
9 cases, have interviewed four different
10 individuals who worked pre-1980, and I've got
11 nine individuals who worked post-1980, many of
12 whom currently work for NIOSH or ORAU, and
13 they formerly worked at Mound, and none of
14 them have said that you simply walked into the
15 tritium areas without leaving tritium
16 bioassay.

17 All of them have said there were
18 change rooms between the two areas where the
19 shoe covers and smocks were, and you were
20 expected to leave a tritium urinalysis. I
21 asked specifically was there anyone that was
22 standing there, a guard, making you do that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 No, there wasn't. It was an honor
2 system. That's consistent with what we heard
3 from all the people that we talked to, and
4 furthermore, I asked could you have been in
5 these tritium areas for 250 days, which is
6 what you need to qualify for an SEC, and not
7 have ever left a single tritium urinalysis?

8 And to a person, all 13 of these
9 people said, no, that's really not plausible.

10 So like I said, I don't know how the
11 information in these notes came to be. I
12 don't know how to interpret it, but it's not
13 consistent with what I've heard from 13 other
14 workers.

15 MEMBER ZIEMER: Well, that last
16 question wasn't really asked about the 250
17 days, the individual -- because Josie and I
18 were listening. Basically, the question was
19 asked could an individual enter that area
20 without, basically, being logged in and
21 without leaving a urine sample?

22 And the answer was, basically, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was an honor system, as you described. He
2 described the possibility that someone might
3 go there on a break to be with a colleague,
4 into the break room. He specifically talked
5 about that would be an example, that they
6 would, in fact, don the smock and the shoe
7 covers, and perhaps go to a break room.

8 But the same question occurred to
9 me that, yes, but would the individual do that
10 frequently enough to qualify for an SEC
11 category? You'd have to do it, not only 250
12 days, I think, you have to -- a break's like
13 15 minutes, so I don't know what constitutes a
14 day, legally, in this case.

15 But the question about frequency
16 and could a person, sort of, be there 250 days
17 without being part of that working group, I
18 don't think that was asked. It was more,
19 could a person enter the area. That's how it
20 sounded to me, wasn't it?

21 Could you enter the area without
22 leaving a urine sample, and the answer was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 yes.

2 MR. FITZGERALD: Yes, you could
3 enter the area and, you know --

4 MEMBER ZIEMER: And I think he
5 said people did.

6 MR. FITZGERALD: Right.

7 DR. ULSH: And, you know, that's
8 consistent with what we heard from the three
9 people that we interviewed at the federal
10 building in Cincinnati that, you know, many
11 people around the table were involved with.

12 If you were going to go deliver a
13 letter, yes, you could do that. You weren't
14 supposed to, but you couldn't do it 250 days
15 and not leave a single urinalysis sample.

16 One of the people that I talked
17 to, who works for us now, said, well, yes, I
18 mean, physically, could you do it and get away
19 with it one time? Yes, maybe, but really, the
20 culture was, what you would do is, if you
21 worked in the cold side of R Building and you
22 needed to meet someone from the hot side of SW

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Building, the tritium areas, you didn't just
2 walk over and see them.

3 What you did is, you picked up the
4 phone, and you called them, and you said, hey,
5 meet me at the change room, and I'll hand you
6 whatever the report or letter is.

7 So, yes, you could pop in for a
8 break, like you said, I think that's
9 consistent. We're getting a consistent story.

10 The question that we have to keep focusing on
11 though is could you be in SW-19 for 250 days
12 without a single urinalysis sample? And I've
13 heard nothing that indicates that you could.

14 MR. FITZGERALD: Well, the problem
15 I have with that is, you know, when this was
16 originally -- I'm trying to square this with
17 the original discussion on the Class
18 Definition of two years ago.

19 And, you know, you have
20 individuals in R Building, just on the other
21 side of the wall probably, you know, just on
22 the tritium side of R Building, who, likewise,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may or may not be exposed 250 days, and they
2 just happen to get tritium bioassay.

3 I mean, the exposure to the radon
4 is founded on the tritium bioassay as a
5 surrogate, the tritium bioassay was the
6 original trigger because that placed you in R
7 and SW Building.

8 DR. ULSH: Not necessarily.

9 MR. FITZGERALD: Well, not
10 necessarily now, but it was the reason why
11 that was the trigger because it identified all
12 those who might have been in R and SW because
13 the premise was, you couldn't be in R and SW
14 without a tritium bioassay.

15 DR. ULSH: Right. You're talking
16 about the mistake that I made and --

17 MR. FITZGERALD: No, no, but I'm
18 just trying to go back to the reasoning as to
19 why the tritium bioassay figures in this.

20 DR. ULSH: It was at the Niagara
21 Falls meeting when we decided that there
22 needed to be a radon Class.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Right.

2 DR. ULSH: There were a couple of
3 iterations of the Class Definition, and at
4 that time, Josie in particular and the Working
5 Group in general expressed a concern about,
6 well, would this capture everyone in the R
7 Building?

8 And at that time, I said, yes, it
9 would, based on what I had heard from former
10 workers.

11 MR. FITZGERALD: Right.

12 DR. ULSH: After that, a member of
13 the public pointed out that, hey, in fact,
14 there's this cold side of R Building and you
15 didn't have to be on a tritium bioassay to be
16 in there.

17 Now we committed at the Niagara
18 Board meeting that if any information was
19 presented to us that indicated that we need to
20 reexamine the Class Definition, that we would
21 do that. And that was the genesis of our
22 October 2011 report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 After the Class Definition was
2 set, information came to us from members of
3 the public saying, hey, wait a minute, it's
4 not the situation that everybody in R and SW
5 Building are on tritium urinalysis, so now we
6 have to revisit the Class Definition, and
7 that's what we did in the October report.

8 MR. FITZGERALD: Yes. I'm
9 certainly familiar with that.

10 DR. ULSH: When I said, not
11 necessarily, what I meant, Joe, was, you could
12 be in T Building and be on tritium bioassay.

13 MR. FITZGERALD: Right.

14 DR. ULSH: It doesn't necessarily
15 mean you were in --

16 MR. FITZGERALD: Right, right.
17 And I, sort of, understand that, but what I'm
18 trying to understand though is that,
19 originally, and we talked about using the
20 tritium bioassay as a trigger, we didn't talk
21 about, you know, would these workers who were
22 not in SW-19, would they have been exposed to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radon for 250 days or not. No, probably not.

2 DR. ULSH: No.

3 MR. FITZGERALD: I mean, that
4 would not even factor into it. In fact, if
5 you go through the transcripts, that didn't
6 even come up. It was just the recognition
7 that even though SW-19 was probably the only
8 place that you could be pretty darn clear
9 you'd have 250 days of radon exposure, it
10 wasn't possible.

11 Labor didn't see it as feasible
12 to, in fact, classify a room, even though it
13 was the only place that one could be clear it
14 was 250 days of radon exposure in an SEC
15 Class.

16 And I think you put it well in
17 that particular meeting, it was indeterminate
18 who could have possibly come in or out of SW-
19 19 at that time, and therefore, anybody who
20 could have had access, would have been
21 included and it wouldn't have come down to 250
22 days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It's just this indeterminate
2 circumstance of who had access to SW-19.
3 Labor basically said, we can't take one room,
4 even though that's the room where you're more
5 than likely to have the radon exposure, and
6 classify it as SEC.

7 You have to take into
8 consideration all the workers who may have had
9 access to that room and could have been
10 exposed. It wasn't 250 days of exposure, just
11 could have had access in and out.

12 DR. ULSH: Wait a minute, Labor
13 never said anything about the 250 days.

14 MR. FITZGERALD: No, they did not.

15 DR. ULSH: That's part of the law.

16 You have to have 250 days of exposure to
17 qualify for the SEC. There was no need to
18 talk about it in that context. It was never
19 the -- Labor's position, as I understand it,
20 and it was certainly never our position, that
21 anyone who spent a single second in SW-219
22 should be in the SEC Class.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: SW-19.

2 DR. ULSH: I'm sorry. SW-19.

3 MR. FITZGERALD: Right.

4 DR. ULSH: But, yes, that was
5 never our position. What I'm saying is, the
6 Definition that we grew, based on tritium
7 bioassay, certainly captures anyone who could
8 have spent 250 days in SW-19. It also
9 captures many people who were nowhere near it,
10 but we can't do anything about that.

11 MR. FITZGERALD: Right.

12 DR. ULSH: If we could draw a
13 tighter net, we would, but we simply can't.
14 But it was never the case that we were saying,
15 if you spent any time at all, 250 days or not,
16 that would put you in the SEC.

17 MR. FITZGERALD: But I think you
18 just made my point though.

19 DR. ULSH: Did I?

20 MR. FITZGERALD: I mean, you're
21 saying, yes, by virtue of using the tritium
22 bioassay as the trigger, you would, obviously,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sweep in both people that had a pretty good
2 likelihood of 250 days exposure to radon as
3 well as, probably, the vast majority would not
4 have gotten 250 days exposure simply because,
5 you know, they weren't going to SW-19 that
6 frequently.

7 I mean, you'd have a mix. You
8 couldn't possibly have everybody who had 250
9 days potential included in that Definition
10 using the tritium bioassay as the trigger.
11 You're going to sweep in a lot of other people
12 who, you know, obviously, by characterization,
13 could not have 250 days of radon exposure.

14 DR. ULSH: I think we're
15 vociferously in agreement on that.

16 MR. FITZGERALD: Yes.

17 DR. ULSH: There are many people
18 who may not have 250 days that are currently
19 in the SEC Class.

20 MR. FITZGERALD: Right. And the
21 presumption at the time was that the tritium
22 bioassay would encompass all of R, all of SW,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and I agree with you, you know, T Building has
2 nothing to do with this issue, but using that
3 trigger, T Building as well.

4 Now, in this instance, and going
5 back and revisiting this whole thing, and as
6 you know, I've always said, R Building, in
7 toto, should be included, that was way back
8 when.

9 Now, we've gone back and
10 reassessed the ventilation patterns to say,
11 well, maybe that was too far reaching and
12 perhaps the original trigger is okay if we can
13 go back and show that, in the final analysis,
14 the radon couldn't get to the workers, or the
15 workers couldn't get to the radon, I have less
16 of a problem with the first.

17 You know, talking to this
18 individual we interviewed and looking at the
19 analysis that Mr. Chu has done, not you, but
20 Mr. Chu over there, you know, I can appreciate
21 that and I can see the logic in that, however,
22 I'm having more of a problem with --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Someone on the line,
2 you're not muted. We're hearing you. Go
3 ahead.

4 MR. FITZGERALD: I have more of a
5 problem with the other notion, which is also a
6 premise for the other Class Definition that
7 somehow these workers who are on the clean
8 side of R Building who, you know, just didn't
9 get tritium bioassay, have to meet a 250-day
10 test when that wasn't a test for the workers
11 that were swept in in the T Building and,
12 certainly, the rest of R Building.

13 Maybe I'm missing something.

14 DR. ULSH: Yes, you are. They
15 don't have to meet the 250-day test, except
16 for they have to be employed for that long.

17 MR. FITZGERALD: Right.

18 DR. ULSH: They have to meet the
19 test of having a single tritium urinalysis,
20 just like everybody else. That's it. If you
21 went in one day and you left your tritium
22 urinalysis, you're in the Class. It's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exceedingly generous.

2 MR. FITZGERALD: But if you had --

3 MS. LIN: Wait, hold on just a
4 sec, Joe, I'm trying to understand your point
5 here, are you trying to make an equity
6 argument because the Class is too over-
7 inclusive for a population of people that
8 shouldn't be included in the Class, then we
9 have to do the same for the people who didn't
10 have radon exposure in the cold side of R
11 Building. Is this a equity argument that
12 you're making here?

13 MR. FITZGERALD: No, no, not
14 equity argument, just the discussion where
15 this is hinging on whether individuals who
16 were thought to have been tritium bioassay,
17 but as it turns out, were not, are now
18 ineligible for the Class that was defined
19 because they could not have been exposed to
20 the radon in a way that is consistent with the
21 individuals elsewhere in R and SW Building.

22 And I'm just saying that if one is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at whether radon got to them or they
2 got to the radon, I think in terms of access,
3 they certainly have access. I'm just trying
4 to figure out, what's the distinction?

5 MS. LIN: So actually, it's a
6 question about whether the Class Definition
7 wrongfully excluded people who should be in
8 the Class. And it seems that hearing from
9 NIOSH and the interview that that's not the
10 case because the radon stopped where it
11 stopped, right?

12 So under the regs there are two
13 types of exposure, one is chronic, 250 days,
14 which is the Class Definition here, versus
15 one's acute, at a high level, criticality
16 level, okay? Like, critical incident level.
17 So that's not that Class here, right?

18 MR. FITZGERALD: Jenny, but the
19 exposure, and we've talked about this,
20 exposure is not just simply being in an
21 environment and the, in this case, the source
22 term, the radon, reaches you and presents the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hazard. If you --

2 MS. LIN: Right, visit it --

3 MR. FITZGERALD: -- can, in fact,
4 and this is the point that, I think, Labor
5 made, if you have free access to SW-19, and
6 you're not restricted, and this was one of the
7 premises. You know, we had an original
8 premise that you couldn't even get in R and SW
9 without a tritium bioassay.

10 That proved to be wrong, okay? We
11 have another premise here that the people on
12 the clean side of R Building couldn't enter
13 the hotter side of the tritium areas at R and
14 SW without a tritium bioassay, okay?

15 We demonstrated that, you know,
16 they can, in fact, enter and, you know, if
17 they were to judge themselves to be in the
18 vicinity long enough, they would be on their
19 honor to leave a tritium bioassay, but I think
20 that's, again, a judgmental thing. I think
21 it's not something that the program provides
22 for.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Joe, I think that same
2 argument applies to the original Class,
3 whether you put that bioassay station at the
4 door of SW or the door of R Building, it's
5 still, we've all known all along it's on an
6 honor system. No one ever said that this was
7 a guarded, you know, station where people,
8 mandatorily, had to do it.

9 That's been known from the
10 beginning.

11 DR. ULSH: Yes. There's people
12 from PP Building --

13 DR. NETON: I mean, so really, the
14 only difference I see is, where's the location
15 of the tritium monitoring station? Is it the
16 door of the R Building or the door of the SW
17 Building? That's the only thing that's
18 changed.

19 MR. FITZGERALD: Well, and I
20 think, retrospectively, we're trying to go
21 back and redo the analysis to show that -- and
22 we didn't go through the R Building analysis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because at the time it was felt that the
2 original Class took care of it.

3 I'm just concerned that when we go
4 back that, you know, before we draw a line
5 that says, you know, people just did not
6 mingle and there was no issue, and we said we
7 would, in fact, interview workers to ascertain
8 that, that that is a factor in looking at
9 exposure retention.

10 Were these people able to move
11 about R and SW or not without a tritium
12 bioassay? Now I think that's somewhat open at
13 this point.

14 DR. ULSH: Well, I think I've
15 stated our position pretty clearly. It's
16 simply not plausible that someone who should
17 have been exposed in the Class, 250-day is not
18 part of the Class Definition, it's simply part
19 of the SEC Regulation.

20 Someone who could have been
21 exposed to the radon in SW-19 --

22 MR. KATZ: It is part of the Class

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Definition, wasn't it?

2 DR. ULSH: Pardon?

3 MR. KATZ: It is part of the Class
4 Definition.

5 DR. ULSH: My mistake. I'm
6 starting to speak --

7 MR. KATZ: It's integral to the
8 Class Definition.

9 DR. ULSH: All right.

10 MS. LIN: I'm sorry, the 250 day
11 is.

12 MR. KATZ: Yes.

13 MS. LIN: Okay.

14 MR. KATZ: It's integral to the
15 Class Definition. Go ahead.

16 MS. LIN: Sorry. Go ahead.

17 DR. ULSH: So we all agree that
18 there are people currently in the Class who
19 probably don't, definitely don't meet 250 days
20 of exposure to radon. We know that, and we're
21 in agreement on that. I'm saying, that's
22 okay. That's the best we could do.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The real question is are there
2 people who are not currently in the Class who
3 should be, and that means they had 250 days of
4 exposure to radon. I'm saying, if they did
5 not leave a single tritium urinalysis sample,
6 it is simply not plausible for them to meet
7 the conditions of the Class. It's simply not.

8 Is it physically impossible? No,
9 of course not. They could have -- each and
10 every day for 250 days they could have snuck
11 in, pressed their nose up against the crack in
12 the floor in SW-19. There's nothing
13 physically --

14 CHAIR BEACH: They didn't have to
15 sneak in though. They could have just
16 wondered in and out based on our interview.

17 MS. LIN: Well, okay, I mean, I
18 think that's fine, but I'm just wondering,
19 have we actually located a claimant who was,
20 like, how you guys described, and wasn't added
21 to the SEC?

22 CHAIR BEACH: Yes, I think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have.

2 MEMBER ZIEMER: Well you would add
3 everybody on the other side of the wall. See,
4 to me, unfortunately, this looks an awful lot
5 like a couple of other cases. One is General
6 Electric in Cincinnati, and another is the Oak
7 Ridge Hospital where we end up like -- see, my
8 problem with it is exactly what you say, the
9 250 days.

10 At GE, is it likely that someone
11 in the other side of the plant would go into
12 the one building where they had the material
13 and spend 250 days there? No, but Labor says
14 we can't administer that.

15 And you may recall, I said to the
16 Labor people, why don't you require the
17 claimant to give an affidavit? You've got a
18 guy that says I went there every day for 250
19 days, make him give an affidavit to that
20 effect, and we'll believe it.

21 Labor won't do that. Do you know
22 why? They said everybody lies. She said that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the public meeting.

2 CHAIR BEACH: Yes, she did.

3 MEMBER ZIEMER: She said it in the
4 public meeting, to get \$150,000, all of the
5 claimants will lie.

6 DR. ULSH: I am staying miles away
7 from that one.

8 (Simultaneous speaking.)

9 DR. NETON: Well, Paul, this is a
10 little different in the sense that we have at
11 least a requirement that they leave a bioassay
12 sample to be on the record if they were in the
13 --

14 MEMBER ZIEMER: I know, but we
15 also had people that say you can go in there
16 without that.

17 DR. NETON: But not for 250 days
18 though.

19 MS. LIN: But, Dr. Ziemer, as of
20 now, there's a Class been established, that
21 has been in effect, and the DOL has said that
22 they could administer this Class as it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 written.

2 DR. NETON: They have been
3 administering it as it's written.

4 MS. LIN: Right. So then if
5 there's another claimant or another --

6 CHAIR BEACH: There's actually a
7 group of claimants that fall through.

8 MS. LIN: Okay. And I think then,
9 you know, that that's a separate question
10 then, because that means we have an existing
11 SEC Class that needs to be --

12 MEMBER ZIEMER: Or you mean, if
13 someone from the other side says that they --

14 CHAIR BEACH: They worked there
15 and didn't have a tritium bioassay.

16 MS. LIN: That's right. Then does
17 that merit another SEC petition from this
18 group of people?

19 CHAIR BEACH: The iron workers.

20 DR. NETON: What's that?

21 CHAIR BEACH: The iron workers.

22 DR. NETON: The iron workers?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: All right. Does this
2 go back to the MESH report? Because that's a
3 different question entirely.

4 DR. NETON: Because according to
5 this last person that was interviewed, I
6 thought he said that if you were doing work in
7 there, like construction-type work, you would
8 definitely be on an RWP and required to leave
9 bioassay --

10 MEMBER ZIEMER: Yes, work permit,
11 right.

12 CHAIR BEACH: He did say that if
13 you were on a work permit.

14 MR. FITZGERALD: If you're on a
15 work permit.

16 CHAIR BEACH: But he also stated
17 you could go in and out, and people did,
18 without leaving a bioassay.

19 MR. FITZGERALD: Just to meet
20 people in break rooms, and have lunch, and
21 stuff like that.

22 DR. NETON: Well, agreed, but for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an entire work year, I side with Brant on this
2 one, I find it hard to believe that for an
3 entire work year, when there's a requirement
4 in place like that, you would have to,
5 essentially, be stationed there for a work
6 year without --

7 MR. FITZGERALD: Well, that's kind
8 of why I went back to the transcripts because
9 when this came up, that would have been the
10 rationale for the first SEC Class Definition.

11 But I think going back to what
12 Paul was saying, and it's on Page 335 and 336
13 of the January 5th, 2010 transcripts, Brant
14 came back and said Labor would not allow it to
15 be defined this way because it's indeterminate
16 who would be in and out for how long.

17 It was just framed in a way which
18 suggests that it couldn't be restricted that
19 way. And I'm just saying I'm not sure if we
20 need to ask Labor again.

21 DR. ULSH: What I mean, well,
22 since I'm the one who apparently made the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statement.

2 MR. FITZGERALD: Yes.

3 DR. ULSH: What I meant when I
4 said it was, if we sent a Class Definition
5 over to Labor saying, SW-19, Labor would kick
6 it right back to us and say, we can't do this
7 --

8 DR. NETON: For exactly the same
9 reasons GE and --

10 DR. ULSH: So we crafted a
11 Definition with Jeff Kotsch in the hallway
12 outside of the, I ran this by him in the
13 hallway outside of the Niagara Board meeting.
14 I said, okay, well, what if we make it, and
15 whatever the current Class Definition says,
16 250 days, one tritium urinalysis, and they
17 haven't had a problem with administering that
18 one. That's why we went with it.

19 DR. NETON: See, to me, the
20 precedent is set. I mean, a Class has already
21 been added based on that criteria. That's
22 already been approved by the Secretary. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question is whether or not there was potential
2 exposure to radon in the R Building that is
3 now uncovered exposure, okay?

4 And I think that issue has been
5 addressed. And I hear SC&A --

6 MR. FITZGERALD: Yes, but I guess
7 my question, maybe this is more for Labor and
8 maybe this gets to what Jenny is pointing out
9 that, you know, this is sort of a construct of
10 what they would accept.

11 You know, either this is
12 indeterminate in terms of access and you can't
13 get into test as to whether, you know, not
14 only did they have access, but did they have
15 enough access to warrant, you know, inclusion,
16 around 250 days, I mean, this is sort of what
17 you were saying with GE.

18 DR. NETON: But see, Labor has no
19 say in the 250-day requirement. That's not
20 part of their --

21 MR. FITZGERALD: Well, I guess I
22 misunderstood you in what you were saying.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZIEMER: Well, I think I
2 would suggest --

3 MR. FITZGERALD: You were positing
4 that.

5 MEMBER ZIEMER: I was saying,
6 Labor, if you have someone that says I
7 wandered into this building, have them give
8 you some kind of an affidavit saying that, you
9 know, if they did it one time, that's no big
10 deal, but maybe if they did it every day for
11 250 days, and spent a lot of time there, or
12 even weight it by hours if you want.

13 But, you know, if I went into that
14 building every day for the ten years I worked
15 there, that's very different.

16 MS. LIN: Can I just say that,
17 from what I'm hearing, no one has a problem
18 with the radon Class as it's written now, but
19 SC&A, and it seems like some of the Board
20 Members, are concerned about a group of worker
21 who may have potential exposure to radon who
22 are excluded from the Class.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What I'm saying is, there needs to
2 be another solution, maybe, if the Board
3 Member wanted to go down that path, but this
4 radon Class stands, okay?

5 MEMBER ZIEMER: It exists, yes.

6 MS. LIN: Does that make sense?
7 It exists, and it is here now. We obviously
8 have to follow through with the regulations to
9 find another solution if there really, indeed,
10 is a problem.

11 CHAIR BEACH: So the reason this
12 is open is because NIOSH is proposing to
13 expand the Definition to include all Mound
14 workers from September 1st, 1972 through
15 December 31st, 1972 and for January 1st, 1975
16 through December 31st, 1976.

17 That is why we're discussing this
18 within the Work Group again.

19 MS. LIN: I'm sorry, I don't --

20 DR. ULSH: Yes, that's accurate.

21 CHAIR BEACH: This is the White
22 Paper that started all -- so this is what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 started all this discussion, Jen, and got us
2 into the ventilation again.

3 DR. NETON: Well, no, no, Jen,
4 what started the discussion was the fact that
5 people who worked in the R Building were not
6 monitored for tritium. That's what started
7 this whole issue.

8 CHAIR BEACH: Well, they were
9 using the log books, and there were people
10 that were missing. There was two log books.

11 DR. NETON: No, no, no, two
12 separate issues there.

13 CHAIR BEACH: Okay.

14 DR. NETON: The first issue that
15 started was, we became aware that there were
16 claimants who worked in the R Building that
17 never left a bioassay sample, that came
18 through, I mean, I saw the dose
19 reconstructions, and it's true.

20 CHAIR BEACH: Was this previous to
21 the SEC?

22 DR. NETON: Once the SEC was in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 place, we became very much aware that there
2 were people who worked in the R Building that
3 never left a tritium sample, so how can that
4 be? They were all supposed to leave tritium
5 samples?

6 On our subsequent investigation it
7 was determined that people in the R Building
8 were not required to leave tritium samples.

9 DR. ULSH: Well, people in the
10 cold side.

11 DR. NETON: In the cold side, yes.

12 And so that started this. At about the same
13 time, though, this issue of the missing year,
14 or so, of the log books surfaced, but that's a
15 totally independent issue. We would have to
16 address that either way.

17 I mean, we don't have the full log
18 books. We don't. I thought we did. So that
19 needs to be fixed. The R Building issue is a
20 separate issue. And, again, the question in
21 my mind was, if the Class stands, the only
22 remaining question then is, was radon present

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the R Building that would expose these
2 people and should they be in the Class, and if
3 so, then the Class needs to be re --

4 MR. FITZGERALD: I thought I
5 understood this until he started talking about
6 -- you know, the Class is what it is. It
7 stands now. And so, you know,
8 administratively, you know, we raised this to
9 Stu Hinnefeld before, we're not quite sure
10 what we're doing.

11 But I can almost understand what
12 Jenny is saying that, certainly, one avenue is
13 to, you know, have those people petition since
14 it appears that there's a segment excluded
15 from the Class after all, I mean, that would
16 be another avenue, I suppose, as opposed to
17 going back and actually trying to re-jigger
18 the basis for the standing Class.

19 MS. LIN: No. We wouldn't be able
20 to do that anyway.

21 DR. NETON: No, the standing
22 Class, I think, is done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: Is done.

2 MS. LIN: So we're on the same
3 page.

4 (Simultaneous speaking.)

5 DR. NETON: Anyone who left a
6 tritium sample is in the Class.

7 MEMBER ZIEMER: One thing I like
8 about the current situation is this, that,
9 originally, we thought the Class would be in
10 that room for the radon, and Labor couldn't do
11 that, so we expanded that, even though we're
12 saying, they really can't get radon exposure
13 out here in the break room.

14 Now, if we go in the direction we,
15 sort of, were heading, now we're putting the
16 person in a break room and saying they're
17 entitled to be in the Class for radon
18 exposure, which we don't believe is even there
19 --

20 MR. FITZGERALD: But, you know,
21 I'm more comfortable, you know, if this was a
22 framing issue that we, originally, were very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 uncomfortable about, trying to figure out what
2 the heck this means for the Work Group, and it
3 almost sounds like it would be better to treat
4 it separately from the existing Class and make
5 your determination and let the chips fall
6 where they may.

7 I mean, it just sounds like it'd
8 be cleaner than trying to go back in and
9 revisit this because I just have a problem
10 with the questions of indeterminate access
11 and, you know, applying a 250-day to that, and
12 I understand the counter-arguments.

13 DR. NETON: But that was part and
14 parcel to the original discussion in this
15 whole Class though. I mean, that was
16 discussed. I mean, and the Class was voted in
17 as it was based on that knowledge.

18 MR. FITZGERALD: Well, yes, and I
19 think the original Class is fine except that
20 it turns out that a key premise turned out not
21 to be -- you know, it didn't hold as far as
22 access and bioassay, but it affects a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 relatively small, I don't know what the
2 numbers are, but I would think a relatively
3 small number of workers

4 DR. ULSH: What numbers are you
5 asking about?

6 MR. FITZGERALD: -- on the cold
7 side of R Building, number of workers that
8 would be affected. I don't know. I don't
9 have the number.

10 DR. ULSH: I don't know how I
11 would sort them out from anyone in any of the
12 other buildings.

13 MR. FITZGERALD: But I guess, why
14 couldn't you --

15 MEMBER ZIEMER: I don't know of
16 any that would --

17 MR. FITZGERALD: Why couldn't you
18 deal with that as a separate -- I mean, you
19 know, 83.14, I don't know how you would deal
20 with it. I guess you would have to --

21 DR. NETON: Well, you could either
22 get an 83.13 petition --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. LIN: Yes, I think because the
2 agency's position is quite clear, and the
3 Secretary signed off on it, and if SC&A and
4 the Board Members are challenging the premise,
5 and I think there is another way to --

6 DR. NETON: Yes, I think the clear
7 thing, and in my opinion, the whole issue
8 centered around, could radon have permeated
9 from the SW Building into the R Building? If
10 that were true, then I think we would be
11 sitting here saying, we need to probably
12 entertain an 83.14 because we've not covered
13 everybody that was potentially exposed to
14 radon.

15 But all I've heard today in this
16 discussion is that we have. It was confined,
17 essentially, to the SW Building, and so the
18 Class, as it was added, was okay.

19 MR. FITZGERALD: So it really, and
20 since you've taken that position, it would
21 really fall to a petitioner, perhaps --

22 DR. NETON: Exactly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: -- to actually
2 take that position.

3 DR. NETON: Right.

4 MS. LIN: I would agree.

5 MR. FITZGERALD: That sounds like
6 a much more straightforward way than this was
7 originally crafted because it, you know --

8 CHAIR BEACH: Okay. So two
9 separate issues here? Because I want to know
10 the recommendation and the conclusion on those
11 years I just described, what are we going to
12 do with those?

13 MR. KATZ: The missing log book
14 years. Are you talking about the missing log
15 book years?

16 CHAIR BEACH: Because at one point
17 last year when we discussed it, it was not
18 going to be an 83.14.

19 DR. NETON: Well, no, I think if
20 we can't find the log books and we can't
21 document who left urine samples in those
22 years, then that has to be an 83.14.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Okay. It just
2 wasn't part of our discussion the last time
3 when we discussed this paper.

4 DR. NETON: I don't recall, but I
5 think it was always our intent that if the log
6 book couldn't be found and we couldn't
7 document -- Brant, am I missing something
8 here?

9 DR. ULSH: No, you're not. You're
10 great.

11 DR. NETON: I'm very certain that,
12 at least internally, our position was going to
13 be, if you can't find the log books, then
14 you've got to add those years to the SEC.

15 CHAIR BEACH: Okay, because this
16 is that expanded Definition to include all
17 employment.

18 MR. KATZ: For those years.

19 CHAIR BEACH: So I just want to
20 make sure I'm --

21 MS. LIN: Josie, even though we
22 say expanded, it doesn't mean that we can just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 go in and change the Class Definition. We
2 need to do an 83.14.

3 CHAIR BEACH: Okay.

4 MS. LIN: It would require --

5 CHAIR BEACH: I understand, but
6 I'm pretty sure I specifically asked if it was
7 going to be an 83.14 and was told no. So this
8 is just trying to make sure, because why we
9 didn't settle this the last go around and why
10 we brought it forward to today was because of
11 that issue, I believe.

12 DR. NETON: And to expand it to
13 all employees, I think that's true because you
14 don't know who went in there then. So it
15 would not just be people who worked in the R
16 and SW -- or SW area, it's anybody who was on
17 site because we don't know who went in there.

18 You know, so that's the thing that we need to
19 expand --

20 CHAIR BEACH: For those years.

21 DR. NETON: -- for those two
22 years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Okay. So has that
2 been pursued? Is that moving forward to an
3 83.14 then?

4 DR. NETON: I'm not sure where
5 it's at, to be honest with you, I mean, that's
6 our intent.

7 DR. ULSH: I don't think we've
8 initiated it yet. We're kind of waiting to
9 see what you all do, but we can stop waiting
10 and go ahead with that.

11 MEMBER CLAWSON: Why would you
12 wait? You know, Jenny said this has already
13 been done. You know, part of the problem is
14 that if you take a look at this, what spurred
15 all of this was clear back very beginning that
16 there was a clear line back there.

17 Nobody could cross it. You
18 couldn't do all these things. So this is
19 really what's got us into the ventilation
20 system. I was kind of taken by surprise by
21 this because, I'll be honest, I thought they
22 were just adding on to this system with these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 years.

2 But I do want to make one thing
3 clear, you were talking about this wonderful
4 ventilation system.

5 DR. ULSH: I don't think I used
6 the word wonderful.

7 MEMBER CLAWSON: Okay. What did
8 you call it? Robust?

9 DR. ULSH: No, I don't think I
10 used that one either.

11 MEMBER CLAWSON: You're talking
12 about a negative system. I have a facility
13 right now that, within ten minutes, if we
14 don't have ventilation, we're on alarm because
15 of the radon in our facility.

16 The point that I'm trying to bring
17 up is if this ventilation system was built
18 years ago, they actually took the ventilation
19 system and made this into a negative system.
20 They negative pressures that you're talking
21 about here are minimal.

22 They are very, very small. My

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 alarm is at 1. You know, it's hard to really
2 stay up on this, the whole part of what got us
3 to this point, especially with the ventilation
4 and everything else like that, but it's when
5 we made a comment that nobody could come into
6 this area without leaving a tritium bioassay.

7 And that, in my opinion, was not
8 correct. They could come through there, and
9 we see this all the time. People that work
10 continuously and so forth like that, it could
11 be, but, you know what, people still come in
12 there, and if you're not assigned to that
13 building, but you're working in there, you
14 could still not have to leave one.

15 DR. ULSH: All that's required to
16 be in this Class is one single tritium
17 urinalysis and 250 days, really, of exposure.

18 MEMBER CLAWSON: Exposure or work
19 --

20 DR. NETON: No, just of
21 employment.

22 (Simultaneous speaking.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ULSH: That's right.

2 MEMBER CLAWSON: This is something
3 I wanted to clear up because I was --

4 DR. NETON: No, it's just, you
5 know, one sample and 250 days of employment.

6 DR. ULSH: That's right. You're
7 absolutely right.

8 DR. NETON: During the covered --
9 during the SEC period.

10 DR. ULSH: We have known since we
11 conducted the interview, at least since we
12 conducted the interview at the federal
13 building in Cincinnati, the story we got at
14 that time is the story that we're hearing
15 today.

16 If you wanted to pop in and
17 deliver a letter, you might do that without a
18 tritium urinalysis. If you wanted to, well,
19 now, I guess another scenario is, on your
20 break, go meet with your friend, you could do
21 that. That has not changed.

22 Yes, we all know, we've all talked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the mistake that was made in terms of,
2 could you be somewhere in the R Building
3 without tritium urinalysis? We know that that
4 was not correct.

5 My point is it's irrelevant
6 because you didn't have exposure potential
7 when you were in those areas and you can't go
8 in for 250 days and get exposed to radon, and
9 not leave a single tritium urinalysis. It's
10 simply not plausible.

11 No one has shown me an example of
12 someone who did it. We're speculating here,
13 and I've got 13 workers that say it's really
14 not plausible. Even the guy that you talked
15 to on Thursday didn't say that --

16 MEMBER CLAWSON: I'll tell you
17 what, Brant, if I can get 15 people to say
18 that they could, can we just play the game
19 that way?

20 MS. LIN: Brad, I don't think the
21 issue here is that. You know, I think it
22 seems like the Work Group has a path forward,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is to find a claimant who fall outside
2 of that Class but should be included in an SEC
3 Class from Mound.

4 And so I think an 83.13 would be a
5 very clean --

6 CHAIR BEACH: 83.14, oh, got you.

7 MEMBER ZIEMER: 13, yes.

8 MS. LIN: -- would be a clean
9 solution. So I think we can go forward on
10 that.

11 CHAIR BEACH: Okay. How are we
12 doing? All right. So action items. The only
13 one I can see out of the radon issue at this
14 point is an 83.14 for those two periods in '72
15 and '75 for this issue.

16 MEMBER ZIEMER: Are those the log
17 book periods?

18 CHAIR BEACH: Yes. And, Paul, if
19 you need the dates, they're right here.

20 MEMBER ZIEMER: I got it.

21 CHAIR BEACH: So I guess, you know
22 my normal question is, how soon are we going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to have an answer for that?

2 DR. NETON: Well, it may take a
3 while to find the litmus case. I mean, to do
4 an 83.14 we can't just do it ourselves. We
5 have to find the claimant who is in that
6 period with a covered cancer, well, covered
7 cancer is better to do it with, and then move
8 forward.

9 And so I'll communicate this when
10 I get back and we'll start the process. As
11 soon as we get a litmus case, we'll write up
12 the 83.14 and move it forward.

13 CHAIR BEACH: Okay.

14 MR. KATZ: It shouldn't be that
15 hard to do because you have lots of people,
16 even if they've already been covered by the
17 Class, you have lots of people that fit this.

18 DR. NETON: I'm trying to think.
19 Originally I thought it might be difficult,
20 but you're right, I don't see why. It should
21 be anyone who worked in those years at Mound
22 that has a covered cancer --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 MR. KATZ: Right.

2 DR. NETON: -- is eligible.

3 CHAIR BEACH: So then we'll just
4 hear from you at the next gathering of the
5 Work Group just to see how we're --

6 DR. NETON: Yes.

7 CHAIR BEACH: Okay.

8 MR. FITZGERALD: I guess the only
9 other thing is, is there a mechanism, like the
10 Ombudsman, just to let, I guess, some of the
11 claimants who expressed some concern about
12 being left out that, you know, this will be
13 the recourse? I mean, they're sort of in the
14 dark right now.

15 CHAIR BEACH: That's a good point
16 because I think that's where some workers came
17 to my attention was through the Ombudsman, I
18 believe.

19 DR. NETON: I'm not sure.

20 MS. LIN: So it seems like you
21 guys already know some people, do you?

22 MR. FITZGERALD: Well, it seems a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 little fuzzy about how you actually would just
2 make sure they are aware of what happened in
3 terms of these proceedings.

4 MEMBER ZIEMER: Wouldn't they have
5 made a claim?

6 MS. LIN: Yes.

7 CHAIR BEACH: There was an issue
8 that -- this issue has been going back and
9 forth for several years, and I'm sure, Jim,
10 you're way more up on it than I am because,
11 well, at least for the last year before it
12 came back and this paper was written, it was
13 because of those missing log books, and I
14 believe that was because claimants came
15 forward that weren't covered, but I don't know
16 the details and the history.

17 DR. ULSH: Not exactly. It's even
18 more complicated than Jim described before.
19 We've got another issue that we haven't even
20 talked about. The first issue was the log
21 books and the gaps in the log book records.
22 That's one issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The second issue is the one that
2 we've been hashing about here for the past
3 hour. The third issue was the interpretation
4 of the MESH dosimetry report.

5 CHAIR BEACH: Oh, yes. That was
6 the --

7 DR. ULSH: And that was the iron
8 workers, I think, Josie, if my recollection is
9 correct.

10 CHAIR BEACH: I think you're
11 absolutely right. I believe you're right.

12 DR. NETON: Yes, good point,
13 Brant. I forgot about that part.

14 DR. ULSH: Yes. And so since I
15 was writing our October 2011 report anyway, I
16 took that opportunity to explain the
17 interpretation of the MESH dosimetry report.
18 Some people were interpreting some zero
19 entries in a particular column to mean that
20 they were tritium bioassayed, and, in fact --

21 DR. NETON: These were annual
22 employee exposure summaries that were mailed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to workers.

2 DR. ULSH: Yes.

3 DR. NETON: And they would say,
4 tritium zero, and what that meant was, your
5 external dose from tritium was zero and then
6 you had no -- well, it could mean either.

7 DR. ULSH: I'm going to stick with
8 the explanation that's in the paper because
9 I'll probably mangle it, but it's
10 indeterminate. That particular report is
11 indeterminate about whether or not you were
12 tritium bioassayed.

13 CHAIR BEACH: And I did see that
14 report. Yes. It's very clear.

15 DR. NETON: And that's a good
16 point. I had forgotten about that.

17 DR. ULSH: So, like I said, since
18 I was writing that October paper anyway, I
19 took the opportunity to address a number of
20 issues that had popped up since the Class
21 Definition at the Niagara meeting. I put it
22 all into that one report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Yes, which you did
2 that. So the log book issue is being covered
3 under an 83.14. The MESH has been -- it's an
4 interpretation issue?

5 DR. NETON: We've communicated
6 that to the Department of Labor a number of
7 times. They're aware of how to interpret it
8 and they've communicated that back to
9 claimants who proffer that as evidence that
10 they were exposed to tritium.

11 CHAIR BEACH: Okay. And then the
12 access issue would be another petition, an
13 83.13.

14 DR. NETON: Right.

15 CHAIR BEACH: Okay. So any other
16 issues for radon? Okay.

17 MR. FITZGERALD: Did Joe
18 Provecchio ever get on?

19 MR. STIVER: Yes, actually he did
20 email me. He's on, but we kind of passed --

21 MR. FITZGERALD: Oh, okay.

22 (Simultaneous speaking.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Okay. So right now,
2 let me go over the action items, and then
3 we'll give anybody on the phone a chance to
4 speak if they'd like to. So action items
5 under tritides for NIOSH to provide the SRDB
6 number for the interview notes for the
7 thorium, or I'm sorry, for the tritium.

8 SC&A review NIOSH's White Paper
9 and then, of course, we're still going to
10 ponder the policy question.

11 Under the internal, we asked NIOSH
12 to make available the raw data and support
13 data. Review comments on the open items from
14 the January 12th SC&A's paper. There's three
15 or four items there. And then report on the
16 polonium issue.

17 And then radon is just the 83.14.
18 Did anybody have anything else besides that?

19 MR. FITZGERALD: Just the
20 Ombudsman thing or is that a 13 issue?

21 CHAIR BEACH: That's 83.13, yes.

22 MR. FITZGERALD: So the mechanism

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for just letting everybody --

2 DR. NETON: Yes. That's a good
3 question. I'd like to think about how we do
4 that. An 83.13 goes out and we don't have a
5 good mechanism for -- if it gets awarded, the
6 Department of Labor typically goes to the
7 location and does a worker outreach visit to
8 communicate the Class and who's eligible and
9 that sort of thing. We typically go to those
10 meetings to answer questions about it.

11 CHAIR BEACH: Right.

12 DR. NETON: So that's one thing
13 they do. And there would be a public notice
14 of that meeting and all that sort of stuff.

15 CHAIR BEACH: Okay. So that's one
16 we'll put on your shoulders again for that.

17 DR. NETON: That's if an 83.13
18 actually gets awarded.

19 CHAIR BEACH: Right.

20 DR. NETON: But to recruit --

21 MR. KATZ: You can't recruit an
22 83.13.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: No, you cannot.

2 MR. KATZ: The agency can't
3 recruit an 83.13. I mean, I would do an 83.14
4 if it had the basis for one.

5 DR. NETON: Right, exactly. I
6 mean, if we receive any 83.13s, of course, we
7 process it exactly like you would any other
8 petition.

9 CHAIR BEACH: Okay.

10 MR. FITZGERALD: I think it's just
11 more of a communications thing. Just, you
12 know, this is what happened at the Work Group
13 just so you're aware of --

14 DR. NETON: Yes, and that will
15 certainly come out at the Board meeting. I
16 mean, we discussed it.

17 MR. FITZGERALD: True.

18 CHAIR BEACH: Okay. Yes, I didn't
19 suggest anything more than what Joe was
20 talking about is letting an Ombudsman know so
21 that the word can get put out.

22 DR. NETON: Yes, we certainly will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 communicate this to the Department of Labor in
2 our biweekly phone calls, and we can ask that
3 they let the Ombudsman, DOE Ombudsman know.

4 CHAIR BEACH: Okay.

5 MR. KATZ: The DOL Ombudsman?

6 DR. NETON: What?

7 MR. KATZ: The DOL Ombudsman?

8 DR. NETON: The DOL Ombudsman, I'm
9 sorry.

10 CHAIR BEACH: Okay. And then are
11 there any petitioners, or anyone on the phone,
12 that would, workers, public, like to comment
13 or have questions?

14 DR. NETON: Anybody on the line?

15 MEMBER CLAWSON: Phil, are you on
16 the line?

17 MR. PROVECCHIO: Yes, Joe
18 Provecchio is on the line.

19 MEMBER CLAWSON: Okay.

20 CHAIR BEACH: Joe, we're just
21 about to start.

22 DR. ULSH: I'm not going back and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 repeating everything.

2 CHAIR BEACH: So I guess if
3 there's no one on the line then we should
4 probably look at scheduling for the next Work
5 Group meeting. Ted, I guess that's on you if
6 you --

7 MR. KATZ: Sure. Well, we need a
8 sense of how much time people need and then
9 we'll send out -- we don't need to do it here
10 and now, although we could do it here and now
11 actually.

12 CHAIR BEACH: Yes. It would be
13 nice, since everything's filling up, if we
14 could.

15 MR. KATZ: Yes. So first, people
16 need to have a sense --

17 MS. LIN: Well, my family is
18 coming to visit on May 15th through 18th so I
19 would appreciate we schedule something in that
20 time period.

21 CHAIR BEACH: It might be a little
22 early, Jen, sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: That's on the record
2 now.

3 MS. LIN: Oh, crap.

4 DR. NETON: I'm going to copy it
5 and send it to your family.

6 MS. LIN: Thanks.

7 DR. NETON: I will be away during
8 that entire week.

9 MR. KATZ: So we're looking at
10 late May, early June?

11 CHAIR BEACH: Yes. And I'm
12 wondering if we shouldn't just shoot for the
13 first week of June; Tuesday, Wednesday,
14 Thursday.

15 MR. KATZ: Well, let me see what's
16 available.

17 DR. NETON: Usually the week
18 before a Board meeting is fairly open until
19 Work Groups get scheduled.

20 MR. KATZ: I have to see what I
21 have on the books.

22 CHAIR BEACH: Well, that gives us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 another additional week if we go in that first
2 week before the Board meeting. So I think the
3 first week of June is probably the latest we
4 should try to schedule it.

5 MEMBER ZIEMER: Well, I can do the
6 first week in June; 5th, 6th, 7th, or 8th,
7 would be best.

8 DR. ULSH: I'm going to be on
9 vacation in the beginning of June, but I don't
10 know if it's the first week. I mean, I guess
11 you really can't go without me, can you?

12 DR. NETON: No. You won't get off
13 that easy.

14 MR. STIVER: You can call in,
15 right?

16 DR. ULSH: Right.

17 MR. KATZ: I'm sorry, Brant, did
18 you say you're on vacation when?

19 DR. ULSH: I know the 12th, 13th,
20 that week, but I don't know if we're leaving
21 on the 5th or not. I think we are.

22 CHAIR BEACH: So you're leaving on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the 5th?

2 DR. ULSH: Well, I think we're --
3 why don't we go ahead and, if you want to
4 schedule in the first week of June, and I'll
5 just let you know if I have a conflict and
6 we'll have to reschedule it.

7 MR. FITZGERALD: You're saying the
8 latter part of May is out? You're out?

9 CHAIR BEACH: I'm scheduled up.

10 MEMBER ZIEMER: I'm out, too.

11 CHAIR BEACH: Yes. And, Paul,
12 you're out the last week in May?

13 MEMBER ZIEMER: Yes. Well, I
14 could call in, but I wouldn't be able to be
15 here.

16 MR. KATZ: What's wrong with June
17 4th, for example?

18 CHAIR BEACH: Well, just traveling
19 on a Sunday, and I'm going to be out of town.
20 So that's why I said the 5th.

21 MR. KATZ: Or June 5th.

22 CHAIR BEACH: The fifth is fine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking.)

2 MEMBER CLAWSON: How about the
3 6th?

4 MR. KATZ: Well, I'm already
5 messed up because my son's birthday is on the
6 7th, and I already booked a DR Subcommittee
7 for the 7th, so I'm not going to be gone on
8 the 6th.

9 MEMBER CLAWSON: You're already in
10 trouble.

11 MR. KATZ: Because I'm already
12 missing most of his birthday.

13 MEMBER CLAWSON: Well, yes, I
14 thought that's when we bid for the --

15 MR. KATZ: We did, and I had his
16 birthday wrong.

17 CHAIR BEACH: So does the 5th work
18 for you?

19 MR. KATZ: So the 5th works for
20 me.

21 CHAIR BEACH: So we can shoot for
22 the 5th as a first choice and the 4th if --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and I'll travel on Sunday if I have to.

2 MR. KATZ: Wait, so does the 5th
3 not work for anyone?

4 MR. FITZGERALD: Well, Brant was
5 thinking maybe --

6 MR. STIVER: Brant may be going on
7 vacation at that point.

8 DR. ULSH: I'll let you know.

9 MR. KATZ: Oh.

10 DR. ULSH: Tickets were already
11 bought.

12 MR. KATZ: Phil, are you still on
13 the line?

14 MR. PROVECCHIO: Yes, sir.

15 MR. KATZ: So, Phil, does -- that
16 didn't sound like Phil.

17 DR. NETON: That's Joe.

18 MR. FITZGERALD: That's Joe
19 Provecchio.

20 MR. KATZ: Phil? Phil Schofield,
21 are you still on the line?

22 CHAIR BEACH: Should we go offline

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and try to finish this up?

2 MR. KATZ: So anyway, let's try
3 for the 5th, everybody pencil that in, I'll
4 send it around, and if we get some nays, we'll
5 rethink, but June 5th?

6 DR. NETON: All right.

7 CHAIR BEACH: Thank you, everyone.
8 Good meeting.

9 (Whereupon, the above-entitled
10 matter was concluded at 2:43 p.m.)
11
12
13
14
15
16
17
18
19
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701