

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

UNITED STATES OF AMERICA

1

CENTERS FOR DISEASE CONTROL

+ + + + +

NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

94th MEETING

+ + + + +

THURSDAY
OCTOBER 17, 2013

+ + + + +

The meeting convened at 8:30 a.m., Mountain Daylight Time, in the DoubleTree by Hilton Hotel Denver - Westminster, 8773 Yates Drive, Westminster, Colorado, James M. Melius, Chairman, presiding.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

PRESENT :

2

JAMES M. MELIUS, Chairman
HENRY ANDERSON, Member
JOSIE BEACH, Member
BRADLEY P. CLAWSON, Member
R. WILLIAM FIELD, Member* '
MARK GRIFFON, Member
DAVID KOTELCHUCK, Member
RICHARD LEMEN, Member
JAMES E. LOCKEY, Member
WANDA I. MUNN, Member
DAVID B. RICHARDSON, Member*
GENEVIEVE S. ROESSLER, Member
PHILLIP SCHOFIELD, Member
LORETTA R. VALERIO, Member
PAUL L. ZIEMER, Member
TED KATZ, Designated Federal Official

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

REGISTERED AND/OR PUBLIC COMMENT PARTICIPANTS

3

ADAMS, NANCY, NIOSH Contractor

BISTLINE, ROBERT

BURGOS, ZAIDA, NIOSH

EVASKOVICH, ANDREW

HINNEFELD, STU, NIOSH

KINMAN, JOSH, DCAS

MAURO, JOHN, SC&A

NETON, JIM, DCAS

RUTHERFORD, LaVON, NIOSH

*Participating via telephone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

T-A-B-L-E O-F C-O-N-T-E-N-T-S

4
PAGE

Welcome
by Dr. James Melius, Chair 5

General Steel Industries Technical
Basis Document (TBD)/TBD-6000
Reviews (PV)
by Dr. Paul Ziemer, WG Chair 7

DuPont Deepwater Works
(Deepwater NJ) TBD Review (PV)
by Dr. Henry Anderson, Uranium
Refining Atomic Weapons
Employers WG Chair 13

Procedure Reviews
OTIB-10: Standard Complex-Wide
Methodology for Overestimating
Doses Measured with Film Badge
by Ms. Wanda Munn,
Subcommittee Chair 28

Understanding the Need for PERs
by Ms. Wanda Munn,
Subcommittee Chair 46

PER-0012: Evaluation of Highly
Insoluble Plutonium Compounds
by Ms. Wanda Munn,
Subcommittee Chair 50

Board Work Session
by Dr. James Melius, Chair
Matters of Funding 61
SEC petition letters 64
Public comments from
last meeting 72

Final Comments and Adjournment 75

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21

P-R-O-C-E-E-D-I-N-G-S

(8:32 a.m.)

CHAIRMAN MELIUS: We're going to start. Apparently we're starting this morning with LaVon giving his presentation again. LaVon, we tried to get him down under 30 minutes, he didn't quite do that. So another chance. Only kidding.

But I'm not quite sure why that's up there, but -- yes. But we have spoken to him about the 51 slides.

MEMBER MUNN: They were good slides, don't knock it.

CHAIRMAN MELIUS: That's 51 slides to approve. Think of how much --

MEMBER MUNN: They were 51 good slides.

CHAIRMAN MELIUS: Okay, so we'll start. The plan again is, first, we'll have an update for TBD-6000 GSI. It'll be relatively short. Then an update on DuPont

1 TBD's, the 6001 committee or Work Group, and
2 then we'll do procedure reviews. Then we
3 have a Board Work Session which should be
4 relatively quick also, because we have a
5 couple letters to approve.

6 So I think we can probably go
7 through without a break, but let's see how
8 many questions we have and how long the
9 presentations take. So we'll start with Paul
10 Ziemer and General Steel Industries.

11 MR. KATZ: Before Paul gets on,
12 let me just check a couple of things. Well,
13 first of all, welcome everybody for a second
14 day. And for anyone on the phone, the
15 materials for this day's sessions are on the
16 web on the NIOSH website under the Board
17 section under today's date for scheduled
18 meetings.

19 Let me check on the line and see
20 that we have our Board Members who are
21 remote. Dr. Richardson, are you on the line?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MEMBER RICHARDSON: Yes, I'm
2 here.

3 MR. KATZ: Great. Welcome. And
4 Dr. Field?

5 MEMBER RICHARDSON: Did you tell
6 me is the Live Meeting supposed to be showing
7 the thorium strikes, or is it just my screen?

8 MR. KATZ: No, it is showing for
9 everybody right now, but it won't last.
10 Right. And Dr. Field, are you on the line?

11 MEMBER FIELD: Yes, I'm here Ted.

12 MR. KATZ: Okay, and welcome.
13 And just note for the record that Dr. Poston
14 is absent today. And yes, and there are no
15 conflicts for any of the sessions today.

16 CHAIRMAN MELIUS: And now we'll go back
17 to Paul.

18 MEMBER ZIEMER: Okay, good
19 morning. I'm going to give a brief report on
20 TBD-6000 Work Group. This is an oral report.

21 I do not have any handouts nor am I using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 any slides. 8

2 The TBD-6000 Work Group met by
3 teleconference on October 11th, 2013. The
4 primary focus of the meeting was two White
5 Papers prepared by NIOSH. One concerning
6 particle settling times, which was an
7 unresolved issue pertaining to the TBD-6000
8 findings matrix, and the other providing a
9 summary of bounding doses to be assigned to
10 several categories of workers at GSI.

11 In addition to the NIOSH White
12 Papers, the Work Group also considered SC&A
13 reviews of the NIOSH recommendations as well
14 as comments from the GSI co-petitioner, Dr.
15 Dan McKeel.

16 Copies of the NIOSH documents and
17 the SC&A reviews and related comments and
18 concerns raised by the co-petitioner are
19 provided on the NIOSH DCAS website under the
20 October 11th Work Group meeting information
21 and agenda. I believe all of those documents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 were also distributed individually to all of
2 the Board Members not just to the Work Group.

3 These NIOSH documents are
4 relatively brief and I do not plan to go
5 through them here at the Board meeting, but
6 if you have not already done so, please
7 review them and the comments of SC&A and the
8 co-petitioner at your earliest convenience.

9 The Work Group agreed that
10 several follow-up actions were needed before
11 these issues could be closed. Let me
12 enumerate those. Number 1, SC&A will double
13 check the NIOSH calculations to verify the
14 surface contamination levels that derive from
15 the proposed particle settling times.

16 Number 2, SC&A will provide NIOSH
17 with details of their analysis of the values
18 for residual contamination levels so that any
19 differences in calculational methods can be
20 identified.

21 Number 3, NIOSH will contact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Landauer, the film badge suppliers, to
2 clarify the issue of how control badge
3 readings were reported to the client. And
4 Number 4, NIOSH and SC&A will exchange
5 information on how beta doses were calculated
6 in order to identify whether differing inputs
7 to the MCNP program were being used.

8 In addition to these specific
9 tasks, NIOSH is also considering whether or
10 not a document submitted by the co-
11 petitioner, and the document is identified as
12 AEC Report NYO4699 dated April 1957 that
13 provides details of radiation surveys made by
14 the AEC around a number of accelerators, can
15 be used to provide any useful surrogate data
16 for GSI.

17 The Work Group expects to
18 schedule a follow-up meeting very soon to
19 resolve these issues and then address the
20 remaining open issues from the TBD-6000
21 Appendix BB findings matrix. TBD-6000

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Appendix BB is the document that is specific
2 to General Steel Industries. If
3 there are specific questions today on these
4 issues we'll be glad to address them. Also
5 both NIOSH and SC&A have their participating
6 technical personnel available today, either
7 here or by phone, to answer questions or to
8 clarify these documents if any Board Member
9 wishes to raise issues or questions. And
10 that completes my report, Mr. Chairman.

11 CHAIRMAN MELIUS: Thank you Paul.
12 Any questions from Board Members or any of
13 the Work Group that would like to add
14 comments? Do you have a time table on some
15 of these follow-up items?

16 MEMBER ZIEMER: Well, actually I
17 have just received and haven't even read it
18 yet, but I have just received from SC&A the
19 first item that's on the list. So they have
20 already completed that.

21 And I believe, I understand that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we have gotten feedback already from¹²
2 Landauer, and I guess we'll get in writing
3 what the result of that was. So out of these
4 four items, two of them are already completed
5 and I think the other two will be following
6 shortly.

7 So I anticipate that we may be
8 able to meet in the fairly near future and
9 try to resolve these issues. We don't have a
10 date yet but I'll work with Ted on that and I
11 think since the government's back in
12 operation we don't have to worry about that
13 anymore either.

14 CHAIRMAN MELIUS: Good. Yes, Jim
15 Neton?

16 DR. NETON: I could just add that
17 the third issue which is related to residual
18 contamination is going to be very quickly
19 resolved, I believe.

20 CHAIRMAN MELIUS: Start over.

21 DR. NETON: I think the third

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue on residual contamination is going to
2 be resolved very quickly. We're just
3 waiting. We may have it already, a
4 spreadsheet from Bob Anigstein. We want to
5 verify his approach, and I think we're in
6 agreement with that. So that one should be
7 finished very quickly.

8 The longest issue's going to be
9 comparing these MCNP input decks, but that
10 won't take very long either. A week or two,
11 I think, is probably sufficient. So these
12 will all be done very quickly.

13 CHAIRMAN MELIUS: Good. We'll
14 expect the similar time tables on all future
15 efforts in other Work Groups. Paul, you must
16 be really pushing them.

17 MEMBER ZIEMER: Well, I think we
18 all would like to complete this in the
19 reasonably near future. GSI's been on our
20 table a long time and we all recognize that.

21 CHAIRMAN MELIUS: I appreciate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all the work you've done and that the Work
2 Group is doing on this.

3 Okay, Henry. DuPont.

4 MEMBER ANDERSON: So what I'm
5 going to give you is an update and a closeout
6 of our status or our review of the Site
7 Profile for the DuPont Deepwater Works in
8 Deepwater, New Jersey.

9 The background, for those of you
10 who don't recall when this first came out,
11 DuPont Deepwater Works was under contract
12 with Manhattan Engineering from '42 to '47,
13 and they were contracted to develop
14 industrial scale facilities for purification
15 of uranium from various ores, recovery of
16 scrap, manufacture of metal and various
17 uranium compounds, and they worked with
18 various forms of uranium converting it to
19 more useful forms.

20 There were two sources of
21 exposure. External exposure was to naturally

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 occurring uranium, which they were¹⁵
2 processing, and its short-lived progeny as
3 you can see there that internal exposures
4 from inhalation of airborne uranium and
5 inadvertent ingestion of residual uranium
6 deposited on surfaces.

7 So time sequence of this
8 particular review. In January of 2008 when
9 we had a TBD-6001, this was an Appendix to
10 the Battelle TBD-6001 and which provided
11 guidance on dose reconstruction of workers at
12 this particular facility.

13 March 2011 we got a Technical
14 Basis Document for standalone DuPont
15 Deepwater Works, as you can see there the
16 documentation. And I was motivated by the
17 withdrawal of the TBD-6001 and that's how it
18 got over to our AWE Work Group.

19 In August 2011, SC&A, after the,
20 got assigned to us, they were assigned to
21 develop and review the Site Profile for this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 particular DuPont Chambers Works, and they¹⁶
2 issued that review in August.

3 September, we had a meeting to go
4 over their set of issues that they identified
5 and that had been mentioned earlier, but this
6 is the first time we had a discussion and
7 began to look at resolving issues in the
8 particular document.

9 NIOSH, in March of this year,
10 issued a response to the findings which then
11 gave us an opportunity to review and try to
12 resolve the issues between NIOSH and SC&A,
13 and June 6th, SC&A issued a response document
14 to their commentary and findings. And then
15 September 27th, a couple of weeks ago, we met
16 again to, it looked like most of the issues
17 had been resolved.

18 So external dose, there was no
19 external dosimetry data available so
20 exposures are based on the process knowledge
21 and results of calculations. And exposure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 scenarios included submersion in a cloud,⁷
2 standing on contaminated surfaces, standing
3 close to various sizes and types of uranium
4 sources.

5 And these were the types of
6 things that the other AWE sites and the 6001
7 protocol had begun to address. NIOSH
8 employed the standard TBD-6000 methodologies
9 which have been reviewed and accepted by the
10 TBD-6000 Work Group.

11 Internal dose, again no bioassay
12 data intake, and internal exposures were
13 based on airborne dust loadings collected
14 from '44 to 45, so there were 252 of those
15 samples, and then fitting the data to
16 lognormal distribution and assigned either
17 the full distribution or the 95th percentile
18 of the distribution to the workers based on
19 potential for exposure.

20 This just shows the air sampling
21 data distribution. You've seen some of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 types of normal score already in the previous¹⁸
2 presentations, but this just shows the
3 analysis for this particular site and the
4 distribution of the samples.

5 There were seven findings, most
6 of which we resolved. Issue 1, you can read
7 it there. And NIOSH correctly pointed out in
8 the discussion that there was virtually no
9 uranium handling and processing prior to
10 1944.

11 So we closed out that issue about
12 the use of the air sampling data for '44 and
13 '45 when the facility really began a little
14 bit earlier, but it really had not received
15 and begun to process uranium until '44, so
16 that the air sampling data was relevant to
17 the exposure period.

18 Issue Number 2, had a concern
19 about ingestion pathway was not modeled in
20 accordance with approved NIOSH procedures,
21 and NIOSH agreed that this original document

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 had been done a little bit earlier and
2 they're going to revise the calculations.

3 And so in essence, after the
4 discussion, the issue has been resolved. But
5 because the changing of the actual documents
6 so that we'd have that in an updated format
7 hadn't occurred yet and we don't have a good
8 timeline for it, what we did is put the issue
9 in abeyance simply to keep it on our radar so
10 periodically we can remind NIOSH that this is
11 a catch-up activity that has to occur before
12 we can fully sign off on the document.

13 Issue 3, you can see there, about
14 that the Putzier effect was not taken into
15 consideration when modeling the external dose
16 and there was some back and forth between
17 NIOSH and SC&A, and came to agreement that
18 that does not apply to the uranium processing
19 activities that took place at this particular
20 facility. And after further review, SC&A
21 agreed with NIOSH and therefore we closed out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this issue as being resolved as well. 20

2 Issue 4 and 5 were quite similar,
3 so for this slide we combined those two. The
4 dose rate at specific distances was assigned
5 in the document as a distribution rather than
6 as a fixed and deterministic value.

7 But again agreed with NIOSH to
8 repackage the material in a manner where
9 uncertainty in the distance of the worker
10 from the source material was assigned an
11 uncertainty distribution, rather than
12 assigning an uncertainty distribution to the
13 dose rate used the distance as the
14 uncertainty at a given distance from the
15 source and we all agreed with that particular
16 strategy.

17 Again until the document is, the
18 writing is actually revised we put this issue
19 in abeyance as well. There's agreement on
20 it, and as I say it's now just a matter of
21 having the documents catch up with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 decisions. And so that it doesn't get lost
2 again, we'll just keep it on our list of
3 issues to periodically look at.

4 But I think the documentation is
5 all there so it's pretty clear as to what
6 NIOSH can do, and it's simply a matter of
7 when higher priority activities will get
8 completed so that these kind of clean-up
9 activities can occur.

10 Six and 7. Each of those issues
11 are related to the assumption that the
12 radiation dose rate measured using an open
13 window survey meter at one meter from the
14 surface contaminated with uranium dust is
15 assigned 50 percent photon dose and 50
16 percent beta dose.

17 NIOSH agreed with the SC&A
18 position of 1:1 photon-to-beta ratio is
19 incorrect and will use a 1:10 ratio. And
20 with that switchover SC&A agreed and we all
21 reviewed that and agreed as well. And again

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it's having the document catch up with the²²
2 decision processes, so that again is in
3 abeyance.

4 So this basically is, we've
5 concluded our review of this Site Profile,
6 and the Site Profile now just needs to be
7 revised. So with that we'd suggest that we
8 could have the Board sign off on this review,
9 and once the documents are all updated
10 they'll be posted again and this site should
11 be ready to go.

12 Any questions?

13 CHAIRMAN MELIUS: Any questions
14 or comments from -- Josie? And then Brad.

15 MEMBER BEACH: Okay. Issue 2,
16 did you send that one, after your discussion
17 on the 27th, over to Procedures or after your
18 discussion you did something a little
19 different? In the document that I read it
20 showed that it was going to go over to the
21 Procedures --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 MEMBER ANDERSON: It was going
2 to, I don't know if it did yet. I mean we
3 have not --

4 MEMBER BEACH: So it's still,
5 that's the pathway?

6 MEMBER ANDERSON: Yes, that would
7 be the pathway for that one.

8 MEMBER BEACH: For that one.
9 Okay.

10 MEMBER CLAWSON: I just needed
11 clarification.

12 MEMBER ANDERSON: Yes.

13 MEMBER CLAWSON: On the years
14 that we were looking at for this are just '44
15 through '45?

16 MEMBER ANDERSON: That's when the
17 actual work was performed.

18 MEMBER CLAWSON: That is when the
19 work -- but those are the years that we're
20 looking at is all?

21 MEMBER ANDERSON: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER CLAWSON: Okay. 24

2 MEMBER ANDERSON: And this site
3 is called '42 to --

4 MEMBER CLAWSON: What's that?

5 MEMBER ANDERSON: That's when the
6 work was actually done.

7 MEMBER CLAWSON: And the ending
8 time period for this one is '45, or do they
9 have a residual?

10 MEMBER ANDERSON: I don't --
11 John?

12 DR. MAURO: This is a situation
13 where there was an AWE facility to actually
14 be the starting point to and continue, I
15 think the end date was '45? It's up there.
16 But the problem we had was that the data on
17 air sampling was limited to 1944 and 1945.

18 And one of our class of concerns
19 is they were going to assign that, use that
20 data to reconstruct the doses for the earlier
21 years, '42, '43, or whatever the dates are up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there. Yes, there it is. '42 to '47. And²⁵
2 so we were concerned that, we've often noted
3 that in the earlier years things were not
4 under very good control.

5 But NIOSH pointed out that when
6 you look into the history of this site there
7 really wasn't anything going on in the
8 earlier years. The actual uranium work
9 didn't really begin until around '44. And
10 then we checked that out, went into the SRDB,
11 and we found that that's correct.

12 So in this particular case there
13 really wasn't a lot of activity going on, and
14 therefore by using the data from '44, '45 is
15 claimant favorable to apply it to the
16 workers. So they are going to apply it to
17 the workers that were there in the earlier
18 years, but there really wasn't very much
19 going on in the earlier years, and then we
20 felt that that was a claimant favorable way
21 to deal with this problem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ANDERSON: I don't recall¹₂₆
2 if there was a residual period. We'd have to
3 go back. I know whatever the proposal was it
4 wasn't an issue. How they were going to deal
5 with it was a pretty standard --

6 DR. MAURO: I have to admit, I
7 don't recall why there is no issues related
8 to residual. I'd have to go back to my
9 records. For some reason that did not come
10 up as an issue and I don't recall the reason.

11 MEMBER CLAWSON: The dates just
12 didn't, when reading this they didn't jibe
13 with me. I understand what you're saying
14 there but --

15 MEMBER ANDERSON: So the site is
16 '42 to, you know, our major focus was on the
17 fact that there's no biomonitoring and
18 there's no badge measurements, and all there
19 was was the air measurements and then with
20 those, and they weren't at the start.

21 So we really discussed as to was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it appropriate to use that because of the²⁷
2 start-up usually is the most hazardous
3 period, but they weren't handling, the
4 materials really didn't arrive then.

5 MEMBER CLAWSON: And I understand
6 that. I just, usually when something comes
7 in we usually follow it to the end, and I
8 still don't have a clear understanding of
9 when it stopped and what they did to take
10 care of it, if it was in the same position.

11 DR. NETON: There is a residual
12 period through '95 at DuPont Deepwater Works.

13 All I can recall now is that there were no
14 findings in the SC&A review that --

15 MEMBER ANDERSON: Yes. Whatever,
16 we'd have to go back to look at the document.

17 DR. NETON: Yes, this closed out
18 the findings that were in existence. There
19 may have been other findings and we dealt
20 with them earlier. I don't recall though.

21 MEMBER CLAWSON: Okay. The dates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were a little confusing to me how they were²⁸
2 following in, but Josie just pointed
3 something out to me. So thank you.

4 MEMBER ANDERSON: Okay.

5 CHAIRMAN MELIUS: It's why we
6 have you sit next to Josie at these meetings.

7 MEMBER CLAWSON: Well, okay.

8 MEMBER ANDERSON: This is part of
9 why I wanted, when it started kind of under
10 one auspices got reviewed, you then really
11 have got to go through all of the comments
12 and the data from the meetings, and that's a
13 very laborious -

14 MEMBER CLAWSON: I've done
15 Fernald, I know very well.

16 MEMBER ANDERSON: So that's why
17 we wanted to move it along quickly while most
18 of this was still in our findings as opposed
19 to having go back to all of the discussion
20 and refine it out of the minutes.

21 CHAIRMAN MELIUS: Any other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions or comments? If not, we have, 29
2 believe, a proposal from the Work Group,
3 which would be a motion which would be to
4 approve what their recommendations which
5 Henry's outlined, with the understanding that
6 once NIOSH has responded to those and
7 addressed those, which would be some time
8 from now in terms of an updated Site Profile
9 and so forth, that they would, you know, be a
10 follow-up on that and -- okay.

11 Any further discussion? If not,
12 all in favor say aye.

13 (Chorus of ayes.)

14 CHAIRMAN MELIUS: And on the
15 phone? David and Bill, okay with you?

16 MEMBER RICHARDSON: Yes.

17 MEMBER FIELD: Yes. This is
18 Bill.

19 CHAIRMAN MELIUS: Yes, I heard
20 you both. Okay, great. Okay, good. Next is
21 Wanda.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER MUNN: All this fun³⁰
2 material.

3 CHAIRMAN MELIUS: Procedure
4 Reviews, yes.

5 MEMBER MUNN: Going along with
6 our intent to keep you very informed about
7 the scope of materials that we're dealing
8 with in Procedures, we are going to talk
9 about OTIB-10 and PER-0012 today. You have
10 the slides in advance, and I hope if you have
11 any questions you'll provide them for me when
12 we're finished here.

13 We'll begin with OTIB-10, a
14 standard complex-wide methodology for
15 overestimating external doses measured with
16 film badge dosimeters. We've taken quite a
17 bit of time with this particular OTIB and its
18 review because it has bearing on many of our
19 major sites.

20 The objective of this particular
21 document was, first of all, to evaluate the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 degree of standardization that we were seeing³¹
2 in standard DOE film dosimeters. We also
3 needed to assure that we had developed the
4 standard methodology that the dose
5 reconstructor could use assigning doses that
6 would be a reasonable overestimate of the
7 organ dose.

8 This essentially was the first of
9 the official documents that we had that was
10 our attempt to try to process as many of the
11 early claims as possible with a minimum of
12 effort, time consumed. It was intended to
13 overestimate the dose so that we could
14 quickly evaluate the potential compensability
15 of a variety of claims that were before us
16 which did not appear at first blush to be
17 noncompensable.

18 It was started in 2004 when Rev 0
19 of the OTIB was first provided. The
20 following year we had the SC&A review.
21 Shortly thereafter, NIOSH responded to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 concerns that SC&A had presented to us. And ³²
2 then we had the Subcommittee's discussion
3 which was extensive and lasted over a period
4 of over a year.

5 There were ten findings in all
6 and we were successful in resolving all of
7 those, but it wasn't always clear-cut for us.

8 Quite a bit of evaluation and consideration
9 was given to each of these findings.

10 In June of 2006, NIOSH issued Rev
11 1 and that Rev incorporated all of the
12 findings that we had resolved. I'm sorry,
13 I'm reading. I'm looking at my copy and not
14 yours.

15 In June of 2006 -- I'm at the
16 bottom of this slide now. My apologies for
17 not keeping up with what I'm saying. We had
18 Revision 1 and it did cover all of the
19 resolutions that we had in earlier findings.

20 It resulted in no change at all to the
21 assigned dose, and as a result we weren't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 going to have to have a PER, a Program
2 Evaluation Report.

3 As I mentioned earlier there were
4 ten findings in total, and for those of you
5 who had any interest in those specific
6 findings even though I'll go through them
7 very shortly, the complete histories are on
8 the Board Review System.

9 If you care to go there and look,
10 there is your URL for finding it. And I again
11 remind folks who are not a part of the Board
12 that this is an internal review document and
13 it's not accessible from outside the CDC
14 system.

15 As I mentioned earlier, the
16 resolutions took us quite a while but we did
17 close them all. And here we take a quick
18 look at the summary information from what
19 those findings involved. The first one was
20 concern that there was no guidance on how to
21 treat missed dosimetry data where the number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of zero readings was seen for less than 12³⁴
2 cycles. Rev 1 provided the guidance on how
3 to do that, and we closed the resolution.

4 Item Number 2 indicated that the
5 guidance did not acknowledge when missed dose
6 was based on the level of detection that was
7 representative of the 95th percentile and
8 required no uncertainty.

9 Rev 1 of the new Table 2-1 does
10 give specific instructions to the dose
11 reconstructor on how to record that missed
12 dose and how to calculate it and enter it
13 into IREP.

14 Finding 3 and Finding 4 were very
15 similar. There was a concern about the
16 placement of information and where it
17 appeared in the document, how much there was
18 of it, and it was essentially a format issue.

19 We discussed those and closed them both in
20 2008.

21 Rev 1, all that background

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information has been moved to a different³⁵
2 place in the document and incorporated into
3 Attachment A so that the dose reconstructor
4 will know where to find it and not have to
5 wade through it before the reconstruction
6 event actually begins.

7 Item Number 5 was concerned about
8 addressing how the standard correction factor
9 was going to be used when dosimeter doses
10 were greater than zero but less than the
11 level of detection, which was identified at
12 about 40 millirem. Rev 1 takes care of that.

13 Specifies the use of 40 mR as reasonable
14 default for the level of detection.

15 Item 7 was a concern about the
16 difference of instruction from OTIB-10 to
17 Section 5 of Procedure Number 6. The one
18 indicated that the dose reconstructor should
19 use a standard correction factor to the
20 dosimeter dose but didn't use uncertainty,
21 and the other one did just the reverse. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 applied an SCF but didn't use uncertainty. 36

2 So we closed that with the
3 agreement that PROC-0006 was going to be
4 completely revised, and was, so that it
5 didn't contain the guidance that was
6 inconsistent with the OTIB. Now the OTIB is
7 the determining factor.

8 Item Number 8 was a concern that
9 the OTIB was not identifying the hierarchical
10 position of that particular document among
11 the other competing procedures, and we worked
12 that out in Committee. And PROC-0006
13 Attachment that was of concern is now
14 eliminated and PROC-0006 Section 1.1 refers
15 the dose reconstructor back to this procedure
16 when appropriate.

17 Number 9 was the standard
18 correction factor of 2, which covered a great
19 many errors. It doesn't appear to be too
20 conservative based on NRC 1989 report. And
21 after discussion it was agreed that this SCF

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of 2 for every recorded dose is sufficiently³⁷
2 conservative for adequate use in providing a
3 valid result. That's closed.

4 The final finding was the use of
5 a default level of detection value of 40 mR,
6 and that should be considered a highly
7 typical value as opposed to a highly
8 conservative one. And our deliberations
9 agreed that the 40 mR for gamma radiation is
10 reasonably claimant favorable and that when
11 you use it with the assumed monthly zeros it
12 ensures that the missed dose is appropriately
13 barely overestimated.

14 That resolved the issues that we
15 had with OTIB-10. If you have any questions
16 we'll try to respond to them.

17 CHAIRMAN MELIUS: Brad, go ahead.

18 MEMBER CLAWSON: I need just a
19 clarification. This OTIB-10 is one that
20 we're using now?

21 MEMBER MUNN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: And we've gotten³⁸
2 to the process and it's in effect now.

3 MEMBER MUNN: Yes.

4 MEMBER CLAWSON: Okay. For some
5 reason I thought we weren't going to
6 overestimate anymore, and that's why I was
7 just kind of wondering. I know the troubles
8 we have with overestimating stuff before, and
9 that kind of surprised me.

10 MEMBER MUNN: This procedure's
11 only used when there are very low exposures.
12 And I don't believe you're going to find it
13 in frequent use at all. I think it's seldom
14 used, but so far as I know it is still valid.
15 Is that correct, Stu?

16 MR. HINNEFELD: Yes, I don't
17 think it's been cancelled but I think it is
18 rarely used anymore. Your question about are
19 we going to stop overestimating, and we, in
20 fact, considered it and talked to our
21 contractor about that and we reported back on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that. 39

2 The actual discontinuing of any
3 overestimating approach has just turned out
4 to be quite extensive because of the
5 additional effort required to do these dose
6 reconstructions, and so there are some things
7 we have stopped doing.

8 I know we don't do this very much
9 anymore. I'm not sure if we use it, really,
10 at all anymore on OTIB-10. And there's some
11 overestimating things that we have stopped
12 that we can stop with a little effort, you
13 know, the more precise estimate doesn't take
14 that much more work.

15 But when you talk about just
16 eliminating overestimates in general, it
17 makes dose reconstruction so much more time
18 consuming and therefore so much more
19 expensive, we just didn't feel like we could
20 stop it all.

21 MEMBER CLAWSON: But this one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 isn't used. I guess I'm looking at it from
2 our dose reconstruction of when they use
3 this, this is going to be in their workbook
4 to let us know that this was an overestimate.

5 MR. HINNEFELD: Well, the dose
6 reconstruction should certainly say. You
7 know, it should be clear that this was what
8 was used, if it's used.

9 MEMBER CLAWSON: Okay, thank you.

10 CHAIRMAN MELIUS: And just to
11 reiterate that we had talked to others. This
12 is one of the ten-year review issues, and so
13 we actually have talked about this when we
14 were talking about the follow-up on the ten-
15 year review.

16 Meanwhile, while Stu tries to fix
17 the machine, Phil, go ahead.

18 MEMBER SCHOFIELD: Yes, using the
19 value of 40 mR, how comfortable are you with
20 that given the -- what was that, Number 9, I
21 think it was here. We're using assumed LOD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of 40 mR for gamma. Given the history of the⁴¹
2 fact that we have film badges, we have TLDs,
3 we have some brands of film badges that
4 weren't as sensitive as others, how
5 comfortable is NIOSH with that value?

6 MR. HINNEFELD: Well, we think 40
7 is a good value to use for this procedure.
8 And remember you're using the procedure, if
9 you use it you're using it in its entirety.
10 So you're not just using 40 as LOD, you are
11 maximizing the number of zero readings.

12 So you essentially, you know, all
13 but one exchange in a year is considered a
14 zero. So you're overestimating the number of
15 zeros, so the number of times you use the
16 missed dose calculation, and you're also
17 using the LOD instead of the LOD over 2, more
18 precise estimate of the missed dose.

19 So there are sufficient
20 conservatisms built into there that we
21 believe it is appropriate. And as an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 alternative, if you chose to use a higher LOD₄₂
2 and go back and review these claims, you
3 know, it won't affect these cases. The only
4 thing that would happen would the PoC would
5 move up high enough that you could no longer
6 use overestimating techniques and you would
7 have to rework the case using another method.

8 MEMBER SCHOFIELD: Oh, okay.
9 Thanks.

10 CHAIRMAN MELIUS: Dave?

11 MEMBER KOTELCHUCK: On Finding 9,
12 could you please tell us what the standard
13 correction factor of two corrects for?

14 MR. HINNEFELD: Well, I could if
15 I had read the OTIB recently. There are
16 several factors that influence the
17 uncertainty of the dosimeter, and there were
18 estimates of how big could that uncertainty
19 be. And when you sum them it comes to about
20 two.

21 So I'll have to go back and look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at the OTIB. I don't recall, but I can send⁴³
2 you some information about what they are.
3 And I think they are explained in the OTIB.

4 MEMBER KOTELCHUCK: Well, I'll go
5 into the OTIB by myself.

6 MR. HINNEFELD: Okay, if you have
7 any questions let me know because I think I
8 can talk about it.

9 MEMBER KOTELCHUCK: Fine.

10 MR. HINNEFELD: But I do recall
11 there are a number of issues in film
12 dosimetry that contribute to the uncertainty
13 of the film dosimetry by a certain percent.
14 And of the ones that were considered, when
15 you add those up it came out to about two.

16 MEMBER KOTELCHUCK: Good. Thank
17 you.

18 CHAIRMAN MELIUS: And the OTIB is
19 on the stuff that was handed out this time.

20 MEMBER KOTELCHUCK: Yes.

21 CHAIRMAN MELIUS: Should be.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Yes, Mark? 44

2 MEMBER GRIFFON: I just have one,
3 and this still might help out here too. But
4 just Finding 1 on the missed dose you might
5 go through, from my memory this was quite a
6 discussion in the early years of the
7 procedure when I was still working on that
8 Subcommittee, but how you determine. It says
9 you revise your approach, and it's included
10 in the revision.

11 But how do you handle missed dose
12 and as compared to, like, if you have records
13 where you see zeros or less than detectable
14 or if you have blank cycles? I mean, just if
15 you can explain, I think it's worthwhile to
16 explain. How do you fill in those blanks?

17 MR. HINNEFELD: For this
18 procedure?

19 MEMBER GRIFFON: Yes, relative to
20 this procedure.

21 MR. HINNEFELD: Well, relative to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this procedure, I think what's generally done⁴⁵
2 is if you have a dose in a year, a recorded
3 dose in a year, you assume that occurred in
4 one cycle. And you take the how ever many
5 other cycles there were that year and you
6 consider those zero and do the missed dose
7 for all other cycles. I think that's what we
8 call maximum zero.

9 MEMBER GRIFFON: So that would be
10 assigning 40 millirems per cycle basically,
11 right, is what you're saying?

12 MR. HINNEFELD: Yes. Yes.

13 MEMBER GRIFFON: All right.

14 MR. HINNEFELD: And then that
15 missed dose number goes into as a constant
16 because it's considered the 95th percentile
17 level of the missed dose, and it goes into
18 the IREP spreadsheet as constant.

19 MEMBER GRIFFON: And what if you
20 have a situation where you have, and this
21 OTIB may not be applicable to this kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 situation, but you have records for a person⁴⁶
2 but there is gaps in them so you have maybe
3 monthly dosimetry records but you're missing
4 occasional months?

5 MR. HINNEFELD: Well, that's
6 largely a site-specific question. And the
7 way you can learn about what the site's
8 practices were, whether they recorded
9 faithfully a zero or whether they would have
10 a zero and leave it a blank.

11 So you have to determine whether
12 that blank means it's a zero or that blank
13 means there's no result for that month. And
14 if there's no result, then we have to worry
15 about whether maybe it should be an
16 unmonitored as opposed to a missed dose.

17 MEMBER GRIFFON: Yes. And I
18 think you're right. I think you sort of
19 defer to Site Profile approaches and stuff,
20 but there was discussions of looking at
21 nearby doses, the --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: Yes, there are ⁴~~7~~
2 few techniques around.

3 MEMBER GRIFFON: -- or coworker
4 doses or other things.

5 MR. HINNEFELD: I can't bring
6 them all to mind right now.

7 MEMBER GRIFFON: It's not in this
8 OTIB though, right. I mean this OTIB
9 wouldn't deal with that end of it.

10 MR. HINNEFELD: No. No, this
11 would not deal with that.

12 MEMBER GRIFFON: Okay. Just
13 wanted to be clear.

14 MEMBER MUNN: You're trying to
15 put more on my plate than I already have,
16 aren't you?

17 CHAIRMAN MELIUS: That's right.
18 Yes. David, do you still have another
19 question or you're --

20 MEMBER KOTELCHUCK: Oh, pardon
21 me.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 CHAIRMAN MELIUS: Yes, okay⁴⁸

2 David Richardson or Bill Field, do you have
3 questions?

4 MEMBER FIELD: This is Bill. No
5 questions.

6 CHAIRMAN MELIUS: Okay.

7 MEMBER RICHARDSON: I don't
8 either.

9 CHAIRMAN MELIUS: Okay, I just
10 didn't want you to feel forgotten out there.

11 Okay, then Wanda?

12 MEMBER MUNN: The two things that
13 were assigned to do today was OTIB-10 and
14 PER-0012. But because we in our Subcommittee
15 are just really getting started on PERs and
16 will be expecting quite a number of them to
17 come before you in the next few meetings, we
18 thought perhaps it might be worth our while
19 to take just five minutes or so to reiterate
20 why we do PERs and how they're handled.

21 We have a very large quantity of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -- sorry, I'm trying to change the slide for
2 you and I'm not being -- oh, okay. Thank
3 you.

4 The volume of guidance documents
5 and workbooks that we now have in hand to
6 support our dose reconstruction activities is
7 pretty daunting. And the details inside of
8 those reconstruction documents may change
9 fairly radically from time to time based on
10 revisions and new information.

11 So in an attempt to respond to
12 those revisions NIOSH wants to make sure that
13 dose reconstructions that have already been
14 completed are not in some way changed by
15 those revisions that occur.

16 So in a case like that originally
17 the plan was that a Program Evaluation Plan
18 would be issued so that we would know that a
19 Program Evaluation Report was in the works
20 and that activity was formally made into a
21 procedure, incorporated in the procedure that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we know as PR-008. That is an activity that³⁰
2 does not occur in that way any longer.

3 Program Evaluation Plans seemed
4 to be an unnecessary step in the project, and
5 after issuing only a few they have no longer
6 been done. And partially as a result of that
7 PR-008 has been cancelled. But that's
8 historically what the original plan was.

9 This is the slide to which Dr.
10 McKeel referred yesterday when he said that
11 this slide says that all PERs are going to be
12 reviewed by SC&A. I have informed Dr. McKeel
13 that what this says is what you see.

14 CHAIRMAN MELIUS: What it says,
15 yes.

16 MEMBER MUNN: The PER is subject
17 to formal review. It does not say that it
18 will be reviewed. There are quite a large
19 number of PERs and we will be choosing the
20 ones, you as a Board are the final word as to
21 which of those PERs we'll agree to undertake

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for review. 51

2 Our contractor provides for us an
3 expected list of what they will have
4 available that they consider worthy of Board
5 consideration and they bring those to my
6 Subcommittee, our Procedure Subcommittee
7 looks at those and makes the choice as to
8 what to bring to you. You agree that we will
9 indeed proceed with whichever PERs you find
10 to be appropriate and those are the ones that
11 are assigned to the contractor for review.

12 There are five subtasks that SC&A
13 undertakes when we do a PER audit and they
14 are fairly rigorous. The first subtask is to
15 take a look at the agency's evaluation of the
16 issues and what that might do to impact dose
17 reconstructions.

18 The second task is looking at the
19 specific methods of corrective action that
20 NIOSH proposes to take. Subtask 3 is to
21 evaluate what the PER's approach is going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be and what criteria will be used for
2 choosing the dose reconstructions that might
3 have been affected by any reevaluation that
4 took place.

5 Subtask 4 has two steps to it.
6 The first is defining the number of dose
7 reconstructions that might be affected by the
8 PER and that would therefore need to be
9 reassessed by NIOSH so that SC&A can review
10 those. And at that point the Subcommittee
11 will select those cases for review and SC&A
12 will proceed with its activity to produce an
13 audit of the cases that were selected.

14 Subtask 5 is the supplemental
15 report that SC&A prepares for us that will
16 show the results of their entire review
17 including the results of each subtask and the
18 review of the dose reconstructions that we
19 had chosen to have them do.

20 So that essentially is the
21 process that we go through with the PERs. If

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you have any uncertainty or any question
2 about that let me know, otherwise I'll go on
3 to PER-0012, which is what we came here to
4 do.

5 CHAIRMAN MELIUS: Go on.

6 MEMBER MUNN: All right, with a
7 little help from my friends.

8 Thank you so much. This covers a
9 topic we've talked about a great deal in this
10 body, the evaluation of highly insoluble
11 plutonium compounds.

12 One of the major variables that
13 we have to consider in doing dose
14 reconstruction for this program has been the
15 solubility of any of the radionuclides with
16 which we are dealing, originally considered
17 to be fast, moderate or slow solubilities.

18 Under some circumstances,
19 however, we have been dealing with plutonium
20 that had been exposed to extremely high
21 temperatures, highly fired, and therefore had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 taken on a very insoluble form which we³⁴
2 referred to originally as Super S, but now
3 frequently seeing as Type SS, still referred
4 to often as highly fired.

5 When this particular form of
6 plutonium is inhaled it stays much longer in
7 the lung than other forms, and because of
8 that behavior it increases the dose to that
9 tissue significantly.

10 The type of SS plutonium target
11 tissue impacts was covered by OTIB-49
12 entitled, "Estimating Doses for Pu Strongly
13 Retained in Lung." And the assessment of
14 that OTIB and its contents is what prompted
15 the issuance of this particular PER.

16 We've been dealing with this for
17 a number of years. In January of 2004 was
18 when we first had access to the Rocky Flats
19 plant occupational internal dose TBD.
20 Included in that was information about the
21 existence of SS type plutonium.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In 2007, we saw OTIB-49 which ~~as~~^{is}
2 we pointed out is the crux of our concern for
3 this PER. And just immediately following
4 OTIB-49, NIOSH issued one of those evaluation
5 plan documents that we mentioned earlier for
6 the evaluation of these types of plutonium
7 compounds, so that we knew that the PER was
8 in the works and it did appear about five
9 months later and that's what we're looking at
10 now.

11 In 2010, our contractor submitted
12 the draft review that they had of this PER to
13 us in the Procedures Subcommittee and to the
14 agency. And in January of 2011, they
15 presented findings to the Subcommittee and we
16 accepted all the findings for our overview.

17 In July of that year the agency
18 provided a list of 50 cases from all of the
19 potential categories that we had identified
20 except for fecal sample monitoring for
21 extrathoracic and GI tract.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The Subcommittee selected nine of
2 those dose reconstructions and that was
3 representing eight of ten categories for the
4 contractor's review. Their Subtask 4, the
5 one that we mentioned earlier is done in two
6 steps, is the one which would be most key for
7 our purposes.

8 In July of 2012, SC&A provided
9 their draft review of the nine DRs that had
10 been affected and that we had identified as
11 being affected, and later that month they
12 presented the findings to the Subcommittee
13 and we accepted the findings.

14 First, Subtask 1, assess the
15 circumstances that necessitated the need for
16 the PER. While we were developing the Site
17 Profile, NIOSH had indicated as I said
18 earlier that highly insoluble Type S Pu was
19 present at the site and would need to be
20 taken into consideration.

21 And there was a problem with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that. The regulations in 42 CFR 82 required⁵⁷
2 that the dose should be calculated by using
3 current ICRP metabolic models, but current
4 ICRP Publication 66 models did not address
5 this particular form of Pu, the highly
6 insoluble form, with which we were concerned.

7 In order to account for the
8 longer retention period of the organ doses
9 that were expected from slowly absorbed
10 plutonium, the agency developed the new OTIB-
11 49 in February of 2007.

12 The continuation, assessing the
13 circumstances that prompted the PER, in the
14 OTIB NIOSH developed the dose adjustment
15 factors that generally a factor 4, the
16 notation says.

17 They used cases from both Hanford
18 and Rocky Flats workers that had been exposed
19 to this particular type of plutonium for four
20 target organs. Intakes were based on lung
21 counts, air concentrations, urinalysis and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fecal analysis. 58

2 This was not going to be an easy
3 task. It was going to involve review of a
4 significant amount of literature and a
5 significant number of cases. So recognizing
6 this, SC&A indicated a three-year time period
7 for developing it because it had been a long
8 time coming but it was a very prodigious
9 task.

10 As they reviewed the OTIB and the
11 PEP and the consequential PER, the finding
12 was that NIOSH had properly characterized the
13 significance of the highly insoluble Pu, and
14 that they had complied with Procedure 8 while
15 they were developing the impacts of the
16 programmatic changes that would affect
17 previously completed dose reconstructions.
18 As a result there were no findings under
19 Subtask 1.

20 Subtask 2, then, is assessing
21 specific methods for corrective action. When

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a PER has a number of documents supporting,
2 the White Papers, the OTIBs, procedures that
3 haven't been formally reviewed, then they
4 need to assess the scientific basis of what's
5 being used to make sure that the corrective
6 action has the amount of credibility that's
7 necessary for them to proceed.

8 PER-012, as we've said before, is
9 a result of OTIB-49 being issued and had been
10 reviewed earlier in the draft report. SC&A
11 was in full agreement with the approach to
12 dose modeling for the very super-slow
13 plutonium types, and the Task 2 was therefore
14 reduced to just a very brief summary and the
15 key technical elements that were contained in
16 OTIB-49. And as was the case in Subtask 1,
17 there were no findings in Subtask 2 as well.

18 Subtask 3 was evaluating the
19 approach for identifying the number of dose
20 reconstructions that required reevaluation.
21 And in taking a look at that total

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 population, there were three specific
2 criteria that were outlined by the PER.

3 First, they wanted to make sure
4 that the dose reconstruction had already been
5 completed before February of 2007. We wanted
6 to see that the facilities that were involved
7 were appropriate for this type of exposure
8 and we wanted to know that the Probability of
9 Causation was less than 50 percent. Taking a
10 look at that universe of claims, we only did
11 4,865 potentials.

12 Tucked away in the corners of
13 OTIB-49 there were two additional screening
14 criteria that needed to be met. One was that
15 for Probability of Causation greater than
16 16.97 percent of cancers other than lung and
17 thoracic lymph node, and plutonium doses that
18 were assigned had to be intake based on
19 monitoring. That's reducing the potential
20 cases when you incorporate those two items to
21 1,757 cases.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So being cautious to assure that
2 all of the requirements of both the PER and
3 the OTIB were met reduced the number of cases
4 to less than 2,000. This methodology was
5 agreed to and there were therefore no
6 additional findings under Subtask 3.

7 In Subtask 4, this is the two-
8 part review that we have to take a look at, a
9 recommended sample of the effective dose
10 reconstructions that were going to be
11 reevaluated.

12 PER-0012 indicates in those a
13 need for dose reconstruction, a reevaluation
14 for four different types of target tissues.
15 The lungs and thoracic lymph nodes, the
16 thoracic tissues and respiratory tract,
17 tissues of the GI tract, and other systemic
18 organs.

19 Reevaluating the dose for these
20 four groups is effective by it has to be done
21 by one of four monitoring methods, that those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 were the monitoring methods that were in fact⁸²
2 employed in the original dose reconstruction.

3 Air sampling, urinalysis, in vivo lung
4 counting, or fecal analysis.

5 The contractor recommended that
6 we choose a minimum of one case from each of
7 ten permutations. They're shown to you
8 there. I'll just mention them.

9 In the case of lung and lymph
10 nodes in the thoracic cavity, reevaluation is
11 required regardless of the time interval
12 between exposure and fecal sampling. And in
13 the extrathoracic, GI tract and systemic
14 organs, reevaluation is necessary only if the
15 time intervals are greater than two months
16 between the end of exposure and the fecal
17 samples.

18 Reviewing the sample sets of the
19 dose reconstructions that were affected, this
20 of course is the main thrust for most of us
21 of what this whole exercise is about, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually looking at the completed dose
2 reconstructions to make certain that the
3 revised procedures have not affected them to
4 the detriment of a claim.

5 The audit of the selected nine
6 dose reconstructions was limited to just
7 looking at the evaluating methods and the
8 corrective actions only to the issues that
9 were addressed in PER-0012.

10 That focus was to determine
11 whether internal doses that were associated
12 with the exposures to the type of plutonium
13 we were concerned with were actually being
14 performed accurately and that OTIB-49 was
15 being followed.

16 The results of the audit were an
17 approval of NIOSH's assumptions in
18 calculating the internal doses from highly
19 fired plutonium for all nine of the cases
20 that were reviewed. SC&A had found in their
21 review that each of those dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reconstructions had been reevaluated using⁶⁴
2 the proper method and guidance that was
3 outlined in the OTIB, and as a result Subtask
4 4 had no findings.

5 The comment was made and I think
6 well taken by the Subcommittee that the
7 development of the workbook for that OTIB had
8 been of significant assistance in helping the
9 dose reconstructors to get the appropriate
10 data entered, getting the missed organ doses,
11 making comparisons.

12 So we were very pleased that the
13 implementation of the workbook had been so
14 successful, and the Subcommittee was very
15 pleased to accept the review of no findings.

16 If you have questions we'll try.

17 CHAIRMAN MELIUS: Questions?

18 Okay.

19 MEMBER MUNN: All right.

20 CHAIRMAN MELIUS: Yes.

21 MEMBER MUNN: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Thank you⁶⁵
2 Okay. We have actually a couple of quick
3 items, and I've lost the Designated Federal
4 Official. While we retrieve the DFO, we have
5 a couple I just want to make in terms of
6 government funding and so forth.

7 We, I think, had a good process
8 for the sequester last year in terms of how
9 Stu managed it in terms of the ORAU
10 contract. That was him. Ted, with the SC&A
11 contract. But it does involve a certain
12 amount of prioritization of what work we do
13 and, you know, what Work Groups assigned to
14 SC&A and how NIOSH is there to respond.

15 It just makes no sense in some
16 ways to have your work by NIOSH and have no
17 SC&A response if that's appropriate, and vice
18 versa, having an SC&A report and NIOSH not
19 being in position to provide a timely
20 response.

21 So given what may be continued

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 uncertainty for a while on the budget and go
2 forth, I just ask all the Board Members, Work
3 Group chairs to sort of keep that in mind as
4 we're dealing with this. I think it worked
5 out well this last time, and Stu and I and
6 Ted and others had some good conversations on
7 how to sort of handle some of the issues in
8 sort of figuring out the timing and what
9 could get done within the available
10 resources.

11 But just if everybody else can
12 sort of keep that in mind. I know we have a
13 lot of, particularly site reviews to be
14 resolved and pending. We have a couple Work
15 Groups we haven't started up yet.

16 And I think we can manage all
17 this, but just sort of keep in mind that
18 there will be some uncertainties and we need
19 to sort of make sure that we have the
20 available review power, so to speak, on both
21 ends to be able to address all these issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I don't know, Stu, if you have⁶⁷
2 anything more to add to that or just want to
3 --

4 MR. HINNEFELD: Just to kind of
5 repeat what I've said before is that we want
6 to work in accordance with the Board's
7 priorities on this, understanding that we
8 can't get everything all at once. But we
9 have no particular vested interest in doing A
10 before B, so we want to work in accordance
11 with the Board's priorities.

12 CHAIRMAN MELIUS: And I guess for
13 Work Group chairs, you may get a question
14 back on, well, is this really needed now, or
15 which report is the priority when there's,
16 you know, you've tasked SC&A with four or
17 five different things. And I know that Stu
18 and his staff is doing the same thing on the
19 ORAU end in trying to figure out what to do,
20 and it is not an easy task.

21 I think we've all learned on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 SEC's, you know, when you start an SEC review
2 predicting what will be the key issue is not
3 very easy and our batting average is not very
4 high on that for better or worse, because
5 there's just so much information that you
6 have to get at. So anyway I just wanted to
7 mention that as we're getting ready to close
8 here.

9 Now you will have to bear with me
10 as I do a couple of letters here. I will
11 have to put a caveat on this. Although Jenny
12 Lin is back at work today for a very short
13 period of time, she did a quick turnaround on
14 these letters. I think we're okay on them
15 but there may be some minor changes to them.

16 I'm a little worried she didn't
17 add any comments which is what usually
18 happens, but if there are anything
19 significant I will let you know. But we're
20 not quite following our usual process and
21 usually we give our counsel's office and so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 forth a little bit more time, and usually
2 Department of Labor is here and so forth. So
3 I think these both are okay, but I just
4 wanted to say that ahead of time.

5 I'll start with Sandia. The
6 Advisory Board on Radiation Worker Health,
7 the Board, has evaluated Special Exposure
8 Cohort Petition 00214 concerning workers at
9 the Sandia National Laboratories-Livermore in
10 Livermore, California, under the statutory
11 requirements established by the Energy
12 Employees Occupational Illness Compensation
13 Program Act of 2000, incorporated into 42 CFR
14 83.13.

15 Board respectfully recommends
16 that SEC status be accorded to "all employees
17 of the Department of Energy, its predecessor
18 agencies and their contractors and
19 subcontractors who worked in any area at the
20 Sandia National Laboratories-Livermore in
21 Livermore, California, from October 1st 1957

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 through December 31st, 1994, for a number of
2 workdays aggregating at least 250 workdays,
3 occurring either solely under this employment
4 or in combination with workdays within the
5 parameters established for one or more other
6 classes of employees included in the Special
7 Exposure Cohort."

8 Recommendation is based on the
9 following factors. Worker at the facility
10 during the time period in question were
11 involved in operations related to nuclear
12 weapons production.

13 National Institute for
14 Occupational Safety and Health, NIOSH, review
15 of available monitoring data as well as
16 available process and source term for this
17 facility found that NIOSH lacked sufficient
18 information to allow it to estimate with
19 sufficient accuracy the external and internal
20 doses from exposures to radioactive materials
21 to which employees of the Sandia National

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 Laboratories-Livermore may have been
2 subjected. The Board concurs with this
3 determination.

4 NIOSH also determined that health
5 may have been in danger for the Sandia
6 National Laboratories-Livermore employees
7 during the time period in question. The
8 Board also concurs with this determination.

9 Based on these considerations and
10 discussion at the October 16th and 17th, 2013
11 Board meeting held in Westminster, Colorado,
12 the Board recommends that this Class be added
13 to the SEC, closes the documentation from the
14 Board meeting where this SEC Class was
15 discussed.

16 Documentation includes copies of
17 the petition, the NIOSH review thereof and
18 related materials. If any of these items are
19 unavailable at this time they will follow
20 shortly.

21 Any comments or -- okay. Moving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 on quickly to Rocky Flats. The Advisory
2 Board on Radiation and Worker Health, the
3 Board, has evaluated Special Exposure Cohort
4 Petition 00192 concerning the workers at the
5 Rocky Flats plant in Golden, Colorado, under
6 the statutory requirements required by the
7 Energy Employees Occupational Illness
8 Compensation Program Act of 2000 and
9 incorporated into 42 CFR Section 83.13.

10 Board respectfully recommends
11 that SEC status be accorded to all employees
12 of the Department of Energy, its predecessor
13 agencies and their contractors and
14 subcontractors who worked at the Rocky Flats
15 plant, Golden, Colorado, from April 1st, 1952
16 through December 31st, 1983, for a number of
17 workdays aggregating at least 250 workdays,
18 occurring either solely under this employment
19 or in combination with workdays within the
20 parameters established for one or more of the
21 classes of employees included in the Special

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Exposure Cohort. 73

2 This recommendation is based on
3 the following factors. Workers of this
4 facility during the time period in question
5 were involved in operations related to
6 nuclear weapons production.

7 The National Institute for
8 Occupational Safety and Health, NIOSH, review
9 of available monitoring data as well as
10 available process and source term information
11 for this facility found that NIOSH lacked the
12 sufficient information to allow it to
13 estimate with sufficient accuracy the
14 potential internal doses from exposures to
15 thorium, uranium-233 and neptunium to which
16 employees of the Rocky Flats plant may have
17 been subjected for various periods during the
18 years 1952 to 1983. The Board concurs with
19 this determination.

20 NIOSH also determined that health
21 may have been endangered for these Rocky

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Flats plant's employees during the time
2 period in question. The Board also concurs
3 with this determination.

4 Based on these considerations and
5 discussion at the October 16th and 17th, 2013
6 Board meeting held in Westminster, Colorado,
7 the Board recommends that this Class be added
8 to the SEC. Enclosed is the documentation
9 from the Board meeting where this SEC Class
10 was discussed.

11 Documentation includes copies of
12 the petition, the NIOSH review thereof and
13 related materials. If any of these items are
14 unavailable at this time, they will follow
15 shortly.

16 Gen?

17 MEMBER ROESSLER: Under the
18 second bullet under Recommendations, right in
19 the middle there, shouldn't that be
20 radionuclide specific? It talks about
21 internal doses from exposures, thorium. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think that should be thorium-228, and then⁷⁵
2 neptunium should be neptunium-237? Am I
3 right on that?

4 MR. HINNEFELD: Well, the thorium
5 is -232 and -228. Yes, the neptunium would
6 be neptunium-237, but the thorium, there are
7 the two. It's not just the -228, it's also -
8 232.

9 MEMBER ROESSLER: Okay.

10 MR. HINNEFELD: Or which would
11 include -228.

12 MEMBER ROESSLER: But neptunium
13 should be -237.

14 MR. HINNEFELD: It is, yes.

15 MEMBER ROESSLER: And then
16 uranium shouldn't be capitalized.

17 MEMBER RICHARDSON: Or all three.
18 I believe all three should be capitalized.

19 MR. HINNEFELD: Well, now I
20 believe --

21 MEMBER ROESSLER: They should not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be capitalized. 76

2 MR. HINNEFELD: -- they should
3 not be capitalized. Now you'll make me put
4 the atomic mass on there?

5 MEMBER ZIEMER: Only if it's
6 abbreviated.

7 MR. HINNEFELD: Oh, okay. Okay.

8 CHAIRMAN MELIUS: It's in the
9 health physics bible. I am surprised, Stu,
10 that you would --

11 MR. HINNEFELD: My health physics
12 teacher corrected me.

13 CHAIRMAN MELIUS: Any other
14 changes? Okay. Anything else we need to --
15 oh, yes. Thank you.

16 So we have one more item which I
17 think we can do quickly if I can find Ted's
18 email. That's yesterday's email. We still
19 have the public comments from the last
20 meeting to go over now that Ted has admitted
21 to trying to confuse us.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So the first set of comments were
2 from Terrie Barrie, and these were all
3 directed, most were directed back to the Work
4 Group and actually have been addressed, I
5 think there's one more general comment there
6 as part of that.

7 There was another set of comments
8 from another party there, Joan Stewart.
9 Again these were referred to the Work Group
10 or to NIOSH, and actually NIOSH was already
11 in the process of following up on one of the
12 issues.

13 There's a comment addressed from
14 someone related to INL. That's also been
15 followed up on there. Another set of
16 comments from someone, Stephanie Carroll from
17 Rocky Flats. Again, these have all been
18 addressed mainly through the Work Group or
19 through NIOSH responses.

20 Chris Barker, again had a
21 question about a particular dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reconstruction, and again I think that was
2 referred to DOL which was really issue there.

3 There was comments from [identifying
4 information redacted] read by Terrie Barrie
5 at the meeting regarding the Class Definition
6 and so forth. Somewhat similar to Deb
7 Jerison's comments of earlier here today, or
8 yesterday, excuse me.

9 Another comment from Terrie
10 Barrie asking for more time, but it turns out
11 she didn't need it so it worked out. Some
12 comments from Sandra Baldrige regarding
13 Fernald. Again, I think those were all
14 essentially addressed by our actions at the
15 last meeting, though there's still follow-up
16 going on.

17 So anybody have any comments or
18 questions or concerns based on those
19 responses? Yes?

20 MEMBER BEACH: I'm just going to
21 go to the one from Mound. At the bottom of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Deb's comments and the response it says that
2 "Mr. Johnson will provide a separate response
3 later." Do we have like a time frame on when
4 those are given out or anybody know? Okay.

5 DR. NETON: We might not have the
6 most recent response, but I believe we
7 provided a response to Deb Jerison's
8 comments.

9 MEMBER BEACH: Yes, there was a
10 lengthy response, but then at the very end it
11 said that --

12 DR. NETON: You know, I think
13 that probably shouldn't be there because the
14 response was complete in itself. I think it
15 was a placeholder until we put it in there,
16 so that must have carried over.

17 MEMBER BEACH: Yes, okay. I was
18 wondering what was coming, so thank you.

19 DR. NETON: Yes. No, the
20 response that's there should stand by itself.

21 CHAIRMAN MELIUS: Okay, have I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Board Meeting, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Advisory Board for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 forgotten anything else? And I think that
2 finishes our business for this meeting, and
3 we will talk to everybody on the conference
4 call in December and Kansas City end of
5 January, unless we run into troubles, unless
6 LaVon fails to come through as scheduled.
7 Keep the pressure on, yes. Or yes, I guess,
8 Congress too, yes. Who knows? Well, we'll
9 stay optimistic. Everybody is today.

10 So anyway, thank you all.
11 Hopefully we'll actually also have DOE and
12 DOL back at our next meeting, and a lawyer.
13 Okay, thanks everybody.

14 (Whereupon, the meeting in the
15 above-entitled matter was concluded at 10:01
16 a.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com