

This transcript of the Advisory Board on Radiation and Worker Health, SEC Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the SEC Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL 1
NATIONAL INSTITUTE FOR OCCUPATIONAL
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

SPECIAL EXPOSURE COHORT ISSUES WORKING GROUP

+ + + + +

FRIDAY
FEBRUARY 22, 2013

+ + + + +

The Work Group convened via teleconference at 11:00 a.m., James Melius, Chairman, presiding.

PRESENT:

JAMES MELIUS, Chairman
JOSIE BEACH, Member
PAUL ZIEMER, Member

ALSO PRESENT:

TED KATZ, Designated Federal Official
JOE FITZGERALD, SC&A
STUART HINNEFELD, DCAS
JENNY LIN, HHS
JAMES LOCKEY, SC&A
ARJUN MAKHIJANI, SC&A
JAMES NETON, DCAS
LAVON RUTHERFORD, DCAS
JOHN STIVER, SC&A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

This transcript of the Advisory Board on Radiation and Worker Health, SEC Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the SEC Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

T-A-B-L-E O-F C-O-N-T-E-N-T-S

2

Welcome and Roll Call 3

DCAS white paper: Review of Parameters
Associated with Defining Sufficient
Accuracy 6

DCAS white paper: Feasibility of
Thorium Dose Reconstruction 9

Next Steps/March Board Session 38

Adjourn

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S 3

2 (11:00 a.m.)

3 MR. KATZ: Why don't we get
4 started here?

5 This is the Advisory Board on
6 Radiation and Worker Health, the Special
7 Exposure Cohorts Issues Work Group. And let's
8 do roll call.

9 We have a lot of sites potentially
10 to be talked about today which makes it
11 impractical to address conflict of interest
12 specifically to Board Members and others. But
13 everybody keep in mind what the conflicts are,
14 and please don't speak to an issue on a site
15 for which you have a conflict and I think
16 that'll take care of things.

17 So let's go to roll call,
18 beginning with the Chair.

19 (ROLL CALL.)

20 MR. KATZ: Okay. So just a couple
21 of things in the agenda for this meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that's posted on the NIOSH website under the
2 Board's section under schedules, today's date,
3 and with it there should be two papers from
4 NIOSH DCAS related to today's call, one on
5 sufficient accuracy generically and one on
6 thorium dose reconstruction.

7 Anyone's on the line who's not
8 speaking press *6 to mute your phone if you
9 don't have a mute button. Press *6 again to
10 come off of mute. Thank you.

11 CHAIRMAN MELIUS: Okay. Thank
12 you. And welcome, everybody. I think it's
13 still good morning for everybody on the phone.

14 The issue we're going to discuss
15 today is really in follow-up to NIOSH's ten-
16 year review. And one of the issues in the
17 ten-year review was to try to sort of develop
18 a definition or parameters for what was meant
19 by sufficient accuracy because that is
20 something that has continually come up
21 particularly in our reviews of Special

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Exposure Cohorts at various sites, but there
2 is also pretty central about how dose
3 reconstruction is done, and essentially the
4 entire DCAS program.

5 So NIOSH is working, I believe
6 with ORAU, who has produced White Papers that
7 we'll talk about in a second. It got to the
8 Board -- what -- sometime in the last several
9 weeks. And so Board Members had time to
10 review them. We've not had any sort of formal
11 technical review from SC&A, although we did
12 ask them to sort of familiarize themselves
13 with the two White Papers.

14 I think what we want to accomplish
15 today is sort of hear a little bit more about
16 where NIOSH thought they were -- or why they
17 thought these papers might be helpful in
18 looking at this issue and try to have some
19 discussion of what do we think would be the
20 best approach to address the issue of
21 sufficient accuracy, and then finally, how do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we want to work with the other members of the
2 Board on doing that.

3 So I think it would be helpful
4 first if -- and I don't know who, Stu, from
5 your group wants to talk -- at least a brief
6 introduction on these two papers or what you
7 saw them accomplishing and how you thought
8 they might be helpful.

9 MR. HINNEFELD: Well, okay. I'll
10 speak very briefly about the general one --
11 the view of parameters associated with
12 defining sufficient accuracy, and say that in
13 response to the ten-year review item which
14 talked about coming up with some sort of
15 clarity about what does it mean to be
16 sufficiently accurate, we've worked on that or
17 thought about that for a while and kind of
18 concluded that we didn't have really a better
19 definition than we had back when we wrote the
20 regulations a number of years ago -- the
21 regulations for SEC. It's just very difficult

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 given the variety of situations that you run
2 into in terms of exposure potentials and
3 records availability. It's really difficult
4 to come up with a nice definition.

5 But we thought what we could do
6 would be to assemble, for lack of a better
7 term, a sort of case law situation that sort
8 of documents the decisions that have been made
9 in the program so far and to try to be able to
10 assemble from that, maybe, some sort of
11 guidance for consistent application --
12 consistent decision-making -- as we proceed so
13 that we have sort of at least a standard to
14 shoot for.

15 The idea here was to have what is
16 the standard you're shooting for in terms of
17 sufficiently accurate. And lacking the
18 ability to really provide a good definition
19 for that, we thought sort of a careful look at
20 decisions that have been made up to date and
21 then so we have a guideline to continue to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 operate in accordance with those decisions,

2 So that was the idea behind doing this.

3 And other than that, I don't
4 expect I'll be saying much today. So other,
5 more specific questions and information I
6 think can be probably best answered by LaVon
7 or maybe Jim.

8 CHAIRMAN MELIUS: So you're
9 putting LaVon on the spot?

10 MR. HINNEFELD: Yes. You bet. He
11 knew coming in that he was.

12 MR. RUTHERFORD: Yes, that's
13 right.

14 And specifically on that, we did
15 look at the past year's worth of Secretary's
16 determinations and designations for the
17 parameters that drove either the feasibility
18 or the denial of a class. And we tried to lay
19 all of those out and see if we could come up
20 with some items that were routinely seen and
21 then feasibility determinations or some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 parameters that we could pull together. 9

2 And I think ultimately if you
3 review the paper and looking at the paper, you
4 find that really what we see is in an
5 evaluation, you follow the hierarchy of dose
6 reconstruction looking for information to
7 determine whether dose reconstruction is
8 feasible and not. And you work through those
9 parameters. And what we found out is you just
10 could not, because there's so many different
11 factors in making that determination and so
12 many different data points that come in, you
13 can't define specific items that really drive
14 the infeasibility. It's just a case-by-case
15 basis. And that's why it makes it difficult
16 to define, any further, sufficient accuracy.

17 CHAIRMAN MELIUS: What do you
18 think was added by the thorium?

19 MR. FITZGERALD: Well, our thought
20 was, geesh, we have designated so many classes
21 because of our infeasibility to do thorium,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and thorium has driven so many of these¹⁰
2 determinations and designations, we thought
3 well, we've looked at this so much. Maybe we
4 can just pull together specific criteria for
5 thorium that can be used that could make our
6 decision process quicker or more timely in
7 future evaluations if we pull together and
8 summarize these factors.

9 But I think ultimately in the end
10 when the paper was finished, you'd come back
11 to the issue. It's really case-dependent.
12 There's a number of situations that are laid
13 out in the thorium paper -- either
14 infeasibility or denials of classes where
15 we've determined it is feasible. And it's
16 case by case. But you still follow the same
17 hierarchy for dose reconstruction.

18 CHAIRMAN MELIUS: Yes. I'm glad
19 you agree with me because I was a little
20 frustrated by the papers because I'm not sure
21 that -- you're right. You end up looking at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 just what are the facts at a particular site₁₁.

2 And, you know, that doesn't necessarily help
3 -- that doesn't at least appear to help the
4 actual issue of how to evaluate the sufficient
5 accuracy because if you compare the different
6 sites, it really is dependent on what
7 information is available at those sites and
8 what the circumstances were for the use of
9 thorium, what other materials were used and
10 what was the nature of the monitoring at that
11 site that make it difficult.

12 Any other Board Members have some
13 general views of whatever or reflections?

14 MEMBER ZIEMER: I have some
15 comments that I'd like to insert at some point
16 if this is an appropriate point.

17 CHAIRMAN MELIUS: I think it is.

18 MEMBER ZIEMER: Well, first of
19 all, I do like the concept of the case-law
20 approach to this thing. I hadn't thought of
21 that term but I think it describes an approach

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pretty well. 12

2 And when I read in the two papers
3 and then thought about it, I said to myself,
4 what question are we really trying to answer
5 or what is the end point going to look like.
6 And it seemed to me that we're trying to say
7 what are the characteristics of sufficient
8 accuracy or what are the criteria that must be
9 met to achieve it. And it seems to me that in
10 the two papers we've gotten so far, although
11 very simply descriptive, they have been able
12 to identify some factors that might lead us to
13 a -- maybe a more concise conceptual
14 framework, and it might even parallel what we
15 did for surrogate data where we said, you
16 know, it had some criteria to see if we've met
17 those criteria.

18 And so what I'm thinking about is,
19 if you ask the question what are the
20 characteristics of sufficient accuracy and
21 could ask that in the general sense -- and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think LaVon's paper has identified some of
2 those characteristics, like you've shown that
3 you've monitored most of the exposed
4 workforce, or you have eliminated methods that
5 resulted in implausibly high values -- things
6 like that. You might be able to identify the
7 characteristics of it.

8 And then you might also ask the
9 same question for special cases. And insofar
10 as thorium may have additional sort of
11 characteristics, those could be identified as
12 well.

13 So I'm thinking in terms of a kind
14 of a framework that these two papers might
15 represent a first step toward defining what
16 that framework might look like and sort of ask
17 ourselves moving forward are they tests for
18 sufficient accuracy that we can apply -- for
19 sort of data analysis, as we go back and say
20 have we met these tests? And I'm asking
21 myself, can we do that for sufficient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 accuracy. Are there methods that we can say₁₄
2 have we met them and how well have we met
3 them? Or if we haven't, is there a way to
4 meet them?

5 Those are kind of some thoughts
6 that came to me to try to focus beyond the
7 descriptive stuff. And that could frame out
8 in terms of what they described as the case
9 law. You use the cases as a background.

10 We have in essence made the
11 decision based on whether we believe we have
12 met similar laws of these criteria even though
13 they may not be fully spelled out.

14 So, those are my initial comments.

15 CHAIRMAN MELIUS: No, I think I'm
16 on the same track.

17 But Josie, do you have any?

18 MEMBER BEACH: No. This is Josie.

19 I agree with what you said, Jim,
20 and also those ideas from Paul. Those sound
21 like reasonable ideas. I don't have anything

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 else to add, though. 15

2 CHAIRMAN MELIUS: Okay. Let me
3 try. Maybe it's an example. But one is a
4 comment.

5 I think this is sort of a key
6 concept because sufficient accuracy also goes
7 to I think two other efforts that DCAS is
8 involved in from your ten-year review. One is
9 what is claimant-friendly and how do you make
10 that operational in this program. And
11 secondly, another issue you're working on is
12 the co-worker models.

13 And so to do that, develop
14 parameters for co-worker models really comes
15 back to very weak or sufficiently accurate
16 dose estimates of that. So I think to some
17 extent, even to address those issues, we have
18 to sort of come to grips with sufficient
19 accuracy.

20 But where I thought you were
21 originally going to go with these papers, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 maybe it's just because I was thinking of some¹⁶
2 recent examples where the Board had reached
3 various determinations on SEC evaluations was
4 right now, yes, we do have -- so what's a
5 plausible -- is it a plausible upper bound.
6 And I think clearly if we're able to
7 quantitate sufficient accuracy a way, or some
8 parameters on it that bounding or the variance
9 or whatever would be sort of part of that.
10 Say something is accurate if it meets some
11 certain parameters in terms of variance or
12 bounding around what you believe to be the
13 actual value. So I think that's sort of
14 fundamental to the concept.

15 But if you look at how we've
16 approached this, when there are circumstances
17 where, so the absolute value of the exposure
18 is relatively low. And let's say just in
19 general for residual exposure periods at these
20 sites. We tend to be able to accept a much
21 more general upper bound. We're not trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 individualize exposures as much because we
2 don't think that those exposures will make --
3 or is likely to make a significant
4 contribution to the person's overall dose, and
5 therefore their risk or Probability of
6 Causation.

7 And in other circumstances where
8 the absolute value of the exposure may be much
9 higher, then I think we're much more concerned
10 on how accurate these dose estimates may be
11 whether it be from a co-worker model or from
12 some other approach that they're using or how
13 that is being applied to the population that's
14 being evaluated. I guess the example that
15 comes to my mind offhand is one of the Linde
16 SECs where we had a fairly good set of
17 monitoring data on some of the cleanup and
18 renovation activities, but that only covered
19 one part of the population. We had another
20 large part of the population at that same
21 facility at the same time that we had no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 information on. And we couldn't tell who was ¹⁸
2 who. In fact, the larger population -- the
3 production population -- most likely had
4 relatively low exposures unless they went into
5 the contaminated buildings, particularly if
6 they went in there during the renovation
7 periods and active cleanup that was going on.

8 And in that case, the potential
9 for exposure absolute value was fairly high,
10 at least for the cleanup and renovation. And
11 then we had another population where it was
12 probably very low. I think the other
13 population -- I saw in the production
14 population, we consider as part of a residual
15 period or relatively exposure and would have
16 accepted a very general approach to
17 reconstructing their exposure, where, for the
18 people doing the cleanup and the renovation in
19 the one building, we saw that they would have
20 much higher exposure, we'd want much more
21 accurate information or robust data on their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exposures in order to be able to say their
2 doses could be reconstructed with sufficient
3 accuracy.

4 And you mix the two together and
5 you really had sort of two different
6 populations mixed together -- one with a low
7 exposure, one with a probably higher exposure,
8 and an inability to separate the two.

9 But it seems to me that if you
10 look back at all of our decisions for a period
11 of time -- and I think it also goes to our
12 evaluation of dose reconstruction. If the
13 absolute value of the exposure is relatively
14 low, then we're willing to accept more
15 variability in the dose if it's being
16 calculated for an individual. And if the
17 exposure's absolute values are higher, then
18 we're looking for a more accurate dose
19 reconstruction method. And then I think we're
20 also wanting to take into account the
21 variability of exposures within the population

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that we're evaluating. So that's sort of ^a20
2 second parameter.

3 So it seems to me that going back
4 to what Paul was saying that we could develop
5 a set of guidelines, one of the things you'd
6 look at is what's the absolute value of the
7 exposures that you're looking at for this
8 population trying to do dose reconstruction.
9 That would be one thing to take into account.

10 The second thing might be the variability of
11 that exposure within the population that
12 you're assigning those doses to.

13 And then there's probably some
14 more. The hierarchy of monitoring, also an
15 exposure assessment probably also fits into
16 that.

17 MEMBER ZIEMER: Jim, this is
18 Ziemer. You're suggesting perhaps that even
19 the concept itself may be somewhat different
20 in terms of the exposure level. That is we
21 consider sufficient at very low doses may look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different than what we think was sufficient at
2 high doses.

3 Am I understanding that clearly?

4 CHAIRMAN MELIUS: Correct. Yes.
5 We think about it in terms of we --

6 MEMBER ZIEMER: We certainly
7 actively assess those levels when we make
8 decisions. Yes.

9 CHAIRMAN MELIUS: Yes. I don't
10 know if we can quantify it precisely. But I
11 certainly --

12 MEMBER ZIEMER: No. But it could
13 be characterized, I think.

14 CHAIRMAN MELIUS: Yes.

15 MEMBER ZIEMER: I would think in
16 terms of what the characteristics are. I'm
17 not sure you put numbers with these things.

18 CHAIRMAN MELIUS: Well, no, I
19 don't think you would. But you certainly do
20 that because if the absolute exposure is
21 relatively low, what percentile you apply to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it -- is it 95th or 90th or whatever 22
2 doesn't really make that much difference in
3 terms of the actual effect on the exposure
4 you're assigned or affect the Probability of
5 Causation. Whereas a much higher exposure --
6 how you characterize that exposure in terms of
7 95th percentile of whatever parameter you're
8 using is going to make a very significant
9 difference in terms of their estimated
10 exposure, the Probability of Causation --
11 however you want to determine it.

12 And I think we've been operating
13 that way for a while in terms of making our
14 evaluation. I don't think we're always so
15 consistent about it, but I think we've tended
16 to be able to reach agreements on it.

17 Is that making sense to Stu or Jim
18 or LaVon?

19 MR. RUTHERFORD: Yes, it is. It
20 does make sense to us. And I do agree with
21 you that I think that we have been behaving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that way. 23

2 And I think the paper hasn't
3 specifically called that situation out. But I
4 think that is good characteristics that could
5 be added. I don't know if Stu or Jim will
6 answer that.

7 DR. NETON: I agree with what
8 you're saying. We've been behaving that way.

9 I guess I need to think about how that tracks
10 back to the rule and the definition of health
11 endangerment.

12 CHAIRMAN MELIUS: I think that
13 part of the reason that we're at this
14 difficulty is that we are at a level or
15 quantification for health endangerment. So
16 health endangerment doesn't help us get out of
17 this or address the situation to any --

18 DR. NETON: I understand that.
19 Without the definition --

20 CHAIRMAN MELIUS: But it's
21 implicit in it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes. 24

2 CHAIRMAN MELIUS: It's implicit in
3 it. But we've never had a way of
4 operationalizing or whatever you want to call
5 it or using health endangerment as a
6 parameter. And when we have tried to do it
7 with short-term exposures, we get tied up
8 among ourselves pretty well on that and
9 haven't been able to do that. And some of
10 that is the nature of the regulation.

11 I think what I was saying was very
12 compatible with the current regulation.

13 DR. NETON: I don't necessarily
14 disagree. I just need to think about it. But
15 --

16 CHAIRMAN MELIUS: Yes. I'm not
17 trying to get you to agree or disagree. But I
18 think it is -- what is a plausible upper
19 bound. We all know we can upper-bound
20 anything. So this always come out with sort
21 of what's the plausibility of that. And then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's some other verbiage in the Act that²⁵
2 puts a little different twist on that.

3 And I think bounding sort of makes
4 sense because we know that we can't do an
5 absolute accurate estimate of dose. And we're
6 always estimating dose.

7 DR. NETON: I agree.

8 CHAIRMAN MELIUS: And you
9 calculate all the factors. When you do dose,
10 you essentially look at all those factors.

11 DR. NETON: Agreed.

12 CHAIRMAN MELIUS: Provide
13 variabilities of the measurements that you're
14 using. So I think that's fine.

15 I think the question is, does it
16 improve our ability to make sort of consistent
17 and fair decisions.

18 DR. NETON: Right. I think what
19 we're really saying is it's easier to define a
20 plausible upper bound as the exposures get
21 lower and lower.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MELIUS: Yes. 26

2 DR. NETON: You're not getting
3 into the realm of ridiculous levels of
4 exposure. You're just saying well, it's low
5 and it's certainly no lower -- it could be
6 this low and maybe this high. When you get in
7 the very high-end exposures, that's when it
8 doesn't pass the laugh test, so to speak.

9 CHAIRMAN MELIUS: Yes.

10 DR. NETON: So I think we can work
11 with this.

12 CHAIRMAN MELIUS: Yes. And in the
13 two White Papers, I think it's captured in
14 some of the examples in there.

15 DR. NETON: Yes, it is.

16 CHAIRMAN MELIUS: Yes. I think it
17 actually comes up all the time. And we may
18 have verbalized it more recently but it's
19 always been part of how we've approached
20 things. It tends to get lost though because a
21 lot of them are the same. A lot of it comes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to what are the circumstances at a particular²⁷
2 site for all the various exposures we're
3 looking at.

4 MEMBER BEACH: Well, Jim, this is
5 Josie.

6 I might be totally off here. But
7 one thing that comes to mind is we make a lot
8 of judgments on professional judgment. And I
9 just wonder how that fits in, or if it doesn't
10 at all.

11 CHAIRMAN MELIUS: Well, I think
12 it's sort of the same thing. If your
13 professional judgment is about -- let's call
14 it low dose -- issue, then you've got more
15 leeway in making that. It's less concern. If
16 it's about a very high-dose situation, then I
17 think you'd want to be more careful in your
18 professional judgment.

19 Now again, professional judgment -
20 - if the dose reconstructors are doing it, it
21 also takes in a lot of other factual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information -- what they know, where the
2 practice is, how things are done -- things
3 that may not be necessarily captured in all
4 the PoCs and so forth. I mean, you can't get
5 guidance on every absolute detail.

6 MEMBER BEACH: No, I guess I look
7 at it as more of a consistency.

8 CHAIRMAN MELIUS: Yes. And how do
9 we make it consistent? And you make it
10 consistent I think if you focus on where it's
11 most important to have consistency.

12 MEMBER ZIEMER: Well, I think
13 Josie's right. Professional judgment will
14 always be part of it in any regard. This kind
15 of a concept -- sufficient accuracy -- is
16 never going to be a very sort of a precise,
17 like a number, that if you achieve this number
18 or something like that.

19 But this is going to always
20 require some professional judgment. I think
21 that you're right that what we're looking for,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Josie, is consistency in how we go about²⁹
2 making that decision. And I think doing this,
3 not only having -- there is a pretty good
4 definition in the regs. I have looked at it
5 several times again in the last couple weeks.

6 And I think the regulation is fine. It's how
7 we apply it and do we apply it consistently.

8 And in fact, can we depict
9 something that is in place to -- personally, I
10 like the idea of having sort of a set of
11 criteria that we can say this is how we go
12 about it. It's still going to be a judgment.

13 But this is how we go about reaching our
14 judgments on this and these are the parameters
15 that we look at.

16 And I think we're making a good
17 first step here with these papers to build on,
18 and maybe NIOSH can go back and develop this
19 further. SC&A can help us with some of these
20 ideas as well. But -- anyway.

21 CHAIRMAN MELIUS: SC&A, you've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been quiet, so we'll give you an opportunity³⁹
2 to weigh in, if you'd like.

3 MR. STIVER: This is John Stiver.

4 I think you guys are right on the
5 money.

6 The kinds of decisions that are
7 made have a larger impact, getting the
8 compensation decision right. That will be the
9 biggest impact on the PoC action is what are
10 the more refined types of the determination's
11 going to be made.

12 From our standpoint, when we do
13 SEC Evaluation Reports, the two things that
14 always come up, the decisions seem to turn on
15 the issues of the completeness and adequacy of
16 the data set for the particular site -- the
17 particular exposures that are there. In terms
18 of adequacy, it's really not so much the
19 amount of data that's available but does it
20 really provide a meaningful interpretation of
21 what the actual exposures were.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And an example that comes to mind³¹
2 for that is this recent determination for
3 Fernald for the thorium. At first blush, it
4 looks like you've got a lot of data --
5 thousands of data points for the material of
6 interest -- which I'm looking into the basis
7 for that. We found that it really didn't tell
8 us anything about the actual exposures.

9 And so there's that aspect, and
10 there's also the completeness. There's kind
11 of a three-dimensional array of whether all
12 the job types and time periods and locations
13 can be adequately covered, given the fact that
14 you have adequate data.

15 So in my mind, that's how we
16 approach it. Now, it's more of the I guess
17 the yeoman's aspect as opposed to looking at
18 the big philosophical picture. What exactly
19 does it mean? That's kind of how we come to
20 our determination.

21 And maybe Arjun or Joe might want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to weigh in on that. 32

2 DR. MAKHIJANI: A couple of
3 thoughts. I agreed with the low/high dose
4 when you get the doses are stratespherically
5 high then it's kind of a subjective you-know-
6 it-when-you-see-it, implausible definition
7 without putting a number on it.

8 But there's also the accuracy
9 problem. It's not only when it's
10 unrealistically high. It is the attribution
11 of a particular material or surrogate
12 radionuclide or is the placement of the worker
13 reasonable. So you could in the example that
14 is given at the bottom of page one -- gross
15 alpha measurements that were primarily caused
16 by uranium resulted in unrealistically high
17 thorium exposure. Well, if it was not
18 reasonable to apply uranium intakes to
19 thorium, then in my mind, it doesn't matter
20 whether it's unrealistically high or not.
21 It's just scientifically not plausible to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 apply that because it doesn't apply to the ³³
2 situation.

3 And so the problem of accuracy
4 where sufficient accuracy is being defined as
5 one phrase. But there is the accuracy part of
6 it, and it seems in some situations it's
7 inaccurate to do something regardless of
8 whether you get high or low results. In the
9 case that you were discussing earlier, it
10 seems reasonable to do it that way.

11 And so, a couple of other -- NIOSH
12 often says that we know the highest exposed
13 workers were monitored. And in one of the
14 examples actually, NIOSH said that highest
15 exposed workers were not monitored. Now
16 correct me if I'm wrong. I'm doing this from
17 memory of reading the paper. But the
18 demonstration of that has turned out to be
19 quite difficult in our reviews, and we've had
20 extended discussions of that. It might be
21 useful to try to narrow that down as to what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 kind of objective evidence from the site do we
2 need regarding their monitoring practices
3 before we can make that assertion.

4 And then placing workers in the
5 situation, you may have a lot of monitoring
6 data but can you place workers in the
7 situation where they had exposure potential,
8 especially for surrogate radionuclides? I
9 think pretty important.

10 And the last sort of minor
11 comment, I thought it would be important to
12 have the Board's surrogate criteria explicitly
13 referred to in these papers.

14 MR. FITZGERALD: Yes. This is
15 Joe. And I agree with what my colleagues have
16 brought up as well.

17 But you know, in my experience
18 it's a two-step process. And I think this has
19 been outlined by Arjun and John as well.

20 The first step is really a
21 deliberation on weight of evidence which gets

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 down to the actual completeness of the ³⁵
2 documents, whether the information itself --
3 the data -- would support a concern.

4 And once we pass a threshold where
5 one way or the other there's agreement that
6 there's a sufficient weight of evidence that
7 there is an issue, that's when we get into
8 this question of sufficient accuracy. Then it
9 becomes a question as to whether the analytic
10 approach to dose reconstruction that's being
11 proposed would give you an estimate that's
12 sufficiently accurate.

13 Having spent years in the first
14 phase in terms of weight of evidence, looking
15 at some of the cases that are outlined in
16 LaVon's paper, I think from experience some of
17 those actually were more a question of weight
18 of evidence versus technical accuracy. And
19 the reason I raise that is because I guess a
20 case-study approach was mentioned earlier sort
21 of similar to the surrogate analysis policy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 that was laid out before. 36

2 And I think the challenge on this
3 one, going back to an earlier comment by Paul
4 Ziemer, is if you come up with some approach,
5 you have to be sure that that approach is
6 based on apples and apples, and a case-study
7 approach is one that's going to have to be
8 based on apples and apples. I think in this
9 case there's some that really were leaning
10 more toward is there sufficient evidence. For
11 example, at Mound, that you had chronic
12 exposures or exposure potential from those
13 internal nuclides. That's number one -- the
14 first example that's provided.

15 The Work Group could not prove
16 that there was in fact any chronic exposure or
17 exposure potential. There wasn't any bioassay
18 data. There really wasn't sufficient evidence
19 one way or the other. So at a certain point,
20 there was no need to go further because there
21 just was no way to ascertain that question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So that's the only cautionary note³⁷
2 I would make that in terms of weight of
3 evidence, that's a different question than the
4 technical accuracy question that we're getting
5 into here. And I think we've got to be
6 careful if we're basing a policy to make sure
7 it's based on that second phase of the
8 technical accuracy of the analytic approach
9 that's taken for dose estimation.

10 But beyond that, I think the
11 question of consistency and tying that to the
12 potential dose itself makes a lot of sense. I
13 think that has a lot of merit.

14 CHAIRMAN MELIUS: Yes. Going back
15 to Arjun's comment, I think it's sort of a
16 Linde example that I was giving and it really
17 maybe convoluted different concepts.

18 But we saw two issues. One is --
19 and I think it's gotten better recently but a
20 lot of it's been initially it was, well, as
21 long we can do it in upper bound and that's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plausible upper bound for the highest exposed³⁸
2 individuals, then that method was okay, and we
3 didn't really look at how that upper bound was
4 -- the population it was being used for. And
5 so it may be a plausible upper bound for a
6 certain group, but it really may not be a
7 sufficiently accurate plausible upper bound
8 for the others in that same population.

9 And we started to look more I
10 think at the population being evaluated. I
11 think that is the critical issue with the co-
12 worker models is sort of what's the right sort
13 of -- what level of detail, how far do you
14 have to go down in terms of the
15 characteristics of the people -- the workers
16 that you put into that model in order to have
17 that model be sufficiently accurate upper
18 bound.

19 So I think that's sort of another
20 parameter is how is this being applied to the
21 population. What's the nature of that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population, and does that really cover the ³⁹
2 entire population, and then how much leeway do
3 you give on that, basically? Because there's
4 always going to be some variability in
5 whatever methodology you use. So it's not
6 fair to say that you have to always separate
7 out the lowest from the highest exposure and
8 have different parameters. Often, you can't
9 identify who that is, so that's why you're
10 doing some sort of an estimate method. But I
11 think you have to take that into account in
12 some way.

13 MEMBER ZIEMER: Yes, I would
14 agree. This is Ziemer. I think whenever you
15 can do, it makes sense. The problem is you
16 can't always do it.

17 But again, that's another issue
18 that could be part of the characteristics.
19 We're already going in the direction of sort
20 of identifying what it would look like.

21 CHAIRMAN MELIUS: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Any other comments or thoughts? 40

2 (No response.)

3 CHAIRMAN MELIUS: Then how do you
4 want to proceed?

5 MR. HINNEFELD: This is Stu.

6 I think from our standpoint, what
7 we hear is there's a follow-on document that
8 we need to prepare. And it will be an
9 attempt, because we certainly value the advice
10 we get.

11 First of all, I want to thank
12 everybody for weighing in on this. This ten-
13 year program review item ostensibly is our
14 item, but we do value the advice we're getting
15 here, and we want this to be the most useful
16 that we can do.

17 We have a follow-on document to
18 prepare which is to describe the
19 characteristics of sufficient accuracy as Paul
20 said. And then that would follow from what we
21 have done so far and provide a follow-on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 document for people to review to see if we've
2 caught that.

3 And if anyone feels like there are
4 things that they really feel should be
5 addressed in this, we'd appreciate you sending
6 those to us. You can send them to LaVon, Jim
7 and me or to any one of us, and we'll share
8 among ourselves in order to assist us in doing
9 that.

10 Now we can do it if left to our
11 own devices, and you guys can review what we
12 do. It would work that way as well.

13 CHAIRMAN MELIUS: Can I suggest
14 something -- sort of a modification of that --
15 that I think might be helpful?

16 It would be if NIOSH developed an
17 outline of what would be in that document:
18 what are going to try to cover in that, what
19 needs to be part of that? The idea we come up
20 with is something similar to what was done for
21 SEC evaluations and then what both NIOSH and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the Board have done for surrogate data⁴²
2 evaluations.

3 But developing an outline or a
4 shorter document that maybe didn't have as
5 much detail in it but we definitely would do
6 another Work Group meeting to discuss that
7 document.

8 MEMBER ZIEMER: Jim, as sort of a
9 framework to flush it out, I think that makes
10 sense.

11 CHAIRMAN MELIUS: Yes. I hate to
12 have you spend a lot of time and effort
13 developing something and then we're all saying
14 well, no, you missed this or it's not quite
15 right or whatever and do that. And it may
16 also be helpful to at least after one
17 iteration bring it back to the Board for
18 comment to see if other people have ideas.

19 I think one, it's a very important
20 key concept. And we're wrestling with it all
21 the time. So even having some discussion of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it by the Board itself I think would be
2 helpful. I don't think we're quite ready for
3 that yet. But we'll talk about some at the
4 next, upcoming meeting. It may be more
5 procedural.

6 But I think if we had sort of an
7 intermediate step where you produce a two- or
8 three-page -- whatever it will -- it will be
9 sort of an annotated list outline that would
10 hit some of how you think it would be
11 organized and what would be the key concepts.

12 And then we have a meeting to discuss that.

13 And then the next step would be to
14 flesh that out. I think we'll want more
15 detail.

16 MR. HINNEFELD: Okay. That sounds
17 like a good idea to us.

18 CHAIRMAN MELIUS: Yes.

19 DR. MAKHIJANI: Dr. Melius, may I
20 make a comment?

21 CHAIRMAN MELIUS: Go ahead, Arjun.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAKHIJANI: I want to pick up
2 on something that John and Joe alluded to
3 earlier and kind of try to cast it in a little
4 bit different words.

5 A lot of the difficulties that
6 we've had and a lot of the time in SEC reviews
7 is spent on disagreeing and then agreeing as
8 to whether something is an issue or not before
9 you get to the sufficient accuracy question.
10 I mean, the thorium issue at Savannah River
11 Site is a very good example.

12 For a couple of years, we argued
13 about whether it was an SEC issue or not and
14 whether and how much thorium handling had
15 happened. And I think a lot of sort of that
16 threshold that Joe was talking about where
17 something is bumped up to an SEC issue, where
18 a lot of the difficulties occur before we get
19 to the -- is it sufficiently accurate or is
20 upper bound so high that it's not credible and
21 so on. I don't know how you want to address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that, but it might be useful. It's not⁴⁵
2 strictly in the sufficient accuracy
3 definition. So maybe you don't want to
4 include it right now.

5 CHAIRMAN MELIUS: My immediate
6 reaction is I agree it's an issue and it's
7 probably the most frustrating issue we have,
8 particularly at these larger sites.

9 DR. MAKHIJANI: Right.

10 CHAIRMAN MELIUS: Because we want
11 to try to focus on what important issues for
12 an SEC petition which covers a large site.

13 And even with all the work that's
14 been done on a site up until now, we have
15 trouble doing that. We have trouble sorting
16 through and sort of figuring out what those
17 are, short of the usual way which is to go
18 through issue by issue and develop it.

19 Maybe this will help, but I'm not
20 sure if this would very much. But I think
21 maybe we can think about that when we look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the outline and we've all thought about this^a₄₆
2 while. And maybe they'll be things that'll
3 come up then.

4 But I agree with you, Arjun, it's
5 important. But I have trouble thinking how
6 this -- because some of it's factual, some of
7 it's the circumstances. Some of it's sort of
8 peeling back what may appear to be a
9 reasonable dose reconstruction method, but
10 when you look at it in more detail -- so the
11 SEC at Fernald, I think took some peeling back
12 and evaluation to sort of focus at least on
13 the one we've done so far.

14 DR. MAKHIJANI: Yes. No, I agree
15 with you. I think maybe it's not an issue
16 that's amenable to any general rules
17 especially for the large sites that we've been
18 dealing with like Hanford and Savannah River
19 and Rocky Flats.

20 CHAIRMAN MELIUS: Yes.

21 DR. MAKHIJANI: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, SEC Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the SEC Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1 CHAIRMAN MELIUS: I mean, there⁴₇
2 a lot of times when we see a petition come in
3 or take a first look at the slides that are
4 being presented, well, we really should focus
5 on this and that's the key issue for the SEC.

6 And the batting average is pretty low.

7 (Laughter.)

8 And I think NIOSH -- and I think
9 everybody has the same -- I'm hoping it's not
10 just me.

11 DR. MAKHIJANI: No, I agree. It's
12 very difficult.

13 MR. STIVER: This is John Stiver.
14 I agree with you 100 percent.

15 I'd like to add one thing. We've
16 just recently completed the review of ORAU
17 Clarksville report, the SEC, Special Exposure
18 Cohort, which was put out in 2005. And we
19 have two appendices in there that might be
20 useful --

21 CHAIRMAN MELIUS: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. STIVER: -- particularly for⁴⁸
2 NIOSH in developing their framework.

3 The first appendix is an example
4 of the strategies that we've used in reviewing
5 completeness and adequacy of the records. And
6 the other would be examples of the strategies
7 in analyzing allegations of corrupt data or
8 data falsification.

9 And it kind of lays out the
10 framework that we go through. So it may be
11 useful to look at this in developing the
12 framework.

13 CHAIRMAN MELIUS: Yes.

14 MR. HINNEFELD: John, this is Stu.
15 What was the specific document
16 that you reviewed that had the two appendices?

17 MR. STIVER: Okay. I can actually
18 send it out to you.

19 It was prepared in October 2012.
20 It's called, Review of ORAU Clarksville Report
21 Special Exposure Cohort, Revision 00, October

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 17, 2005.

49

2 MR. HINNEFELD: Okay.

3 MR. STIVER: Actually, Special
4 Exposure Cohort, Revision 00, October 7, 2005.

5 MR. HINNEFELD: Okay. Thanks.

6 MR. STIVER: Okay. And I can go
7 ahead and send it out to the group here.

8 CHAIRMAN MELIUS: Yes, that would
9 be good.

10 MR. STIVER: Okay. Thanks.

11 CHAIRMAN MELIUS: Great.

12 Anything else? Thoughts?

13 (No response.)

14 CHAIRMAN MELIUS: If not, I will
15 talk to Stu and Jim and put together a
16 presentation for the Board meeting in Augusta
17 just sort of outlining what we've been talking
18 about and then what we see the next steps are.

19 MR. STIVER: Okay. That sounds
20 good.

21 CHAIRMAN MELIUS: I think this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 helpful, but I think if we sort of go step⁵⁰
2 wise, I think we can get there put together
3 which I think is important on this one also.

4 MR. HINNEFELD: I agree.

5 CHAIRMAN MELIUS: We should be
6 able to after how many years it's been,
7 wrestling with these issues.

8 Agreed?

9 MR. STIVER: Agreed.

10 CHAIRMAN MELIUS: Okay. Thanks,
11 everybody. And have a good weekend. And
12 we'll see you all in Augusta.

13 Ted, any last words?

14 MR. KATZ: No, I thought that was
15 a great discussion.

16 CHAIRMAN MELIUS: I wanted to give
17 you a chance to say something, you know.

18 Okay. Thanks, everybody.

19 (Whereupon, the above-entitled
20 matter went off the record at 11:54 a.m.)

21

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, SEC Issues Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the SEC Issues Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1
2
3
4

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701