

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
CENTERS FOR DISEASE CONTROL  
NATIONAL INSTITUTE FOR OCCUPATIONAL  
SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND  
WORKER HEALTH

+ + + + +

WORK GROUP ON TBD-6000

+ + + + +

FRIDAY  
APRIL 26, 2013

+ + + + +

The Work Group convened via teleconference, at 10:30 a.m., Eastern Daylight Time, Paul L. Ziemer, Chairman, presiding.

PRESENT:

PAUL L. ZIEMER, Chairman  
JOSIE BEACH, Member  
DAVID KOTELCHUCK, Member  
JOHN W. POSTON, SR., Member  
WANDA I. MUNN, Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

TED KATZ, Designated Federal Official  
DAVE ALLEN, DCAS  
BOB ANIGSTEIN, SC&A  
BOB BARTON, SC&A  
DAN CHUROVICH  
SAM GLOVER, DCAS  
JOSH KINMAN, DCAS contractor  
JENNY LIN, HHS  
JOHN MAURO, SC&A  
DAN McKEEL  
JIM NETON, DCAS  
JOHN RAMSPOTT  
JOHN STIVER, SC&A  
BILL THURBER, SC&A  
TOM TOMES, DCAS

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

## TABLE OF CONTENTS

Welcome and Roll Call .....	4
(Ted Katz and Paul Ziemer)	
GSI .....	8
a. Presentation of DCAS April 2013 White Paper .....	8
b. SC&A Review .....	23
Baker Brothers .....	192
a. DCAS Responses to SC&A Review Points .....	192
Joslyn Manufacturing & Supply Co. ....	n/a
Simonds Saw and Steel .....	210
a. DCAS Responses to SC&A Review .....	210
Adjournment .....	262

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 P-R-O-C-E-E-D-I-N-G-S

2 10:29 a.m.

3 MR. KATZ: Okay. I think this is  
4 close enough to time, and we probably have  
5 everyone online. Good morning, everyone.  
6 This is the Advisory Board on Radiation and  
7 Worker Health. This is the TBD-6000 Work  
8 Group. Let's get started with roll call.  
9 We're speaking about specific sites, so all  
10 agents and related people please speak also of  
11 the conflict of interests. And let's go with  
12 Board Members.

13 (Roll call.)

14 MR. KATZ: There is an agenda for  
15 this meeting that's posted on the NIOSH  
16 website under the meetings section under  
17 today's date, along with several papers that  
18 are going to be discussed for the four  
19 different sites.

20 Before I turn it over to the  
21 Chair, just let me, for phone etiquette,  
22 there's a lot of background noise already on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 this phone line, so, please, everyone,  
2 everyone who's not speaking should mute their  
3 phones. If you don't have a mute button,  
4 press \* and then six. That will mute your  
5 phone. And then pressing \* and then six again  
6 will unmute your phone. But, please, mute  
7 your phone while you're listening because the  
8 background noise is difficult. That sounds  
9 much better already.

10 And, also, for everyone on the  
11 phone, remember don't ever put the call on  
12 hold. Just hang up and dial back in if you  
13 need to, but putting the call on hold will  
14 disrupt the call for everyone else.

15 So thank you. And with that,  
16 Paul, it's your meeting.

17 CHAIRMAN ZIEMER: Okay. Thank  
18 you, Ted, and good morning, everyone. I'll  
19 officially call the meeting to order. You  
20 should all have an agenda, either online. I  
21 think, perhaps, one of the public callers from  
22 GSI does not have that agenda since he doesn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 have a computer, but the rest of you do. But  
2 I'll just take a minute here and review what  
3 we'll be covering today.

4 We have four sites that we're  
5 dealing with at the present time: General  
6 Steel Industries; Baker Brothers in Toledo,  
7 Ohio; Joslyn Manufacturing; and Simonds Saw  
8 and Steel. I didn't assign any time intervals  
9 to these four facilities, but it's my  
10 expectation that the bulk of our time will be  
11 focused on General Steel Industries.

12 And, also, I must apologize. I've  
13 developed a cold here, and I'm having some  
14 trouble with my own voice. So I apologize if  
15 you have a little trouble hearing me or  
16 understanding me this morning, but we'll do  
17 the best we can to proceed through the agenda.

18 My plan is that we would expect a  
19 lunch break at approximately 1 p.m. Eastern  
20 Time. And we will take a comfort break before  
21 that. That comfort break will be determined  
22 either by the Chairman's comfort or someone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 else in more a state of discomfort than I at  
2 some particular time. But, in any event, we  
3 will take a break, as appropriate.

4 So I want to begin with General  
5 Steel Industries and just identify before we  
6 discuss anything that, as a starting point, we  
7 have a White Paper from NIOSH prepared by Dave  
8 Allen, and that White Paper was distributed.  
9 We have two responses from SC&A prepared by  
10 Bob Anigstein, the first called "Review of  
11 NIOSH Estimates of External Exposure at GSI"  
12 and the second called "Review of NIOSH  
13 Estimates of Internal Exposures at GSI."

14 And then I would also like to call  
15 attention to a number of documents that were  
16 provided by the petitioner. And I do want to  
17 make sure that the petitioner, at some point,  
18 has the opportunity to amplify any points he  
19 wishes to make, as well.

20 We have a document dated April  
21 5th, a response to Dave Allen and DCAS White  
22 Paper, by Dr. McKeel. We have also from Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 McKeel a paper dated April 22nd, which deals  
2 with the radium era and some information on  
3 building 6 and also some information from the  
4 petitioner regarding the -- if I can get the  
5 paper out here -- the stolen radium plumb-bob.  
6 And I think there may have been one other one.

7 No, I think that was it, so those three main  
8 documents, as well, that we want to also  
9 acknowledge and have an opportunity to have  
10 input on.

11 So we're going to begin with Dave  
12 Allen's presentation. And Dave was dealing  
13 mainly with the issue of external dose  
14 estimates for non-radiographers and the issue  
15 of job categories and also how the internal  
16 dose estimates would be carried out and used.

17 So, Dave, why don't you highlight for us the  
18 issues in your paper, and then we'll proceed  
19 to SC&A.

20 MR. ALLEN: Okay. Thanks, Paul.  
21 Like you said, during the last Work Group  
22 meeting, February 21st, I was asked to do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 those three things you just mentioned. It was  
2 the give our details of the external dose for  
3 non-radiographers prior to 1963 and describe  
4 how we would assign individual cases to the  
5 different job categories, which are,  
6 essentially, radiographer and non-  
7 radiographer, as well as the details on how we  
8 would use the data we already agreed to for  
9 internal dosimetry, exactly how we would use  
10 it for dose estimating.

11 The first one, the non-  
12 radiographer dose estimate, for that one, I  
13 started with the August 1962 survey of the  
14 radiography room, which was surveyed using our  
15 cobalt-60. The new cobalt-60 sources at that  
16 time were being exposed. From the hierarchy  
17 of data, the actual measurements are usually  
18 considered better than any kind of modeling,  
19 so I started with the actual measurements from  
20 the cobalt-60, but, obviously, it has to be  
21 adjusted to account for the differences  
22 between cobalt and radium and the source

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 strengths.

2 But, also, there's at least some  
3 indication that some shielding was added soon  
4 before that August 1962 survey. So I adjusted  
5 those survey readings up to account for  
6 additional shielding prior to that survey.

7 The indications, essentially, were  
8 the, it was a map that indicated 24-inch walls  
9 and a notation that said shielding added June  
10 and July of 1962. And then the prior drawing  
11 of that room was in the AEC initial  
12 application that indicated there were 16-inch  
13 walls. So from that, I took it as eight  
14 additional inches of concrete block shielding  
15 and the write-ups in those AEC documents  
16 indicated mortar-filled, so I indicated or I  
17 took it as eight inches of mortar-filled  
18 concrete block additional shielding added in  
19 June and July of 1962.

20 So adjusting those readings up for  
21 the lack of, the less shielding in the radium  
22 era and slightly higher source strength of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 radium, I came up with, adjusted those survey  
2 measurements to what they would be with the  
3 radium sources before the shielding was added.

4 And from that, you can see from the rest of  
5 this, from that and the work practices source  
6 utilization time, et cetera, which I estimated  
7 a dose for somebody at the wall, on the  
8 outside of that radiography wall, if they were  
9 there all their work time, and that is the  
10 estimate we intended to use for non-  
11 radiographers in the radium era.

12 The next thing on there was how we  
13 would categorize individual claims into  
14 radiographer and non-radiographer. Like I  
15 said during the full Board meeting, we would  
16 start with the telephone interviews.

17 So what I did was took a search of  
18 all the claims we had from GSI so far, and I  
19 actually started with the job title that's in  
20 our claims database, which is the job title  
21 that the claimant puts on the forms when they  
22 originally filed the claim. And I put a list

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in my White Paper of the types of jobs that we  
2 would flag initially as radiographers, or at  
3 least potential radiographers. That list is a  
4 short list. I took quality control; film  
5 reader; radiographer, obviously; inspector;  
6 anything that said betatron; magnaflux  
7 operator; metallurgy department; or x-ray.

8 From that, we had 284 claims in  
9 our database, and that search resulted in 21  
10 claims matching one of those. But, as I said,  
11 we would use the telephone interview, and I  
12 did not go through all 284 telephone  
13 interviews as part of this exercise. But I  
14 parsed it a little by starting with those 21  
15 to see what those telephone interviews said.  
16 I also look at telephone interviews for  
17 anybody that had a job title as unknown or  
18 some variation of that. And, lastly, I  
19 checked it against the names we had on the  
20 Landauer film badges for the later years, and  
21 I included anybody that names matched that,  
22 and that gave me a list of claims for which I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 actually checked the telephone interviews.

2 That's not the process we intend  
3 to use when we're actually doing claims. You  
4 know, the telephone interviews are always  
5 reviewed, and they would be reviewed for those  
6 job categories or any other indications that  
7 they were doing radiography. This was just an  
8 attempt to see how this process would work.

9 Of the 21 claims we flagged from  
10 the database job titles, you could confirm 11  
11 of them are definitely radiographers from the  
12 telephone interviews and the Landauer records.

13 Ten of them we could not confirm, but that  
14 doesn't mean they weren't. We still intend to  
15 call them radiographers for the purpose of  
16 dose reconstruction. And I put a little bit  
17 of information that, you know, they may or may  
18 not be and why we would continue to call them  
19 that.

20 And then later on here, besides  
21 those 21 that were flagged from the database  
22 search, there were 23 in there with a job

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 title of unknown. As it turns out, when you  
2 start looking at the telephone interview,  
3 that's where people really tell you what they  
4 did and, often, even a job title. And when I  
5 say job title, it may be the actual job title  
6 at the work or it may just say he was a  
7 machinist or a welder or an accountant or  
8 something to that effect.

9 In any case, I went through what I  
10 found there. The vast majority of them did  
11 have some sort of information in their CATI  
12 interview, in their telephone interview about  
13 what job they did. We did end up adding, I  
14 believe, two after looking at the telephone  
15 interview, even though they were unknown job  
16 titles listed in our database.

17 And then, lastly, I checked those  
18 whose name matched the Landauer dose records.

19 The primary issue I had there was the  
20 Landauer dose records were by last name, and  
21 some last names are very common names. For  
22 example, just to make up a name, I don't think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it actually shows up on Landauer, but if  
2 somebody had a last name of Smith, we would  
3 have a dose record for a Smith. And then if  
4 you searched 286 claim files, you're going to  
5 find more than one Smith in there. And that  
6 was the case we had for several of these, so  
7 it's not unexpected that most of those would  
8 not be considered radiographers. That was  
9 just to give me a list of claims to actually  
10 check their telephone interview for this  
11 exercise.

12 What we did find is we had 31 that  
13 matched the names in the Landauer records, and  
14 11 of those had already been caught with  
15 previous steps. Two were added, but, again,  
16 it was based on the telephone interview, not  
17 just, it was not from the Landauer records but  
18 based on a telephone interview. We just  
19 checked those telephone interviews because of  
20 the Landauer records for this exercise.

21 And two of them, even though they  
22 had some other job title or an unknown, well,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not an unknown but some other job title, we  
2 ended up adding them or considering them  
3 radiographers because of their telephone  
4 interview. And I think, in the end, we ended  
5 up with 26 claims that we would have  
6 considered radiographers, and we can only  
7 confirm about 12 of those actually were. The  
8 other 14, there's some information in there  
9 indicating at least some of those likely would  
10 not, were not radiographers. But we would  
11 have included them, one because of just  
12 complete lack of, I think it was just one from  
13 complete lack of information. There was no  
14 information, no job title, no information what  
15 he did. There was just no information at all.

16 In any case, moving on to, lastly,  
17 the White Paper discusses the internal dose  
18 estimate. And, previously, we had gone  
19 through a couple of Work Group meetings and  
20 presentation for the Board of the data we  
21 intended to use for the air sample data, and  
22 the 95th percentile of that came out to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 68.7 dpm per cubic meter.

2 At the last Work Group, the Work  
3 Group wanted to see, okay, that's the number  
4 we're going to start with, but how are we  
5 going to use it? So in the White Paper, I  
6 started with that 68.7. I intended to assign  
7 that to anybody considered, well, actually, I  
8 intended to assign that for the time period  
9 that they would have been handling uranium.  
10 We have the hours of uranium work that we  
11 previously talked about, we estimated. And  
12 from other previous work with the external  
13 dose, we had a scenario on how long they shot  
14 this uranium and how long it took them to set  
15 up the next shot, et cetera.

16 I did not give them that intake  
17 or the time they were taking the shots. They  
18 would not have been in the betatron shooting  
19 room at that point. They'd be in a control  
20 room. I gave it to them for the time in  
21 between shots. And after that, that's just  
22 for the direct handling type of airborne.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I did also account for any contamination  
2 that would be caused from that airborne using  
3 the TBD-6000 techniques that we went through  
4 some months ago and, using those settling  
5 rates and settling time, came up with a  
6 contamination value of what it would reach  
7 after such a time as to build up to an  
8 equilibrium value and re-suspended that to get  
9 an airborne that I was intending to use for  
10 the times, actually for full time, which we're  
11 using 3,250 hours a year. So my intent was to  
12 use that re-suspended airborne full time for  
13 everybody's employment, the airborne from  
14 actually handling the uranium for the time  
15 that they would be in the shooting room  
16 setting up shots with the uranium, and then  
17 using TBD or, I'm sorry, TIB-9 for the  
18 ingestion. And we also, I believe, agreed,  
19 either during a Board meeting or a Work Group  
20 meeting, that we should use TIB-70 reduction  
21 of the airborne levels during the residual  
22 period after the operational period stopped.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 And I just put a footnote in there about that,  
2 that we intended to decrease that or using  
3 TIB-70 values.

4 That is, essentially, what the  
5 White Paper says. I summarized some of that.

6 I don't know if anybody wanted more detail or  
7 not.

8 CHAIRMAN ZIEMER: Okay. Thanks,  
9 Dave. Let me see, just before we go to SC&A,  
10 if any of the Work Group Members have  
11 questions. I'll ask a couple here, and then  
12 we'll see if others do.

13 We now know that 1952 is also  
14 included in the active period. Your chart  
15 doesn't include '52. What would you have in  
16 the chart for '52 on the year scheme?

17 MR. ALLEN: I'm sorry. That was  
18 just my neglect there. I would, the intent  
19 would be to continue the same thing back  
20 until, I think it's, if I recall right, it's  
21 October 1st, 1952. What I would probably do  
22 is prorate that uranium work to where, right

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 now we have hours per year, so it would be,  
2 essentially, a quarter of that for 1952,  
3 starting October 1st. That would give them  
4 the same intake rate per day starting October  
5 1st, '52 through June 30, '61. And we would  
6 be doing the same thing with the external.  
7 We'd be getting it at the same daily rate.

8 CHAIRMAN ZIEMER: Right. Okay.  
9 So the inhalation from suspension would be the  
10 same value, the 1441, or not? That's per day,  
11 right?

12 MR. ALLEN: Yes, that's per  
13 calendar day.

14 CHAIRMAN ZIEMER: Right. And then  
15 the uranium work hours per year you would,  
16 that would, you'd have to determine what that  
17 is. Is that a quarter of a year?

18 MR. ALLEN: Yes, so it would be  
19 that number there divided by four.

20 CHAIRMAN ZIEMER: Right.

21 MR. ALLEN: It would result in the  
22 exact same inhalation and ingestion rate per

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 day.

2 CHAIRMAN ZIEMER: Right. Got you.  
3 So you end up with the 15.45.

4 MR. ALLEN: Yes, for the  
5 ingestion.

6 CHAIRMAN ZIEMER: Thank you.  
7 Other Work Group Members, questions?

8 MEMBER MUNN: This is Wanda. I  
9 don't have any real question, but I do want to  
10 call to Dave's attention the fact that on page  
11 seven you have a typo on the date when you  
12 refer to the Work Group meeting in the very  
13 first paragraph.

14 CHAIRMAN ZIEMER: December 12th,  
15 2012.

16 MEMBER MUNN: It says December  
17 this year.

18 CHAIRMAN ZIEMER: It should have  
19 been last year's date, yes. Right. Josie or  
20 John?

21 MEMBER BEACH: Yes, this is Josie.  
22 I don't have anything right now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ZIEMER:     Okay.     John?  
2     Okay.   I'm not hearing John, but he may be on  
3     mute.   Oh.

4                   MEMBER POSTON:     I turned my mute  
5     on instead of off.     I don't have any  
6     questions.

7                   CHAIRMAN ZIEMER:     Thank you.  
8     Let's go on to Bob Anigstein.   Start with your  
9     external exposure document, and then we'll do  
10    the other one separately.   So why don't you go  
11    through, I know you handled a number of issues  
12    with the current NIOSH proposal, so I think  
13    you also, are you putting something on our  
14    screens for those --

15                  MEMBER MUNN:     Yes, he just did.  
16    Yes, it's up now.   At least it's up on mine.

17                  CHAIRMAN ZIEMER:   Okay.   Can you  
18    reduce the magnification so it fits on the  
19    screen?   Did you put this up?   Yes.   Bob, I'm  
20    not hearing you.   Are you on mute maybe?

21                  DR. ANIGSTEIN:   I was on mute.   I  
22    have the screen on full screen.   You should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 see my title page.

2 CHAIRMAN ZIEMER: Yes. Actually,  
3 on mine it's way more than full screen.

4 DR. ANIGSTEIN: Say again.

5 CHAIRMAN ZIEMER: What's your  
6 magnification? Can you reduce it a little bit  
7 or --

8 DR. ANIGSTEIN: I'm using full  
9 screen. I'm not sure how to get different  
10 magnification at your end.

11 CHAIRMAN ZIEMER: Oh, I see.  
12 Okay. Fine. Go ahead.

13 DR. ANIGSTEIN: Okay. Well, I'm  
14 going to run through, we have a number of, as  
15 Paul said, we have a number of issues. So I'm  
16 going to start off. Some of it's a little  
17 repetitious, but I just want to give a quick  
18 framework of the time frame.

19 Now, I wasn't aware. I heard of  
20 the information Dr. McKeel had presented some  
21 time ago that the work started in '52. And as  
22 a matter of fact, SC&A maintained from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 beginning that it started in `52. But I  
2 wasn't aware that this was becoming official;  
3 so every time you see `53 here on my slide,  
4 include `52.

5 So, anyway, just a quick run  
6 through. It started off with two radium  
7 sources and the 24 MeV betatron, what they  
8 call the old betatron. Then in May `62, GSI  
9 acquired cobalt sources, small cobalt sources,  
10 and there had been orders to discontinue the  
11 radium used by the State of Illinois.

12 Somewhere late in `63, the new  
13 betatron began operating. We don't have the  
14 exact dates. I assumed October. However,  
15 NIOSH indicated that they would go with whole  
16 year, so you can say all of 1963 the betatron  
17 was in operation. That will be a limiting  
18 exposure during that time. And then June  
19 30th, `66 is the end of the operation period,  
20 beginning a residual period. And I don't  
21 indicate here, but the residual period extends  
22 to 1993.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   And the sources of external  
2 exposure, we start just with external, are, of  
3 course, the radium sources during the earlier  
4 period and then the exposure to direct  
5 penetrating radiation photons and neutrons  
6 from betatron operations, which is both the  
7 stray radiation from the betatron itself while  
8 it's on and the delayed radiation from the  
9 activated metal when the betatron is turned  
10 off. And then you have skin exposure both  
11 from handling the uranium, and the natural  
12 uranium itself gives you some beta radiation,  
13 and then that's much higher for a short period  
14 of time after irradiation because you have the  
15 short-lived uranium isotopes that are strong  
16 beta emitters. And then the second source is  
17 the activated steel, also beta emitters.

18                   Here are the differences between  
19 SC&A and NIOSH. We've all agreed that  
20 radiographer will be represented by a  
21 triangular distribution with a minimum of  
22 about 6.3 rem; I'm rounding off. A mode, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 peak of the triangle, is 9.7 and then a  
2 maximum of either 15 or 12. We did some  
3 research. We had mistakenly, and SC&A takes  
4 responsibility for that, I should take  
5 responsibility, we thought that the new AEC  
6 rule lowering the exposures came in '55. No  
7 one ever contradicted that, but Dr. McKeel had  
8 asked for some documentation on that. So we  
9 did some research, and it turns out, no, the  
10 rule was adopted, was promulgated or made  
11 effective January 1st, 1961. So up until,  
12 starting somewhere around 1949 when AEC was  
13 actually not in the business of regulating  
14 radiation exposures, except in the government  
15 complex because they actually were not  
16 licensing anyone to use byproduct material  
17 outside of the government complex, but,  
18 nevertheless, they were abiding by an NCRP  
19 recommendation of 300 millirem, mR or  
20 millirem, they used the terms interchangeably,  
21 per week, which comes out to a maximum of 15  
22 rem in a year. So this was for their own

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 operations.

2 And then in February 1957, they  
3 issued the first 10 CFR 20, which was a rule  
4 that applied to all licensees, again, 300 mR  
5 per week, which amounts to 15 rem per year.  
6 So this would affect the limit from '53, or  
7 '52 if you will, through 1960.

8 Beginning with 1961, 1961 and  
9 1962, the two years of the radium era, the  
10 limit was 12. So the same triangular  
11 distribution, except, I mean a similar  
12 triangular distribution except with an upper  
13 limit of 12 instead of 15.

14 And then from '63, and NIOSH has  
15 agreed to give it for all of, to use it for  
16 all of '63. Our analysis is that the layout  
17 man should get 9.2 R per year, and NIOSH, I'm  
18 just using betatron as a source and the layout  
19 man is the same scenario, is about 4.5. And,  
20 also, the major distinction is that SC&A  
21 believes that the radiographer doses during  
22 the radium era should apply to all employees,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       whereas NIOSH has two different calculations  
2       for radiographers and for non-radiographers.

3               This is quite different than in  
4       the, shall we say, new betatron era where  
5       NIOSH had agreed and we understood that that  
6       would also apply, and, apparently, it doesn't,  
7       to the radium era that whatever dose, whatever  
8       was the most claimant-favorable assumption,  
9       that everyone was either a layout man or a  
10      betatron operator, whichever was most  
11      claimant-favorable in a particular instance,  
12      in a particular claim, usually it would be the  
13      layout man, would get that dose. So we were  
14      rather surprised when NIOSH indicated they  
15      would treat the radium era differently.

16             And then reasons for our  
17      disagreement. The scenario that Dave Allen  
18      just presented, and let me show you a quick  
19      picture. I'll go back and forth. This is the  
20      drawing, actually part of the license  
21      application -- no, this was already after --  
22      I'm not sure when this was. I think it was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 part of the license application or after the  
2 license application when they were -- I'm  
3 contradicting myself now -- when they gave the  
4 results of a survey. They show that the  
5 scenario that Dave predicted or postulated  
6 where you would have someone standing right  
7 outside the wall is actually unrealistic  
8 because they clearly indicate that these were  
9 areas used for storage of drums. There was no  
10 access to the building on either the, I think  
11 this is north to south, either the north or  
12 the south wall are not accessible. So that's  
13 not a realistic scenario. And then neither is  
14 the east wall that the nearest workstations  
15 would be 20 feet away, 15 to 20 feet away to  
16 the nearest wall. And that would be at the  
17 end wall, so that's actually further from the  
18 sources. The sources are postulated to be in  
19 the middle. So that scenario simply does not  
20 represent any real person.

21 Also, we questioned the idea that  
22 bricks were added, according to information

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 supplied by a co-petitioner that the building  
2 was put up in 1955. So, first of all, that  
3 scenario would not apply then for '52 through  
4 into '55 if there was no building.

5 Secondly, there was no additional  
6 shielding. The additional shielding that is  
7 indicated on the drawing -- remember that  
8 drawing that Dave Allen referred to, similar  
9 to one, not the same one, was furnished by the  
10 nuclear consulting company or corporation.  
11 They were consultants who came in. They took  
12 information they got from GSI. They did not -  
13 - their job was to make radiation  
14 measurements, so they were not privy  
15 necessarily to the history of this.

16 My conclusion is that one of the  
17 radiographers, the only radiographer that was  
18 active during that time who's still available  
19 to be interviewed, said that steel was added,  
20 this steel shielding. That was added at the  
21 time they started using cobalt or just before  
22 because it was necessary to shield the -- you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 know, during the use of cobalt, here were the  
2 steel shields, here were the control cables.

3           So they had a very safe operation  
4 where the operator stood behind a steel shield  
5 and manipulated these cables, just, you know,  
6 long wires that would turn and crank the  
7 sources in and out of the lead shield, whereas  
8 before, during the use of the fishpole, that  
9 made no sense because you can't stand behind a  
10 steel shield. You have to stand right there  
11 where the casting is to put in the fishpole  
12 because they didn't have those steel shields.

13           And that, I believe, this armor  
14 plate is what was put in during this period of  
15 time and not additional brick work which -- I  
16 won't go into all the details in my report --  
17 would have made no sense. It just wouldn't  
18 have made sense because they had already done  
19 a calculation to show that the 16-inch  
20 concrete was sufficiently protected and met  
21 all the regulations. So it would have made  
22 very little sense for them to have submitted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that application or granted the application,  
2 purchase the cobalt sources, and then said,  
3 oh, by the way, we're going to add more  
4 bricks. So I don't think that's a realistic  
5 scenario.

6 We believe that the only scenario  
7 that you can hang your hat on is the  
8 limitation, and we agreed to the triangular  
9 distribution, that no one got more doses than  
10 the radiographers. And that is the only  
11 plausible bounding number that can apply to  
12 all workers.

13 We don't know where the other  
14 workers were. We know there were incidents,  
15 for instance, two cases where two individuals  
16 who were not radiographers. Therefore, they  
17 were unmonitored. Two separate cases. One  
18 was inside an army tank in the betatron room  
19 while it was being radiographed. Nobody knew  
20 he was there, and he didn't realize the  
21 betatron was on. And somebody else was also  
22 in what's called in the betatron. We don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 know how many other such incidents there could  
2 have been.

3 We also know that the fishpole  
4 technique was notorious, was known to be  
5 unsafe. The State of Illinois has banned it.

6 I did a search, and every state that mentions  
7 it simply says it cannot be used or only under  
8 special circumstances with special permission.

9 So all in all, without giving  
10 every -- I mean, I have more detail in the  
11 report. We do not believe that the assignment  
12 of the calculated dose that they've allocated  
13 to non-radiographers is scientifically  
14 justified, nor claimant-favorable. It was  
15 always our understanding that the same dose,  
16 just like with the betatron, that the same  
17 dose that is given to the -- everybody gets  
18 the worst case. You don't have to worry about  
19 what his job was, where he was, where he spent  
20 his time. It's unlikely that anyone would  
21 have gotten more than these doses.

22 Going on to, although this was not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in the most recent report, this was discussed  
2 at a previous Work Group meeting. And there  
3 was a question raised, which I didn't get a  
4 chance to answer it. I was under the weather,  
5 so I wasn't thinking too clearly. But to  
6 summarize the differences between why we have  
7 these differences to the layout man between  
8 SC&A and NIOSH is NIOSH used 15 betatron  
9 scenarios. They started off with modeling 15,  
10 and then they selected on the basis of --  
11 perhaps, arbitrary is the wrong word. I  
12 understand how they used. Some of the  
13 scenarios were simply not realistic. You  
14 don't shoot at a 45-degree angle to penetrate  
15 the steel. You always shoot at the shortest  
16 path through the steel.

17 Also, for the position  
18 orientation, which was something that was made  
19 up -- I don't mean to sound disparaging  
20 because it was a range of possible things --  
21 the main objection is this normalization that  
22 it can't be more than 10 mR per week. Now,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 this is the picture of a new betatron building  
2 based on an account of one of the  
3 radiographers. Here's the betatron shooting  
4 room. The betatron, this is our scenario.  
5 Here's the picture. Here's the betatron  
6 itself. Here is the casting that we  
7 hypothesize is like a typical casting,  
8 representative casting.

9 And we put the layout, we tried  
10 two positions for the layout, and we found  
11 that this was the most claimant-favorable to  
12 get the highest dose. So this was the only  
13 thing that we modeled at this time.

14 Now, NIOSH -- so here would be the  
15 same thing, the betatron would be here, the  
16 casting would be here, and NIOSH calculates  
17 the doses to the film badges. Well, there  
18 were several things wrong with that.

19 First of all, they borrowed, we  
20 shared the MCNP model. Well, the initial MCNP  
21 model that we ran back in 2008, to make it  
22 claimant-favorable, we were calculating that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 We weren't thinking of this type of scenario.  
2 We were calculating the doses to the operator  
3 in the control room and absent knowledge of  
4 the walls. The only thing we had to go by  
5 then were the FUSRAP reports, so we didn't  
6 know really what these walls were like here,  
7 the side wall. So we made them thin and  
8 lightweight to make it more claimant-favorable  
9 to have a higher dose.

10 Since then, we got the FOIA  
11 material from NRC where there was much more  
12 detail, and it turned out that these walls  
13 actually were heavier. They were filled with  
14 mortar. They were not hollow. And,  
15 therefore, and assuming -- and then we also  
16 tried to match the later survey reports from  
17 the large cobalt-60 source. The nominally 80  
18 curie source was more like 50 curie by the  
19 time they did those measurements. And we saw  
20 no way could we match those if we used thin  
21 walls. I think here and there we assumed  
22 there were thin walls. Where we used the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 thicker walls, the ones that were described,  
2 you came closer. You came a lot closer.

3 So in this instance, the thin  
4 walls are not claimant-favorable because they  
5 assume a lot of scattered radiation that gets  
6 on the film badges and says, well, if the film  
7 badges never got more than 10 mR for a whole  
8 168-hour week, then we're limited in which  
9 shooting scenarios are possible.

10 And that's mistaken for two  
11 reasons. One is the walls were too thin.  
12 They basically modeled this whole area as  
13 empty space. Now, this area was filled with  
14 furniture, all kinds of equipment which we  
15 don't know, of course, what had been the  
16 details of. And so, therefore, it's incorrect  
17 to say the radiation was coming but no  
18 attenuation from here to there and also  
19 through the thin wall.

20 And then the final assumption, and  
21 this was an understandable misunderstanding  
22 which we clarified by having our consultant,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 who was a former Landauer official, and he was  
2 in contact with the current vice president of  
3 Landauer who does go way back and does know  
4 what was, dug up the records.

5 At that time, they supplied, as is  
6 in the film badge records -- every film badge  
7 record weekly reports has a control badge  
8 numbered zero. And the NIOSH assumption was,  
9 well, if that badge always shows M, minimal,  
10 which it does, it means that it's under 10 mR,  
11 that would mean for other badges it could be  
12 under 10 mR; therefore, it could not have been  
13 important. That's not correct. It turns out  
14 that their practice was to take that reading  
15 on that badge and subtract it from all the  
16 other badges, including itself. So that badge  
17 was, by definition, always zero on the report.

18 The only time they would report an  
19 actual reading for that badge was if the raw  
20 reading was more than 50 millirem or if it was  
21 higher than one-half of all the badges issued  
22 to the workers, if it was a higher reading

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 than the lowest half of the badges. Then, and  
2 only then, would they notify the client, hey,  
3 something is wrong there, you're keeping your  
4 control badge in a high radiation area, and  
5 that questioned the validity of all the  
6 readings. But since that never happened, we  
7 don't know anything about the control badge.  
8 The fact that it said M cannot be used in the  
9 model.

10 Now, there was another badge that  
11 was called betatron CTL, badge number one. We  
12 have no information on where it was kept. One  
13 person that was a former employee that was  
14 interviewed by one of the -- well I can't  
15 mention his name -- said he distributed the  
16 badges and he had no recollection of any  
17 control badge. So even on his report there  
18 was a beta -- I misspelled it, betatron. Put  
19 in the T here. Sorry. There's no spellcheck  
20 on this.

21 That could have just as well been  
22 kept in the old betatron building because we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have information from a supervisor, former  
2 supervisor, no longer with us, who said his  
3 office was in the old betatron building. So  
4 even though the film badge rack was here,  
5 maybe that second badge was kept there. We  
6 just don't know, and, not knowing, you can't  
7 use that, that information. And, again, we  
8 have problem with the model, even if it  
9 worked, to be kept in that betatron building.

10 More minor problem is NIOSH  
11 assumed that the worker, the layout man, was  
12 here dead center on the railroad track. First  
13 of all, that's unrealistic. He'll be blocking  
14 the rail tracks if he had his casting there,  
15 so castings couldn't move in and out. But  
16 more important, that actually was not the  
17 worse position. We modeled this position and  
18 also one, a symmetrical one, on the other side  
19 of the railroad tracks, and it turned out this  
20 is the highest one because it's actually lying  
21 outside of the betatron, you had this ribbon  
22 door so you could not literally see it, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that it showed negligible shielding and the  
2 beam strongly focused forward. But,  
3 nevertheless, it trails off, but not to zero.

4 And at this steep angle, you still get some  
5 direct radiation. So that's another reason  
6 why we have a higher dose, you know, the 9.2  
7 instead of the 4.6.

8 And then there was other things.  
9 They included a door, heavy door. 0.85 inches,  
10 two centimeters, heavy-steel door in their  
11 model where the worker described as a sheet  
12 metal. And then the reason for the difference  
13 in the beta dose is they use, actually, SC&A  
14 results, and this was brought up before. I'm  
15 just mentioning it for completeness. We used  
16 a very early, one of the earliest releases of  
17 the MCNPX that did this activated metal. And  
18 since then, there have been improvements in  
19 the model. They said it was a beta model,  
20 nothing to do with beta, the beta particle,  
21 you know, alpha, beta, gamma, in terms of  
22 testing. It was a preliminary experimental

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 release. And since then, they have the final  
2 release, and that one, which they've improved  
3 the code and that one gives much higher beta  
4 concentrations of the beta-emitting nuclides  
5 activated in the steel.

6 Okay. And then here's just to  
7 round out the picture. For the photons  
8 exposures, our greatest concern that we see  
9 that even for the neutron we have  
10 approximately three times the exposure rate,  
11 dose rate, as NIOSH calculated. And the beta  
12 dose, depending on what year because of the  
13 different mixes of uranium and steel during  
14 those times, we go as high as three times on  
15 the beta dose and five times through the other  
16 skin.

17 Okay. Perhaps I should stop now  
18 and ask for questions because now we're going  
19 to a different topic. This has all been about  
20 direct external -- Paul, what should I do?  
21 Should we just continue?

22 CHAIRMAN ZIEMER: No, this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 probably a good point to ask for questions.  
2 So let's do that. First let's see if the Work  
3 Group Members have some questions. Josie,  
4 John, Wanda?

5 MEMBER MUNN: Well, this is Wanda.  
6 One of the questions that comes to mind,  
7 listening to Bob's presentation, has to do  
8 with the use of -- can you go back one slide  
9 to the one that you were looking at before?  
10 No, no, the one where you were talking about  
11 the -- yes.

12 DR. ANIGSTEIN: This one?

13 MEMBER MUNN: Yes, right, the  
14 MCNPX version that was used.

15 DR. ANIGSTEIN: Oh, yes. That  
16 only affects the beta dose.

17 MEMBER MUNN: Yes, but the beta  
18 dose is important in the --

19 DR. ANIGSTEIN: For skin, for  
20 skin, it's very important.

21 MEMBER MUNN: Exactly, and what we  
22 have going on right here. My question has to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 do with whether this difference in the  
2 versions that were used that's been discussed,  
3 does NIOSH have a rationale for using that  
4 preliminary version?

5 DR. ANIGSTEIN: Well, my  
6 understanding is they didn't use that version.

7 They used our results, the results that -- we  
8 shared our runs with them back in 2008.

9 MEMBER MUNN: Right.

10 DR. ANIGSTEIN: And they used  
11 those, those, those runs because that's all  
12 that was available then. Two years later,  
13 when the final version came out, we re-ran it,  
14 and we did a comparison. We showed a much  
15 higher activation of the beta-emitting  
16 radionuclides.

17 MEMBER MUNN: Well, I'm probably  
18 not formulating my question properly, I guess;  
19 and it probably needs to be addressed to  
20 NIOSH. I really have some question in my mind  
21 as to what sort of discussion and whether any  
22 adjustment was made following this use of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 later, of the final MCNPX. I guess I really  
2 should be asking NIOSH that, rather than you,  
3 Bob. I just --

4 DR. ANIGSTEIN: Okay.

5 MEMBER MUNN: -- can't --

6 MR. ALLEN: Wanda, this is Dave.  
7 I think we discussed this one in the Work  
8 Group back when we were discussing the issues  
9 with the SEC petition.

10 MEMBER MUNN: I think we did, but  
11 I'm trying to remember what was said. It  
12 raises another issue, I mean it raises another  
13 question in my mind, and I couldn't remember  
14 what we said.

15 MR. ALLEN: Probably because there  
16 wasn't a whole large discussion. We agreed  
17 with SC&A. As I recall, Version 26E was just  
18 in its infancy as far as this technique, and  
19 then they found some issues with it, revised  
20 it, and the revised version gives a different  
21 number and everyone agreed the revised version  
22 with the correction should be the one used.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MEMBER MUNN: And the key question  
2 for me is always how significant is that? My  
3 assumption is that it's not truly very  
4 significant.

5                   DR. ANIGSTEIN: Oh, it's a three-  
6 to five-fold difference.

7                   MEMBER MUNN: Three- to five-fold  
8 difference for how many cases at GSI?

9                   DR. ANIGSTEIN: Oh, I have no idea  
10 how many skin cancers there were.

11                   MEMBER MUNN: Okay. Just wanted  
12 to get a feel for what impact that had.

13                   DR. ANIGSTEIN: But if Dave said  
14 that NIOSH will make that adjustment, then the  
15 question is moot.

16                   MEMBER MUNN: Yes, it seems to me  
17 that it is.

18                   DR. ANIGSTEIN: Okay.

19                   MEMBER MUNN: All right.

20                   CHAIRMAN ZIEMER: Okay. Other  
21 questions? John or Josie?

22                   MEMBER POSTON: No, I'm fine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER BEACH: I'm fine, as well.

2 CHAIRMAN ZIEMER: Okay. Now, it  
3 seemed to me, because we only got this  
4 material a couple of days ago and I think the  
5 petitioners probably only got it yesterday or  
6 pretty recently, and I don't know if NIOSH has  
7 had a chance to review the SC&A material in  
8 any depth. Dave or Jim Neton, do you have any  
9 sort of responses on the SC&A paper at this  
10 time?

11 One of my concerns is that there  
12 may, you know, if we've gotten this material  
13 very late and some of it I ended up reading  
14 this morning, but it seems to me that, before  
15 we can resolve some of these differences, that  
16 there may be a little more time needed. I'm  
17 thinking in terms of scheduling of their  
18 meetings in a few weeks, unless NIOSH is ready  
19 to respond at this point.

20 MR. ALLEN: I think we're ready to  
21 respond, at least, you know, like you said,  
22 there wasn't a lot of time, but I think we can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 answer most of the issues raised by Bob.

2 CHAIRMAN ZIEMER: Okay. Are you  
3 going to do that, Dave?

4 MR. ALLEN: Yes, I'd like to start  
5 it.

6 CHAIRMAN ZIEMER: Okay.

7 MR. ALLEN: Starting back at the  
8 beginning there with the building 6 dose rates  
9 outside, I think Bob said he didn't feel there  
10 was any reason to believe or it wasn't  
11 credible that bricks were added, as far as  
12 shielding, to the building 6 radiography room.  
13 And I think he put "illogical" in his write-up  
14 on that.

15 The first thing I wanted to point  
16 out is we started with the cobalt-60  
17 measurements, and then we adjusted them up for  
18 a slightly stronger, and we adjusted them up  
19 again for shielding that was added. If  
20 there's no shielding added, then our estimate  
21 is simply too high.

22 DR. ANIGSTEIN: I agree, but I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just, I'm pointing out it's just unrealistic.

2 I agree it is too high, would be higher. But  
3 it's high, not low.

4 MR. ALLEN: And it seems illogical  
5 that you basically said that, because we  
6 accounted for shielding that was added that  
7 you said we're not sure was added and that  
8 workers were not necessarily next to the wall  
9 where we placed them, that our estimate is,  
10 essentially, too high again; and, therefore,  
11 we should use the radiography dose, which is  
12 considerably higher. That seems very  
13 illogical to me that you would, your  
14 resolution would counteract your basis.

15 DR. ANIGSTEIN: Our opinion is  
16 that this scenario is simply unrealistic and  
17 not scientifically correct and cannot be used,  
18 whether it's -- it cannot be used as a basis  
19 for dose reconstruction because that's one of  
20 the requirements of the Act that we're  
21 supposed to comment on is whether it's  
22 scientifically correct. And since it's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 completely, I mean, it's basically something  
2 that's made up, and I don't mean to sound  
3 pejorative, but the reason for giving the high  
4 doses, the radiographer doses is that is the  
5 only scenario that we have some reasonable  
6 assurance and actual agreement among all the  
7 parties that these are bounding doses. Nobody  
8 can get higher than that. So if we go with  
9 that, we say here is something we can know.

10 The other is there is a million  
11 possibilities of what about, what about some  
12 inadvertent exposures, what about the man who  
13 took the radium source home, which we now know  
14 really did happen and there was a credible  
15 account, and I guess perhaps we incorrectly  
16 questioned whether that really happened.  
17 There's pretty concrete evidence. So we're  
18 simply saying that the radiographer dose gives  
19 you a broad enough umbrella that will cover  
20 all of these unknowns.

21 John Mauro, do you want to sort of  
22 weigh in on this? Because you had some strong

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 opinions on it, also.

2 DR. MAURO: Yes. We almost have a  
3 philosophical difference. What we're really  
4 saying is that, to try to mechanistically  
5 model other scenarios, other than the  
6 triangular one, puts us in a place that's very  
7 difficult to do. I understand that you have  
8 done your best to parse people, that you felt  
9 these 22 were the number of people. You know,  
10 we're pretty confident that it's reasonable to  
11 assign the high-end doses to those guys, and  
12 even that may be pretty high, you know,  
13 because in the triangular distribution, we get  
14 up there pretty high.

15 And so we have no dispute that you  
16 picked a good group, you picked a good  
17 distribution for the high-end exposures. But  
18 then the philosophy goes, okay, but now we've  
19 got these other 200 people, and the sense is  
20 that we now have another way to assign doses  
21 to them and it's something different and  
22 substantially lower than the other one.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So we're sort of caught in a  
2 difficult spot, the spot being we're not  
3 comfortable with the fact that you have this  
4 other group that we can say that, well,  
5 they're less likely to have experienced the  
6 high-end doses because of the job categories,  
7 but we're not that sure because of two things.

8       One is we don't really know where they were,  
9 how long they were, and what they did. So  
10 what you've done is say, well, we're going to  
11 hypothesize that they were here for this time  
12 period and assign to them that dose. That's a  
13 construct to somehow find a way to deal with  
14 these other 200 people.

15           And in my sense, and, again, this  
16 is not really science now. What this is, is  
17 what I would consider to be where science and  
18 policy come together and some prudent  
19 judgments have to be made. And the way I see  
20 it is we're in a difficult spot, and I respect  
21 and understand why you want to make the parse  
22 where you made it. But I'm not sure if you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 really can, and I come down in a place that  
2 says, given that we really don't know what to  
3 do with these other 200 workers, what do you  
4 do? Do you assign to them this number, this  
5 construct, which has certain limitations that  
6 we discussed and limitations that may be,  
7 where, as we just said, they may be, for that  
8 construct, the degree to which it actually  
9 exists and we know who they are, might  
10 actually be too high. So we're in a funny  
11 place.

12 In my opinion, I like to keep  
13 things simple and say that, well, I have a  
14 different way of looking at it. I'm saying we  
15 have all these workers that were somewhere  
16 involved, and I use the term the radiological  
17 envelope where people were coming and going  
18 and may have been here, may have been there.  
19 They may not have been radiographers. They  
20 may have been welders. They may have done  
21 this job, and they may have done that.

22 And so we can't really get at this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 thing mechanistically, but we can say with  
2 confidence that this upper triangle captures  
3 it all. And in a way, it probably is  
4 extremely claimant-favorable for an awful lot  
5 of workers, but I see no other alternative,  
6 unless, of course, as we mentioned in our last  
7 meeting, there's affirmative evidence that,  
8 no, this person, this person was  
9 administrative and spent just about all his  
10 time, we know that, out of this thing that I'm  
11 calling this radiological envelope.

12 So it's almost like a  
13 philosophical difference on how to deal with a  
14 difficult circumstance. And SC&A's position  
15 is I think that, and it's a judgment call, me,  
16 I would go with the upper-end triangular and  
17 apply it to everyone and the rare individual  
18 that I could say with confidence, no, it just  
19 doesn't apply to that person. Then I could  
20 see going to some lower number. What that  
21 lower number is I don't know.

22 I know I'm going on a bit. So I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 see that the majority of those workers, these  
2 two hundred twenty-something workers, the  
3 steps should be we're going to give them the  
4 upper end unless we have some affirmative  
5 reason not to.

6 DR. NETON: John, this is Jim. I  
7 think you're starting to get towards where I  
8 think our position really is, and that is if  
9 it doesn't, you know -- we normally do not  
10 provide this high dose to all workers if there  
11 is evidence that, you know, they were clearly  
12 administrative in nature for the entirety of  
13 their career, and there's precedent set for  
14 this. We've done this in TBD-6000 --

15 DR. MAURO: Yes.

16 DR. NETON: -- which has been  
17 vetted and agreed to that there are different  
18 Classes of workers, such as supervisory or  
19 plant worker, that sort of thing. And maybe  
20 the issue here is, in Dave's example, we tried  
21 to be a little too fine-tuned with the  
22 analysis. But I think you would agree, and it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 sounds like you would, that there are certain  
2 categories of workers that they have never  
3 really entered the plant much at all, such as  
4 secretarial or accounting type folks that may  
5 have traversed the plant but not have worked  
6 their entire time in there. And to give them  
7 this very high-end triangular distribution  
8 seems to us to be not reasonable.

9 DR. ANIGSTEIN: Well, Jim, it's  
10 Bob. See, I'm thinking along those lines.  
11 See, if that were the case, and we even  
12 discussed this at the last meeting when you  
13 said, you know, Bob, how about give them zero,  
14 and you said, no, no, you can't give them  
15 zero. Well, this would have been a judgment  
16 that should have been made perhaps by DOL that  
17 only a certain category of workers are even  
18 considered, even fall under EEOICPA.

19 DR. NETON: No, no, that's not the  
20 way it works, Bob. All dose reconstructions  
21 are sent to us. And if a dose reconstruction  
22 is zero, it's zero, and we would return it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that way. I mean, we get dose reconstructions  
2 for places that had no radiation exposures,  
3 and the doses come out with almost zero, maybe  
4 medical exposure. So our jurisdiction is to  
5 take the Class of employees or the eligible  
6 employees at that site and reconstruct their  
7 dose, whether it's zero or 15 rem.

8 DR. ANIGSTEIN: But I'm saying,  
9 perhaps I'm misunderstanding, perhaps I'm  
10 misunderstanding the policy under the Act.  
11 But it would seem to me that the Act, that if  
12 these people are covered by the Act, and  
13 maybe, you know, again, this is not my place  
14 at all, but maybe they shouldn't have been.  
15 Maybe there should have been two categories of  
16 people, and the only employees that are  
17 eligible were those with known contact with  
18 radiation --

19 DR. NETON: No, no, Bob, that's  
20 what dose reconstruction is all about: to  
21 decide which one had high exposures and medium  
22 exposures and low exposures in some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 quantitative fashion. That's what we do.

2 DR. MAURO: Jim, I'd like to go  
3 back to where you're coming from because I  
4 like where we're going with this conversation.

5 And I think the difference in thinking is I  
6 look at it, I have 228 -- I think that's the  
7 exact number, 228? Is that the right number  
8 of claimants?

9 DR. ANIGSTEIN: Two hundred  
10 eighty-four. Two hundred eighty-four minus  
11 twenty-seven.

12 DR. MAURO: Okay, whatever that  
13 number is. I can't do it in my head.

14 DR. ANIGSTEIN: Two fifty-seven.

15 DR. MAURO: See, I would look at  
16 it, I'm going to give it to all of them unless  
17 I have reason to believe I shouldn't, as  
18 opposed to the other way around. I, right  
19 now, have some evidence that I should give it  
20 to these 28 and the rest not and only because,  
21 and I would agree with you that it's only  
22 because of this site, because of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 circumstances that we've encountered at this  
2 site and for reasons we don't have to go into  
3 right now because we've discussed it many,  
4 many times.

5 So I would come out and say,  
6 listen, I'm going to give it to everyone,  
7 except for those ones that I can say, you  
8 know, it would really be ridiculous to assign  
9 this dose to this person and we have  
10 affirmative reasons for believing that. And I  
11 don't think that's what happened.

12 Now, what we would give these  
13 other people, let's say we could do that,  
14 let's say we went through some exercise and  
15 you could go through and you could say,  
16 listen, I can say with a high degree of  
17 confidence on a case-by-case basis that these  
18 are the conditions under which I would not  
19 assign the upper end and here they are, here  
20 are the people. And then you would say and,  
21 because of that, here's the dose I would give  
22 them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Now, whether it's the dose that  
2 you folks derived, it sounds like there's some  
3 difficulty with that approach because, you  
4 see, the other dose that you're applying, as  
5 far as I'm concerned, they're still within the  
6 radiological envelope. And so I say to myself  
7 that model that you've come up with for  
8 assigning some other doses, you know, to me,  
9 if you're in the radiological envelope and  
10 you're wandering around in the facility where  
11 there is the fish poles being used and there's  
12 these kinds of exposures maybe occurring, the  
13 person falls in that box. But if you could  
14 say that, no, they're more administrative,  
15 secretarial, and there's good evidence to that  
16 effect, these are the ones we're going to  
17 cherry-pick out and assign something else,  
18 which may be what you would call an ambient  
19 dose. I'm not even sure what it would be.

20                   So it's just a different way of  
21 coming at the problem. I think,  
22 fundamentally, we're in agreement, and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 problem is how do we draw that line?

2 DR. NETON: I think we are in  
3 agreement, and I don't think that Dave's  
4 calculation is incorrect. I think it's a  
5 reasonable approximation for what these folks  
6 received. It happens to be about an order of  
7 magnitude of 10 percent of the middle dose  
8 that's assigned to the radiographers.

9 MR. ALLEN: Okay, okay.

10 DR. NETON: And that precedent,  
11 and that wasn't designed that way, that's just  
12 the way it came out, that is, often we use  
13 that in other situations where a person that  
14 was not a so-called process or production  
15 worker would receive 10 percent of the  
16 production dose. So it kind of fits in that  
17 envelope. I don't see that it's necessarily  
18 an inappropriate dose to assign.

19 DR. ANIGSTEIN: But what do you do  
20 with the guy who was a radiographer and he was  
21 sitting outside the tank? There's one case a  
22 name was supplied, in another case there was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 no name given.

2 DR. NETON: No, no, I'm suggesting

3 --

4 DR. ANIGSTEIN: We won't know.

5 When you're doing a dose reconstruction, you

6 won't know who that person was.

7 DR. NETON: Bob, I'm suggesting

8 that we don't parse it that thinly or that

9 finely. We just say anyone who was a

10 production process type worker would receive X

11 dose, the high dose. And people who clearly

12 fall in this administrative type category

13 would not.

14 DR. ANIGSTEIN: Okay. That's not

15 the way Dave explained it.

16 DR. NETON: I understand. I

17 started off by saying --

18 DR. ANIGSTEIN: Okay. That sounds

19 good. I can go with that. Another thing let

20 me just throw out off the top of my head,

21 there's also, in the AEC regulations two

22 categories, as I'm sure all of us health

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       physicists know but it was different in those  
2       days, the two categories of exposure. There  
3       was exposure limitations on people who were  
4       inside the restricted area, meaning the posted  
5       area, nobody is allowed here without a film  
6       badge, and also the unrestricted area. The  
7       unrestricted area, at that time, at least in  
8       the 1961 rule, I'm not sure about the earlier  
9       rule, was 500 mR per year.

10                So it would seem reasonable to  
11       say, well, if they're observing good radiation  
12       practices, nobody got more than 500 mR except  
13       the people who were directly involved with  
14       radiation work. So that would seem to be --  
15       I'm just throwing it out to --

16                DR. NETON:       That doesn't sound  
17       like a bad idea, except then you get into this  
18       argument, well, did they really exercise good  
19       control practices?       And you take Dave's  
20       calculation, and it puts an upper bound on  
21       someone who even was not --

22                DR. ANIGSTEIN:   If you apply that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if you applied that, that to the  
2 administrative workers, I have no problem.

3 DR. NETON: Well, I think we're in  
4 agreement here then. I think that's, I don't  
5 see any --

6 DR. MAURO: I think we've come to  
7 the nub of the issue, and that is parsing is  
8 fine, but it was sort of surprising to me that  
9 only 28 workers out of the two hundred and  
10 whatever would fall into the high-end  
11 category.

12 DR. NETON: Yes, and I hear you,  
13 and I'm thinking about this even with the ones  
14 where the CATIs put them in one bin or the  
15 other. It's difficult to say that a person  
16 didn't actually do some radiography at some  
17 point, you know. Mostly, we have the current  
18 job title, and you can go back a few years,  
19 but I'm more comfortable with the split with  
20 administrative versus what I would call  
21 production or process workers.

22 DR. ANIGSTEIN: Me, too.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MEMBER BEACH:   And this is Josie.  
2           I have a question.   This will be for Dave  
3 Allen.   If you look on page four of your  
4 report, Dave, it talks about the dose limit of  
5 the triangle of the higher limit 12 and then  
6 15 in 1953 to 1954.   Is that the cutoff date  
7 there or are we going -- I was under the  
8 impression it would be through `62 for that  
9 higher end.

10                   MR. ALLEN:   That's not going to be  
11 the right cutoff date.   From what I looked up,  
12 I thought it was 1958.   From what Bob put in  
13 his paper, he's saying, I believe it was 1960.

14                   DR. ANIGSTEIN:   Yes, that was my  
15 fault.   It was actually `55 that we had said  
16 earlier, and that was my error.   And I have  
17 the actual, if anybody wants it -- as a matter  
18 of fact, it's in my report in the references,  
19 the actual -- it just so happens that we have  
20 a lawyer who's one of our associates who's  
21 also knowledgeable in radiation and health  
22 physics who used to work with us, and he was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 able to dig up these rules.

2 DR. MAURO: I think we're in  
3 agreement that, whatever the rule was that is  
4 applicable based on historical records, we're  
5 going to go with that. Right now, it's our  
6 understanding it was right up to what? 1960?

7 DR. ANIGSTEIN: January 1st, 1961  
8 when the 12 rem came in.

9 DR. MAURO: Now, if it turns out  
10 you believe it's something different than the  
11 15, it's something other, and you have records  
12 for that, I mean, we're making our case that  
13 we think it goes to that. So, yes, Josie,  
14 we're saying that we think we should change  
15 that date from -- what was it? That would be  
16 before --

17 DR. ANIGSTEIN: It was `55 --

18 DR. MAURO: We're saying we think  
19 --

20 DR. ANIGSTEIN: -- through `60.

21 DR. MAURO: `61.

22 DR. ANIGSTEIN: No, through `60.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Through 1960. January 1st, '61 is the lower -  
2 -

3 DR. MAURO: Right. Certainly, if  
4 NIOSH goes into this issue, because I got to  
5 say we didn't look that closely at it. We  
6 should have. Now, when they look at it  
7 closely, if you see something different, you  
8 know, we could always talk about that. But I  
9 think we're in agreement --

10 DR. ANIGSTEIN: I'll be very  
11 surprised if they saw a different Federal  
12 Register than we did.

13 DR. MAURO: Listen, I'm ready for  
14 anything. But I'm saying that the philosophy,  
15 though, is whatever is determined to be the  
16 applicable bound and the year in which that  
17 occurred and when it changed, I think that we  
18 both agree that's what we're going to use as  
19 the upper end of our triangular distribution.  
20 Now, we believe it's through 1960. If you  
21 find that it's something different, then, of  
22 course, we need to talk about that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So, Josie, I think the answer to  
2 your question is it is our, SC&A's  
3 recommendation that, as best we can tell, it  
4 looks like that 15 should be pushed up a  
5 little bit from the '55 date up to 1960.

6           DR. ANIGSTEIN: Through 1960.

7           DR. MAURO: Through 1960. There  
8 you go.

9           MR. ALLEN: Yes. And this is  
10 Dave, and I agree with them. The 10 CFR 20 is  
11 the controlling standard when it's in effect  
12 at the time. You know, assuming that's what  
13 it says, then, yes, we'll push it up through  
14 1960. I had NBS 59 out, and then I realized  
15 where the error between the '54 and '58 came  
16 from. There was an amendment that changed NBS  
17 59 to, essentially, 12 rem per year, but they  
18 left the '54 cover page on it, which confused  
19 --

20           DR. ANIGSTEIN: No, what happened  
21 was AEC took three years from the time they  
22 suggested the rule to actually promulgate it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MR. ALLEN:     Right.     So, like I  
2     said, we would agree --

3                   DR. ANIGSTEIN:    The NBS Handbook  
4     came out earlier.

5                   DR. MAURO:    I think we've got this  
6     part solved, and I think the only, the place  
7     that's really the -- we'll get the internal in  
8     a second.  But, I mean, from an external point  
9     of view, I think we're there.  I think it  
10    really becomes a matter that, as Jim said, to  
11    maybe take a closer look at where does that  
12    split really occur?  Is it 28 people, or is it  
13    something bigger?  In other words, is the tent  
14    going to be a little bigger for putting the  
15    people into the upper-end distribution?  And,  
16    of course, this is going to be a judgment  
17    call, and I believe that, in the end, you  
18    know, we're all going to see it maybe a little  
19    differently and we're going to converge, as we  
20    always do.  We try to, anyway, converge on,  
21    okay, I think we've placed in the right place.

22                   Right now, our sense is it's not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the right place, you know, where that cut  
2 is occurring. It just doesn't, you know, the  
3 nature of the work and the classification of  
4 the workers. If you folks are willing to go  
5 back and take another look at that, maybe make  
6 it a bigger tent, and be comfortable with it,  
7 I think that we're on our way to resolving  
8 this.

9 CHAIRMAN ZIEMER: Okay. Let me  
10 pose a question for NIOSH at this point. This  
11 is Ziemer again. And either Dave or Jen, I  
12 think what I heard that NIOSH would propose is  
13 that you would not use the triangular  
14 distribution if you had, basically, solid  
15 information that confirmed that the person  
16 could not have been in the radiological area,  
17 such as a secretary. We're talking about  
18 before, if that group, aside from everybody  
19 else in the plant and under those conditions,  
20 are you saying that everyone else in the plant  
21 would get the brand new distribution --

22 DR. NETON: This is Jim. That's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 what I'm saying, that we would make the  
2 dividing line, what I would call production  
3 process workers versus administrative  
4 personnel.

5 CHAIRMAN ZIEMER: Now, can that  
6 actually be done in practice is my question?  
7 Because it's not unlike the question we had  
8 even at GE Cincinnati where we said is there a  
9 way that that could actually be identified?

10 DR. NETON: Well, this is a little  
11 different, Paul, in the sense that we're not  
12 saying that they didn't enter the radiological  
13 area. We're saying that we can bound their  
14 dose if they did using Dave's calculation.

15 CHAIRMAN ZIEMER: Yes, well --

16 DR. NETON: We don't need to  
17 necessarily say they never entered the area.  
18 We could say that, if they did, they certainly  
19 weren't doing radiography. And if they  
20 weren't doing radiography, then this  
21 approximately 900 millirem or milliroentgen  
22 would bound their exposure per year. And, in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 fact, we do this. Again, we have different  
2 categories of workers in TBD --

3 CHAIRMAN ZIEMER: Right. Well,  
4 yes, I'm not disputing that. I'm trying to  
5 understand how it would actually be  
6 administered in terms of doing it. So if  
7 there was any doubt that the person was  
8 somehow outside basically most of the time,  
9 they would be assigned the higher dose.

10 DR. NETON: Correct. They would  
11 be given the benefit of the doubt and  
12 claimant-favorable assumption and be assigned  
13 the higher dose.

14 CHAIRMAN ZIEMER: Right, right.  
15 So it comes down to that issue, Allen  
16 described it as the size of the tip. Yes --

17 DR. NETON: Well, I suspect that  
18 this is going to enlarge the group  
19 tremendously, you know. If you were in the  
20 process production area, you'll be assigned  
21 the high -- I don't know what fraction of the  
22 people will show up as administration, but I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suspect it's a fairly small percentage of the  
2 total workforce. I haven't looked at it.

3 CHAIRMAN ZIEMER: So are you  
4 proposing that you would go back and kind of  
5 do the reverse of what Dave did and say can we  
6 find from either the CATIs or the job  
7 description people who definitely were not in  
8 the radiological area for --

9 DR. NETON: I had intended to do  
10 that as an exercise. I mean, I was trying to  
11 describe the approach we would take in dose  
12 reconstruction, but if --

13 CHAIRMAN ZIEMER: Well, I think  
14 I'm asking do we know we can even do it? And  
15 if we can't, then it defaults to the larger  
16 group.

17 DR. NETON: Well, we just don't  
18 know. Well, it would, but, in practice,  
19 though, I would still like to maintain that  
20 option if we, you know, if it's, if the data  
21 are there and we have job categories of people  
22 who, for instance, were secretaries their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 entire career or during their employment  
2 period, we want them to have that option to  
3 assign the lower dose. That's all we're  
4 saying. I mean, it just doesn't make sense to  
5 take someone with a job category of secretary,  
6 or maybe accountant, or draftsman, and say  
7 that they received up to 15 rem exposure every  
8 year of their employment. It just doesn't  
9 make sense to us.

10 CHAIRMAN ZIEMER: Right.

11 DR. NETON: We can go back and re-  
12 look at the job categories, but I think what  
13 we would do is very much like we've done in  
14 the past at many other sites. This is not  
15 unique. This is not something that we're  
16 proposing that is unique to GSI.

17 CHAIRMAN ZIEMER: Right. Well, I  
18 want to ask one additional question. I'll ask  
19 SC&A this question.

20 DR. ANIGSTEIN: Tom, I have  
21 another --

22 CHAIRMAN ZIEMER: Hang on, Bob.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Hang on just a minute. Here's the question:  
2 so if this approach were used, I assume SC&A  
3 still has some issue with that actual  
4 calculation in terms of the dimension or the  
5 shielding or the actual value --

6 DR. ANIGSTEIN: Yes, that's what I  
7 was just going to get to. We had done in an  
8 earlier report a calculation of the dose using  
9 the actual radiography room to someone  
10 standing just outside the door with the radium  
11 sources exposed, and the difference being this  
12 would not have been caught by the later survey  
13 because the steel shields had been installed.

14 Now, prior to installation of the steel  
15 shields, you had a clear path for radiation  
16 from the radium source in the middle of the  
17 room going right through a very thin door,  
18 typically a hollow steel door total of an  
19 eighth-inch or quarter-inch of steel, someone  
20 outside. And there we calculated, based on  
21 the 30-percent occupancy, which was something  
22 taken from the NCC assumption, that there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 would be 2 rem a year, 2.086, if I remember  
2 correctly. And that's based on a direct  
3 calculation, and I think it's a little more  
4 defensible. I mean, obviously, it sounds like  
5 I'm promoting it because we did it, but it  
6 seems to be a little more defensible. And  
7 they could change the occupancy factor to  
8 whatever seems, you know, that's a judgment  
9 call. That's not a physics problem.

10 CHAIRMAN ZIEMER: It would be  
11 different for people who were plant workers  
12 versus the casual --

13 DR. ANIGSTEIN: Well, this would  
14 be a casual person. I mean, you could  
15 hypothesize that a casual person would have  
16 walked up to that radiography room, and this  
17 would have been, by far, the most highly-  
18 exposed location just outside the door, steel  
19 door, as opposed to outside the thick sand-  
20 filled bricks. We didn't know what fraction  
21 of the wall was actual concrete and what  
22 fraction was sand. We modeled it as if it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 were just sand because sand has a lower  
2 density than concrete, so, essentially, we  
3 made sand walls. But that doesn't really  
4 matter because we're looking at the radiation  
5 through the door, so the walls really have  
6 nothing to do with it.

7 That's a suggestion. I'm not  
8 saying it's a policy statement. It's a  
9 suggestion because before actually NIOSH had  
10 adopted that, and I said, well, no, we did not  
11 intend for this to be the definitive dose for  
12 all non-radiographers. But now that you're  
13 limiting the Class to whom it would apply,  
14 that would seem to be a good starting point,  
15 and we'll be happy to share the MCNP files if  
16 you wanted to check them. And this is a very  
17 sample calculation. It could be done with any  
18 version of MCNP, just the direct photon  
19 radiation.

20 CHAIRMAN ZIEMER: Okay. Thanks,  
21 Bob. Let's see if there's other questions.

22 DR. ANIGSTEIN: Hello?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN    ZIEMER:        Yes,    still  
2    there?

3                   DR. ANIGSTEIN:    I heard a beep.

4                   MEMBER    BEACH:        Paul,    this    is  
5    Josie.   I don't have any questions right now.

6                   CHAIRMAN    ZIEMER:        Okay.    Now,    I  
7    want to do one other -- we hadn't made a final  
8    decision on this, but I know that Dr. McKeel  
9    had some -- I'm hearing some noises here, but  
10   Dr. McKeel had some issues with Dave Allen's  
11   presentation and maybe some issues with some  
12   of the shielding issues, as well.   Dan, I'm  
13   going to give you an opportunity, if you want  
14   to comment at this point, on this external  
15   dose issue.

16                  DR. MCKEEL:    Yes, I'm muted.   Can  
17   you hear me all right?

18                  CHAIRMAN    ZIEMER:        Yes, go ahead.

19                  DR. MCKEEL:    Okay.    Well, I do  
20   have some comments.   I guess the first points  
21   that I want to make that I think has been  
22   totally overlooked in this morning's entire

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 discussion, and that is that the scheme that  
2 NIOSH seems to be near agreement upon that  
3 there will be two classes of workers under  
4 this new plan, which I assume we're all in  
5 agreement we're working toward a revised  
6 Appendix BB.

7 CHAIRMAN ZIEMER: That is correct.

8 DR. MCKEEL: Do we agree with  
9 that?

10 CHAIRMAN ZIEMER: Yes.

11 DR. MCKEEL: Okay. And under the  
12 old Appendix BB, there was also a two-level  
13 plan there. It didn't include office workers,  
14 but it included, primarily, radiographers,  
15 betatron and isotope, et cetera, versus non-  
16 betatron, isotope radiographers, so all the  
17 other people in the plant. In that scheme,  
18 that would have included office workers.

19 And the fourth paper that I sent  
20 you all in the wee hours of this day, which I  
21 thought was extremely important, was to point  
22 out that there was a meeting held by NIOSH, a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 town hall meeting, October 9th of 2007. And  
2 in that meeting, Dave Allen made an extensive  
3 presentation of the facts that -- and this was  
4 in the period, this was October 2007. I have  
5 information from Laurie Breyer that somewhere  
6 around two-thirds of the dose reconstruction  
7 done at GSI had already been completed. And  
8 Dave Allen made the statement over and over  
9 and over in many different ways that almost  
10 everybody was assigned the highest dose, that  
11 is as it was put in that meeting summary of  
12 betatron radiographers.

13 Now, that's basically the same  
14 thing that was said on December the 11th,  
15 2012, just before the full Board voted to deny  
16 SEC 105. And I had said in my administrative  
17 review that we filed for SEC 105 with HHS that  
18 those remarks by Dave Allen but also  
19 contributed to by others was a very serious  
20 misleading of the Board.

21 I also think that Dave Allen's  
22 statements in October 9th of 2007 were very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 serious misleading of the public as to what  
2 would be done with the dose reconstructions,  
3 and that's my question that I have today. I  
4 have two questions. One is Dave Allen's  
5 presentation today did not reflect that he had  
6 read any of my papers. There's no reference  
7 to the 1952 data. There's no reference by  
8 Dave Allen to my and John Ramspott's  
9 information that we sent about the inner  
10 structure in building 6 being built in 1955,  
11 that the walls were one row thick of blocks,  
12 concrete blocks with holes that were filled  
13 with river sand that were six to eight inches  
14 wide, not 16 inches wide, not 24 inches wide,  
15 that the workers deny that bricks were ever  
16 added to the outside of those walls. All of  
17 those facts we had just sent. And, you know,  
18 I hear no indication that Dave Allen read that  
19 material, considered any of those things, and  
20 certainly they're not entered into his models,  
21 which are based on solid concrete walls that  
22 are 16 to 24 inches thick.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           We know that the walls in the  
2 inner building were not solid concrete because  
3 we now have two workers, Mr. Churovich is on  
4 the phone and he's one of them, and  
5 [identifying information redacted] who was an  
6 employee that was there from 1950 through 1977  
7 and was there, actually, for a very long time  
8 and directly observed the inner structure  
9 being built in 1955. So all the facts that we  
10 put in our paper were [identifying information  
11 redacted] affidavit, eyewitness, sworn  
12 statement, and Mr. Churovich can amplify that  
13 showing the same thing.

14           But the main problem with all of  
15 this scenario that the highest dose will be  
16 assigned is the fact that, based on the  
17 completed dose reconstructions that I've seen  
18 and that John Ramspott has seen, it is  
19 definitely simply not true what Dave Allen  
20 said would be the case in 2007 and it's not  
21 true what Dave Allen was referring to in  
22 December the 11th. And I am skeptical that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 even though you may say that the highest dose  
2 should be assigned to everybody, that the  
3 language we're all using is so vague about  
4 which jobs are going to be covered under the  
5 highest dose scenario that dose reconstructors  
6 will be free to do whatever they want to do.

7 I have a communication, too,  
8 actually, from Laurie Ishak Breyer, who is the  
9 SEC counselor, where she laid out explicitly  
10 that, as we all know, Appendix BB has two  
11 levels of dose assignment. And, in general,  
12 my observation is that the real betatron  
13 isotope operators, which are only a few, as  
14 Dave Allen pointed out. A deceased betatron  
15 radiographer, John Terry Dutko, sent you all a  
16 list that there were 11 radiographers that he  
17 was aware of that filed claims. That's all.

18 And so, you know, so 11 from 284  
19 only leaves you, that's 273 people who are not  
20 betatron radiographers. And according to Dave  
21 Allen, in 2007, most of those people should  
22 have gotten the betatron radiographer doses.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Well, they didn't get those doses. They were  
2 assigned the lower dose level, just like  
3 Appendix BB Rev 0 in 2007 indicated they  
4 would.

5 So here's what I have to say.  
6 Before any of this morning's discussion is  
7 credible at all, NIOSH now must produce  
8 statistics where it breaks down the statistics  
9 that should have been delivered a long time  
10 ago to add fact to this broad general  
11 discussion, which is entirely qualitative and  
12 it's based on supposition and speculation and  
13 so forth.

14 And so what they need to do is  
15 they need to say, of all the people who've  
16 undergone dose reconstruction, how many of  
17 those people are strictly classified as  
18 radiographers? That certainly should include  
19 the 11 people that Terry Dutko identified. It  
20 certainly should include the 12 radiographers  
21 that Dave Allen spoke about this morning. But  
22 the most important thing he needs to fill in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is how many of the remaining 273 people were  
2 assigned that highest betatron radiography  
3 dose? And I will be surprised if that number  
4 isn't way lower. I think you're going to find  
5 that the dose reconstructors gave the non-  
6 radiographers the non-radiographer dose and,  
7 in general, the radiographers got the  
8 radiographer's higher dose.

9 Now, of course, the problem is for  
10 Rev 1 of Appendix BB we have another huge  
11 problem, and that is, in the former Rev 0, the  
12 betatron operators got higher doses than the  
13 other workers did by an order of magnitude  
14 tenfold at least, whereas the situation has  
15 changed dramatically now that NIOSH has  
16 reverted to normalizing the MCNPX betatron  
17 model to the film badge reading. And the idea  
18 that, as you heard today, Bob Anigstein said  
19 the fact that you have to normalize to no more  
20 than 10 millirem doses on the film badges, and  
21 Bob gave you his reasons this morning why SC&A  
22 doesn't even think that normalization process

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is valid.

2 So I think, I think that is a very  
3 major thing. I think that for the radium  
4 calculations for the non-radiographers, you  
5 know, you have to use half-value layers of  
6 river sand for an eight-inch or six-inch thick  
7 wall. And, also, the quotations that Bob  
8 Anigstein gave this morning that no workers  
9 came within 20 feet of the inner structure is  
10 refuted by abundant testimony from many  
11 workers who knew that area.

12 [Identifying information  
13 redacted], who observed the inner building  
14 being built, reminded all of us, I didn't know  
15 this before, that he parked his locomotive in  
16 the winter in building 6 very close to the  
17 inner radiography room in building 6. John  
18 Ramspott reminds me that it's virtually a  
19 straight line from that thin door of the inner  
20 building, which, by the way, there's testimony  
21 that we believe that that door wasn't even  
22 present probably up until 1962.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 [Identifying information redacted]  
2 testifies that when he saw the building 6  
3 inner building being built that they framed in  
4 the door, but they didn't put a door on that  
5 structure. So we think that it may be that  
6 there was no door on that up until 1962 when  
7 the cobalt-60 licenses were built. But in any  
8 case, even if there was a thin door, radium  
9 could penetrate that quite easily, and it was  
10 a straight shot into the foundry building  
11 which was right across from that inner  
12 building, which was, by the way, for the  
13 record, roofless.

14 So I just think the entire  
15 modeling and the entire idea that you can  
16 assign everybody this high dose and that it  
17 actually will be carried out during actual  
18 dose reconstructions is really a house of  
19 cards this morning. I think that the Work  
20 Group should ask NIOSH to provide the  
21 statistics that show that non-radiographers  
22 have been assigned radiographer doses and that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this scheme that's being proposed actually  
2 comports with the facts of what has been done  
3 since dose reconstructions really began at GSI  
4 in 2007.

5 So, anyway, I have -- oh, and  
6 there's one other thing I just need to mention  
7 about today's discussion. When we're talking  
8 about, when Jim Neton is talking about  
9 identifying and Paul Ziemer is talking about  
10 identifying workers who were secretaries and  
11 accountants and, interestingly, Dave Allen  
12 brought up accountants in 2007 at the October  
13 meeting, but Paul wants to be able to identify  
14 those people solidly, and I'm saying that's  
15 not possible. And I point out to you that I  
16 had written Rachel Leiton and asked her about  
17 whether Department of Labor was able to  
18 identify radiographers and non-radiographers  
19 at GSI, and she wrote back to me and I sent  
20 that letter to all of you and the Board. She  
21 wrote back that their system, quote, was not  
22 sophisticated enough to distinguish

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 radiographers and non-radiographers at GSI,  
2 that most of the job classifications DOL had  
3 related to chemical operators and laboratory  
4 workers.

5           So I think that's another issue  
6 that has to be reconciled, and I think it  
7 would save a lot of heartache and angst and  
8 mistakes and time and money and effort if  
9 Department of Labor could be consulted on this  
10 matter.       Can they actually pick out  
11 secretaries and accountants from all the other  
12 people that they would have to distinguish?  
13 Can Department of Labor help you pick out  
14 radiographers? I just feel that that's going  
15 to be impossible to administer accurately.

16           So I have other comments about the  
17 internal doses, and I have to say on the SC&A  
18 papers that, you know, I got my copies  
19 yesterday. That's not nearly enough time to  
20 review those papers and digest them. I find  
21 out this morning that Dr. Anigstein has a  
22 PowerPoint. I think all of you all well know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that every time that a PowerPoint is shown I  
2 want a copy, and that could have been done  
3 proactively. You know, the petitioners ought  
4 to have the material that's presented at Work  
5 Group meetings, and I've asked for that over  
6 and over again.

7 So while all that discussion was  
8 going on and you all were watching everything  
9 on your screens, I had no access to that  
10 information, and I need to have that. So, you  
11 know, I wrote you all four papers, and three  
12 of them came in much earlier. The response to  
13 Dave Allen took three days, and it's 24 pages  
14 long. And I need you to read that, and I need  
15 it to be discussed intelligently this morning,  
16 along with Dave Allen's paper and along with  
17 the two papers that SC&A wrote in response to  
18 it.

19 So I guess the final thing I would  
20 say is I noticed that Dave Allen mentioned  
21 that radium-226, exposure was the way he put  
22 it, was slightly more than you get from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 cobalt-60. Well, you know, I'm not a house  
2 physicist, but it wasn't hard to read that  
3 radium-226 generates five alphas with energies  
4 up to 7.7 MeV each, plus the gamma photons  
5 that are 2.3 MeV versus, as you all know  
6 better than me, Co60 only has gamma photons in  
7 the 1 to 1.1 MeV range.

8 So I think, again, that's a, it's  
9 a misleading statement to say it's only  
10 slightly greater. It's more than two-fold  
11 greater energy wise, radium compared to  
12 cobalt-60.

13 And I'd also mention that you all  
14 act as though you know a lot about the GSI  
15 radium sources, but, in fact, you all don't  
16 know who manufactured them, who was the  
17 vendor, when they were purchased, whether  
18 there were any radon leak tests which I have  
19 in my papers. He brought that up to this Work  
20 Group in 2009. And, you know, all of that  
21 should have been worked out years ago.

22 In fact, when the radium plumb-bob

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 incident was first described, it was described  
2 as a stolen plumb-bob. The word radium was  
3 not attached to that at all. And now that  
4 John Ramspott and I have read about all of  
5 this extensively, it's pretty clear to us that  
6 plumb-bob, plumb-bob refers to a radium  
7 source. It doesn't apply to a cobalt source.

8 It doesn't apply to a radium source. So as  
9 soon as that testimony came up in 2006,  
10 everybody should have started looking for  
11 radium-226 sources at GSI. And the NRC FOIA  
12 2010-0012 that I obtained and NRC supplied to  
13 us in full unredacted, that clearly has in  
14 there that both St. Louis Testing and NCC  
15 performed leak tests on the GSI sources.

16 Now, presumably, that was on their  
17 cobalt sources, but what's really relevant is  
18 who or did anyone perform leak tests on the  
19 GSI radium-226 sources? And you all know  
20 better than I do that those sources build up  
21 gasses within the little capsule, and they  
22 often rupture, and that's the reason why they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 were so dangerous. That's the reason why  
2 their use was discontinued. That's the reason  
3 why people had to, for safety reasons, perform  
4 leak tests. And we don't know anything about  
5 that at GSI and, as far as I can tell, the  
6 effort has not been expended to find out about  
7 that, to get those records from NRC or the  
8 Department of Energy.

9 I've sent three FOIA requests to  
10 get those license records for NCC and for St.  
11 Louis Testing, and I can't get them. NRC says  
12 they have no records of those licenses.  
13 Department of Energy, Pat Worthington, Dr.  
14 Worthington just wrote me and I sent that to  
15 you all. The Department of Energy cannot find  
16 those byproduct licenses for either NCC or for  
17 St. Louis Testing.

18 So the way I look at that is that  
19 all that information that's in the GSI license  
20 application that talks about NCC, St. Louis  
21 Testing, some of the facts in there are just  
22 plain wrong. We certainly believe the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 drawings of the inner building 6 building in  
2 that GSI license application are just plain  
3 wrong. They weren't 16 inches, they weren't  
4 24 inches thick.

5 So, anyway, that's basically what  
6 I have to say on that. I do have some  
7 comments to make about the internal doses, but  
8 I'll let it go at that. Thank you.

9 CHAIRMAN ZIEMER: We'll get to  
10 those later, Dan. Thank you. Let me make a  
11 couple of comments here just for the record.  
12 On the radium sources, the alpha energies are  
13 not pertinent since none of the alphas can get  
14 out through that capsule. So our --

15 MR. KATZ: Paul, you --

16 CHAIRMAN ZIEMER: I'm on mute.  
17 Sorry. I was on mute. Just a couple of  
18 comments here. I just wanted to mention, on  
19 the radium sources, the alpha energies aren't  
20 of importance here since the alphas do not get  
21 outside of the capsule. So the alpha radium  
22 sources are very well known in terms of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 exposure rate, as are cobalt, so those numbers  
2 that Dave has used were very well known  
3 numbers in terms of the output at various  
4 distances per unit activity. They're very  
5 well-known, well-established numbers.

6 One other thing I'll comment on  
7 because, on the leak test issues, we had  
8 commented way back that one of the reasons  
9 radium was removed from use around the country  
10 and it was not mandated by AEC, they had no  
11 control over radium and this was really done  
12 on a consensus basis and enforced by states  
13 mainly, but was the leaking issue.

14 Now, not all radium sources leak,  
15 and one of the issues would be for  
16 radiographers, if you had a leaking source,  
17 you basically couldn't use it for radiography  
18 because you would be contaminating your films  
19 with the leaking material. So the fact that  
20 one was able to continue to use the films in  
21 radiography is, at least, an indirect  
22 indication that there was leakage because if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you had such leakage you wouldn't be able to  
2 really conduct valid radiography pictures.

3 And there was indication in some  
4 of those early records, at least statements  
5 that leak testing was done. We don't have  
6 those records, but, indirectly, one can  
7 confirm from the fact that they were able to  
8 use those sources for radiography that they  
9 weren't leakers and, hence, the external  
10 contamination apparently was not an issue.  
11 Now, I --

12 DR. MCKEEL: This is Dan McKeel.  
13 Can I please? I need to break in because  
14 you're just overriding my central point. My  
15 central point is that when it was time for  
16 GSI, when they bought their cobalt-60 sources,  
17 there's some early letters from the AEC saying  
18 that their leak testing and calibration  
19 records for the survey meters were late, that  
20 they hadn't done them.

21 So, certainly, for those meters  
22 and those sources, the AEC was very interested

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in whether those tests had been performed.  
2 And I know from everything I've read that  
3 radium, when you say, I believe your term was  
4 that you can infer that the GSI radium sources  
5 which were used for at least 10 to 11 years,  
6 and don't forget the information that you all  
7 cite as the basis for saying that AEC limits  
8 were not exceeded, that statement in the GSI  
9 license says that those measurements went back  
10 20 years. And so that means well before 1952  
11 there was some source that was being used at  
12 GSI. The betatron didn't come in until 1952.  
13 And so the main source that would be used at  
14 GSI for the first part of those 20 years was  
15 radium-226. That's all they had.

16 And so I'm saying that, and I  
17 believe you would have to admit this, that at  
18 any well-run installation that people did do  
19 leak tests for radium-226 sources, and the  
20 literature is full of methods to do that using  
21 Polaroid land film and so forth. And as I  
22 said, those leaks, a lot of them couldn't be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 seen, couldn't be seen by visual inspection.  
2 And, of course, the marker that made them  
3 visible was the radon that was released  
4 through, those tiny holes in the capsule would  
5 expose the underlying film and that would show  
6 you the sources of the leaking.

7 So I'm going to claim again that  
8 it was probable that the GSI radium-226  
9 sources did leak. We had no idea whether they  
10 were changed out at all. We just have no  
11 records about that. If you say they weren't  
12 ever, that leaking was not an issue, that was  
13 your phrase, I don't believe we know that. I  
14 think that's speculation and conjecture. So,  
15 you know --

16 CHAIRMAN ZIEMER: Well, I don't  
17 agree with that because if they were leaking  
18 that would show up in at least two ways. One  
19 is your survey meters would not be usable  
20 because they would be contaminated. Number  
21 two, your films would be contaminated and  
22 would not be usable.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MCKEEL: Well, here's the  
2 problem. You don't have any films, you don't  
3 have any reports, you don't have any  
4 calibration record on any test instruments.  
5 So all of those things could have occurred,  
6 and we would never know the difference. We  
7 simply do not have records. Everybody is  
8 making up things that should have been the  
9 case at GSI, but we have no proof that they  
10 were actually the case.

11 So, again, you know, I cannot  
12 require anything. I can just say that the  
13 petitioners, and speaking for the workers and  
14 the advocates at this site, strongly believe  
15 that you all should, to be claimant-favorable,  
16 presume that those radium sources did leak  
17 radon and you have to bound those doses.  
18 That's all I'll say about that.

19 CHAIRMAN ZIEMER: Bob, do you have  
20 a comment?

21 DR. MCKEEL: I do have one other  
22 comment. I'm sorry. But, you know, you call

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 me out of order. I thought that I was going  
2 to be on the spot after all the discussions  
3 were had from SC&A. But I want to mention  
4 that when Bob Anigstein was going through his  
5 list of sources at GSI during the radium era,  
6 he mentioned radium and the betatron. But, in  
7 fact, we have testimony that there was  
8 iridium-192 source and there were two 250 kVp  
9 x-ray machines, and the same situation told  
10 during the, quote, betatron era. It wasn't  
11 just the two betatrons and two cobalt sources.

12 There were also the two kVp, 250 kVp  
13 machines. There was the iridium source and  
14 two overlooked sources that when I was  
15 preparing the administrative review we ran  
16 across. But there's testimony from three  
17 workers at GSI that they were required as part  
18 of their radiographer GSI jobs to go over to  
19 American Steel and to use their one million  
20 kVp x-ray machine and their iridium-192 source  
21 to do overflow GSI work. And, more  
22 importantly, those men were required to wear

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 their GSI film badges over to American Steel.

2 So I understand that's a legal  
3 question as to whether work done by GSI  
4 employees at another facility should be  
5 covered under their dose reconstructions under  
6 the Act, but that is a fact. It is on the  
7 record, and it was put on the record during  
8 two worker meetings in July and August of  
9 2006.

10 Okay. Thank you.

11 CHAIRMAN ZIEMER: Okay. Bob, you  
12 had a comment?

13 DR. ANIGSTEIN: Yes, I have a  
14 couple of comments. First of all, about the  
15 exclusion, I never said that no worker could  
16 approach within 20 feet. I said there were no  
17 workstations. That was according to the GSI  
18 application. There were no workstations in  
19 that area. There's 20 feet on either side to  
20 the nearest work area, and there was oil drum  
21 storage on one side, which made it difficult  
22 to approach the walls. There was one wall, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 think it would be the east, the west wall,  
2 which was approachable. Obviously, they had  
3 to go in and out the door. But there was no  
4 permanent station where somebody would spend a  
5 good part of eight hours a day. That was what  
6 I meant. I never meant to say that they could  
7 not approach the wall.

8 The other statement was about the,  
9 some comments about the leak testing and the  
10 radium. The fact that the AEC raised a  
11 question about the cobalt leak testing was  
12 simply a matter of how the regulation was  
13 interpreted. And Dr. Kronecker of the NCC,  
14 the Nuclear-Chicago Corporation, simply said  
15 he assumed that they had to be tested within  
16 six months of being put into use, not within  
17 six months of it being first -- they did do  
18 leak testing. There was just a quibble over  
19 what was the appropriate date, and he said now  
20 we understand the right date. It's not that  
21 they didn't know about leak testing and they  
22 did not do leak testing.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           And the same way with the  
2 instrument calibration. It was a question of  
3 the dates that it had to be done on.

4           Now, I did some independent  
5 research I never got around to reporting on  
6 what was, the radium sources were not owned by  
7 GSI. They were leased; and, as a matter of  
8 fact, that's one of the reasons given in the  
9 license application why they wanted to get rid  
10 of them, besides the fact that the State of  
11 Illinois ordered them to, which I think was  
12 the real reason, but also that the leasing was  
13 pretty much extremely expensive in those days.

14          So even the leasing was expensive, and they  
15 thought it was cheaper to simply buy the  
16 cobalt sources outright and be done with it  
17 and not have to pay, you know, annual,  
18 monthly, whatever it was, lease.

19           And that being the case, now, we  
20 don't know who was the purveyor, but I did  
21 find information on some purveyors that leased  
22 radium sources. And they also provided at a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 very low cost leak testing. There were two  
2 ways. They would either sell a leak testing  
3 kit, and I'm going by memory but at some very  
4 nominal cost, like \$19. I know we're talking  
5 about years back, but still \$19 on the  
6 operation side of GSI was not significant. Or  
7 they could send the sources, and they would  
8 leak test them. And, again, I'm just trying  
9 to, I think it was something like \$70. Less  
10 than a hundred dollars and they would leak  
11 test them. But since they were leased  
12 sources, it would be very simple to say, okay,  
13 send us, we're sending you the source for leak  
14 testing, send us another one in the meantime  
15 since we could just swap them since they're  
16 owned by this company anyway.

17 So it's not proof. But given  
18 everything else, that they went to the expense  
19 of having film badges when they weren't  
20 required to, it would seem very reasonable to  
21 think that, since leak testing was provided at  
22 a nominal cost, why would they not take

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 advantage of it? That's sort of a heuristic  
2 argument. It's not conclusive proof.

3 CHAIRMAN ZIEMER: Okay. We need  
4 to take a comfort break here. We've had a lot  
5 of discussion, but let's take a ten-minute  
6 break and then we'll return, have one more  
7 discussion, and then we'll do our lunch break  
8 probably about a quarter after one Eastern  
9 Time. Okay, ten minute break.

10 (Whereupon, the above-entitled  
11 matter went off the record at  
12 12:32 p.m. and resumed at 12:46  
13 p.m.)

14 CHAIRMAN ZIEMER: I think we'll go  
15 ahead and proceed. I want to start with a  
16 question, which I will ask NIOSH. Let me  
17 frame it this way: if we were to proceed with  
18 a scheme such as they describe with the  
19 triangular distribution and the idea that  
20 everyone would get that dose unless you could  
21 specifically confirm that there's no way they  
22 would have been in the operational area,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 number one, I assume that that would result  
2 not only in a revision of Appendix BB but  
3 would initiate a PER that would go back and  
4 review all of the previous dose  
5 reconstructions, at least those that were  
6 below the 50-percent value, and determine  
7 whether or not they now are qualified for  
8 compensation under the revision. Is that  
9 correct?

10 MR. ALLEN: This is Dave Allen.  
11 Yes, that's correct. I mean, that's been the  
12 plan all along is to settle all the issues,  
13 revise the appendix, and then perform a PER.

14 CHAIRMAN ZIEMER: And, essentially  
15 --

16 DR. NETON: Paul, this is Jim.  
17 I'd like to correct one thing you said. You  
18 said that we would confirm there's no way they  
19 entered the radiological area.

20 CHAIRMAN ZIEMER: Okay. I want to  
21 --

22 DR. NETON: I would say that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would confirm there was no way that they  
2 actually performed radiography. That's really  
3 what we're saying because these people did not  
4 perform radiography --

5 DR. ANIGSTEIN: Excuse me. This  
6 is Bob. That's not what John Mauro and I  
7 understood you to say earlier. There will be  
8 an exclusion for proven administrative  
9 personnel who would not have been in the  
10 plant. Obviously, some secretary might have  
11 been sent to give a message to a radiographer,  
12 the boss wants to see you or something like  
13 that. But I mean they would not be only in  
14 the plant. Now, we're going back and forth  
15 because --

16 DR. NETON: No, no, no, I  
17 misspoke. What I meant was they could have  
18 been in the plant by, you know, traversing it,  
19 delivering paychecks, you know, whatever. So  
20 Paul said that they would not been in the  
21 plant, but I'm saying they could have been in  
22 the plant but they were administrative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 personnel only and --

2 DR. ANIGSTEIN: Yes, yes, that's  
3 what we understood you to say. We hope --

4 CHAIRMAN ZIEMER: But anyone who  
5 worked in the plant --

6 DR. NETON: Right. They did not  
7 work --

8 CHAIRMAN ZIEMER: -- would be  
9 considered a radiographer under this scheme.

10 DR. NETON: Correct. I misspoke.  
11 Thanks for correcting me, too.

12 CHAIRMAN ZIEMER: Yes, okay. I  
13 wanted to make sure I understood where that  
14 was going. And then the other part of it is  
15 then, if they were in the category where they  
16 were what you're calling currently sort of  
17 administrative, I'm not using that necessarily  
18 as the job title but conceptually, not someone  
19 who worked within the plant on a regular  
20 basis, you were going to apply the lower dose  
21 based on the calculation that Dave had  
22 developed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           And what I wanted to ascertain  
2 was, and perhaps this would be a next step, a  
3 couple of things. One is that there's  
4 agreement between SC&A and NIOSH as to how  
5 that is actually calculated and, number two,  
6 that NIOSH would look at the issues raised by  
7 the petitioner in terms of the wall and so on  
8 and at least confirm what they did in terms of  
9 those issues. We had some debates about the  
10 composition and the thicknesses and just to  
11 assure that those were taken into  
12 consideration. Is that something that -- and  
13 I just raise this at this point. I need to  
14 get into it from the other Work Group Members,  
15 but I'm trying to think about the next step  
16 here because, to come to closure, you need  
17 agreement on some of these things.

18           DR. ANIGSTEIN: This is Bob. I've  
19 got two problems, not to be, you know, beating  
20 a dead horse. One is if you assumed that the  
21 wall thickness never changes, as Dave pointed  
22 out, it doesn't matter what the wall is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 because then he would simply be using the  
2 survey measurement and then make a correction  
3 for the difference between radium and cobalt  
4 and the 500 millicuries and the 260 or 80  
5 millicuries.

6 But the other question this raises  
7 is what do you do, starting in `52 into  
8 sometime in `55, when there was no building?  
9 I mean, we've accepted, I believe I talked to  
10 you privately, Paul, and we've accepted that  
11 the email that Dr. McKeel furnished indicating  
12 that this was built in `55. We have no real  
13 reason to not believe that because the only  
14 information, just to round out the picture,  
15 that I had was somebody whom I interviewed, I  
16 believe it was a radiographer, a part-time  
17 radiographer, who said, well, it was there  
18 forever, but they really didn't know because  
19 he didn't come to work, he had worked earlier  
20 at GSI but then he came back in `56, `56 or  
21 `57. So he simply said definitely the  
22 building was there when he came back, but he

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 really didn't know about the earlier time.

2           So, therefore, in the absence of  
3 any other information, I'm just sounding, I'm  
4 just deliberately sounding skeptical, but even  
5 with skepticism that account that it was built  
6 in `65 is consistent with most of the other  
7 information we have. So given that, how can  
8 you possibly use that calculation to assign  
9 doses in `52, `53, `54, and at least part of  
10 `55?

11           CHAIRMAN ZIEMER: Well, that's,  
12 essentially, the question I'm asking.

13           DR. ANIGSTEIN: Yes, whereas my  
14 suggestion, again, it's not meant to be self-  
15 serving, is the MCNP calculation we did, which  
16 only took credit for a very thin steel door,  
17 kind of eliminates that question. Of course,  
18 it does assume that there is some kind of a  
19 structure which excludes people from coming  
20 any closer to the radium source within that  
21 door, so, actually, I have to withdraw that.  
22 That would be good for after the building was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 put up, but it would not, again, it would not  
2 serve when there was no building. And that,  
3 again, is a puzzlement. What do you do?

4 I would suggest simply saying,  
5 since we adopted the regulatory limit, or at  
6 least this triangular distribution which  
7 incorporates a regulatory limit, to  
8 radiographers, why not use the limit to  
9 members of the public? They were people  
10 occupying unrestricted areas, which, from '61,  
11 was 500 millirem. I'm not sure if the earlier  
12 rule had a limit, had a non -- I would have to  
13 look at that.

14 DR. MAURO: Oh, 500 millirem has  
15 been around for a long time and then, of  
16 course, was changed --

17 DR. ANIGSTEIN: That goes back to,  
18 that was in the '61 rule. I'm not sure if it  
19 was in the '57 rule.

20 DR. MAURO: This is John --

21 DR. ANIGSTEIN: But I have it, I  
22 have it here. I can find it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAURO: But before we go too  
2 far down that road, I think we have changed  
3 the paradigm sufficiently that says we're now  
4 talking about what doses we're going to assign  
5 to people who we think spent a very limited  
6 time in the operations area, predominantly not  
7 in the operations area, which means that it  
8 becomes a different kind of problem than the  
9 one that David modeled. And I have to say  
10 that, you know, there has to be a prudently  
11 conservative, we want to assign something to  
12 these people that probably had minimal  
13 potential for exposure.

14 What you propose, Bob, is  
15 certainly one way to come at it, namely if  
16 there was a non-occupational limit. But, you  
17 know, I have to say right now I'm not sure how  
18 you would come at the problem. You may not  
19 want to, you know, go with David's approach  
20 because David's approach really is saying that  
21 those people were working, the ones that you  
22 were talking about were working in this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 envelope and they're going to be the people  
2 that are going to get the big triangle.

3 Now we have a different group of  
4 people that we really never engaged before,  
5 these administrative personnel that only  
6 occasionally may have entered the area. So I  
7 think this is going to require a little bit of  
8 thought.

9 DR. ANIGSTEIN: By the way, I'm  
10 just looking at the '57 rule. The '57 rule  
11 goes to, is 2 millirem per hour or 100  
12 millirem in seven days, assuming 100-percent  
13 occupancy. It does not have an annual limit,  
14 you know, to account for the fact that people  
15 are not going to be at the worst location.  
16 This is for any unrestricted area. So at 500  
17 millirem in any seven days would be up to, you  
18 know, 50 weeks, that would be 5 rem.

19 DR. MAURO: No, see, what that  
20 restricted area --

21 DR. ANIGSTEIN: This is the  
22 unrestricted area.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MAURO: Right. Inside that,  
2 though, the reason that was set up is because  
3 if you're inside that you do have the  
4 potential to have an exposure --

5 DR. ANIGSTEIN: No, no, excuse me,  
6 John, there was one for restricted area and  
7 another one for unrestricted area.  
8 Unrestricted area is everything outside the  
9 sign that says "radiation, keep out." And --

10 CHAIRMAN ZIEMER: I just want to  
11 conceptually get the idea. I want to hear  
12 from Jim Neton and Dave Allen, conceptually,  
13 what do you think about how to go forward on  
14 this?

15 MR. ALLEN: Well, this is Dave.  
16 I'd like to get things settled as much as  
17 possible today, and I'm not real comfortable  
18 with the idea of basing the 1954 dose on a  
19 1957 limit. But, honestly, we had a model  
20 previously for the radiography outside the  
21 radiography room. It involved people at a  
22 boundary and then walking through the area.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 That ends up being, I think, around, if I  
2 remember right, around 1.3 rem per year. We  
3 could use that for the administrative type of  
4 people.

5 DR. ANIGSTEIN: Now, Jim, that's  
6 consistent with the -- because even in '57  
7 there was a 2 mR per hour rule.

8 CHAIRMAN ZIEMER: Jim Neton, do  
9 you have any comments?

10 DR. NETON: Yes, I agree with the  
11 one that we talked about earlier that John  
12 alluded to is probably not the right approach.  
13 I think Dave hit on the right one. I think  
14 the one where people could have been walking  
15 through the plant while they're doing  
16 radiography and just been incidentally  
17 exposed, I like that approach.

18 DR. MAURO: Me, too.

19 DR. NETON: It doesn't involve any  
20 --

21 DR. ANIGSTEIN: What number are we  
22 talking about now?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: It was the 1.3, Dave,  
2 did you say?

3 DR. ANIGSTEIN: I see. Okay.  
4 That was based on what kind of occupancy? Not  
5 full-time occupancy, obviously.

6 MEMBER MUNN: Casual walking  
7 through.

8 DR. ANIGSTEIN: Okay. That sounds  
9 good. I would say, I would say that's  
10 reasonable.

11 DR. MAURO: The philosophy, the  
12 concept is solid. The actual number you pick  
13 is a judgment call, but the idea of coming at  
14 the problem that way sounds to me the right  
15 way to come at it.

16 MEMBER MUNN: Any reasonable  
17 person would certainly take the position that  
18 1.3 rem a year for casual occupancy is more  
19 than generous.

20 DR. MAURO: I agree with you,  
21 Wanda.

22 DR. ANIGSTEIN: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MEMBER MUNN:       It's probably a  
2                   tenth of that for purposes of dose  
3                   reconstruction. That certainly could not be  
4                   argued by anyone as not being limiting.

5                   MEMBER BEACH:    And, Paul, this is  
6                   Josie. I can agree with that, also.

7                   CHAIRMAN ZIEMER:    Yes.       And I  
8                   don't know. John, did you come back on the  
9                   line?

10                  MEMBER POSTON:   Hello? I'm here.

11                  CHAIRMAN ZIEMER:   Okay. Did you  
12                  hear this past discussion, or did you just get  
13                  aboard?

14                  MEMBER POSTON:   No, no, I heard  
15                  the discussion and everything.

16                  CHAIRMAN ZIEMER:   Okay.       Any  
17                  comments or --

18                  MEMBER POSTON:   No, I'm okay with  
19                  it.

20                  CHAIRMAN ZIEMER:   Yes, okay. So  
21                  what's being proposed then is a triangular  
22                  distribution, which would apply to everybody,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 basically, that worked in the plant, with the  
2 exception of individuals who you can confirm,  
3 either through CATIs or otherwise, were not  
4 regular in-plant workers, who we're currently  
5 calling administrative, and that they would be  
6 assigned an annual dose based on the previous  
7 calculations that were based on the radium  
8 being used in the open areas and the  
9 possibility of people walking, actually  
10 walking through the restricted area, which I  
11 think at that time was 2 mR per hour. Is that  
12 correct?

13 MR. ALLEN: Yes, I think that  
14 calculation was based on the reports that they  
15 made a boundary at one and a half times the  
16 distance, the --

17 CHAIRMAN ZIEMER: Whatever that  
18 was. Right, right.

19 DR. ANIGSTEIN: Oh, in that case -  
20 - this is Bob. In that case, I have to  
21 disagree because that was a third hand account  
22 that we got of one and a half times the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 distance of one person talking to somebody  
2 else, and I don't think we can go by that. I  
3 think the fact that it was a 2 mR per hour  
4 boundary seems reasonable. One and a half  
5 times is not, I don't agree with. I don't  
6 think that's -- that has been contradicted by  
7 other accounts, and I don't think that can be  
8 used as a basis. Now I understand why it's  
9 less than full-time occupancy.

10 CHAIRMAN ZIEMER: I think, Dave,  
11 you used the 2 in your calculation, didn't  
12 you?

13 MR. ALLEN: I could be wrong, but  
14 I'm pretty sure that was the lower dose that  
15 you give one and a half times distance.

16 CHAIRMAN ZIEMER: Gave you the 2  
17 or --

18 DR. ANIGSTEIN: He took the 2 mR  
19 and simply used the inverse square law, so it  
20 was, essentially, 2 mR divided by 2.25, which  
21 is the square of 1.5.

22 MR. ALLEN: Yes, I'm pretty sure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that's the way that was calculated.

2 CHAIRMAN ZIEMER: Well, you need  
3 to go back and double-check.

4 DR. ANIGSTEIN: No, the math I'm  
5 sure is correct because we checked that. The  
6 assumption we don't agree with. And the 2 mR  
7 per hour continuous occupancy brings us right  
8 back -- I would still argue for the 10 CFR 20  
9 rule, which would then give you 5 rem for the  
10 period up to January 1st, 1961 and would give  
11 you 500 millirem, drops by a factor of ten,  
12 after that. Since we're using that for the  
13 radiographers, it seems reasonable to use that  
14 for those administrative personnel  
15 conceptually. And if you want to do a  
16 distribution based on the maximum, I wouldn't  
17 object to that, like a uniform distribution  
18 from zero to --

19 MR. ALLEN: The 5 rem would  
20 conclude 100-percent occupancy, though.

21 DR. ANIGSTEIN: No, no, the 5 rem,  
22 yes, the 5 rem, the 1957 rule is silent on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 occupancy. It simply says in an unrestricted  
2 area you will not have any exposure rate, if  
3 it were occupied full-time, would result in  
4 more than 100 mR per week. In the '62 rule,  
5 '61 rule, it goes further. It has those same  
6 words but, on top of that, that no person  
7 should have more than 500 millirem in a year,  
8 no real person. First, they talked about a  
9 fictitious person, you know, a ghost being  
10 there all the time. And then they say for a  
11 real person it shouldn't be more than 500  
12 millirem. It's silent on that.

13 CHAIRMAN ZIEMER: We got to go  
14 back to 1952.

15 DR. ANIGSTEIN: I know. I said  
16 the earlier rule, which would have been  
17 applicable in -- it was promulgated in '57,  
18 but it was observed by the AEC prior to that.

19 They simply did not have the power to  
20 regulate. And since all we're going by is the  
21 assumption that they follow the AEC limits,  
22 even though they were not subject to them

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 because they were not an AEC licensee, so, by  
2 the same philosophy as we're adopting to 15, I  
3 would suggest the 5 would probably bring us  
4 right back to that triangle.

5 DR. MAURO: Mind my jumping in  
6 here? There's something about that that  
7 disturbs me. I can't envision under any  
8 circumstances an administrative person who  
9 maybe occasionally walks into the operational  
10 area ending up getting 5 rem a year.

11 MEMBER MUNN: No.

12 DR. MAURO: I don't like that. I  
13 think that's, I think we've got to -- if we're  
14 going to go to the regulatory limit, you know,  
15 that worked well for the upper end triangle,  
16 but to apply that to an administrative  
17 personnel, I think that's just pushing it too  
18 far. I like the idea of coming up with a kind  
19 of thing that David was talking about.  
20 Whether you draw the line at 2 mR per hour,  
21 the --

22 DR. ANIGSTEIN: But if you do the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 2 mR per hour you end up with 5 rem.

2 DR. MAURO: No, no, I'm not saying  
3 he's there all the time. I'm saying the  
4 person leaves his office someplace in the  
5 administrative building, he comes in and,  
6 maybe inappropriately, crosses over, walks  
7 through, and --

8 DR. ANIGSTEIN: But then we have  
9 to assume an occupancy --

10 DR. MAURO: Here we are arguing  
11 between SC&A, but you do that, we do that on  
12 TBD-6000 where they break up people into  
13 supervisory personnel, operators --

14 DR. ANIGSTEIN: All right.

15 DR. MAURO: -- and there's a  
16 percentage of time that the person is present  
17 in a particular setting. And, of course,  
18 there's a certain amount of judgment made when  
19 they say, well, we're going to assume it's 5  
20 percent, 25 percent, 50 percent, these  
21 different categories of workers. I think that  
22 philosophy holds. We've always been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 comfortable with that as applied to TBD-6000,  
2 and I think that the same kind of thing goes  
3 here. It's just a matter of making that  
4 judgment, you know, what percent of the time  
5 would the person be in the vicinity of this  
6 operations area if his job is administrative  
7 type. So the idea of giving someone 5 rem in  
8 a year as an administrator, I have a strong  
9 reaction --

10 DR. ANIGSTEIN: I agree with you.

11 DR. MAURO: Yes.

12 DR. ANIGSTEIN: I just couldn't  
13 think of anything, any other --

14 DR. MAURO: And, Board Members, we  
15 apologize. Here we've got SC&A jumping in and  
16 arguing. But the --

17 DR. ANIGSTEIN: Well, we obviously  
18 didn't discuss this ahead of time.

19 DR. MAURO: We didn't.

20 CHAIRMAN ZIEMER: Okay. I'm going  
21 to interrupt at this point. We're on a  
22 concept here, and part of that concept needs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to be further developed. I think, I don't  
2 know if this is going to require a technical  
3 call between NIOSH and SC&A to hammer out how  
4 this would go, but I think the Work Group  
5 needs to know what that's going to look like  
6 for those people that we're currently calling  
7 administrative. I think we have general  
8 agreement that everybody else in the plant, at  
9 least during the radium era, would get  
10 whatever the triangular distribution delivers  
11 to them. And we're talking about a few folks,  
12 apparently, that would get a lower dose and  
13 what that's going to look like.

14 So do we need to have a technical  
15 call or just do this -- NIOSH, do you have  
16 enough information to flesh this out and give  
17 us what you think it looks like and have SC&A  
18 take a look at what you're proposing?

19 MR. ALLEN: I have a general idea  
20 where people want the number to end up. I'm  
21 not quite sure how I'm going to get there, but  
22 I can come up with something.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes, I think we can  
2 come up with something. I don't think this is  
3 the forum, correct forum for a technical call.  
4 We have to be working out, you know, technical  
5 -- we'd be doing more than working out  
6 technical details. We'd be developing an  
7 approach. So I think it would be better for  
8 us, NIOSH, to put something out there and --

9 CHAIRMAN ZIEMER: Yes, and I don't  
10 want to start with the proposition in saying  
11 where do you want to end up. I think you've  
12 got to make some reasonable assumptions and  
13 see what that brings you to. It's got to be  
14 plausible and still be claimant-favorable and  
15 still, you know, take into consideration the  
16 situation there.

17 MEMBER MUNN: Well, we discussed  
18 the possibility earlier of where a line might  
19 be drawn, and that seems credible, although  
20 extremely favorable, for non-radiographer  
21 personnel. And it would appear to me to be a  
22 logical starting point, even though it is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 extremely generous. And anyone who is  
2 familiar with how plants operated in the 1950s  
3 knows that that would be a very firm basis on  
4 which to make the ground rules for how you're  
5 going to approach it.

6 CHAIRMAN ZIEMER: Okay. And so do  
7 we have agreement that we're not going to make  
8 a final decision on this, but we'll ask NIOSH  
9 to flesh that part out, give SC&A a chance to  
10 look at this. When are we talking about time-  
11 wise here, Jim or Dave?

12 DR. NETON: I'll leave that up to  
13 Dave since he's the one doing it.

14 MR. ALLEN: If we're just talking  
15 about this -- I'm thinking me and Jim can put  
16 our heads together and come up with whatever  
17 concept we want to. I can get it written up  
18 and out late next week possibly.

19 CHAIRMAN ZIEMER: Okay.

20 MR. ALLEN: An email, a White  
21 Paper, or what are we looking for?

22 CHAIRMAN ZIEMER: Well, I think a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 White Paper would do it, and then SC&A will  
2 have an opportunity to comment on that. So I  
3 want to push this toward getting resolution on  
4 this and having another, it's going to have to  
5 be a telephone meeting, but, you know, if we  
6 can do that within a month or so, that would  
7 be great. I want to try to tie this up.

8 MR. ALLEN: I think, for this  
9 particular issue, I think we can shoot for a  
10 White Paper towards the end of next week, but  
11 I don't want to guarantee it. I can guarantee  
12 you two weeks.

13 CHAIRMAN ZIEMER: Okay.

14 MR. ALLEN: But I'll shoot for  
15 next week.

16 CHAIRMAN ZIEMER: Okay.

17 DR. ANIGSTEIN: All right. And I  
18 would like to have two weeks to respond to it.

19 CHAIRMAN ZIEMER: Right, right,  
20 right. Okay. So we'll push ahead on that  
21 part of it and try to get it resolved. We  
22 want to take a look at the internal dose issue

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 next. I'm looking at the clock here. It's  
2 1:15 Eastern Time. We need to take a lunch  
3 break. Let's take, would 45 minutes be enough  
4 for everybody? Reconvene at two?

5 MEMBER MUNN: Fine with me.

6 CHAIRMAN ZIEMER: Yes, let's do  
7 that. We'll take a 45-minute break and  
8 reconvene. We want to discuss the internal  
9 dose issues for GSI, and then I think the  
10 other three facilities, what we have left to  
11 do -- I'm sorry? I'm not hearing what  
12 somebody is saying.

13 DR. NETON: It sounded like a  
14 Cincinnati Bell recording of some kind.

15 MEMBER MUNN: It sounded like it.

16 CHAIRMAN ZIEMER: Okay. And we'll  
17 proceed from there. So we'll take a 45-minute  
18 lunch break. Thank you.

19 (Whereupon, the above-entitled matter went off  
20 the record at 1:12 p.m. and  
21 resumed at 2:00 p.m.)

22 CHAIRMAN ZIEMER: We're back in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 session, still dealing with GSI. We'd like to  
2 now address the estimates of internal  
3 exposure. Dave covered his part for us for  
4 NIOSH this morning, and we want to hear from  
5 Bob Anigstein and John Mauro, and they have  
6 also distributed their comments called Review  
7 of NIOSH Estimates of Internal Exposures at  
8 GSI. Who's got the lead on this? Bob, do you  
9 still? Or John?

10 DR. MAURO: Yes, Paul. Bob does  
11 have the lead. He's probably on --

12 DR. ANIGSTEIN: I'm on mute. I  
13 was on mute. I have my briefing on the screen  
14 now, if everybody can see it, the 11th page of  
15 the presentation. Is that visible to  
16 everyone?

17 MR. KATZ: Yes, Bob.

18 DR. ANIGSTEIN: Okay. All right.  
19 So I start off with, this is the log-normal  
20 distribution that we all agreed to. It was  
21 NIOSH and SC&A making comments, and we went  
22 back and forth in the Work Group, educated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 So we all agree to use a distribution with a  
2 geometric mean of 17.54 dpm per cubic meter.  
3 Oh, John, you just did something.

4 DR. MAURO: Yes, my mistake. I'll  
5 --

6 DR. ANIGSTEIN: Yes, okay. And  
7 then a 95th percentile of 68.7 dpm per cubic  
8 meter. And using that distribution, I  
9 calculated the arithmetic mean. There's a  
10 formula for that of 24.72. We'll get to the  
11 reason for that in a moment.

12 Here is the comparison. We differ  
13 very much on the model of how to use these  
14 parameters to calculate the intakes. So NIOSH  
15 presented, I was reproducing from Dave Allen's  
16 report, a range of intakes, at least during  
17 the operational period, from 15 to about 34  
18 something dpm per calendar day. Our model is  
19 for all workers and through all periods right  
20 up until the beginning of the residual period.

21 We get 264 dpm per calendar day, and I'll get  
22 to how we do that. And then after June 30th,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 `66, we use the same number, but we decrease  
2 it exponentially by the amount in OTIB-70.

3 The basis for disagreement. Okay.

4 The NIOSH model postulates exposure to this  
5 95th percentile concentration, but during only  
6 20 percent of the uranium handling hours each  
7 year. So the maximum uranium handling hours  
8 would be something like 437 2 hours, so,  
9 roughly, 80 hours a year will be the maximum  
10 amount of time where the workers, the betatron  
11 operators -- we're then giving the same thing  
12 to all workers, would be exposed to that dust  
13 because that's the time that they spent  
14 handling the uranium setting up a shot. In  
15 between, the shots were assumed to be 60  
16 minutes each and took 15 minutes to set up, so  
17 you take a total of 75 minutes and 15 minutes  
18 is 20 percent of that.

19 Then they say, however, how do you  
20 account for the time in between the shots.  
21 Well, we simply say, well, you have this  
22 deposition for 30 days. It only happens once,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at the beginning of the operational period.  
2 The dust settles for 30 days. They derive  
3 taking the 30 days, multiplying it by this  
4 68.7 dpm per cubic meter and multiplying by  
5 the deposition velocity of 7.5 times ten to  
6 the minus four per meter. They calculate a  
7 surficial contamination of 1.34 times ten to  
8 the fifth dpm per square meter. And then they  
9 apply a resuspension factor of 10 to the minus  
10 5 to then get the resultant dust from the  
11 surface contamination. Now, this is very  
12 important to follow.

13 Our objection is, first of all,  
14 this 30-day deposition, that's a period it  
15 takes to equilibrate if you have a constant  
16 concentration. It does not apply to these  
17 intermittent concentrations. We don't know,  
18 even though we know how many total hours there  
19 were per year, we don't know whether all the  
20 uranium worked with at the ending of the year,  
21 which is probably unlikely, or whether they  
22 did it once a week for a few hours, which is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 arithmetically possible, or they -- we just  
2 don't know what the intervals were.

3 But, nevertheless, the 30-day  
4 deposition would mean to have the dust  
5 generated during a short period of time, and  
6 then it takes 30 days for it to settle. That  
7 means a column of air will be about 2  
8 kilometers, which is not plausible. The  
9 building is only 35 feet high, approximately,  
10 in size. And, more important, we go back to  
11 the basic definition of resuspension factors.

12 Even though it's called resuspension, what it  
13 really is, is a ratio of the concentration in  
14 the air and the concentration on the surface.

15 So if the concentration in the air, we accept  
16 the 68.7 dpm per cubic meter and the  
17 concentration on the air is 1.34 times ten to  
18 the fifth, so 134,000 dpm per square meter, we  
19 end up with an effective resuspension factor  
20 of five times ten to the minus four. So  
21 they're depositing it, assuming -- they  
22 calculate the surface contamination assuming

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 five times ten to the minus four, and then  
2 they calculate, they re-calculate the air  
3 concentration using ten to the minus five, a  
4 50-fold difference.

5 And, yet, while this dust is  
6 settling, and there's no reason it would only  
7 happen once. If it happened once, it would  
8 happen every time they handled the uranium.  
9 Nobody is being exposed to it, except for the  
10 15 minutes it's settling and, yet, the  
11 building is vacant, essentially. No one is  
12 breathing this 68.7 dpm per cubic meter that  
13 is gradually settling over a period of 30  
14 days.

15 So the model is not consistent.  
16 It's not consistent. It's not scientifically  
17 correct. And then a more minor point is the  
18 control room is not airtight, so, even if  
19 you're in the control room, there would be  
20 some uranium drifting in. They would be  
21 tracking it on their feet and so forth, so  
22 they would not be -- now, our model is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 bounding assumption, but it simply assumes  
2 that, because we don't know how long the dust  
3 takes to settle and we don't know how often.  
4 We do know the total time, but we don't know  
5 whether this happens once a week, once a  
6 month, once a year. Our assumption is assume  
7 that we have this concentration all the time,  
8 but then if it's all the time then it's  
9 unrealistic to assume it's a 95th percentile  
10 because that's only five percent of the thing.  
11 So we say either use the arithmetic mean or  
12 use the entire distribution and put that into  
13 IREP, which would be comparable to the  
14 arithmetic mean. The way statistics work,  
15 it's hard to predict exactly what it would be.

16 So we're saying that, assuming the  
17 arithmetic mean, we get this 264 calculated  
18 over a period of a year and then divide it up  
19 into 365 days, so we get 264 dpm per calendar  
20 day, which is, roughly, depending on what year  
21 you pick, it's roughly ten times the NIOSH.  
22 And just as a matter of point of reference,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the dpm per calendar day, we're talking, it's  
2 probably not very meaningful. It's  
3 comparable. What you get out of here by  
4 looking up the dose conversion factors, it's  
5 equivalent to one rem per year effective dose,  
6 one and a fraction. Much closer to one than to  
7 two. So it's not an unreasonable, it's not  
8 like they're being -- especially when we  
9 consider the doses now that are from external  
10 and then the lung dose is a few rem, I think  
11 maybe six, so it's not a huge addition to the  
12 external dose that they're already getting,  
13 but it is certainly claimant-favorable. And,  
14 again, if it's given all the error, it would  
15 be unreasonable to assume it's a 95th  
16 percentile and it's defensible. It has a  
17 simple but outgoing and all the mechanism of  
18 the 30 days is what is the settling rate and  
19 where does the dust come from and how come  
20 it's not being inhaled? This avoids all of  
21 these questions, so we think it's a preferable  
22 model and it's bounding and, yet, plausible.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   So that's really where we stand on  
2 this.

3                   CHAIRMAN ZIEMER:    What about the  
4 residual period, Bob?

5                   DR. ANIGSTEIN:    Say again.

6                   CHAIRMAN ZIEMER:    Residual period.

7                   DR. ANIGSTEIN:    Oh, the residual  
8 period, we simply assume that this is the, we  
9 have this concentration -- sorry about the  
10 phone in the background. I can't turn it off.

11                  This is the concentration at the end of  
12 residual period, at the very start of the  
13 residual period, we had the same  
14 concentration. And we also do not believe  
15 that you can jump from ten to the minus five  
16 to ten to the minus six because, as NIOSH,  
17 Dave Allen points out, agrees, the ten to the  
18 minus six is based on an age activity in a  
19 quiescent region.

20                  Now, gradually, this uranium will  
21 age, but it's certainly not quiescent. I  
22 mean, you have the floor of the betatron room

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is being constantly traversed by foot traffic,  
2 by vehicles. So, if anything, ten to the  
3 minus fifth is on the low side, but we won't  
4 question that.

5 But you really don't need to know  
6 that because, by using the -- our floor  
7 concentration will be much higher, and then we  
8 would re-suspend that amount, so we will get  
9 back, basically we will get back this 24.7,  
10 and that's what we would go by, 24.7  
11 decreasing exponentially month by month, year  
12 by year, however it's calculated, using the  
13 OTIB-70 approach, which we agree with.

14 CHAIRMAN ZIEMER: Well, that's  
15 what I was trying to determine. So you're  
16 suggesting that ten to the minus six is not  
17 applicable. What are you proposing in its  
18 place? Just an exponential --

19 DR. ANIGSTEIN: Ten to the minus -  
20 - well, if you want to do it this way, you can  
21 always propose ten to the minus fifth, the  
22 same as in your operational period. But our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 model doesn't actually involve a resuspension  
2 factor because we simply say, whatever the  
3 mechanism is, this is what you're going to get  
4 in the air is 24.7, and you can use that and  
5 the ten to the minus fifth to calculate the  
6 floor concentration and then multiply it again  
7 by ten to the minus fifth to get the air  
8 concentration. But, you know, that doesn't,  
9 it's just a closed loop.

10 So during the residual period, we  
11 would say it's the same floor concentration  
12 decreasing by whatever that is, 0.4 or 4067  
13 per day I think it is, I'm just going by  
14 memory now, and year by year, of course, that  
15 decreases. So at the end of 30 years, you  
16 have a substantially, much, much lower  
17 activity.

18 But, again, the advantage of this  
19 model is the simplicity and the bounding  
20 nature, and it requires really no assumptions  
21 other than the initial distribution, which we  
22 all agreed to.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   CHAIRMAN ZIEMER:     Okay.     Thank  
2     you, Bob.     I'm wondering if NIOSH has had a  
3     chance to take a look at this and have any  
4     initial responses, Dave or Jim.

5                   MR. ALLEN:     Yes, this is Dave.  
6     I've had a chance to look at it, and I may not  
7     have digested it all completely, but, yes, I  
8     take some issues with it.     First of all, Bob  
9     was saying that the contamination levels  
10    somehow were not realistic because it would  
11    have to be settling from some 2-kilometer  
12    column or something.

13                  DR. ANIGSTEIN:    Yes.

14                  MR. ALLEN:     No.     I mean, this is,  
15    this is, this is bounding.     It is true that,  
16    once you start handling the uranium, you get  
17    some airborne and it would take some time to  
18    settle enough to reach that balance between  
19    removal mechanisms and production and the  
20    settling rate.     But, I mean, this is something  
21    we hashed out long ago in TBD-6000 and came to  
22    the conclusion that the 30 days with that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 deposition rate was appropriate for what that  
2 balance would eventually reach. We didn't do  
3 a bounding estimate by assuming that we  
4 reached that equilibrium concentration right  
5 off the bat, yes. But the rest of that was  
6 I'm not sure what.

7 As far as he mentioned, oh, the  
8 20-percent factor or whatever. You've got to  
9 realize that that is for, the airborne that we  
10 agreed on is actually for handling the uranium  
11 metal by whatever means, by hands, by fork  
12 truck, by chain falls, et cetera, where you  
13 get some airborne from rubbing oxidation off  
14 of that. That's not happening when it's being  
15 x-rayed. That's what the purpose of that 20  
16 percent was. That's when you start getting --  
17 about the only mechanism at that point is  
18 resuspension of contamination, and that's at  
19 its maximum level from the start.

20 The intent is to give that --  
21 actually, in the calculations, we gave that  
22 resuspension for 3,250 hours per year. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 we're trying to account for that resuspension,  
2 including in the control room while the shot  
3 is going on. That is being accounted for, so  
4 the argument about the room not being airtight  
5 or tracking some contamination in there,  
6 that's accounted for.

7           And, lastly, I'd like to point out  
8 that the uranium handling, you know, we've  
9 done this estimate long ago based on purchase  
10 orders. It was nowhere near 100 percent of  
11 their job. It was a part of their job, and we  
12 have an estimate, based on the purchase  
13 orders, of 100 hours per year. I think the  
14 maximum might hit 400 something hours per  
15 year, but it's nowhere near 3,250.

16           I don't think it's more  
17 scientifically valid to take the average of  
18 the air concentrations you get from handling  
19 uranium and apply it to 3,000 hours when they  
20 only actually handled it for something less  
21 than 400. And to call that more  
22 scientifically valid makes no sense to me at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 all.

2 DR. ANIGSTEIN: Well, the main  
3 point is you cannot have the dust settling, if  
4 you want to have a 30-day settling and there  
5 are frequent handlings, then it would be in  
6 there all the time because if you assume if  
7 it's at least once a month that they're  
8 handling it and it takes 30 days for it to  
9 settle, then it will always be there. You  
10 can't have it settling and not have anyone  
11 breathing it. You can't say the dust is in  
12 the air, it's settling on the floor, but that  
13 doesn't count. The only thing that counts is  
14 it's being re-suspended from the floor after  
15 it settled. That's where the problem comes  
16 in.

17 MR. ALLEN: That's a separate  
18 argument, and we've talked about that one  
19 before several times, too. And that is,  
20 basically, it also doesn't instantaneously  
21 reach this equilibrium level. It takes some  
22 time to build up this, you know, when you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 start working with uranium, it doesn't  
2 instantly become --

3 DR. ANIGSTEIN: Yes, but that's  
4 not in the model. That's not accounted for.  
5 And before you had, the problem we had before  
6 was instantaneous settling, that it only  
7 settled, that it stopped settling the moment  
8 the activity, the handling stopped. Now we're  
9 pulling in 30 days, but if you throw in the 30  
10 days, it's always there. If it's at least  
11 once a month, it's always going to be there.

12 MR. ALLEN: Bob, we're accounting  
13 for resuspension.

14 DR. ANIGSTEIN: No, but you're  
15 saying it's settling. You're saying there is  
16 68.7 dpm per cubic meter in the air for 30  
17 days while it's settling to the floor, and  
18 this same phenomenon should take place for  
19 each batch of uranium that comes in to be  
20 handled and radiographed. So, therefore, it's  
21 continuous. You can't say, you cannot say the  
22 dust is settling but no one is breathing it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 until it hits the floor and it gets re-  
2 suspended. That's illogical.

3 MR. ALLEN: No. What we're saying  
4 is the level of contamination that reaches the  
5 balance between removal and production can be  
6 estimated by the settling rate that we've been  
7 using and settling it for 30 days. Whether  
8 that happens in 30 days, 7 days, or one hour,  
9 it means the same.

10 DR. ANIGSTEIN: But if you're  
11 sticking with the 7.5 and ten to the minus  
12 four deposition velocity, which has been  
13 agreed to, then you can't have it both ways.  
14 You can't say it settles immediately and have  
15 it as settling at that slow rate.

16 MR. ALLEN: No, I'm saying the  
17 combination of that settling rate with that  
18 time gives you the equilibrium value that we  
19 saw for the Adley paper and --

20 DR. ANIGSTEIN: I know, but all of  
21 those are not, none of that is this  
22 intermittent handling that we have here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           MR. ALLEN: That is true. If your  
2 removal mechanism continues and your  
3 production mechanism does not, then the actual  
4 equilibrium value would be somewhat lower.

5           DR. ANIGSTEIN: Yes.

6           MR. ALLEN: Okay. So we're  
7 overestimating the equilibrium --

8           DR. ANIGSTEIN: No, but you're  
9 not. You're using two different ratios.  
10 You're using a ratio of what's on the ground  
11 to what's in the air of ten to the minus fifth  
12 and the ratio of what's in the air to the  
13 ground is five to the minus four. Those two  
14 are just completely inconsistent.

15           MR. ALLEN: I think bottom line is  
16 we're overestimating the surface contamination  
17 using these numbers --

18           DR. ANIGSTEIN: I don't think so.

19           MR. ALLEN: -- and we are  
20 estimating the inhalation from direct handling  
21 for the time period that they're direct  
22 handling based on the 95th percentile of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 distribution we agreed to.

2 DR. ANIGSTEIN: The 95th  
3 percentile for those few minutes, those 15  
4 minutes per 75 minutes, is claimant-favorable.

5 But the assumption that you can have  
6 something in the air -- I mean, you just  
7 cannot, if you go with these parameters, then  
8 you cannot have the result that you get and  
9 not have it, and have it plausible and  
10 consistent. It's just not consistent. You  
11 cannot have this stuff settling to the ground  
12 and no one is breathing it while it's  
13 settling.

14 MR. ALLEN: Well, yes, you can.  
15 People leave the shooting area, Bob.

16 DR. ANIGSTEIN: But you're  
17 assuming that this contamination is all over.  
18 Yes, they leave the shooting area, but they  
19 don't leave it for 30 days.

20 MR. ALLEN: Assuming the 68 is  
21 entirely from resuspension.

22 DR. ANIGSTEIN: No, but it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 falling. You have it falling continuously for  
2 30 days. That's the only way you can build  
3 this up is to, the only way you can get this  
4 number, this 1.34 times ten to the fifth  
5 square meter, is to have it falling for 30  
6 days, and it cannot be falling for 30 days at  
7 68.7 dpm per cubic meter and then say but  
8 nobody is breathing it.

9 MR. ALLEN: No, we're assuming  
10 it's building up over some time to an  
11 equilibrium value, and we're bounding this  
12 estimate by assuming it's there from the  
13 start. We know that's an overestimate.

14 DR. ANIGSTEIN: But you can't have  
15 -- whatever it is, if 30 days, and I think 30  
16 days was the agreed-on number. I don't think  
17 it's an excessive number. I think there was  
18 even talk about having it higher in our  
19 critique. So if 30 days is what's agreed to,  
20 you can't say it's an overestimate and we  
21 won't use it. If 30 days is agreed to, then  
22 you have to say it's settling for 30 days. If

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it's settling for 30 days, someone is there  
2 breathing it for 30 days. And then next month  
3 they come in with another shipment of uranium,  
4 and it starts all over again.

5 We just can't accept this  
6 calculation. It's not valid.

7 CHAIRMAN ZIEMER: Okay. I'm going  
8 to jump in at this point because I'm assuming  
9 the rest of the Work Group is in the same boat  
10 I am. I just got this paper yesterday, and I  
11 haven't had a chance to observe it. I've  
12 heard both of the arguments here now, and it  
13 seems to me this is one where we're going to  
14 have to consider it further. I don't know.  
15 Other Work Group Members, are you in the same  
16 boat that I am that we need to look these  
17 papers over in more detail and, having heard  
18 these arguments, try to sift through this?

19 MEMBER BEACH: Paul, this is  
20 Josie. I definitely agree with that. I think  
21 SC&A and NIOSH really need to come together a  
22 little closer because they're far away from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 each other on this.

2 CHAIRMAN ZIEMER: These arguments  
3 are also very technical, as opposed to  
4 philosophical, at this point. Who else is  
5 trying to comment here?

6 MR. KATZ: Oh, it's Ted, Paul.  
7 I'm just, I just wanted to suggest this is  
8 exactly the kind of thing that we do have  
9 technical calls for because there's a  
10 communication issue, which is why they're  
11 apart, too. And until they can hash that out,  
12 nobody gets a very clear picture of what the  
13 bottom line is on either side. So this would  
14 be a good one for them to actually have a  
15 technical call and just straighten out where  
16 each of them is coming from here and why  
17 there's this different understanding of what's  
18 being said.

19 CHAIRMAN ZIEMER: I agree with  
20 that. And I think what we'll do is ask NIOSH  
21 and SC&A to arrange such a technical call, let  
22 us know when you're going to do it. The Work

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Group Members may wish to listen in and be  
2 informed as to what that discussion is. And  
3 then we can go from there.

4 I also, before we leave that, I  
5 do, in fairness, want to give the petitioner  
6 an opportunity for, he had some comments on  
7 the residual period, as well. And, Dan  
8 McKeel, if you want to input some comments  
9 here, this would be appropriate.

10 DR. MCKEEL: Thank you, Dr.  
11 Ziemer. Yes, I do have some comments. The  
12 most salient one is that I have been stating  
13 for a long time and in my recent papers for  
14 this meeting have in there that I don't think  
15 OTIB-70, the model in there, at all  
16 recapitulates what happened at GSI during the  
17 residual period. So I'm talking now of the  
18 residual period. And that is that TIB-70, as  
19 I understand it, and I think everybody has  
20 been saying, assumes that you know the  
21 airborne concentration of uranium, say at the  
22 beginning of the residual period, and then you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 calculate a smooth exponential function. And  
2 I think that's the way it was just described:  
3 a smooth exponential function. It decays down  
4 over the rest of the residual period, which,  
5 in the case of GSI, goes from 1967 through  
6 1992.

7 And we have provided, that is the  
8 petitioners, site experts, workers, have  
9 provided really enormous affidavit, eyewitness  
10 testimony, and written records that many  
11 different companies occupied the General Steel  
12 Industries building complex, Buildings 6  
13 through 10, all during the residual period.  
14 And, you know, they had various steel  
15 production activities going on there, pickling  
16 the steel in acid, et cetera, and some of  
17 those activities were in Building 6, some of  
18 them were in Buildings 9 and 10.

19 And we have also long pointed out  
20 that, unlike what's being talked about right  
21 now, the uranium deposition did not only occur  
22 in the two betatron buildings. The two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1     betatron buildings were only reachable for  
2     uranium by rail. And railroad cars driven by  
3     engines, two different types, would take the  
4     cars and the uranium into the betatron  
5     building. But along the way, they traversed  
6     from the dock, the loading docks. The ingots  
7     were weighed. They were handled there.  
8     There's old 2006 testimony and some new  
9     testimony that the uranium was stored  
10    temporarily before and after it was returned  
11    to Mallinckrodt in a Building 6 locked metal  
12    cage. Then it was put on the railcars and  
13    transported -- oh, and those cars were cleaned  
14    of dust about twice a year, and they were then  
15    transported alongside the factory and through  
16    Buildings 6, 7, 8, 9, and 10 into the new  
17    betatron building, and then the tracks ran  
18    outside and into the old betatron building.

19                    So not only were there, you know -  
20    - so that was the situation in the betatron  
21    building. But inside General Steel there was  
22    all along that transport pathway, we've called

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it the uranium transport pathway, there were  
2 repeated disturbances. And so what you would  
3 have to have is a cyclical model where there  
4 were alternating cycles of new resuspension of  
5 the dust with the uranium in it and then  
6 settling. And the exact periods that those  
7 companies occupied the General Steel complex  
8 and exactly what they did and how much dust  
9 was disturbed are all completely unknown. But  
10 a simplistic model, like TIB-70, that assumes  
11 a constant level at the beginning or a known  
12 level at the beginning and then decaying down  
13 in an exponential smooth function, it's  
14 scientifically not an applicable model. It  
15 can't be used. You've got to come up with  
16 something else.

17 And I don't know. I think that's  
18 as much as I can say. I have mentioned this  
19 many times, but I need today, I need to hear  
20 both NIOSH and SC&A tell me why the reasoning  
21 that I've just outlined is not correct. Why  
22 do they think that TIB-70 should apply? And I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would also go back and remind this Work Group  
2 that applying TIB-70 for the residual period  
3 at GSI is a relatively new idea.

4 Before, there was a model based on  
5 the amount of residual uranium that was in an  
6 industrial vacuum cleaner found in the old  
7 betatron building when DOE came to clean up  
8 the site at the end of the residual period,  
9 and there was then going to be back-  
10 extrapolation to get to the mid-point and the  
11 beginning of the residual period. And I think  
12 we convinced everybody that the old and new  
13 betatron buildings certainly were disturbed  
14 mightily with power washings, renovations,  
15 reconstruction, and so forth. But right after  
16 that and in several papers, in great detail,  
17 we outlined that the whole rest of the uranium  
18 transport pathway was similarly disturbed all  
19 during the residual period.

20 So I would like to hear NIOSH and  
21 SC&A defend the use of TIB-70 for the residual  
22 period at all. And to save time, I do want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 get in just a couple of comments that I did  
2 not get a chance to make this morning. And  
3 that is when you all were talking about  
4 assigning ability based on jobs. Then, as I  
5 understand it, the two classifications now are  
6 people who worked in the production areas,  
7 which haven't been defined, by the way, and  
8 administrative personnel.

9 And so my specific questions are,  
10 would Dr. Neton and Dave Allen, would they  
11 think that clerk and timekeeper would be  
12 people that they would place in the  
13 administrative personnel. And I'm worried  
14 that that might be the case, but we certainly  
15 know of both clerks and timekeepers, for  
16 example a timekeeper whose job was to track  
17 down specific castings that had been inspected  
18 by the betatron. Soon or immediately after  
19 they were inspected, his job was to go all  
20 throughout the plant and look at those  
21 castings and certify what was done and where  
22 they were and was the problem being taken care

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of. And then we know of several clerks who  
2 also later became and inherited the job of  
3 handling all the film badges, and so they were  
4 in contact with the operators, they were in  
5 and out of the betatron buildings, and so  
6 forth.

7 I think even for the  
8 administrative personnel you're going to have  
9 a very, very difficult time saying with any  
10 degree of certainty that administrative  
11 personnel, including secretaries, that they  
12 always worked in the administrative building,  
13 which was away from the rest of the plant. So  
14 that's another comment.

15 And the final two comments quick,  
16 and that is that overexposure instance, like  
17 the radium-226 stolen plumb-bob that was later  
18 returned after about a week to GSI, there's a  
19 section of 42 CFR, which is Section 83.9, and  
20 there are two subsections in there that say  
21 that on such overexposure incidents, it is not  
22 sufficient for only worker affidavits to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 assessed, that NIOSH, and it says that  
2 specifically, has to do further investigation  
3 into those incidents.

4 And this is a situation where the  
5 one we've documented in our paper that  
6 happened in October of 1953 where it's very  
7 possible and likely that more than just the  
8 person who took the plumb-bob was exposed to  
9 that radium source. The newspaper stories,  
10 some of them say that the radium source was  
11 kept at the plant for a while. We know that  
12 it was recovered offsite, but we don't know  
13 whether one individual or many individuals  
14 came into contact with that. So I believe  
15 that's an incident that has to be where the  
16 dose has to be calculated not for an  
17 individual but for the group of people that  
18 may be exposed.

19 And I guess, in that regard, we're  
20 now talking about Appendix BB and dose  
21 reconstruction and not about the SEC. And I  
22 may be incorrect, but it seems to me that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need to be talking about assigning doses based  
2 on sufficient accuracy, rather than just  
3 bounding limits, which, in my view, some of  
4 the Members discussed. And, certainly, to  
5 some of the Work Group Members, it sounds like  
6 some of the bounding limits are, quote, too  
7 claimant-favorable or may, in fact, be  
8 implausible.

9 So, you know, speaking for the  
10 workers, I think they do need to be claimant-  
11 favorable, but I just worry that we're not  
12 really addressing the issue of, with all our  
13 assumptions and all the, well, I just say  
14 guesses that we're making at various things,  
15 conditions of the workplace, that we're really  
16 operating within the bounds of sufficient  
17 accuracy.

18 And the final thing I would say is  
19 that Dave Allen was asked earlier, I think by  
20 Dr. Ziemer, how he would handle the 1952  
21 operational period. And I believe Mr. Allen  
22 said that he thought that the purchase orders

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 defined the number of hours that were allowed  
2 at GSI for the uranium, and that's the way the  
3 source term was defined. Well, I just remind  
4 everybody there are no purchase orders from  
5 1952 up through, I think it's March of 1958.  
6 So really what you're doing is taking 1958 and  
7 later data and back-extrapolating to those  
8 early years, but I will remind you that the  
9 1952 documents that I contributed through a  
10 FOIA request and that NIOSH contributed a  
11 couple of days later and that have led to the  
12 official extension of the GSI operational AEC  
13 contract period to start October 1, 1952, that  
14 those documents actually described different  
15 types of betatron NDT research and development  
16 work that was ongoing in 1952 and,  
17 specifically, they were working with thin  
18 billets and they were also working with what  
19 is described as a new uranium shield, you  
20 know, S-H-I-E-L-D, that was constructed by  
21 Mallinckrodt and was being tested and refined  
22 at GSI for the betatron work. So this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 really very different kind of work than the  
2 NDT work that went on from 1953 through 1966.

3 I guess that's my comment. I  
4 think that that, in other words, the 1952 dose  
5 assignments need to be made bearing those  
6 other facts in mind. And I thank you for  
7 letting me have the time.

8 CHAIRMAN ZIEMER: Okay. Thanks,  
9 Dan. I'm not sure that SC&A and NIOSH would  
10 be prepared to address the TBD-70 issues  
11 today, but I would suggest that, in the  
12 technical call, that they at least try to  
13 address the impact those changing conditions  
14 that Dr. McKeel mentioned and that we're also  
15 aware of in terms of whether or not the  
16 proposed approach for the residual period  
17 would, in fact, bound those kinds of  
18 situations where we have those changes going  
19 on.

20 DR. MCKEEL: Dr. Ziemer, this is  
21 Dan McKeel again. If I just may make one  
22 comment about the technical call.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRMAN ZIEMER: Yes.

2 DR. MCKEEL: Several months ago, I  
3 wrote Ted Katz and Josh Kinman to try to find  
4 out if there had been -- this was while I was  
5 preparing my administrative review on SEC 105  
6 -- I tried to find out if there had been any  
7 past technical calls or technical meetings for  
8 GSI between SC&A and NIOSH and was told that  
9 that information was not really available,  
10 they really didn't think there had been, but  
11 nobody could be very definite about that  
12 issue.

13 CHAIRMAN ZIEMER: I can tell you  
14 that I'm not aware of any. If there were, I'm  
15 not aware of them.

16 DR. MCKEEL: But one thing that --

17 CHAIRMAN ZIEMER: Because I assume  
18 that, if there had been, I certainly would --

19 DR. MCKEEL: Okay. Well, I  
20 appreciate that, and that's what I kind of  
21 turned up with. But what I also learned  
22 during that is that the petitioner, I'm the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 one asking the questions about TIB-70, but  
2 there are two things that that arrangement  
3 that you're outlining is really not at all  
4 satisfactory to me because I have, I cannot  
5 listen to those technical calls and there are  
6 no minutes and there is no transcript and  
7 there are no notes kept. So nobody in the  
8 Work Group, unless they choose to listen in,  
9 will ever know the results of that technical  
10 meeting, except as reported. And all I can  
11 say is, having sat through now 16 meetings of  
12 this Work Group, I know that we need to see  
13 the numbers. I personally won't be satisfied  
14 until I see each and every number that's  
15 discussed in there, and I need to hear or see  
16 in writing the specific reasons why TIB-70 is  
17 or is not judged to be a satisfactory model  
18 for the GSI residual period.

19 So I'm not trying to interfere  
20 with your process. But I do decry the fact  
21 that it is not an open process for the  
22 petitioners, and I need to be aware. So I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 guess I would ask do you ever let petitioners  
2 listen in?

3 MR. KATZ: This is Ted. The  
4 technical calls, we're not going to deal with  
5 the TIB-70 issue in the technical call because  
6 really those are restricted to clarifying when  
7 we have just the kind of communication in  
8 technical sort of understanding issues that we  
9 have in this case. I mean that's what they're  
10 limited to, but we don't do any kind of  
11 discussion in terms of agreement or what have  
12 you between SC&A and NIOSH on how to deal with  
13 an approach. We don't do those in the  
14 technical calls.

15 So, I mean, that will happen, that  
16 discussion, if we can't, for example, the TIB-  
17 70 response to Dan, I mean, that can happen  
18 today if they're ready to address that sort of  
19 philosophical question or that general  
20 question today or it will happen at the next  
21 Work Group meeting. But that won't get  
22 addressed in a technical call because that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just is not what we use technical calls for.

2 DR. MCKEEL: All right. Well, I  
3 appreciate that. And I would also say that  
4 what I'm really asking for is, I have made my  
5 arguments in writing several times and today  
6 on why I don't, I don't think the TIB-70 is a  
7 satisfactory model. And I would like NIOSH  
8 and SC&A to come back to me and say, Dan, we  
9 agree with you, or, Dan, we do not agree with  
10 you for the following reasons and lay it out,  
11 one, two, three, four.

12 And so I don't think a technical  
13 meeting would be satisfactory to answer my  
14 questions. And, obviously, I understand that  
15 maybe the question can't be answered today.  
16 On the other hand, the model is being proposed  
17 today, and everybody is talking about the  
18 model, so I don't really see why SC&A and  
19 NIOSH couldn't answer my question today.

20 DR. MAURO: I'd be happy to answer  
21 it, this is John, unless Jim wants to.

22 DR. MCKEEL: No, I want to hear

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 first Dave Allen because Dave Allen is  
2 proposing -- NIOSH is the one that's supposed  
3 to bound and determine doses with sufficient  
4 accuracy. And, Dr. Mauro, I would enjoy  
5 hearing your idea, but I want to hear Dave  
6 Allen defend his use of TIB-70, please, first.

7 MR. ALLEN: My defense is pretty  
8 simple. TIB-70 was based on more than one  
9 site and there's more than one type of site.  
10 I think a steel mill, a chemical, at least one  
11 chemical place, and a few other sites, and the  
12 numbers all came out to be somewhat consistent  
13 as far as how fast the available contamination  
14 was reduced over time. It essentially comes  
15 down to an industrial type of atmosphere. It  
16 may not be applicable to an office; but, to a  
17 steel mill and a chemical plant, it seems to  
18 be --

19 DR. MCKEEL: Dave, here's my  
20 response to that. I have said, just like, you  
21 know, you first proposed using TBD-6000  
22 surrogate data at GSI, and then that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 challenged for various specific reasons. And,  
2 eventually, you wound up using surrogate data  
3 that was really not in TBD-6000, and it did  
4 have to be rather stringently, more  
5 stringently justified in order to have it  
6 acceptable for use.

7 Now, I've said I'm not -- I think  
8 Dr. Ziemer referred to my question as a  
9 general question. It's not a general  
10 question. It's a highly specific question.

11 I'm saying that there was not, the  
12 model says that you take an initial high  
13 level, and by high I mean a level of uranium  
14 in the air, and then you model how that  
15 decays, that concentration decays, diminishes,  
16 over time. And the curve fit is a smooth  
17 exponential curve.

18 And I'm saying that if you just  
19 think about what happened at GSI with multiple  
20 companies moving in, each time massively  
21 disturbing the dust on the floor, along the  
22 railroad tracks, in the buildings, and also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inside the betatron building, that that was  
2 not what happened during the residual period  
3 at GSI. Even at GSI, in the betatron  
4 buildings, the old betatron building was  
5 constantly used for storing transformers, and  
6 we went into all of that information. And  
7 things were done in that building basically  
8 from the end of the operational period in '66  
9 all the way through at least the late 1988s,  
10 the betatrons were stored in there,  
11 transformers, PCB-containing oil, et cetera.

12 So I'm just saying that TIB-70 is  
13 not a model for what happened at GSI. And,  
14 personally, I don't see how it could be fit as  
15 a model for that, regardless of things that  
16 you said, that it applies to a few other  
17 sites. And I understand that you've widely  
18 applied that as a model for AWE sites in  
19 general, but I just think it's a poor model  
20 for GSI.

21 DR. MAURO: Can I take a shot at  
22 this?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIR ZIEMER: Yes, go ahead,  
2 John.

3 DR. MAURO: Please. It's so easy  
4 to get lost in the woods when you talk about  
5 this stuff, and let's keep it real simple.  
6 Let's, for a moment, make believe we know what  
7 the concentration in becquerels per meter  
8 squared is on the ground, on the surfaces, in  
9 the vicinity where the uranium was handled,  
10 and we know it in units of becquerels per  
11 meter squared. Let's stipulate that. Let's  
12 make believe we know that. All right. Now --

13 DR. MCKEEL: John, when you're  
14 doing this model, when you say where uranium  
15 was handled, are you talking about all  
16 throughout all the buildings along the  
17 transport --

18 DR. MAURO: Yes. I'll say yes to  
19 that.

20 DR. MCKEEL: All right.

21 DR. MAURO: I'll say yes to that.

22 All right. So let's assume that we all agree

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that, yes, we could place a plausible upper  
2 bound on what we believe to be on the  
3 surfaces, on the floor in your house right  
4 now, okay? And it's there. Now, all OTIB-70  
5 says is that, once you have some good idea of  
6 what you think is a plausible upper bound on  
7 what the accumulation was on surfaces at the  
8 time of the end of operations, what happens  
9 then is that, okay, you're no longer adding  
10 anything to it. The only thing that's going  
11 to happen to the stuff that's on the ground  
12 now is it's going to be re-suspended, come  
13 back down, and leave through various natural  
14 attenuation processes.

15 So the question you're really  
16 asking, Dr. McKeel, is, all right, you have to  
17 agree that, if we're stipulating we know  
18 what's on the surface in becquerels per meter  
19 squared. And then --

20 DR. MCKEEL: No, no, Dr. Mauro,  
21 I'm sorry. This is where my --

22 DR. MAURO: We'll get --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MCKEEL: -- comment gets  
2 distorted. You don't have any measurements --

3 DR. MAURO: No, no, we'll get  
4 there. See, I'm trying to parse it in a way  
5 so that we can get our heads wrapped around --

6 DR. MCKEEL: Well, but don't say  
7 if you know the amount that was --

8 DR. MAURO: I'm going to get --

9 DR. MCKEEL: -- on surfaces at the  
10 end of the operational period. You don't know  
11 that.

12 DR. MAURO: I'm going to show you  
13 how we're going to get there.

14 DR. MCKEEL: Okay.

15 DR. MAURO: Because that's a  
16 tougher problem.

17 DR. MCKEEL: It's an impossible  
18 problem, in my view.

19 DR. MAURO: I could stop at this  
20 point, and then we could leave it to the  
21 technical call, but I think I've got the  
22 answer to this thing.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MCKEEL: I don't think you  
2 better leave it to the technical call because,  
3 like Mr. Katz said, that's not an appropriate  
4 topic for the technical call.

5 DR. MAURO: Well, I'll leave it up  
6 to the Work Group. If you'd like me to tell  
7 my story, I'll be happy to, or we can save it  
8 for another time --

9 DR. MCKEEL: I will be quiet. Go  
10 ahead.

11 CHAIRMAN ZIEMER: Well, right now,  
12 right now the model is a separate question.  
13 We know the starting point. We're stipulating  
14 that, say you know the starting point because,  
15 in fact, we have a value that we're using for  
16 the starting point. It may be that that's not  
17 accepted by all, but the question was how can  
18 the TBD-70 be used if we have all these  
19 disturbances, and I think that's what you're  
20 trying to address.

21 DR. MAURO: And that's all I'm  
22 trying to do.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MCKEEL: Okay. That would be  
2 fine. I would appreciate it if you would  
3 finish your --

4 DR. MAURO: Yes, it becomes -- and  
5 I understand your question because I struggled  
6 with the question for quite some time. So we  
7 know, let's say we know becquerels per meter  
8 squared on the surface anywhere. We know it.  
9 Now, the question is what happens?

10 DR. ANIGSTEIN: John, use dpm per  
11 meter squared to be consistent.

12 DR. MAURO: dpm per meter squared.  
13 Okay. Now, what's going to happen here,  
14 what's going to happen is that material is  
15 going to be re-suspended. Okay. Starting at  
16 day one of the residual period, it's going to  
17 be re-suspended. And what you're really  
18 saying is, whatever the activity is on day one  
19 during the residual period, you're concerned  
20 about that resuspension factor. So am I.

21 And one of the things that I've  
22 been arguing for the longest time is that if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you got a place that's very dirty and it's got  
2 loose contamination on it, the resuspension  
3 factor could be pretty high, okay? Stay with  
4 me. NIOSH typically used to use ten to the  
5 minus six. I'm sure that, in the  
6 circumstances that we're talking about where  
7 there's a lot of activity going on, ten to the  
8 minus five is probably a pretty good number.  
9 But you know what? I'd be the first to say  
10 there actually may be certain circumstances  
11 over certain short time periods where the  
12 resuspension factor could be even ten to the  
13 minus four. So I'm not disagreeing with you  
14 on that.

15 But, in principle, on that day one  
16 of the first day of the residual period, if  
17 you know what's on the surface, you can very  
18 readily determine what might be airborne for  
19 inhalation by applying an appropriate  
20 resuspension factor. And in my mind, the  
21 resuspension factor of ten to the minus five,  
22 I would say, I would argue strongly, unless

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there was clean-up right after they finished  
2 operations, if there was no clean-up and the  
3 material was allowed to accumulate and it was  
4 loose, I would go with ten to the minus five.

5           And if you're right that there was  
6 a lot of really aggressive activity going on  
7 in a given room, I would go with ten to the  
8 minus four. So you're actually arguing now  
9 the judgment of when do you use ten to the  
10 minus six, when do you use ten to the minus  
11 five, when do you use ten to the minus four.  
12 Right now, I mean, what you just described, I  
13 could see someone saying, you know, because I  
14 do know circumstances where it goes up to the  
15 ten to the minus four.

16           So now let's say a reasonable  
17 disturbance is on the order of ten to the  
18 minus five, and I'm very familiar with the  
19 literature and that's not a bad number when  
20 there's loose contamination. But now what  
21 happens, though, is that number doesn't -- so  
22 you get a concentration in the air on day one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 by simply multiplying the activity that's on  
2 the surface in dpm per square meter times the  
3 resuspension factor, whatever number you  
4 decide to pick, and you get what's in the air.

5 But what happens is that's going  
6 to go down. Now, what NIOSH has done in OTIB-  
7 70 is they selected a rate at which it goes  
8 down, which is 0.00067 per day. That's a  
9 very, very slow rate of decline. In fact, in  
10 other words, they're being very claimant-  
11 favorable, and I know where they got that  
12 data. We don't have to go into the details of  
13 it, but they picked data in a way that it  
14 probably goes down faster than that, but  
15 they're going to assume that that  
16 concentration in the air is going down very,  
17 very gradually.

18 So in my opinion, if you have  
19 found a pretty good number for what's on the  
20 surface and we could agree on a fairly  
21 reasonable resuspension factor based on the  
22 amount of aggression to which the stuff might

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 have been disturbed, and then there's no doubt  
2 in my mind the 0.00067 per day number, the  
3 rate at which it smoothly goes down  
4 exponentially, is extremely claimant-  
5 favorable. You're done. You've got the  
6 problem.

7           So all we're really talking about  
8 right now, at least for the residual period,  
9 is we need to come to agreement on what we  
10 believe to be a reasonable dpm per meter  
11 squared that was present on the surface, on  
12 average, because when you're dealing with  
13 resuspension you're not interested in the high  
14 spot, the low spot. You're interested in what  
15 the average is because it's an integrative  
16 process.

17           So a good reasonable, plausible  
18 upper bound is, for the average concentration  
19 of the uranium in dpm per square meter that  
20 was on the surfaces on day one of the residual  
21 period, we need to agree on what resuspension  
22 factor seems to make sense for the kind of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 activities that took place in those various  
2 rooms during the residual period. And we  
3 certainly, in my mind, the 0.00067 rate of  
4 decline is a great number, and it's done.  
5 You're done.

6 Now, we have a little work to do.  
7 Clearly, we haven't decided what is that  
8 activity that's on the surface at the end.  
9 And there may be some disagreement regarding  
10 what's the best resuspension factor, but this  
11 is a very manageable problem. It's just a  
12 matter of sitting together, taking our hats  
13 off, and put our science together and saying  
14 what's the sensible thing to do.

15 So as far as I'm concerned, the  
16 residual period problem, we will solve. The  
17 biggest problem we have-- and that's my story  
18 on the residual period. The biggest problem  
19 we're going to have, and I think,  
20 conceptually, I know the solution to this,  
21 too. I have a conceptual approach that is  
22 fundamentally what Bob described.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           You see, what happens is, and shut  
2 me off if you think I'm going too far, but  
3 what happens is surrogate data was found that  
4 says, listen, there's a number of sites out  
5 there that were handling uranium and they  
6 measured the airborne activity, the breathing  
7 zone, the activity for people that were  
8 handling uranium, and they said that's a  
9 pretty good surrogate data because we're doing  
10 it because the same kind of thing was being  
11 done at GSI. And --

12           CHAIRMAN ZIEMER: John, I'm going  
13 to cut you off here.

14           DR. MAURO: Okay. I'll stop here  
15 because I think I've got my --

16           CHAIRMAN ZIEMER: All right. Just  
17 one other comment. I think one of the  
18 questions, and Dr. McKeel can correct me if  
19 I'm wrong, was the issue of the TBD-70  
20 approach looks like a smooth curve when, in  
21 reality, there may be what I'll call  
22 disturbances along the way, so you get these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 spikes. I think you're saying, though, that,  
2 overall, the area under the curve, if you get  
3 a spike, you still only have so much material  
4 that you're dealing with, you're not adding  
5 any source term. So in the end, the area  
6 under the curve, if you've got spikes along  
7 the way, you're spiking something that has  
8 already been depleted to some extent.

9 DR. MAURO: Yes, absolutely.

10 CHAIRMAN ZIEMER: And so to get  
11 the spike, the total or the integrated amount  
12 under the curve ends up, over the long term,  
13 as being the same. Is that what you're  
14 saying?

15 DR. MAURO: Yes, yes. And the  
16 real question is that resuspension factor is a  
17 thing that picks up the spike. You see, when  
18 I hear the word spike, it means, oh, all of a  
19 sudden someone came along and did something to  
20 generate, to re-suspend a lot more. And there  
21 could be short periods of time where that  
22 occurs, but we have a lot of data on that. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 we can pick the right resuspension factor.

2           And you're right. There could be  
3 times when you have more, times when you have  
4 less. But it's continually going down because  
5 natural attenuation by air turnover is going  
6 to cause this thing to drop and the rate of  
7 decline overall -- think of it like this:  
8 there's a certain number of curies in the  
9 building, you know, in the building. And  
10 those curies are going down, and they're going  
11 down because of natural attenuation. And the  
12 approach that NIOSH has picked, the rate at  
13 which it's going down is very, very slow. In  
14 other words, the 0.00067 per day, so it's  
15 going down.

16           Now, during that time period, yes,  
17 you've got periods when you have a little bit  
18 more resuspension, periods when you have less.

19           But if you pick the right resuspension factor  
20 that you say effectively represents the  
21 airborne dust-loading that's due to these  
22 processes, someone could very well argue ten

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the minus four for maybe some short periods  
2 of time --

3 DR. ANIGSTEIN: John, remember, we  
4 don't need a suspension factor if we simply go  
5 with the declining constant air concentration.

6 DR. MAURO: You could do that.

7 DR. ANIGSTEIN: So then, as long  
8 as you say you agree that it's the same  
9 resuspension, the resuspension factor doesn't  
10 change during the residual period, then all  
11 you need is a declining air concentration.

12 DR. MAURO: That's another  
13 shortcut. But --

14 DR. ANIGSTEIN: Because, you know,  
15 it's back and forth. You end up with the same  
16 number.

17 DR. MAURO: Yes. Well, what  
18 you've just done is a shortcut to OTIB-70.

19 DR. ANIGSTEIN: Well, no, no. I  
20 still use the OTIB-70 as a decrement, and that  
21 is also shown in other comments -- I know we  
22 can't talk about this forever --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MCKEEL: So this is Dan  
2 McKeel. I need to get a word in edgewise  
3 here.

4 DR. ANIGSTEIN: Yes. Well, just a  
5 second, Dan. Let me answer, you asked SC&A to  
6 answer the question. I would like to answer  
7 one of your points that you already made and  
8 you requested an answer to.

9 DR. MCKEEL: Okay.

10 DR. ANIGSTEIN: So please give me  
11 a chance. I mean, John has said some of this,  
12 and that is of course the actual disturbances  
13 are episodic and they're not a smooth curve.  
14 The smooth curve is simply an averaging  
15 because no matter what happens, on average,  
16 there's air coming into the building and air  
17 going out. It's not a sealed, it's not a  
18 hermetically-sealed system. And every time  
19 there's air movement, some of the uranium dust  
20 is removed permanently from the building, so  
21 there's always going to be some decrease even  
22 if, on a given day -- as a matter of fact, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 more you stir it up, the more it decreases  
2 because then you have more in the air and it  
3 will go out with the ventilation system.

4 So all of that, when you average  
5 it over, if you look at it for any one moment,  
6 you're right, it's all over the place. But if  
7 you average it out over a course of a year,  
8 and doses are almost always assigned on the  
9 basis of a year, the smooth curve is not a bad  
10 approximation. And the more it gets cleaned  
11 up, the less there is. So when you're saying  
12 there were aggressive clean-ups, this is  
13 actually claimant-favorable because the OTIB-  
14 70 approach does not assume any aggressive  
15 clean-ups. So if there's a clean-up, it means  
16 you washed it down, it went out into the  
17 sewers, and it's gone.

18 CHAIRMAN ZIEMER: Dan, do you have  
19 an additional comment? Let Dan make his  
20 comment now.

21 DR. MCKEEL: All right. Here's my  
22 comment. Dr. Mauro made this comment. He

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 said that his model that he was talking about  
2 would be a problem if there had been clean-  
3 ups, he said unless there was clean-up after  
4 operations. Okay. Well, what I --

5 DR. MAURO: Well, that's, you  
6 know, you're misusing -- that was only doing  
7 the backwards calculation. I hear where  
8 you're going, and I think, again --

9 DR. MCKEEL: Well, no, you need to  
10 let me finish because you don't know where I'm  
11 going.

12 DR. MAURO: My apologies.

13 DR. MCKEEL: All right. What I'm  
14 saying is that each of these companies that  
15 came in did different activities and,  
16 therefore, if you had to model, truly model  
17 what was going on in there, there would be, I  
18 understand that there are different daily  
19 resuspension and settling rates, velocities,  
20 and so forth. On the other hand, if you  
21 thought about the residual period as a series  
22 of events and each event was a new company

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 moving in there and setting up operations,  
2 doing various steel operations, and then  
3 moving out, then, in fact, it would be clear  
4 that those companies did various types of  
5 clean-up operations once they were leaving,  
6 getting ready for the next owner to come in.  
7 They were leasing the space. They didn't own  
8 the space. They were leasing the space.

9           And so I think that the proper way  
10 to model that mathematically is, first, you  
11 would have to calculate each one of those  
12 events as a separate -- you'd have to know the  
13 amount of uranium in the building, in the  
14 buildings as a whole, and then you'd have to  
15 know the resuspension factor for that company,  
16 on average, and for however long they were  
17 there, one month or two years. And we do  
18 think that they were there from those limits.

19           Some were there for months, some were there  
20 for years. And then you would have to know  
21 what the uranium level was in the air and on  
22 the surfaces after they left. That would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 your new start point for the next company.

2           So, you know, you would have a  
3 series of curves with peaks, and there's no a  
4 priori reason to think that the settling would  
5 be exactly the same. If they did different  
6 things, the composition of the dust particles,  
7 their size and so forth, their mass, what they  
8 contain, that could all change.

9           So these places were constantly  
10 being disturbed and made up. And then,  
11 eventually, I understand that if you had that  
12 series of curves, you may be able to fit an  
13 exponential curve. It may take some other  
14 kind of curve. That's not the only kind of  
15 curve that will fit data, as you all know.  
16 But we don't have that data. That's a guess.

17       It's an educated guess, but, basically, what  
18 you're saying is, Dan, we have TIB-70 and  
19 we've put in certain surrogate data in there,  
20 and, by golly, I'm saying, I'm declaring that  
21 those are good numbers. Well, if there's no  
22 measured data at GSI, which there is, there is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 zero measured data, no breathing zone data, no  
2 process zone data, no ambient air data at GSI  
3 ever at the entire plant in all of operations,  
4 then, basically, you're making an educated  
5 guess. And I'm saying that if that's the best  
6 you can do and so forth, but I can tell you I  
7 would never, never buy the explanation that's  
8 been given out that that exponential curve  
9 actually has a good relationship with real  
10 data, except, except for those sites that are  
11 defined in OTIB-70, and that's it.

12 You know, I understand this is a  
13 deep philosophical argument. I'm not going to  
14 pursue it any longer, but I appreciate the  
15 explanations. But I certainly am not  
16 convinced, so I think I'll leave it at that.

17 CHAIRMAN ZIEMER: Okay. Thanks,  
18 Dan. Now, I'm going to bring us to a close on  
19 this facility for the day. We have two tasks  
20 that have to be done. The first one, NIOSH is  
21 going to prepare their final model for what  
22 I'm calling today the administrators. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then SC&A is going to review that, and we're  
2 hoping to have another meeting then in,  
3 roughly, a month or four to six weeks,  
4 something like that. And, also, we're going  
5 to have SC&A and NIOSH conduct a technical  
6 call, which they'll arrange, to deal with the  
7 residual period.

8 MR. CHUROVICH: Dr. Anigstein,  
9 this is Dan Churovich. Can I interrupt just a  
10 second?

11 CHAIRMAN ZIEMER: Who's speaking?

12 MR. CHUROVICH: Dan Churovich. I  
13 was there, and let me tell you, you're talking  
14 about people handling something, handling  
15 radioactive material. What if they don't know  
16 what they're handling?

17 CHAIRMAN ZIEMER: Yes. Well, the  
18 models don't depend on whether or not they  
19 knew it. We're assuming that they're going to  
20 get exposed, so the models will cover that.  
21 Anyway, we have those two tasks to complete,  
22 and then we will schedule another face, or not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 face to face but a phone call meeting. It's  
2 got to be a phone call for my purposes at this  
3 time.

4 And so that's where we'll leave it  
5 on GSI for today, and I want to move ahead  
6 quickly to Baker Brothers. And we had some  
7 DCAS responses to the SC&A review. And, Tom,  
8 if you're still on the line, you can address  
9 the Baker Brothers issues there for us.

10 MR. TOMES: Okay. This is Tom.  
11 Just to summarize, we have received a brief  
12 paper from today on talking points for the  
13 Baker Brothers ER, and they listed several  
14 issues to discuss, the most significant of  
15 which was possible contamination levels from  
16 fires at the facility. And during the  
17 previous meeting, John Mauro discussed or he  
18 thought that that could have a bearing on the  
19 modeling.

20 And so, in the interim, I put  
21 together some information and sent it out  
22 showing that it's likely that Baker Brothers

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 was possibly not decontaminated, but was at  
2 least cleaned up based on information that  
3 DuPont required those contractors to sweep and  
4 remove all visible residues from the surfaces  
5 and machines and ship it back to the  
6 government. And that was required for the  
7 various contractors that we have records of in  
8 1943 and `44. We don't have specific  
9 information on the dates and what specific  
10 activities were done at Baker Brothers, but we  
11 do have records of shipping and sweepings in  
12 1943, which would have occurred after the  
13 fires were under control.

14 So, based on that, SC&A responded  
15 and sent us some information saying that it is  
16 likely that they had some clean-up and that  
17 they feel that we can likely bound these, but  
18 they say there may be some issues with the  
19 numbers that we use. The ER assumes a  
20 contamination level, an airborne level of 5480  
21 dpm per cubic meter. That's based on a  
22 bounding operator concentration for machining

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 operations out of TBD-6000.

2 And that was used as the  
3 conventional settling and resuspension model  
4 that has been just discussed for GSI and came  
5 up with an air concentration for the beginning  
6 of residual period. And I believe the  
7 question that SC&A proposed in their memo was  
8 the clean-up, was the supposed clean-up at  
9 Baker Brothers sufficient such that the  
10 resuspension factor was valid that we used?  
11 We used ten to the minus six, presuming if  
12 there was some clean-up that there was not a  
13 lot of loose contamination.

14 And just to summarize what they  
15 were doing, the operations at Baker Brothers  
16 ended approximately, I don't know the exact  
17 date, but it ended in August 1944. And they  
18 had containers of scrap and residues that were  
19 sitting around the facility, and they were  
20 there for some weeks later. And there was  
21 some records of shipments being, the last  
22 shipment that I saw a record of was October

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 1944 being shipped out of there.

2           So the ER, basically, uses this  
3 information to assume that by the end of 1944  
4 that the contamination levels in there, in the  
5 ER would bound those doses and that the  
6 resuspension factor of ten to the minus six  
7 would also be a valid number to use.

8           And there are some other issues.  
9 I don't know if you want to get into more  
10 discussion of that, but there are some other  
11 minor issues that SC&A identified in the  
12 talking points that we can --

13           DR. NETON: Yes, Tom. This is  
14 Jim. I think that, you know, we have to keep  
15 in mind that this was an analysis that was  
16 done to determine if there was any SEC issues  
17 in the residual period that would keep it from  
18 not being an SEC. So, you know, I'd like to,  
19 personally -- this is Jim -- I'd like to just  
20 focus on those issues for this call.

21           DR. MAURO: Jim, I agree with you  
22 completely because this is where we've really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been paying attention. Certainly, we'll get  
2 to the others. These are, basically, issues  
3 seven and eight in our original list, and, as  
4 you recall in our last meeting, you know, what  
5 we really did was we were asked to take a  
6 quick look, take a look at it, and we came up  
7 with this list of concerns. And the big ones  
8 that we felt are the ones you just described.

9 Bill Thurber and I, and especially  
10 Bill did all the heavy lifting and has a good  
11 story to tell regarding it, and it's a story  
12 that, you know, to go to the end of the story,  
13 I think we're okay. That's the take-away. So  
14 I take the end of the story away, but it's  
15 important to know where we're headed.

16 I think your arguments, we looked  
17 very carefully at your arguments, and I think  
18 Bill has a rich story to explain that will  
19 help, that will close this thing out. Bill,  
20 you there?

21 MR. THURBER: Yes, I'm here.

22 DR. MAURO: You got it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. THURBER: Okay. Very, very  
2 quickly. In part, speaking to Jim Neton's  
3 point about whether these are SEC issues or  
4 not, based on our review, we don't think they  
5 are.

6 Let's talk first about the  
7 question of the evidence that Tom presented on  
8 whether there was clean-up or not. And,  
9 certainly, it's a judgment call as to whether  
10 you can irrefutably say that clean-up was done  
11 or not. Rather than making that judgment,  
12 what we said in our memo is, look, you don't  
13 have to make a judgment as to whether clean-up  
14 was done or not, but you do then have to make  
15 a decision as to what resuspension factor you  
16 will use.

17 So looking at the problem that  
18 way, you don't have to say, well, the evidence  
19 is irrefutable that clean-up occurred. You  
20 just say: we're not convinced, so we're going  
21 to adjust the resuspension factor.

22 But that leaves open the question

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of whether any, whether the chip fires were  
2 sufficient to cause initial levels of  
3 contamination at the beginning of the residual  
4 period that would be greater than those you  
5 would obtain by using TBD-6000. And we  
6 provided information in our memo, well, TBD-  
7 6000 says that the limiting air concentration  
8 for machining operations was 5,480 Dpm per  
9 cubic meter. And that happened to be a worst-  
10 case number from all of the machining  
11 operations that they looked at in TBD-6000,  
12 which were originally derived from the paper  
13 by Harris and Kingsley. And that number of  
14 5,480 dpm per cubic meter was for centerless  
15 grinding. They picked that as representative  
16 of any operator doing machining.

17 In fact, typical machining  
18 operations such as running lathes, the number  
19 was one to two orders of magnitude lower, but  
20 that's the level of conservatism that was  
21 built into the generic operator category for  
22 machining in TBD-6000.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Well, we looked at some data that  
2 was in the Harris and Kingsley paper that was  
3 not woven into TBD-6000, and they said, for  
4 the case where a fire actually occurred, it  
5 wasn't with machining but it was with  
6 briquetting uranium turnings, which would be  
7 quite similar, that the average exposure was  
8 only 600 dpm per cubic meter. Again, about an  
9 order of magnitude lower than the generic TBD-  
10 6000 number.

11           We also looked at data from Adley,  
12 a paper that we've talked about on a number of  
13 occasions in the past, and they had machining  
14 data. And in many instances, their machining  
15 data noted that there was heavy fume or  
16 burning during the machining operation. And  
17 in none of these instances that Adley quoted,  
18 and I think there was seven or eight of them,  
19 was the airborne concentration close to the  
20 generic TBD-6000 limit.

21           So we concluded that the TBD-6000  
22 value of 5,480 dpm per cubic meter was an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 appropriate bounding number, even if there  
2 were chip fires. And so those were basically  
3 the two conclusions that we arrived at as, A,  
4 if you don't believe that clean-up occurred,  
5 you can deal with that by adjusting the  
6 resuspension level; and, B, the TBD-6000  
7 generic number for machining adequately covers  
8 the air concentration from chip fires. And we  
9 feel that both of those are not SEC issues.

10 CHAIRMAN ZIEMER: Okay. Thank you  
11 very much. Any questions, Board Members, Work  
12 Group Members?

13 MEMBER MUNN: This is Wanda. I  
14 don't have any question, just a comment.  
15 Earlier, it was indicated that the two items  
16 that were being addressed were items seven and  
17 eight of the original report. I didn't go  
18 back and look at the original report, but  
19 Bill's report covers items eight and nine, I  
20 believe.

21 DR. MAURO: I may have had the  
22 numbers wrong, Wanda.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   MEMBER MUNN: Oh, just a nit, for  
2 the record.

3                   CHAIRMAN ZIEMER: Okay, thank you.

4                   MEMBER MUNN: It was an excellent  
5 report, easily understood, and it looks clear  
6 to me.

7                   DR. MAURO: Just to add a little  
8 bit. What the real question is, when you look  
9 at the story that's being told, NIOSH makes a  
10 very good argument that it probably was  
11 cleaned up, you know, because that was the  
12 practice that was being used widely at that  
13 time and there's good reason to believe that  
14 they probably did clean up after the fires  
15 because of the practice that was involved.  
16 But we don't actually have direct statements,  
17 you know, that this happened at this facility.

18                   So we asked ourselves, okay, so  
19 someone may not accept that. I mean, someone  
20 may say, well, listen, unless you have  
21 affirmative proof that, yes, it was cleaned  
22 up, but we're saying that, even if you don't,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you know, if it was cleaned up, that's the end  
2 of the story, and you go, you know, and then  
3 you use ten to the minus six resuspension  
4 factor. But if it wasn't cleaned up, let's  
5 say someone says, well, you know, we think it  
6 -- but even then, that 5,000 number is so  
7 large that it envelopes even if there was some  
8 fires. But, of course, then, if you make that  
9 assumption, then you don't use the ten to the  
10 minus six. Then you use the ten to the minus  
11 five.

12 And I think that's where we are  
13 right now. And this is a judgment that, I  
14 guess, needs to be made because we're not  
15 saying that the answer, everything is done.  
16 What we're really saying is, depending on  
17 which path you want to go down, whether you  
18 want to say, yes, it was cleaned up because of  
19 the evidence as laid out in the report. Well,  
20 if you go with that, then go with that ten to  
21 the minus six resuspension factor and the  
22 0.00067 per day depletion rate.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           If you think that, well, we like  
2 to be a little bit more claimant-favorable  
3 since we don't have all that direct evidence,  
4 okay, don't do that.       The airborne  
5 concentration is fine. Go with the standard  
6 approach, but don't use ten to the minus six,  
7 use ten to the minus five because it wasn't  
8 cleaned up.

9           So it's really, that's the choice  
10 that needs to be made by, I guess, NIOSH and  
11 the Work Group, which approach.       But,  
12 certainly, it's a solvable problem.

13           CHAIRMAN ZIEMER: Okay. Thank you  
14 very much. I did want to check with Ted.  
15 Ted, were there petitioners on this one that  
16 wanted to comment?

17           MR. KATZ: No. Paul, I think the  
18 message we got is that the petitioner here is  
19 already fine with what happened with the SEC  
20 action and was not planning to participate.

21           CHAIRMAN ZIEMER: Okay. So I  
22 think we can -- what do we need to do action-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 wise? Remind me. We need to recommend to the  
2 Board on the residual period, or where do we  
3 start?

4 DR. MAURO: This is John. Yes.  
5 We're dealing with whether or not the residual  
6 period was -- you know, the SEC is covered,  
7 but the residual period is a question. And  
8 there's a strategy that was adopted for  
9 dealing with the residual period, and the only  
10 thing that we brought up was this fire thing.

11 Well, that may mess you up a little bit  
12 because does the approach that NIOSH has  
13 adopted, is it adequate to envelope and deal  
14 with the fact that there were indoor fires?

15 CHAIRMAN ZIEMER: Well, you're  
16 basically saying, either way, it still is  
17 appropriate for bounding this.

18 DR. MAURO: Yes, yes, yes.

19 CHAIRMAN ZIEMER: And I'm not sure  
20 it's going to give a very different answer.  
21 It may be a slightly different one, but the  
22 recommendation we have to make to the full

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Board is whether or not an SEC should be  
2 provided for the residual period; isn't that  
3 correct?

4 MS. LIN: Dr. Ziemer, this is  
5 Jenny Lin with OGC. And Ted can correct me if  
6 I'm not right on this, but my recollection is  
7 that the Advisory Board, when they voted on  
8 recommending adding the SEC Class for the  
9 operational period, they specifically leave  
10 open the questions about residual  
11 contamination and then also task the Work  
12 Group to evaluate the dose reconstruction  
13 methods for the residual contamination period.

14 So do you think that the Advisory Board will  
15 require a recommendation from the Work Group?

16 CHAIRMAN ZIEMER: Right. So what  
17 we would need would be a motion to make a  
18 recommendation to the full Board on the  
19 residual period.

20 MS. LIN: That's my understanding.

21 MEMBER BEACH: So, Paul, this is  
22 Josie. I want to be clear because I heard

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 John say that we could go, we could decide  
2 either ten to the minus five or ten to the  
3 minus six. John, is your recommendation ten  
4 to the minus five or minus six?

5 DR. MAURO: Where I come down on  
6 this, okay, and understand that this is a  
7 judgment call, there is a lot of evidence that  
8 the standard practice at the time for this  
9 type of facility at that time and the process  
10 that was used is that they did clean up. The  
11 fact that we --

12 MEMBER BEACH: Well, let me stop  
13 for just a sec. So I understand that --

14 DR. NETON: So, Josie, this is a  
15 Site Profile issue, I think, that we're  
16 dealing with at this site.

17 DR. MAURO: Oh, now, we're dealing  
18 with a Site Profile. Right.

19 MEMBER BEACH: You're absolutely  
20 correct on that, yes.

21 DR. NETON: So I don't know if  
22 that needs to be decided before the Work Group

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 recommends to the Board whether an SEC should  
2 be added during the residual period, I guess.

3 MEMBER BEACH: You're absolutely  
4 correct. Sorry about that. So I'm good.

5 CHAIRMAN ZIEMER: It could be  
6 either one, but it still is a tractable  
7 problem. And we don't have to decide that at  
8 this point, yes.

9 MEMBER BEACH: Okay. So we're  
10 just looking at the 1945 to '96 time period,  
11 whether it's an SEC or not. I'm clear. Thank  
12 you.

13 CHAIRMAN ZIEMER: Yes. So who  
14 wishes to make a motion?

15 MEMBER MUNN: I'll be glad to make  
16 that motion. Based on the information that we  
17 have today, it appears that my motion would be  
18 that we recommend to the Board that an SEC not  
19 be granted because it is possible for dose  
20 reconstructions to be done for Baker Brothers  
21 for the time in question.

22 CHAIRMAN ZIEMER: For the residual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period.

2 MEMBER BEACH: And this is Josie.

3 I'll second that.

4 MEMBER MUNN: For the residual  
5 period.

6 CHAIRMAN ZIEMER: And Josie  
7 seconded it. Further discussion? Okay.  
8 Let's just get a quick individual vote. All  
9 in favor -- well, John, is John back? Wanda?

10 MEMBER MUNN: Yes.

11 CHAIRMAN ZIEMER: Josie?

12 MEMBER BEACH: Yes.

13 CHAIRMAN ZIEMER: I'll vote yes.  
14 Two yeses. It's not required that John vote.

15 I'll simply report to the Work Group or to  
16 the full Board that we're recommending that an  
17 SEC not be granted for the residual period for  
18 Baker Brothers.

19 Ted, can we do this on the phone  
20 call, do you think, or do we need to go for  
21 the full Board meeting?

22 MR. KATZ: Well, my only question

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- well, I think we do because, one, it's not  
2 on the agenda.

3 CHAIRMAN ZIEMER: Okay. Right,  
4 right.

5 MR. KATZ: And, usually, I think  
6 that's important for petitioners.

7 CHAIRMAN ZIEMER: Yes, we'll do it  
8 in the full Board meeting then.

9 MR. KATZ: Yes.

10 CHAIRMAN ZIEMER: Right, okay.  
11 Let's move on to Joslyn, and I think all we  
12 have is a brief report of status from DCAS,  
13 right? On Joslyn?

14 DR. NETON: Actually, I think  
15 that, well, I didn't know whether SC&A was  
16 going to present what they provided. We  
17 haven't had this very long. It's only been a  
18 few weeks, and we're still working on it. So  
19 we really don't have anything to report as far  
20 as our reaction to the report at this time.

21 CHAIRMAN ZIEMER: I think I would  
22 prefer that we simply leave it at that and not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 discuss the review at this point, if that's  
2 all right, in terms of time and personal  
3 issues here with me. So if there's no  
4 objection, we'll carry Joslyn forward to our  
5 next meeting, and that will give NIOSH a  
6 chance to complete their responses. Is that  
7 agreeable?

8 MEMBER MUNN: Reasonable, yes.

9 MEMBER BEACH: I'm agreeable with  
10 that.

11 CHAIRMAN ZIEMER: Okay. Let's  
12 move on then to Simonds Saw. I've got to pull  
13 up my file here. Let's see. On Simonds Saw,  
14 let's see, we have some fairly recent NIOSH  
15 responses on that. Is that where we are on  
16 this?

17 MR. TOMES: This is Tom Tomes.  
18 Yes, we just forwarded updated responses from  
19 NIOSH to the matrix a few days ago. We were  
20 looking at a few of the issues that we had  
21 left open on responses previously.

22 CHAIRMAN ZIEMER: Right. You want

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to go through those with us quickly?

2 MR. TOMES: Yes.

3 CHAIRMAN ZIEMER: Does anybody  
4 have the matrix?

5 MEMBER BEACH: This is Josie. I  
6 do.

7 CHAIRMAN ZIEMER: I think we have  
8 a copy dated -- I don't see a date on my copy  
9 here.

10 MEMBER BEACH: April 23rd is what  
11 I have.

12 CHAIRMAN ZIEMER: Yes, April 23rd.  
13 Right. Go ahead, Tom. You want to cover to  
14 that?

15 MR. TOMES: Okay. I'll just go  
16 through the findings one by one. Finding one  
17 concerns discussion of the external dose  
18 models, and our response to that was that  
19 NIOSH believes that our model was sufficient.

20 SC&A had questioned why we did not use the  
21 extrapolated film badges that were available.

22 That issue concerned, I think there were 20

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 film badge results from a seven-day period,  
2 and NIOSH did not use those. We did not  
3 consider them to be a sufficient  
4 representative of the doses, so we went  
5 through some other methods to reconstruct  
6 dose.

7 And SC&A pointed out that if you  
8 extrapolate some of those film badges that  
9 some of the workers would have had a higher  
10 dose than what the NIOSH model is. And I went  
11 through and reviewed those and concurred with  
12 SC&A's numbers, but some of those badges, in  
13 particular the highest badge results, was  
14 suspect. Based on all the survey data we  
15 have, we just didn't feel that that was a  
16 valid result and we feel that the methods we  
17 chose is representative of the external doses.

18 And that was our response previously, and we  
19 didn't add any additional response to that.

20 MR. BARTON: This is Bob Barton  
21 with SC&A. Just to kind of clarify a little  
22 bit on that finding, I think this wasn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessarily that we thought the film badge  
2 data should be used as opposed to the approach  
3 that NIOSH adopted, which depends on some  
4 surrogate data from Aliquippa Forge. They had  
5 one general area measurement that was used in  
6 some MCNP runs to develop their external dose  
7 values.

8           What we're basically saying was we  
9 do have these film badges, so let's take a  
10 look. Let's just extrapolate them to the full  
11 year, like what was just described, and what  
12 do the numbers say? And we found that, if you  
13 extrapolate them, we found that some of the  
14 workers did have higher external doses.

15           So the intent of that finding was  
16 not to say, well, now you should replace the  
17 methods that NIOSH used with these film badge  
18 data. Really what we're saying is, given that  
19 we have these data and a way to compare them,  
20 NIOSH should consider modifying their approach  
21 to ensure that the external doses you're going  
22 to be assigning are going to be favorable to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all the claimants, the highest-exposed people,  
2 because, as the film badge data show, it  
3 sounds like at least one of those workers may  
4 have suspect results. But there were others,  
5 too, that still had a higher extrapolated  
6 external doses than what they would get from  
7 the TBD methods.

8 I'd also like to note that, in  
9 extrapolating those film badge, we didn't  
10 include any sort of, you know, ambient dose  
11 from between rolling periods. So, you know,  
12 when they're not rolling uranium, there's  
13 still contamination present at the site. And  
14 NIOSH took that into account in their number.

15 We didn't take it into account in our number.

16 So, basically, what that would do, if you did  
17 add that in, it would add about another rem  
18 and a half. So you can kind of add that to  
19 those numbers.

20 And, also, I'd like to note that  
21 those film badges were taken in 1949, I  
22 believe, which, if you look at Simonds' plant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 history, you know, they started off and they  
2 didn't really know a whole lot and, you know,  
3 things were very contaminated. They started  
4 instituting some industrial controls. Things  
5 got a lot better, and that's kind of in that  
6 1949 period where things get a lot better. So  
7 those film badges themselves might represent  
8 sort of a more ideal condition at the plant  
9 than would have been experienced throughout  
10 the plant history.

11 So, basically, what we're saying  
12 is, we're not saying, you know, pull out your  
13 method, which probably is a very good estimate  
14 what the actual external exposure potential  
15 was. But given that we do have these data and  
16 they show that some workers likely experienced  
17 higher external doses, then maybe you want to  
18 go back and sort of modify your approach so  
19 that we can be assured that, when we do assign  
20 external doses, that it's going to be bounding  
21 to all the workers there.

22 And, also, I think it's important

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that in the TBD you kind of discuss those film  
2 badge results similar to the way of if you  
3 extrapolated it and, you know, explain that  
4 the rationale for why that one worker who had  
5 the rather high external results that maybe  
6 his was suspect, but then we have these other  
7 workers that may have had higher doses, but we  
8 looked at this, and, you know, because of our  
9 proposed model, we are, in fact, bounding.

10 So, again, we weren't saying that,  
11 you know, you should use this film badge data  
12 only and throw away everything else. We're  
13 just saying, in light of it, you should  
14 consider modifying your approach.

15 MR. TOMES: Bob, this is Tom. I  
16 did look at that data quite a bit, and I agree  
17 with you that the TBD, the TBD mentions these  
18 results, but it doesn't really go into an  
19 analysis of those results. But I agree that  
20 those should not be used as a sole basis for  
21 assigning the dose.

22 What I find surprising, if you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 discard the questionable results, I found that  
2 the numbers in the TBD agreed with the  
3 extrapolated film badges better than I would  
4 have expected. Some of those values in the  
5 TBD were derived with, some of them had  
6 relatively large GSDs. And you take all the  
7 uncertainty into consideration, the numbers  
8 are in fairly good agreement.

9 MR. BARTON: Well, no, I agree, it  
10 serves both to validate that your approach is  
11 accurate but also that these film badge data  
12 results are accurate. All I'm saying, I  
13 guess, is that, you know, given the fact that  
14 we have these film badges and if you  
15 extrapolate them out and you consider the  
16 ambient dose, which we hadn't done in the  
17 original review, and the fact that the film  
18 badges themselves were taken during a period  
19 when they had their industrial controls in  
20 place so, again, radiation levels were a  
21 little bit smaller, you know, all these things  
22 combined, you know, maybe you should take a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 look at those methods and consider increasing  
2 the assigned external dose just so you can  
3 ensure that you're going to be bounding.  
4 That's where I come out on it.

5 MR. TOMES: I disagree with that,  
6 Bob. I agree that looking at these badges,  
7 Jim, is a good thing, and maybe to explain a  
8 little better why we're not using them as the  
9 basis for assigning doses. But taking one  
10 measurement and extrapolating it for the  
11 entire year just strikes me as being -- and  
12 especially ones with the highest, using the  
13 highest value that is suspect anyway, just  
14 doesn't strike me as being a good practice.

15 MR. BARTON: No, no, that's not, I  
16 did not say they use the highest value. I'm  
17 just saying --

18 MR. TOMES: No, but none of the  
19 other ones exceed. And the fact is that we  
20 have a large --

21 MR. BARTON: No, no, no, there are  
22 others that exceed it. I mean, I'm looking at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 -- they review at least 6 of the 20 workers.

2 MR. TOMES: And do you assign  
3 those as a constant value or what do you do?  
4 I mean, we already have a large GSD to account  
5 for the uncertainty in the model. I forget  
6 what it is, Tom, but --

7 MR. BARTON: There are several  
8 different ones because of the components. I  
9 believe the large one is 4-point something.

10 MR. TOMES: Yes, 4-point something  
11 GSD on the central estimate, which is quite  
12 generous. So we're acknowledging, by doing  
13 that, that we're not 100 percent certain that  
14 the central estimate is exactly right, but we  
15 acknowledge that there's another level of  
16 values. To increase the central estimate just  
17 based on one film badge measurement, to me,  
18 doesn't make sense. That's our opinion, and I  
19 think we'd be happy to explain maybe that a  
20 little better in the TBD, but I can't see  
21 increasing the dose based on those badge  
22 measurements.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MR.     BARTON:           Well,     that's  
2     certainly a judgment call for the Board.   And  
3     I do agree that it would be definitely  
4     beneficial to the TBD to put out that  
5     rationale that, listen, we do have these  
6     results and, even though if we extrapolate  
7     them out, and take some of these things into  
8     consideration,     like     the     fact     that  
9     contamination levels were a little bit lower  
10    here and --

11                   MR.     TOMES:     Well, I don't think  
12    the definition of lower --

13                             (Simultaneous speakers.)

14                   MR.     BARTON:     -- our work is going  
15    to be favorable to the claimant for, you know,  
16    reasons A, B, and C.   So, I mean, if that  
17    argument is sound and everybody agrees with  
18    that, then I wholeheartedly agree with let's  
19    put that rationale and that text into the TBD  
20    so that, you know, as people read it and they  
21    say, well, there are film badges, what  
22    happened to them, you know, what are they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 like, you can say, well, we looked into that  
2 and for these reasons we feel our model is not  
3 only more accurate but also more claimant-  
4 favorable.

5 MR. TOMES: This is Tom. I  
6 believe the model is more claimant-favorable.

7 I've compared these, and I just pulled up the  
8 TBD and some of the dose has a GSD of 5.7.  
9 And the model allows for, I guess you could  
10 say the model allows for more uncertainty than  
11 the film badge does in some regard.

12 DR. MAURO: This is John. I think  
13 I can help out a little bit, too. I  
14 understand where we are on this. Jim, you  
15 recently made a very nice demonstration where  
16 you pointed out that when you put in a GSD of  
17 five or four, whatever you're putting it on a  
18 number, and with a geometric mean, you could  
19 say to yourself -- this is a very important  
20 point, and I think it's worth just spending a  
21 minute or two on it.

22 When we look at some numbers, very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 often we will look at the arithmetic mean and  
2 we say here's the number, here's the dose  
3 rate. Let's say it turns out to be, we come  
4 up with a number that's lower than the  
5 geometric mean that NIOSH might come up with  
6 by a factor of two, three, or four. Someone  
7 might say, oh, my goodness, you folks, SC&A,  
8 are coming in with an arithmetic mean with no  
9 uncertainty that's four times higher than the  
10 geometric mean that NIOSH is coming up with.  
11 And my reaction was: we can't have this, you  
12 know. We're coming in four times higher.

13 But then Jim went through a  
14 calculation, and we just went through this,  
15 and it's the same situation we have here. If  
16 you come with a number that has a geometric  
17 mean, a value, that's, let's say, lower than  
18 my arithmetic mean by a factor of four, one  
19 would say that's a lot.

20 But then when one realizes that  
21 Jim is also assigning -- it sounds like I'm  
22 promoting it, but I agree with the argument.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 When they assign a geometric standard  
2 deviation of five on top of that geometric  
3 mean, and then they run IREP and they pluck  
4 off the upper 99th percentile dose, what  
5 happens is you end up getting a Probability of  
6 Causation that's higher using the method that  
7 Jim just described.

8 So what I'm hearing now, we have a  
9 similar -- Jim, tell me if we have a similar  
10 situation here. If you were to use the film  
11 badge data that might be somewhat higher, as  
12 pointed out by Bob Barton, and your standard  
13 uncertainty, I believe, of 30 percent on the  
14 spread on a film badge reading, as compared to  
15 saying, well, using some other method that  
16 comes up with whatever the model is that has a  
17 geometric mean of a value and a geometric  
18 standard deviation of about four, is that what  
19 we're really comparing here?

20 MR. TOMES: Well, if you're going  
21 to say that 30 percent would be the  
22 uncertainty, I'd say yes. I mean, it's hard

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to predict in general, but when you're  
2 comparing 30 percent, normal distribution of  
3 30 percent to a GSD of four, even five, hands  
4 down, the distribution --

5 DR. MAURO: Your PoC is going to  
6 come in higher. Well, I guess then my  
7 question becomes, if you were to use the film  
8 badge numbers, you extrapolate the values that  
9 were referred to by Bob, you would have to  
10 pick a number and assign some uncertainty to  
11 it when you inserted that into your IREP  
12 calculations.

13 MR. TOMES: Right. And I would  
14 have no idea what uncertainty was assigned --

15 DR. MAURO: Yes. Well, you see --

16 MR. TOMES: -- to one measurement  
17 based on one campaign. Was that the highest  
18 campaign? Was that the high value? Who  
19 knows? There's no pedigree on this film badge  
20 data at all. It's just one measurement at one  
21 point in time.

22 DR. MAURO: I only bring this up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 because, even though I've been working on  
2 this, this project for quite some time, the  
3 light went on when I realized that that when  
4 you assign a GSD of five to a number with a  
5 geometric mean, I usually just look at the  
6 geometric mean and then do my own calculation.

7 Very often, it's an average. I'll add up  
8 some numbers and say, how close do I come?  
9 And if I come pretty close, I'll say, okay,  
10 everything looks okay. But if I come in four  
11 times higher, I say, oh, something is wrong,  
12 and that happened recently.

13 But then Jim pointed out but, no,  
14 we're not using a fixed value of what the dose  
15 is. We're using the geometric mean with a  
16 standard deviation of five. And then he ran  
17 IREP, and he came in with a Probability of  
18 Causation that was much higher than mine.

19 So all I can say is, to help out  
20 here a little bit, this is something that I  
21 learned only in the last month, that I  
22 probably should have known for quite some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 time. If we have a similar situation here  
2 where you're assigning a fairly large  
3 geometric standard deviation to your number,  
4 that probably will envelope what might be  
5 relatively small. How big are the differences  
6 between the number you came up with, Bob, and  
7 the number that NIOSH is using for this  
8 particular person?

9 MR. BARTON: In the highest case,  
10 it's about 20 roentgen. And like NIOSH  
11 pointed out, they have reason to believe that  
12 that measurement is suspect, and, you know,  
13 there are some that are ten and some that are  
14 a little smaller than that. Honestly, in  
15 listening to this discussion, it sounds like  
16 there's a very compelling argument to say, no,  
17 no, no, what's in the TBD right now is, in  
18 fact, the most claimant-favorable method, even  
19 in light of these limited film badge results.  
20 And I think maybe the solution here is, well,  
21 let's put it in there. Let's lay all the  
22 cards on the table. Yes, we have these film

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 badge results, and if you were to do this sort  
2 of exercise where you extrapolate to a year,  
3 yes, you will see some of the results on an  
4 annual basis are higher, yet the method that  
5 we've chosen is, in fact, more claimant-  
6 favorable for the reasons that are kind of  
7 being laid out here.

8 DR. MAURO: I know that may be a  
9 little bit of extra work for NIOSH, but I  
10 think telling that story is important.

11 CHAIRMAN ZIEMER: Well, I'm  
12 wondering if it wouldn't be helpful, Jim, if  
13 NIOSH went ahead and expanded here on this  
14 response or to the SC&A preliminary response.

15 And that will, I think, help the Work Group,  
16 as well.

17 DR. NETON: Well, I thought Tom --  
18 Tom, didn't you do that? I thought you  
19 provided the --

20 MR. TOMES: I didn't provide any  
21 additional response on these external dose  
22 issues.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. NETON: Yes, and I'm happy to  
2 revise the TBD. As you're going to see in the  
3 next discussion, we're going to revise the TBD  
4 anyway for various reasons. And we're happy  
5 to go in there and add this logic into the  
6 TBD. If you want more explanation other than  
7 what we just talked about, we can do that, as  
8 well, prior to modifying the TBD. It was in  
9 our discussion that occurred just here, I  
10 think.

11 CHAIRMAN ZIEMER: I was looking at  
12 the finding itself, and I think on this  
13 finding the last response we have is the SC&A  
14 preliminary response.

15 DR. NETON: No, no, I thought we  
16 provided a response on top of that.

17 MR. TOMES: Not on finding one,  
18 Jim.

19 DR. NETON: Oh, we didn't?

20 MR. TOMES: No.

21 MEMBER BEACH: Just on some of  
22 them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 DR. NETON: Oh, okay. I'm sorry.  
2 I thought we had done something on finding  
3 one and presented --

4 CHAIRMAN ZIEMER: Yes, this one  
5 didn't have it in, and I think that would be  
6 helpful for us --

7 DR. NETON: Okay. We will do that  
8 then. We will provide that.

9 CHAIRMAN ZIEMER: And then I don't  
10 know the extent to -- well, what we all really  
11 want to do is close these findings. And I'm  
12 hesitant to close this just based on this  
13 discussion without really, I mean --

14 DR. NETON: Well, I think we would  
15 hold this finding in abeyance until we  
16 modified the Site Profile.

17 CHAIRMAN ZIEMER: Yes, right.

18 DR. NETON: But since we haven't  
19 really responded in writing yet, I think we  
20 should.

21 CHAIRMAN ZIEMER: Yes.

22 DR. NETON: I thought we already

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had. Sorry.

2 CHAIRMAN ZIEMER: Yes, I think  
3 that the same is true on the second finding.  
4 We have the preliminary response from SC&A,  
5 but we don't have a NIOSH response on that  
6 one.

7 DR. NETON: Well, Tom has gone and  
8 done some selective responses that are going  
9 to -- well, we address what we thought were  
10 the big ticket items. The first one we were  
11 pretty positive that we didn't need to modify  
12 it, and we will respond to that more fully.  
13 But the second thing that we're going to talk  
14 about, I'll let Tom deal -- unless we want to  
15 talk about this a little more.

16 CHAIRMAN ZIEMER: No, I think we  
17 can move ahead.

18 DR. NETON: And the second issue  
19 has to do with the reconstruction of internal  
20 dose and --

21 MEMBER MUNN: Are we going to  
22 address these in order?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: No, no, we're going to  
2 address finding one and then, Tom, what's the  
3 next finding that we're going to --

4 MR. TOMES: Well, it covers more  
5 than one finding, the intake model. Well,  
6 these findings, finding two concerns the  
7 exposure studies.

8 CHAIRMAN ZIEMER: Finding three,  
9 and that gets pretty much repeated. I think  
10 your finding three is the one you want to  
11 focus on probably, right?

12 MEMBER MUNN: Yes, I think so. The  
13 urinalysis --

14 DR. NETON: Well, actually, well,  
15 here's the bottom line is anything to do with  
16 internal dose is going to change at Simonds  
17 Saw and Steel, and that's because this Site  
18 Profile was written, it was one of the very  
19 first Site Profiles that was written. And  
20 SC&A correctly, in their review, identified  
21 that, you know, there are some things that  
22 just are different than what we normally do.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 For instance, you know, taking the data and  
2 multiplying it by a factor of two to make it  
3 claimant-favorable and, even in the residual  
4 period, how we handle, you know, this was  
5 written before TIB-70 was done.

6 So we are going to go back and  
7 revise the Site Profile to be more in line  
8 with our current way of doing business in the  
9 internal dosimetry world, and that would be  
10 to, you know, take the log normal  
11 distribution, the data, pick the 95th  
12 percentile, and use that in the reconstruction  
13 of internal dose, as well as using the TIB-70  
14 approach for the residual period, which would  
15 take the last measured air sample during the  
16 operational period, use that as a starting  
17 point for modeling the residual air  
18 concentrations over time.

19 So those cover a number of these  
20 findings. I think there's three or four that  
21 are wrapped up in this internal dose issue.  
22 And we're going to do that. I mean, that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 something that we just have to do. It's  
2 probably something we should have done  
3 earlier, but so be it. It's time.

4 CHAIRMAN ZIEMER: Yes, I think  
5 your finding three and finding four, those  
6 two, and let me see, maybe finding five, as  
7 well --

8 DR. NETON: Tom, I think you had a  
9 handle on which ones were affected.

10 MR. TOMES: I can go ahead  
11 through. I just refer to the matrix to keep  
12 it straight. I think it started with, the  
13 internal discussion started with finding two,  
14 and that was where one of the findings was,  
15 additional review of the air monitoring data,  
16 and I believe that we concluded that the  
17 analysis was the way to go on assessing  
18 intakes for that one.

19 CHAIRMAN ZIEMER: Right. But you  
20 didn't provide us any wording on finding two,  
21 but you did on finding three.

22 MR. TOMES: Well, my initial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 response, I do have an initial response on  
2 finding --

3 CHAIRMAN ZIEMER: Right, right,  
4 right, right. We had the initial response.

5 MR. TOMES: And I think SC&A  
6 agreed --

7 CHAIRMAN ZIEMER: SC&A agreed on  
8 that one, right.

9 MR. TOMES: And then finding three  
10 we just discussed, that we're going to revise  
11 the TBD. And finding four concerns different  
12 exposure categories for the workers in the  
13 mill. And I think my response to that is  
14 listed in the overall response to finding  
15 three. We've looked at that pretty closely,  
16 and SC&A correctly points out that we have  
17 information on exposures at different shifts  
18 and different workers and I spent hours going  
19 through the urine data and trying to correlate  
20 that information with the urine results, and  
21 the information is inconclusive. Why I say  
22 that is because one category of worker may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 have the highest exposures on a certain day,  
2 another category on a different day, and same  
3 for the shift work. It just does not seem  
4 that we can parse the data sufficiently to be  
5 confident that we could separate the workers  
6 out.

7           Additionally, there's workers  
8 whose particular function is not known. So to  
9 try to assign a lower dose for a certain  
10 worker would be very difficult, and that's why  
11 we're proposing to revise the TBD and specify  
12 the 95th percentile. And that's, basically,  
13 the crux of finding number four there.

14           CHAIRMAN ZIEMER: Well, let me  
15 interrupt here a minute, just so we can sort  
16 of be consistent on this. On finding two,  
17 it's basically SC&A said they agreed to, but  
18 they did have a caveat there. And I need to  
19 ask SC&A, are we in only partial agreement on  
20 finding two?

21           MR. BARTON: Dr. Ziemer, this is  
22 Bob Barton. Yes, there is that caveat there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 at the end of finding two. Findings two  
2 through five really all concerned the internal  
3 dose model during the occupational period.  
4 And as NIOSH just explained, they're going in  
5 and they're going to revise that. And the  
6 fact that they're going to be applying the  
7 95th percentile, and we've had some internal  
8 discussions at SC&A with John Mauro and John  
9 Stiver, and we concur with that position.  
10 Because there's so much variability in the  
11 exposure potential that is seen in the daily  
12 weighted exposure reports, I mean, you could  
13 have two workers on the roughing roll, and the  
14 worker on the east side has a magnitude, an  
15 order of magnitude higher exposure than the  
16 one on the west side.

17 So there's a whole lot of  
18 variability, and that was really the crux of  
19 our concern with these four findings on the  
20 internal dose model. And for my mind, at  
21 least as it stands right now, the proposed  
22 approach of going through and, if you're a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 mill worker, since you don't really know at  
2 any given time which job type was going to  
3 have the highest exposure potential, you can  
4 assign the 95th percentile and assume a  
5 chronic intake rate. And we believe that  
6 really kind of puts our major concern there  
7 with the internal dose model to rest.

8 CHAIRMAN ZIEMER: So I'm merely  
9 asking whether we can go ahead and close, for  
10 example, finding two at this point?

11 MR. BARTON: I think probably. We  
12 are in agreement, but it kind of does  
13 necessitate those changes --

14 CHAIRMAN ZIEMER: Yes, it's more  
15 to put it in abeyance maybe.

16 MR. BARTON: Right. That's what I  
17 would recommend.

18 CHAIRMAN ZIEMER: So on finding  
19 one, we're just going to leave that in process  
20 because we don't have the words on it.  
21 Finding two, are we okay to do it in abeyance?

22 Let me ask the Work Group.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MEMBER BEACH:       Paul, this is  
2 Josie. I'm okay with that.

3                   MEMBER MUNN:    Sure.

4                   CHAIRMAN ZIEMER:    Okay.     Which  
5 means, basically, there's agreement that we  
6 have to yet see it in the final document.  
7 Finding three, are we going to be in the same  
8 category there, I guess.    SC&A agrees with  
9 NIOSH's plan to further evaluate bioassay  
10 data, et cetera.   And then NIOSH gave us some  
11 additional information what they're going to  
12 do.   Are we all, everybody okay on that one?  
13 Can we put that in abeyance, as well?

14                   MEMBER MUNN:    Looks good to me.

15                   MEMBER BEACH:    It's fine with me.

16                   CHAIRMAN ZIEMER:    NIOSH and SC&A,  
17 are we okay on that?

18                   DR. NETON:     Yes, okay by me.

19                   CHAIRMAN ZIEMER:    I just want to  
20 make sure we're in agreement.

21                   DR. MAURO:     Yes, this is John.  
22 I'm in agreement.   I do have a question, a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 suggestion. When you do the rewrite and  
2 explain that you're using the 95th percentile,  
3 which, you know, philosophically, for the  
4 reasons discussed, it's the right thing. One  
5 of the things I never really understood that,  
6 perhaps, I should have understood is: when you  
7 have a whole bunch of bioassay data and it  
8 sounds like that's for workers, are you  
9 saying, let's say you've got 100 measurements  
10 or 1,000 measurements, whatever, bioassay data  
11 taken over a certain period of time covering a  
12 large number of workers, do you pool all those  
13 numbers and just say I'm going to rank order  
14 them or put them on a log normal and say I'm  
15 picking off the upper 95th percentile  
16 concentration in becquerels per liter? That  
17 means this is your upper 95th percentile  
18 concentration of uranium you've observed in  
19 urine. Once you have that number, how do you  
20 convert that into what the annual intake is  
21 for a person?

22 In other words, if you say that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       okay, this is the concentration that we're  
2       going to assume this person experienced at the  
3       end of 1959 and then you ask yourself the  
4       question: what would his chronic intake be so  
5       that --

6                   DR. NETON:     Right.     The chronic  
7       intake scenario.     What could he have been  
8       breathing and been excreting that level of  
9       uranium in his urine on a chronic basis?  
10      Starting from first employment, obviously.

11                   DR. MAURO:    Oh, so it's like on an  
12      annual.     So for any given worker, you have a  
13      particular year, you're saying we're going to  
14      assume that this is the concentration that  
15      would have been in his urine, you know,  
16      because this is a co-worker model, in effect,  
17      would have been in his urine, and then you  
18      back-calculate what would his chronic intake  
19      have been for that year to give him that at  
20      the end of 365 days?

21                   DR. NETON:     Right.

22                   DR. MAURO:    Okay, good.    You know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explaining that, because I was never quite  
2 sure how you used the 95th percentile in a co-  
3 worker model. As I said, maybe I should have  
4 been, but I wasn't sure whether you did it by  
5 pooling the data or you actually went ahead  
6 and took the real people that have real data  
7 that you can actually recreate what each, out  
8 of the large population --

9 DR. NETON: That would be pretty  
10 difficult.

11 DR. MAURO: Okay, good. Well, the  
12 approach you're using, just for the Board, is  
13 very claimant-favorable because, by doing  
14 that, you're really, you're in effect saying  
15 that everyone has urine concentration that's  
16 at the upper 95th percentile year after year  
17 after year and calculating what the chronic  
18 intake would be that would give him that urine  
19 concentration. So to assume that everyone is  
20 always at that level, or at least within the  
21 category -- I can only say you make groupings.  
22 Another group you may say is at some other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 level. But, I mean, you place an upper bound  
2 on the people you believe might have gotten  
3 some exposure. In my opinion, and I think  
4 SC&A's opinion, that is a very claimant-  
5 favorable approach to doing co-worker  
6 modeling.

7 MR. BARTON: If I could make  
8 another comment along those lines, too. The  
9 95th percentile is going to be used for mill  
10 workers, and also the median value is going to  
11 be used for more administrative people. And I  
12 guess my only comment on that one, I mean,  
13 certainly, it seems like a reasonable  
14 approach, but the TBD never really discusses,  
15 you know, what these administrative people,  
16 like where were they working. I mean, one  
17 would expect that, you know, that they'd be a  
18 significant distance away from the plant where  
19 they wouldn't be exposed to these types of  
20 things and, you know, they'd only have  
21 periodic exposures of short duration walking  
22 through the plant. So it's, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 bounding to use that value, but I would  
2 suggest, since the TBD is going to be  
3 modified, to give a specific intake model for  
4 these administrative positions, and we should  
5 probably discuss it in the TBD a little bit as  
6 to, you know, where were they actually located  
7 and, you know, they wouldn't have been in the  
8 highly contaminated areas very much at all,  
9 just to sort of flesh that out and justify  
10 that rationale.

11 DR. NETON: Yes, that's a good  
12 suggestion. And we're actually, internally,  
13 wrestling with documenting that in a single  
14 document now because, as you know, most often,  
15 for a co-worker model at other facilities, we  
16 use the 50th percentile for people who weren't  
17 monitored. And then we will occasionally use  
18 the 95th percentile if we believe that the  
19 person falls into the upper range of  
20 exposures. And we have documentation as to  
21 who gets that in various other places, but  
22 it's not really been consolidated in one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 central location, and we're going to work  
2 towards defining that a little better.

3 CHAIRMAN ZIEMER: That will be  
4 helpful. Thank you. Let me ask on finding  
5 four, can we go and do in abeyance on that  
6 one? I think we agreed on there.

7 MEMBER MUNN: I think so.

8 DR. NETON: Yes, okay by NIOSH.

9 MR. BARTON: Yes, Dr. Ziemer.  
10 Findings two through five, as I said, are all  
11 related to that internal co-worker model.  
12 And, really, the solution is pretty much  
13 constant for all of these findings and the  
14 addition of maybe adding a little more  
15 explanation in the TBD to really justify and  
16 buttress the approach.

17 CHAIRMAN ZIEMER: Right. And I  
18 think finding five is in the same boat. I  
19 just want to have all of these on the record.

20 And if there's any exception, let me know,  
21 but it looks like we have agreement on these  
22 as well. Is that correct?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. BARTON: Yes, I would agree  
2 with that.

3 CHAIRMAN ZIEMER: Everybody okay  
4 if we go in abeyance on that one?

5 MEMBER MUNN: Yes.

6 MEMBER BEACH: Yes, I'm fine.

7 CHAIRMAN ZIEMER: Finding six  
8 appears to be in the same boat.

9 MR. BARTON: No, in finding six and  
10 seven, we're talking about the residual period  
11 now. A little different.

12 CHAIRMAN ZIEMER: We have  
13 agreement here, though.

14 MR. BARTON: Well, on here,  
15 finding six was saying that the responses wrap  
16 into --

17 CHAIRMAN ZIEMER: Oh --

18 MR. BARTON: -- finding seven.

19 CHAIRMAN ZIEMER: Okay. Gotcha,  
20 gotcha. Okay. Let's look at that for finding  
21 seven.

22 MR. BARTON: So, essentially,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it's, again, the external approach to  
2 assigning external doses. We're really just  
3 looking for a little more documentation as to  
4 how much data was available to define the  
5 selected values that were used to model the  
6 external dose, just a little more discussion  
7 of what's out there and flesh out why the  
8 values that were chosen are clearly going to  
9 be claimant-favorable and bounding.

10 One other issue associated with  
11 this was that, in the residual period, the  
12 workday was decreased from ten hours, which  
13 was assumed during the operational period, to  
14 eight hours. And we didn't really see a  
15 rationale for that. We don't know if shifts  
16 actually did decrease to that point, and I  
17 believe part of NIOSH's response was that,  
18 well, we have this very large GSD associated  
19 with it, so that covers the fact that we're  
20 shortening the workday. But I'm not sure if  
21 the two are really related. I mean, I guess I  
22 would ask: is there a rationale for shortening

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the workday other than we still were being  
2 overly claimant-favorable already, or what is  
3 DCAS' position there? Are they still on the  
4 line?

5 DR. MAURO: Yes, this is John. I  
6 have to say I don't like that. In other  
7 words, there are times when the large standard  
8 deviation serves us well. In a case like  
9 this, it's just too easy to just throw a big  
10 standard deviation and say, oh, that accounts  
11 for the work hour duration.

12 DR. NETON: I don't disagree with  
13 you, John. Tom, are you still there?

14 MR. TOMES: I am, yes.

15 DR. NETON: I'm not sure. We  
16 probably need to go back and look at this a  
17 little closer. I can't offer a -- I would  
18 leave this finding open because six is really,  
19 seven is a different beast, I think. Seven  
20 covers residual period, but it has to do with  
21 internal. Six is an external issue. I don't  
22 know that I can, I personally can't describe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 what we're doing right now here to any great  
2 extent, unless Tom can add to it.

3 MR. TOMES: Well, I'll have to go  
4 back and look at that more.

5 DR. NETON: Yes. So I think,  
6 right now, we just leave finding six open,  
7 from our perspective, and we'll sharpen our  
8 thinking on this, if that's okay.

9 MEMBER MUNN: That seems like a  
10 smart thing to do.

11 MR. KATZ: Yes, this is Ted. Just  
12 to keep our nomenclature consistent, you'd  
13 call this in progress, too. The topic is  
14 engaged.

15 MR. TOMES: Well, finding seven  
16 was the issue of the residual internal dose,  
17 and this is where we've looked at this and  
18 indicated that we need to revise the TBD and  
19 specifically consider the 1954 data, which was  
20 not included, and look at the number we're  
21 using for the start of the intake --

22 DR. NETON: Right. With the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 exception of TIB-70, you know, and this has  
2 been a standard practice, lacking any other  
3 information, if we have an air concentration  
4 that was taken at the end of the operational  
5 period, we will use that as a starting point  
6 for resuspension at the beginning of the  
7 residual period, recognizing that it will  
8 certainly be bounding because it would include  
9 both operations and resuspension. But,  
10 nonetheless, it will be bounding, and then  
11 we'll decrement that using standard TIB-70  
12 depletion factor, and that's what we intend to  
13 do. We'll go back and make this consistent  
14 with how we do business at other AWEs.

15 MR. BARTON: Well, I had a comment  
16 here or, actually, I have a question first.  
17 When you say you're going to, did you say  
18 you're going to include the 1954 data or are  
19 you going to pool that with the current data  
20 set? Because what you're doing now is, I  
21 guess, an average of several measurements --

22 DR. NETON: No, the current data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 set -- and, again, this was just done I think  
2 in 2006 or something -- used a bunch of  
3 operational data. And, of course, the earlier  
4 years are not necessarily representative of  
5 the resuspension that might have been  
6 occurring at the end of operations. So the  
7 best value to use is a general area air sample  
8 measurement as close to the end of the  
9 operational period as possible, and that would  
10 be used as a starting point of air  
11 concentrations in the residual period.

12 MR. BARTON: I noticed in the  
13 response it basically said that the 1948,  
14 which was the first year of operational data,  
15 wasn't appropriate for these later periods or  
16 wasn't representative of the type of  
17 contamination you find at the end of an  
18 operational period. Unfortunately, we don't  
19 have any measurements, you know, after 1954,  
20 so that's kind of problematic because --

21 DR. NETON: Maybe I misunderstood  
22 the data we have. Whatever we have at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 very end of the operational period, as close  
2 to the end of operational period as possible  
3 is the data that we would use.

4 MR. BARTON: Right. It's about  
5 three years away from the end of operations.  
6 But I'd also point out, from a consistency  
7 standpoint, I mean, you say that the 1948  
8 conditions aren't representative of that later  
9 period in the operations, but, actually, your  
10 internal dose model assumes it is. Basically,  
11 what you said was, because we don't have any  
12 bioassay measurements during the later period  
13 and there's evidence that several of these  
14 industrial controls they had put into lower  
15 exposures were either removed or rendered  
16 ineffective, that we're going to assume that  
17 after 1952 the intake rates on a per-day basis  
18 are going to be the same as they were in 1948.

19 DR. NETON: No, that's not  
20 consistent with our current thinking. We're  
21 going to have to modify that.

22 MR. BARTON: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Think about what we're  
2 trying to do. We're trying to reconstruct the  
3 internal dose in the residual period when  
4 there's no AEC activity at all.

5 MR. BARTON: Right.

6 MR. TOMES: This is Tom. The  
7 statement 1948 in that response concerns a  
8 question of whether the 1948 data was used in  
9 the current estimates for general area air.  
10 And SC&A read it and thought, because the 1948  
11 reference was listed, that it was actually  
12 used. And the point was that the data was  
13 compiled together, but the 1948 data was not  
14 used. And what that data shows is that  
15 general area air in 1948 was significantly  
16 higher than it was in latter years, and that  
17 general area air from 1949 through '53 was  
18 relatively consistent. It varied somewhat but  
19 not a great deal.

20 CHAIRMAN ZIEMER: It looked like  
21 you used the '49 to '53 for your starting  
22 value. Is that the 94 micrograms per cubic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meter value? Does that come from the 1949  
2 through '53 exposure studies?

3 MR. TOMES: Yes, that's an average  
4 of 50 results, and they were, those values  
5 were four specific areas taken out of the AEC  
6 daily weighted exposure studies that were used  
7 in worker estimates. So the numbers are not  
8 going to agree with, if you just throw all the  
9 numbers that SC&A compiled, they're not going  
10 to agree because the math was done a little  
11 bit different on those.

12 DR. NETON: Yes, and we're not  
13 going to use those values anyway so --

14 MR. BARTON: Well, I guess my  
15 point was, you know, we're saying that the  
16 conditions in 1948 are not going to be  
17 representative of the conditions at the end of  
18 the period in 1957 for the purposes of  
19 reconstructing residual doses, and then you  
20 flip a few pages back in the TBD and we're  
21 saying we're going to use the 1948 bioassay  
22 results to represent the internal exposures

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 from 1953 through 1957. So there's a little  
2 bit of an inconsistency there, I guess.

3 DR. NETON: I'm saying that we're  
4 not going to do that. I don't know how many  
5 times I have to say this, but that's not going  
6 to be used. We're going to take all that out,  
7 and we're going to say the residual period  
8 will be reconstructed based on air sample data  
9 as close to the end of the operational period  
10 as possible. We're trying to figure out how  
11 much airborne there was to inhale when there's  
12 no AEC work going on. That's all we're trying  
13 to do. And a general area air sample taken at  
14 the end of the operational period will be a  
15 bounding value for that intake value, intake  
16 estimate.

17 We've done this at a number of  
18 sites. This is not a new thing. It's  
19 something that didn't exist when we wrote this  
20 TBD the first time, although I do see we  
21 revised it since then. We should have  
22 incorporated that when we did the revision.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   CHAIRMAN ZIEMER:     So, Jim, will  
2                   that be different from what we're reading here  
3                   right now even?

4                   DR. NETON:     We're reading where?

5                   CHAIRMAN ZIEMER:     In the NIOSH  
6                   response of 4/23.

7                   DR. NETON:     Yes, I don't have that  
8                   in front of me, so I don't know what --

9                   CHAIRMAN ZIEMER:     Well, it says  
10                   that you're going to use the 94 micrograms per  
11                   cubic meter based on `49 through `53. I think  
12                   that's --

13                   DR. NETON:     Yes.     That was a  
14                   description of how that number came about in  
15                   response to SC&A's comments.     But this says  
16                   that we're going to revise it and consider the  
17                   1954 data.     There's no number in there because  
18                   we haven't done that yet.

19                   MEMBER MUNN:     Yes, it will  
20                   undoubtedly be a small one.

21                   CHAIRMAN ZIEMER:     So this one  
22                   needs to stay in progress then, I think.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NETON: Yes. Oh, yes, yes.  
2 We're going to revise the entire section to --

3 CHAIRMAN ZIEMER: Okay.

4 DR. NETON: -- comport to the TIB-  
5 70 approach.

6 CHAIRMAN ZIEMER: Okay.

7 MR. BARTON: And just to kind of  
8 get it on the record, the other facet of  
9 finding seven involves a depletion factor from  
10 1983 to the measurements that were taken in  
11 2007, somewhere around there, and NIOSH agreed  
12 to take a look at that, so that would kind of  
13 fit under the umbrella of this finding being  
14 in progress.

15 MEMBER MUNN: Yes.

16 MR. TOMES: Just as some  
17 background, the facility was isolated in 1982  
18 and roped off and isolated, and it's been  
19 isolated ever since. And the contamination  
20 levels were, if you look at the surveys, it  
21 was almost all fixed contamination, and  
22 there's been, it was isolated to keep people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 out and there's been no work done on it. And  
2 that was our basis for assuming that as the  
3 depletion period.

4 MEMBER MUNN: Not much has  
5 changed.

6 DR. NETON: Well, the section will  
7 be revised and the language added, so in  
8 progress or in abeyance, I guess.

9 MEMBER MUNN: Are we filling in  
10 those actions to be taken --

11 CHAIRMAN ZIEMER: Put in progress.  
12 This will be in progress.

13 MEMBER MUNN: Yes.

14 CHAIRMAN ZIEMER: Okay. I think  
15 that's far as we can go on Simonds Saw today.

16 DR. NETON: Could I just say one  
17 thing about the Simonds Saw? Even though  
18 there's going to be wholesale changes in the  
19 internal dose models for the early years, we  
20 have to remember that this was already an SEC.  
21 And so it's my impression that not much is  
22 going to change. We're going to do a PER,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 obviously, when this comes out, but it won't  
2 change much because the SEC cancers tend to be  
3 the ones that have concentrated the uranium in  
4 the first place. So I don't expect there to  
5 be very much in the way of compensation  
6 decisions. It won't be zero, but it's not  
7 going to be huge.

8 CHAIRMAN ZIEMER: Got you. Okay.

9 I think we're finished for the day, except a  
10 date for the next meeting, which will be  
11 focused simply on the GSI stuff. Ted, do you  
12 have some dates that we can look at or --

13 MR. KATZ: Yes, let me just sort  
14 that out because I think we need to give Dave  
15 Allen, the full two weeks, even though he said  
16 he might get it done sooner, and then Bob also  
17 wanted two weeks, and I think that's fine.  
18 And then I think we need to be sure to have  
19 time to get Privacy Act material Privacy Act  
20 cleared after that, so that's really at least  
21 another week to be certain, so let me look at  
22 the calendar. So I think we're into not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       sooner, I wouldn't meet sooner than the first  
2       week in June for this teleconference, just to  
3       be safe, because we do want to get the  
4       petitioners, for example, materials in advance  
5       because I know, I'm sure Dan is very tired of  
6       making his point.

7                   CHAIRMAN ZIEMER:  Yes.  I won't be  
8       able to do anything the first week of June.

9                   MR. KATZ:  Okay.  The second week  
10       of June, unfortunately, I have to block off.  
11       It may become open but it's not open for me  
12       right now.  Now, the next week is the week of  
13       the 17th, and the 17th and 18th are going to  
14       be consumed, I'm fairly certain, but the 19th,  
15       20th, 21st are all okay on my calendar.  I  
16       don't know how those work for any of you.  And  
17       this is, again, a teleconference, so we're not  
18       traveling.

19                   CHAIRMAN ZIEMER:  The 19th or 20th  
20       is fine.

21                   MEMBER BEACH:  And this is Josie.  
22       Those are both fine for me, too.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MEMBER MUNN:       Yes.       Likewise.  
2       Wanda.

3                   MR. KATZ:    You, too, Wanda?

4                   MEMBER MUNN:   Yes.

5                   MR. KATZ:    And, NIOSH and SC&A,  
6       that seems okay to you guys?

7                   DR. NETON:    Yes.       This is Jim  
8       Neton.   It works for me.   I don't think Dave  
9       Allen is on the phone right now, but if it  
10      doesn't we can let you know but --

11                  MR. ALLEN:    I'm on.

12                  DR. NETON:    Oh, you're on?   Okay.

13                  MR. KATZ:    So Dave Allen, is the  
14      19th and 20th, do they seem okay to you, of  
15      June?

16                  MR. ALLEN:    Yes, they can work.

17                  MR. KATZ:    Okay.    And same for  
18      John and Bob?

19                  DR. MAURO:   Bob's not on the line,  
20      but I will say yes.

21                  MR. KATZ:    Okay.    Okay.    So then  
22      let's just, let's write in, let's plan on, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 guess, we have some uncertainty about the 19th  
2 in terms of people traveling, so the 20th,  
3 let's just plan on the 20th.

4 CHAIRMAN ZIEMER: The 20th? Okay.  
5 Let's do the 20th.

6 MR. KATZ: Okay. And since this  
7 will be a teleconference and Wanda is out west  
8 and Josie, I think this was a good time to  
9 start? Is that right for you two, 10:30 a.m.  
10 Eastern Time?

11 MEMBER MUNN: Yes, that's decent.

12 MEMBER BEACH: That's fine.

13 CHAIRMAN ZIEMER: That's good.  
14 Okay.

15 MR. KATZ: Okay.

16 DR. MAURO: I'm sorry to  
17 interrupt. This is John. Were we going to  
18 have a technical conference call --

19 MR. KATZ: Yes, right. And, John,  
20 we can arrange that outside of this.

21 DR. MAURO: Okay. Very good.

22 MR. KATZ: But, yes, we will need

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to schedule that, and we should do that, you  
2 know, in the near term so that you guys can  
3 sort out your understanding.

4 DR. MAURO: Yes, the sooner the  
5 better. We have some ideas, and so when you  
6 folks are ready we're ready.

7 MR. KATZ: Okay. So folks from  
8 DCAS, just let me know, and I'll set that up.

9 CHAIRMAN ZIEMER: Okay. Thank  
10 you, everybody. We're adjourned.

11 (Whereupon, the above-entitled  
12 matter was concluded at 4:20 p.m.)

13

14

15

16

17

18

19

20

21

22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1

2

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)