

































































































































































































































1 MR. STIVER: Yes. I guess that<sup>i</sup>is<sub>101</sub>  
2 true. I was not really comfortable buying off  
3 on a plant after all of those years until we  
4 had a little bit better understanding of  
5 whether that would have applied because we  
6 certainly have, you know, the melting and  
7 casting. I was thinking the Stokes furnace  
8 might be related to that during those years.

9 MR. HINNEFELD: I don't remember  
10 what the Stokes furnace was on the plant.

11 MR. STIVER: In looking at the  
12 other activities that are going on, I wouldn't  
13 be involved in the solvent extraction,  
14 obviously, or purifications, all the chemical  
15 extraction processes.

16 MR. BARTON: I mean, you always  
17 want to use a daily weighted exposure just to  
18 --

19 MR. STIVER: Oh, certainly, yes.

20 MR. BARTON: -- sample  
21 measurements.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes. 102

2 MR. BARTON: But at the same time,  
3 if you look at the older drafts, what was  
4 assumed before was that 1967, based on the 18  
5 samples, --

6 MR. STIVER: Yes, but I've got a  
7 problem with that.

8 MR. BARTON: -- was 77 MAC. And  
9 now we're talking about like six MAC.

10 MR. STIVER: Well, 77 I always  
11 thought was kind of a --

12 MR. BARTON: Yes.

13 MR. STIVER: -- tenuous number to  
14 begin with. It's based on such sparse data.  
15 That was one of the problems I had with it.

16 I would tend to believe that for  
17 '64, you are okay. I am not quite so sure  
18 about '65 through '67, though, just  
19 extrapolating that across the board without  
20 real data.

21 Like I say, you know, for every

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other year, you've got an individual map that<sup>103</sup>  
2 would apply. That is the only time, that  
3 three-year period where you are hanging it all  
4 on one job from 1964. And I guess if you make  
5 the assumption that the work environment was  
6 essentially unchanged for the subsequent three  
7 years, then that would hold, but --

8 MR. ROLFES: I just wanted to point  
9 out also it is the highest job that we --

10 MR. STIVER: Right. It is highest,  
11 which is inconsistent with your approach.

12 CHAIRMAN CLAWSON: Mark, could you  
13 explain to me what the Stokes furnace was in  
14 comparison to this encapsulating? I guess  
15 this is where I want to understand the  
16 process, but what was it? How did it play  
17 into these other parts of it?

18 MR. ROLFES: If you're asking what  
19 a Stokes furnace is, I don't know what it is.

20 CHAIRMAN CLAWSON: Well, yes. I  
21 guess the part that got to me was the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 encapsulation process, the casting, and <sup>so</sup>~~104~~  
2 forth like that. And what I was wondering is  
3 where they just call it the Stokes furnace, I  
4 was wondering if that is what that went into  
5 previously before the castings or --

6 MR. STIVER: This isn't related to  
7 the dirtiest job. This isn't what that was  
8 concerned with.

9 MR. HINNEFELD: I don't know if  
10 anybody on the phone knows the answer to that,  
11 the Stokes furnace, what part of the operation  
12 the Stokes furnace was used in. I am not  
13 hearing anything. I guess not.

14 MS. JESSEN: I don't know what the  
15 Stokes furnace is.

16 MR. STIVER: I think we can infer  
17 it's related to the melting and casting  
18 operation. Apparently what aspect, is there  
19 another --

20 MR. HINNEFELD: Yes, furnaces were  
21 used for production, the reduction process.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 They are used for melting and recasting. And  
105  
2 they are used for oxidation. Usually when you  
3 are reclaiming something to oxide it to get in  
4 good material, good --

5 MR. STIVER: Right.

6 MR. HINNEFELD: So those are  
7 generally the areas where you're going to use  
8 a furnace. And I don't know what the Stokes  
9 furnace, which -- that's probably a  
10 manufacturer. So I don't know what it was.

11 MR. STIVER: We can kind of infer  
12 from the job description separating the ingot  
13 from the mold, that would certainly involve  
14 the melting and recasting.

15 MR. HINNEFELD: Yes.

16 MR. STIVER: I don't believe there  
17 was any oxidation going on, at least according  
18 -- let's see.

19 MR. ROLFES: Yes. Some of the  
20 subsequent operations that were sampled in  
21 this paper --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes. 106

2 MR. ROLFES: -- refer to removing  
3 the mold from the furnace, placing it in a  
4 cooling booth, loading crucibles with thorium  
5 powder, metal, and brick head.

6 MR. HINNEFELD: That's melt. Yes.  
7 That's the melt part.

8 MR. STIVER: Yes. That would be  
9 the melting aspect of it.

10 MR. ROLFES: Crucible loading.

11 MR. STIVER: Where are you finding  
12 that, Mark?

13 MR. ROLFES: If you look within  
14 that Site Research Database document, this is  
15 on page 4 of 8. I was just looking at some of  
16 the subsequent operations and locations that  
17 were sampled following those first two, the  
18 high values. It's just further down.

19 MR. STIVER: Okay. I see.

20 MR. BARTON: That's the job there.

21 MEMBER SCHOFIELD: Do we know what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the capacity of these furnaces was? Any idea?<sup>107</sup>

2 MR. HINNEFELD: Capacity in what  
3 sense?

4 MR. BARTON: When they are loading  
5 or unloading. Are we talking about 1 or 2  
6 kilograms or are we talking about 10 or 20  
7 kilograms loads?

8 MR. HINNEFELD: I don't know how  
9 they cast the thorium, if it was uranium  
10 casting was, you know, what, several hundred  
11 kilograms, I believe, maybe more. I don't  
12 know about the thorium.

13 MR. STIVER: According to this  
14 thorium timeline, we're looking at about 30  
15 tons being processed.

16 MR. KATZ: By the way, I just  
17 Googled Stokes furnace and went around that  
18 and found Rufus Stokes. It's an air  
19 purification system he invented for furnaces.

20 So I don't know if that tells you much about  
21 what kind of furnace it was, but --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. STIVER: Yes. It looks like  
2 they're definitely involved in what I was  
3 concerned with, which is the dirtiest job,  
4 casting. Now, when we look at the Plant 9,  
5 that what we will talk about with Ted, but we  
6 had the really, really high MACs. And almost  
7 every one of the highest five MACs from Plant  
8 9 in 1955, the highest values involve some  
9 aspect of cleaning crucibles or some aspect  
10 regarding this recasting and melting  
11 operation.

12 That's why it just kind of jumped  
13 off at me for the pilot plant when I saw that.

14 It's like, wait a second. Here is your  
15 dirtiest job that you've got. And it looks  
16 like the Stokes furnace DWE captures that.  
17 Certainly that is my first impression looking  
18 over this for 1964.

19 Now, this begs the question, of  
20 course, given the fact that the Plant 9 was so  
21 much higher for similar operations. Could we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 be missing something for '65 through '67? The  
109  
2 operation was essentially going on that entire  
3 time.

4 So is the '64 DWE really  
5 representative of the subsequent years or  
6 could it have been higher? I guess that's the  
7 thing that is kind of nagging at the back of  
8 my mind now.

9 MR. ROLFES: For Plant 9, are you  
10 referring to like 1954 and '55?

11 MR. STIVER: Nineteen fifty-five is  
12 where you had the highest MACs recorded.

13 MR. ROLFES: That was also when  
14 they produced like 33 percent of the total  
15 thorium --

16 MR. STIVER: Oh, yes.

17 MR. ROLFES: -- produced, too.

18 MR. STIVER: Right. But, you know,  
19 the fact that at any given rung, it was going  
20 to be comparable, I would think, you know, the  
21 potential for high-dose loads in some aspect

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of that job for a particular crucible wouldn't  
2 be any different. You have a total throughput  
3 for that facility unless you were to scale  
4 everything down, which I doubt was the case.  
5 So it becomes the issue of, can we really hang  
6 our hats on 1964 data for the subsequent there  
7 years or not? I don't know.

8 MR. HINNEFELD: Well, I can find  
9 the '67. I think the '67 data is in  
10 SRDB-2280.

11 MR. STIVER: Yes, yes.

12 MR. HINNEFELD: And it is  
13 intermixed with uranium --

14 MR. STIVER: Yes.

15 MR. HINNEFELD: -- data set.  
16 You've got to be careful if you're looking at  
17 thorium when you look through those.

18 MR. STIVER: Yes.

19 MR. HINNEFELD: There is a lot of  
20 information on some of these. It almost looks  
21 like you can build, do a DWE for some jobs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that were monitored in '67. I mean, they  
2 haven't drawn out, they haven't done the DWE  
3 calculation themselves, but it looks like they  
4 have taken the samples that would allow a DWE  
5 calculation.

6 They talk about certain high-dose  
7 concentrations in their duration numbers,  
8 which I believe it was the sample duration  
9 because they took the sample for the duration  
10 of that activity.

11 MR. STIVER: I remember looking at  
12 that back in 2009 and trying to go back and  
13 recall 4 or 5 years ago. But yes. I looked  
14 at some of that data when I first started here  
15 at SC&A.

16 You know, at this point, I am not  
17 comfortable buying off on it. I certainly  
18 want to look at that '67 data in a little bit  
19 more detail. I realize this would have to be  
20 something we could do for a couple of days,  
21 you know, in a reasonably short time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           turnaround time. 112

2                           MR. HINNEFELD:        What we should  
3 probably do on our side, Mark, you read an  
4 email or a report. You read from a report  
5 that said there were data from '64, '65, and  
6 '67. Is that right?

7                           MR. STIVER:    Yes.

8                           MR. HINNEFELD:   The only DWE study  
9 being the sort of informal one that was done  
10 in '64 or not really informal. They did the  
11 DWE calculation time. So it would seem  
12 incumbent that we collect all of that data, if  
13 possible the data sheets, as well but collect  
14 the data on like a spreadsheet of some sort so  
15 we can convey what information we have  
16 concisely.

17                           You know, the SRDB references are  
18 handy to go back and look at, but you would  
19 really like to have the information from the  
20 data sheet transferred onto the spreadsheet.  
21 You can see it all handily in one place, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 here was the data taken, this was ~~the~~<sup>113</sup>  
2 description of the activity, this is what they  
3 collected in terms of sample time,  
4 particularly on these DWEs because they tended  
5 to sample the operations. So the sample time  
6 was also the time of the operation.

7 MR. STIVER: Right.

8 MR. HINNEFELD: What you may not  
9 get is how many times was the operation done  
10 in a day. If you're just looking at air  
11 sample sheets, you may not get that.

12 MR. STIVER: Right. You don't get  
13 the weighting.

14 MR. HINNEFELD: And you may not  
15 know how much time. They have got some GA  
16 samples. You would have to deduce what time  
17 to apply the GA sample, depending on how many  
18 times they did that, the BZ monitored  
19 activity. So there might be some things you  
20 can put together. And I would think that that  
21 can be done in a relatively short period --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. STIVER: We would certainly<sup>114</sup>  
2 like to be able to make some definitive  
3 judgments in advance of the Board meeting.

4 MR. HINNEFELD: Yes, yes. We'd  
5 need to do something in --

6 MR. STIVER: In the teleconference  
7 call or whatever.

8 MR. HINNEFELD: -- or would we need  
9 to thrash it out at the Board meeting?

10 MR. KATZ: We can have another. We  
11 can have a teleconference meeting of this Work  
12 Group. There is time for that. So that is  
13 not a problem.

14 MR. BARTON: You said that  
15 reference number was 2280?

16 MR. HINNEFELD: I probably said it  
17 wrong. 2280. It's an analytical data sheet.

18 MR. BARTON: Yes. Those are  
19 actually dated 1977.

20 MR. HINNEFELD: '77? Oh, my god,  
21 you're right. We're talking about '67, aren't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we? 115

2 MR. BARTON: Yes.

3 MR. HINNEFELD: Oh, my bad. My

4 bad.

5 MR. STIVER: It's only a factor of

6 ten.

7 (Laughter.)

8 MR. STIVER: It's a small fraction.

9 MR. HINNEFELD: Well --

10 MR. STIVER: I know that --

11 MR. HINNEFELD: -- it's 70. That's

12 all that was important. I was going to say

13 ten years is not that important. Between '50

14 and '60, it is. Between '60 and '70, it is.

15 I'm sorry. You are right.

16 CHAIRMAN CLAWSON: Looking at this

17 --

18 MR. HINNEFELD: Either way, we need

19 to compile the data from '67 and --

20 MR. STIVER: Yes, see what you can

21 pull up. It sounds like there may be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something for '65. '66 is questionable. And  
116  
2 then there is some for '67. So if we can see  
3 that data.

4 MR. HINNEFELD: It would be handy  
5 to be able to refer to the SRDB figures so  
6 people can look back.

7 MR. STIVER: Right.

8 MR. HINNEFELD: It feels better to  
9 see the SRDB reference. You know, it may not  
10 tell you any more information, but it feels  
11 better.

12 MR. STIVER: Yes.

13 MR. HINNEFELD: And then -- okay.

14 CHAIRMAN CLAWSON: Because the  
15 dates that I was actually worried about, Stu,  
16 are like from the '64 to the '67 era, right  
17 through there. It wasn't clear. And it  
18 looked like, to me, that there were some  
19 outstanding questions on how we would do that,  
20 especially with that higher data.

21 MR. HINNEFELD: And Morris Rev 3

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proposed -- you know, they put in that cable<sup>117</sup>  
2 the 95th percentile. That would be air data,  
3 the 18 air samples data in 1967, quite a high  
4 number.

5 MR. STIVER: Yes. Where we left  
6 that, I have some concerns about that and also  
7 about that 686 MAC in '55 from Morris Rev 3.  
8 But we kind of tabled that so we could  
9 determine whether it was even implementable,  
10 you know. So I guess we are kind of picking  
11 that up again now.

12 MR. HINNEFELD: Yes. Okay.

13 CHAIRMAN CLAWSON: Help me  
14 understand. Because in my short knowledge of  
15 this, on this DWE data that we're going  
16 through, they're going to use that for  
17 everyone.

18 MR. STIVER: If it's a high DWE for  
19 that year, everybody gets it because there's  
20 no way you can parse people out by where they  
21 might have been in the situation. So it's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 one-size-fits-all model. It's kind of  
2 analogous to what we wound up with for the  
3 recycled uranium where everybody got 100 parts  
4 per billion plutonium, couldn't determine who  
5 was doing the jobs.

6 CHAIRMAN CLAWSON: Those heavier --  
7 I can't remember what year it is. My mind is  
8 not working too well right now. But, anyway,  
9 the heavier data, we had some very high  
10 set-points that to me seemed very, very high.  
11 That was '67, I believe.

12 MR. STIVER: '67 data isn't a DWE.  
13 Basically it's a fit to 18 unweighted air  
14 samples at the 95th percentile. And this is  
15 kind of similar to what is being proposed in  
16 Revision 3 for 1955. It's Davis and Strom in  
17 their report. And there's a passage in there.  
18 I don't remember the exact words. It's to  
19 the effect that if you don't have DWE data but  
20 you do have air-sampling data, the 95th  
21 percentile and the unweighted air-sampling

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 data, while probably higher than what anybody  
2 would have gotten, would be certainly a  
3 bounding number. And so that's kind of the  
4 basis that underlies that approach here.

5 Back in 2010, I thought that was  
6 still kind of a weak number to be applying,  
7 you know, past a four-year period because it  
8 was based on some pretty sparse data. But now  
9 it looks like you certainly have a DWE that's  
10 a representative for 1964, the Stokes furnace  
11 DWE. '65 and '66 we don't know. There may be  
12 something in '65. '67, we need to look at the  
13 data, see if there indeed are only 18 samples  
14 and what those samples represent.

15 CHAIRMAN CLAWSON: My question on  
16 that, too, are they an average or --

17 MR. STIVER: The 1967 data, this is  
18 basically a 95th percent of a bunch of  
19 unweighted air samples. There's no time-  
20 weighting associated with those values. So  
21 they're very high. They are very high. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           it's a big number. 120

2                       CHAIRMAN CLAWSON:   And I realize --  
3           because to me, basically, we're getting into  
4           the aspect of could somebody plausibly --

5                       MR. STIVER:     Well, that's a nice  
6           segue to the 1955 issue. Before we get there,  
7           though, I guess what I would like to do, I  
8           guess, so, you guys, NIOSH's side, you're  
9           going to get everything together that you can  
10          on the '64 to '67 --

11                      MR. HINNEFELD:   Right. The data  
12          that's described in that, we will get that and  
13          --

14                      MR. STIVER:     Right. If you get  
15          that posted, we can look at it.

16                      MR. HINNEFELD:   -- and also the  
17          SRDB references.

18                      MR. STIVER:     Right, and the  
19          references that go with it. And then we can  
20          look at that and then have a teleconference  
21          call before the Board meeting and decide how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 we're going to go on those four years, whether<sup>121</sup>  
2 we're in agreement or not. And then we'll  
3 bring the issue up, discuss it at the Board  
4 meeting, but we have all got to be on the same  
5 page, or at least have our position staked  
6 out.

7 CHAIRMAN CLAWSON: Because '64 to  
8 '67 is my issue. I want to discuss this with  
9 you in detail before I go on that. So the  
10 path forward, we're going to have NIOSH  
11 deliver a spreadsheet and everything they've  
12 got on that because some of this kind of -- I  
13 haven't seen. So I apologize. I didn't know  
14 it was out there. That's my fault for not  
15 reviewing that. We're going to have to have a  
16 Work Group call.

17 MR. KATZ: Teleconference.

18 MR. STIVER: Another teleconference  
19 call, I don't know, in a week or so.

20 MR. HINNEFELD: You might want to  
21 go more than a week.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: So what have we got?  
122

2 We have got one month.

3 MR. HINNEFELD: We've got a month  
4 before the Board meeting.

5 MR. KATZ: Yes, and the best is two  
6 weeks. We'll just have to find a date that  
7 works, too. So it may be two weeks. It may  
8 be longer than two weeks depending on when  
9 people are available.

10 MR. HINNEFELD: Okay.

11 MR. KATZ: Right now, the only time  
12 period I'm quite certain about is the week  
13 right before the Board meeting, I know there  
14 is still quite a bit of availability for a  
15 Work Group. In general, it's nicer to do it  
16 earlier because then we can get presentations  
17 ready and so on earlier. So we'll shoot for,  
18 if you want to shoot for, two weeks from now.

19 MR. STIVER: Yes, that would give  
20 us plenty of time. In a day or two, we'll  
21 have a -- or once we see the data, we'll have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a better handle on where we are. 123

2 MR. KATZ: Right.

3 MR. HINNEFELD: It would be the  
4 week of July 4th. We're only off on the 4th.

5 MR. KATZ: And my availability is  
6 good that week. It's just --

7 MR. HINNEFELD: The week after  
8 there is a civic society meeting, that might  
9 affect John and some people. It might affect  
10 some ORAU folks.

11 MR. STIVER: Yes, yes.

12 MR. HINNEFELD: It won't affect  
13 Mark or me.

14 CHAIRMAN CLAWSON: And July 8th  
15 through the 16th or 15th, I'm not available at  
16 all.

17 MR. STIVER: That is HPS, though.

18 MR. KATZ: Right.

19 MR. HINNEFELD: Yes. That is the  
20 HPS meeting.

21 MR. KATZ: So why don't people look

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at the calendars now while we're on the topic?<sup>124</sup>

2 The week of July 4th, is that enough time,  
3 Mark and Stu, to get a spreadsheet together?

4 MR. ROLFES: I would think so.

5 MR. HINNEFELD: I would think so.

6 MR. KATZ: Okay. And giving SC&A a  
7 couple of days to be able to look at it and  
8 make sense. So the July 4th is off,  
9 obviously, but I have good availability then.  
10 It's up to all of you and all on the phone,  
11 too.

12 MR. STIVER: I could be there any  
13 day. It doesn't much matter to me.

14 CHAIRMAN CLAWSON: With the holiday  
15 falling on the weekend, I don't want to get  
16 into that. I'd prefer to do it the first of  
17 the week.

18 MR. STIVER: How about Tuesday, the  
19 2nd?

20 MR. KATZ: So Paul, how is July 2nd  
21 for you for a teleconference? It wouldn't be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a very long teleconference. This is just ~~one~~<sup>125</sup>  
2 issue, I think, unless we end up having --

3 MR. HINNEFELD: Well, unless we  
4 have other --

5 MR. KATZ: Right.

6 MR. HINNEFELD: We're not done  
7 today. We may be able to wrap up the rest of  
8 them.

9 MEMBER ZIEMER: July 2nd, did you  
10 say?

11 MR. KATZ: Yes. Paul, how is that  
12 for you?

13 MEMBER ZIEMER: I think that will  
14 be all right. I don't know for sure because  
15 -- well, let's just say at the moment it looks  
16 okay.

17 MR. KATZ: Okay. Well, I guess let  
18 me ask you this.

19 MEMBER ZIEMER: It's a little crazy  
20 right now.

21 MR. KATZ: Yes. I know. Is July

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 3rd better or same difference? 126

2 MEMBER ZIEMER: No, no. The 2nd  
3 will be better, I think.

4 MR. KATZ: Okay. And p.m., a.m.?  
5 Do people have a preference.

6 CHAIRMAN CLAWSON: Earlier in the  
7 morning. Actually, what is July 1st? That's  
8 a Monday.

9 MR. KATZ: That's a Monday.

10 CHAIRMAN CLAWSON: Yes. I'm trying  
11 to schedule this around my days off.

12 MR. KATZ: Right.

13 CHAIRMAN CLAWSON: And that would  
14 -- July 1st would actually work better for me,  
15 because July 2nd, I'm actually supposed to be  
16 back for work. But --

17 MR. STIVER: I was going to say it  
18 doesn't matter to me. Whatever you guys --

19 MR. KATZ: Does that still work for  
20 you guys?

21 MR. HINNEFELD: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: July 1st? Okay. <sup>So</sup> ~~127~~  
2 what about July 1st, Paul? Is the better or  
3 worse than the 2nd?

4 MEMBER ZIEMER: July 1st works for  
5 me.

6 MR. KATZ: July 1?

7 CHAIRMAN CLAWSON: That's a Monday,  
8 Paul.

9 MEMBER ZIEMER: That's okay for me.

10 MR. KATZ: Okay. So let me just  
11 see what I have on my schedule. Yes. I have  
12 something I can move. I can do away with  
13 things in the way. So July 1st a.m., you're  
14 saying is better?

15 CHAIRMAN CLAWSON: A.m., in the  
16 morning would be better.

17 MR. KATZ: So you're out West.  
18 What time your time is --

19 CHAIRMAN CLAWSON: Whatever time  
20 you guys -- I'm used to getting up at 5:00 to  
21 go to work. So --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Okay. 128

2 CHAIRMAN CLAWSON: -- we're good on  
3 that.

4 MR. KATZ: Nine a.m.? Does that  
5 work for everybody? Nine a.m. Eastern time on  
6 July 1st?

7 CHAIRMAN CLAWSON: Let's do it.

8 MEMBER ZIEMER: Okay.

9 MR. KATZ: Okay. Nine a.m. July 1  
10 teleconference. And it probably won't last  
11 that long unless we have a lot of other  
12 issues. Okay.

13 CHAIRMAN CLAWSON: I want to be  
14 clear on this. Are we looking at the '64 to  
15 '67 data or actually more --

16 MR. STIVER: This is the '64 to '67  
17 data for the pilot plant. This is pretty  
18 focused.

19 CHAIRMAN CLAWSON: Okay. Good.

20 MR. KATZ: Paul?

21 MEMBER ZIEMER: The question raised

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 about what a Stokes furnace was, did somebody  
2 answer that?

3 MR. KATZ: Well, I just looked up  
4 Stokes and saw that he had invented an air  
5 pollution device that works pretty well for  
6 furnaces. That was one of the things.

7 MEMBER ZIEMER: The Stokes  
8 Corporation came up with a furnace for  
9 plutonium and uranium melting. It was a  
10 vacuum furnace.

11 MR. STIVER: Induction furnace.

12 MEMBER ZIEMER: Yes. And that was  
13 used, I think, for casting and vacuum melting  
14 and those kinds of things.

15 MR. STIVER: That's exactly what we  
16 --

17 MR. KATZ: So that is really  
18 helpful.

19 MR. STIVER: Yes.

20 MR. KATZ: That answers the  
21 question, then.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: That's consistent<sup>130</sup>  
2 with the job description this morning.

3 MR. KATZ: Yes.

4 MEMBER ZIEMER: Yes, I think it was  
5 initially proposed for plutonium work.

6 MS. JESSEN: Just so you know,  
7 there is a used vacuum furnace for sale on the  
8 internet that uses Stokes roughing pumps.

9 MEMBER ZIEMER: Okay.

10 (Laughter.)

11 (Simultaneous speaking.)

12 CHAIRMAN CLAWSON: Okay. So we  
13 will look more into that in detail, then.

14 MR. STIVER: Okay. So, again,  
15 we'll kind of keep this in abeyance until we  
16 can sort out the data issue.

17 The next aspect of the DWEs was  
18 this 1955 Plant 9 issue. And I had sent  
19 around a spreadsheet last night that I hope  
20 everybody got.

21 Let me see if I can pull this up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here. Okay. I am not used to working with<sup>131</sup>  
2 the 2010 version of Excel here.

3 MR. ROLFES: John, is this on the  
4 K: drive by chance?

5 MR. STIVER: No. This is something  
6 I had done years ago when I was working on the  
7 DWE problem called "Plant 9: 1955-1306-12A."  
8 I sent it to Stu last night.

9 Basically, this is my re-creation  
10 of the DWEs, which I did for basically all of  
11 the plants that we were tasked to look at  
12 based on the raw data that Mark had provided  
13 back in 2009. And the important thing to see,  
14 we talked about this last week in the  
15 technical call, that Mark had indicated that  
16 Bob Morris, who was the author of the model,  
17 thought that maybe this, which is the  
18 secondary welder's helper that had the highest  
19 DWE, 686 MAC, but there might have been some  
20 transcription errors. It just seemed like too  
21 high of a value for it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   So I went through all of the data<sup>132</sup>  
2                   for all of these workers in Plant 9. And it  
3                   turns out, like I said, the highest DWEs,  
4                   there are five of them. And the top four  
5                   ranged from 215, 233, 473, and 685 a pack.

6                   So there's quite a few jobs here  
7                   that all have very high values. And in every  
8                   case, they tend to be driven by one or two  
9                   very high breathing zone samples. Now, these  
10                  are probably obviously transients that are  
11                  captured, you know.

12                  Of course, with the measurements,  
13                  you wouldn't necessarily expect to sustain a  
14                  cloud of thorium at 900,000 dpm per cubic  
15                  meter for any length of time. But it looks to  
16                  me that the pattern here -- I mean, once  
17                  again, every one of these tasks that has the  
18                  real high DWE are the types of tasks you would  
19                  expect. And for all those four positions, the  
20                  highest are over 500,000 dpm per cubic meter.

21                  So I don't think what we're dealing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 with here is a situation where we have<sup>133</sup>  
2 transcription errors. It's just that you've  
3 got a very high concentration, a transient  
4 concentration, during a particular task.

5 Now, you ask yourself, is it  
6 reasonable to think that this worker is going  
7 to be breathing this stuff while he is in  
8 there? And the answer is no. You go to the  
9 HASL reports. For this particular one, it's  
10 Stefanec in 1955. And they actually say that  
11 for the high-dust operations, the respiratory  
12 potential is one.

13 Now, of course, the question is,  
14 what is high-dust operations? Is it ten  
15 percent? How do they define it? But the  
16 problem being is that we're kind of in a  
17 unique situation in using air data to provide  
18 intakes.

19 Here we have got a situation where  
20 we have real exposures. The dirtiest job in  
21 the entire Fernald plant is, in 1955, thorium

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 metal production. And these are the real DWEs  
134  
2 that were gathered there.

3 The wrinkle is that they didn't  
4 account for respiratory protection in doing  
5 these studies. So you have a few high samples  
6 in each one of these that you know the guy is  
7 probably wearing a respirator during that  
8 time. And if you don't consider that fact,  
9 you end up with a value. You take 686 MAC.  
10 And then you consider the specific activity of  
11 natural thorium is 2.2 times 10 to the minus  
12 seven curies per gram, I believe, which is  
13 very low specific activity. And that  
14 translates to about 100 milligrams per cubic  
15 meter for that value.

16 Now, that's just about the  
17 physiological tolerance limit that anybody can  
18 stand for any length of time. So do you then  
19 give everybody 100 milligrams per cubic meter,  
20 8 hours a day, for the entire year or does  
21 that just seem unreasonable?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   So, you know, NIOSH became -- <sup>the</sup> ~~135~~  
2                   thing you guys posted was an alternative way  
3                   of looking at the intake for that particular  
4                   year. And correct me if I'm wrong. Some of  
5                   the people on the phone are involved in this.

6                   But it looks like what you did is you took  
7                   all of the air-sampling data for 1955. You  
8                   fit it to a log-normal and did repeated  
9                   sampling to generate some theoretical  
10                  distribution of air concentration data, and  
11                  then took off the 95th percentile of that,  
12                  which was, I believe -- well, that was one  
13                  aspect. It was one way of doing it. You got  
14                  a value that's about 100 MAC, give or take.

15                  The other aspect was to look at --  
16                  I think it was like 785 actual samples. It  
17                  wasn't a complete set. And you just did a  
18                  normal, you know, a log-normal fit to that and  
19                  did the same. That came out to about 75 or 80  
20                  MAC.

21                  And so it's an alternate way. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind of concerns me because here we're<sup>136</sup>  
2 throwing out real -- if you go that route,  
3 you're throwing out real data because it seems  
4 too high.

5 Now, this is real data for real  
6 workers and real jobs. And it's got some  
7 limitations because it doesn't consider  
8 respiratory protection. We don't really worry  
9 about that when we're dealing with one or two  
10 MAC, but when you start getting up to the  
11 physiological tolerance limit, it starts  
12 making a bigger difference. Is it plausible  
13 that somebody could breathe that much?

14 And so I guess the question, the  
15 place I am at right now is that, you know,  
16 you've got an alternate approach where you can  
17 go through and model an intake based on a  
18 bootstrap approach, or you can take the real  
19 data and then possibly account for respiratory  
20 protections.

21 We did a couple of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com



1 back-of-the-envelope calculations using  
2 protection factors of 10 and 100. And, as you  
3 expect, you apply it to the highest MAC. It's  
4 that one task that's 900,000 dpm per cubic  
5 meter for 70 minutes that's driving the train.

6 And you apply a protection factor to that.  
7 You can knock it down to about 10 to 70  
8 depending on whether you use a protection  
9 factor of 10 or 100. I mean, you can do that.

10 You can figure out what is a reasonable value  
11 for the respirators that were used at the  
12 time.

13 And so you end up with a number  
14 that is pretty close to what the bootstrap  
15 analysis gave, but in our opinion, it seems to  
16 be more reasonable because you're using the  
17 real data. You're not throwing it out.

18 And so that is kind of where we are  
19 on that particular number. You know, I think  
20 I am speaking for the SC&A team. And I think  
21 686 MAC is not a reasonable value to give

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 somebody on a continuous basis. 138

2 MR. BARTON: And, John, when you  
3 talk about converting 686 MAC to the  
4 equivalent in milligrams of dust, that is the  
5 daily weight of exposure for that entire day.

6 If you actually look at that, just that  
7 75-minute activity where he's up around around  
8 600,000 dpm.

9 MR. STIVER: Oh, yes.

10 MR. BARTON: I mean, it's like even  
11 out of the realm of unreasonable. It's  
12 unrealistic.

13 MR. STIVER: Oh, yes. Yes.

14 MR. BARTON: You wouldn't be able  
15 to breathe. You would be choking on it.

16 MR. STIVER: And not only that, if  
17 you take 686 MAC and put a GSD of 5 on it, you  
18 are looking at one and a half grams per cubic  
19 meter. You know, he can't even sustain a  
20 cloud --

21 MR. BARTON: I think you put it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very succinctly, John. I think our main<sup>139</sup>  
2 concern here is not the number that is being  
3 proposed. I mean, it is right in the realm of  
4 the number if you apply respiratory  
5 protection. I think our main concern is how  
6 we are getting there and that if we are going  
7 to start throwing out these numbers because  
8 they are very high, to me, that is a very  
9 dangerous precedent to set and could be a  
10 Pandora's box. Whereas -- and I know it is  
11 policy not to ever really account for  
12 respiratory protection because you are not  
13 sure if they're wearing it, but I think in  
14 cases where it is physically impossible that  
15 he wasn't wearing it, then maybe that is a  
16 reasonable consideration to take when you are  
17 trying to arrive at a reasonable number to  
18 apply at a coworker model.

19 Like I said, our problem is not the  
20 number you came up with. Our problem is  
21 really just sort of the philosophy behind the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 process of -- we have these daily weighted<sup>140</sup>  
2 exposure reports, which are great tools to try  
3 to get an idea of the exposure potential these  
4 workers faced. And to kind of deconstruct  
5 them and start using the raw data I think sets  
6 a rather dangerous precedent.

7 MEMBER SCHOFIELD: I have a  
8 question. You're talking about you're going  
9 to assume that they're using some type of face  
10 mask. Do we know what kind it is?

11 MR. BARTON: No. Airline  
12 respirator is what they --

13 MEMBER SCHOFIELD: Just airline  
14 respirator?

15 MR. BARTON: -- actually talk about  
16 in the daily weighted exposure report. They  
17 specifically say these don't take into account  
18 the fact that workers wear respirators in  
19 high-dust environments. Again, we don't know  
20 what that high-dust environment is.

21 MEMBER SCHOFIELD: It's just one of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the little cardboard-type ones, one of the 141

2 (Simultaneous speaking.)

3 MEMBER SCHOFIELD: Yes, but I'm  
4 simply saying, really, when you start  
5 interjecting that, you need to really know  
6 what they're using because otherwise there are  
7 huge factor differences of personal protection  
8 equipment they could have had available to  
9 them.

10 MR. STIVER: I think you'd have to  
11 do some forensic research. You know, back in  
12 1955, for an airline respirator in this type  
13 of operation, what was the protection factor,  
14 what type of cartridges and so forth if they  
15 use cartridges -- they didn't use cartridges  
16 then -- but what kind of value would be  
17 reasonable?

18 So, you know, the question is, it  
19 would have to be implemented in a TIB of some  
20 kind, but it would take some work. It's  
21 something that, in theory, could be done. You

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 know, in practice, you know, first of all,  
2 what do you decide a high-dust value is? And  
3 what is the appropriate protection factor you  
4 would apply to it?

5 CHAIRMAN CLAWSON: So, John, if I  
6 am following you right on this -- and, Mark,  
7 correct me if I'm wrong on yours. What NIOSH  
8 did was took these high doses and it didn't  
9 use them and did a log-normal distribution.  
10 And what your issue is, is that you don't want  
11 to throw these out but to put the respiratory  
12 protection limit, use it. Is that what --

13 MR. STIVER: Yes. Both approaches,  
14 you get a number that is within the same range  
15 given the uncertainties we are dealing with.  
16 The difference is our number -- not our  
17 number; we don't necessarily own it -- but  
18 using the DWE as a starting point, you've got  
19 a more solid basis. You've got real  
20 measurements that are actually documented. We  
21 know that the highest, dirtiest tasks were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 done with respiratory protections. You know<sup>143</sup>  
2 they even tell you the type of respirator they  
3 used. Without that, it would be physically  
4 impossible to do the job, situation where it  
5 had to have happened.

6 So we are that point where, really,  
7 if you're going to consider the fact that  
8 these people were exposed, that this is the  
9 dirtiest job you could possibly do, and if you  
10 make all the claimant-favorable assumptions  
11 you normally would when you are dealing with  
12 doses and intakes that are down in the lower  
13 range, you wind up with a number that is just  
14 implausible to how you could possibly survive  
15 that.

16 So our approach is to say, okay,  
17 how are we going to take this data that we  
18 have and generate a reasonable intake knowing  
19 what we know? And applying respiratory  
20 factors to the real data we believe is  
21 probably preferable to throwing that data out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and generating a theoretical statistical<sup>144</sup>  
2 construct to replace it with.

3 CHAIRMAN CLAWSON: Mark, did I  
4 represent NIOSH's side of it? You guys are  
5 not using this data correctly -- or, correct?

6 MR. HINNEFELD: Well, I think why  
7 our most recent proposal is called the  
8 bootstrap analysis is that we don't have the  
9 entirety of the air samples because when you  
10 get a DWE report, it will say there were six  
11 samples taken, you have the min, max, and  
12 average. And so you don't have the entirety  
13 of it. And the bootstrap program is intended  
14 to -- well, let's assume that they're  
15 log-normally distributed. Knowing the min,  
16 max, and average, we can build -- we know what  
17 a log-normal distribution would look like.  
18 And we will populate that, essentially  
19 randomly generate numbers in there. That  
20 gives us then a complete data set and allows  
21 us to use, I think, the 95th percentile of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 complete data set, which was what Strom also  
2 kind of endorsed. And so that's the point.

3 Now, SC&A has expressed discomfort  
4 with that approach over an actual measured,  
5 collected set of data that were taken for the  
6 purposes of measuring exposure. I mean,  
7 that's what these were. These were exposure  
8 studies. And the technique was developed at  
9 HASL. I think, actually, Fernald did them  
10 themselves because I think these people that  
11 ran health and safety early on at Fernald came  
12 from HASL.

13 And so their position is you've got  
14 all of this good data, you've just got this  
15 problematic 1955 year. And there is other  
16 evidence that '55 was the worst exposure year  
17 for thorium. We've got a memo that I can put  
18 on -- I probably should have done it before  
19 today -- it was a memo between two people at  
20 HASL, one relating to his boss the  
21 conversation that he had had with his former

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 colleague who was the medical director <sup>at</sup> ~~146~~  
2 Fernald. And he said he essentially called  
3 him up and said he wanted to deal with the  
4 thorium exposures you had last year, last year  
5 being 1955. And he describes numbers as high  
6 as -- exposures as high as, 50,000 micrograms  
7 per cubic meter, with individual samples as  
8 high as half a gram per cubic meter.

9 And the description that he  
10 pretends that was given to him by the medical  
11 director was, "well, they were very high. We  
12 got this rush order from DOE. They were  
13 trying to make it. Since the numbers were so  
14 high, we told them they had to slow down the  
15 production rate. And we got the exposures  
16 down, the maximum exposures down to 15,000  
17 micrograms per cubic meter." And so there is  
18 some other kind of information.

19 Now, I think 50,000 micrograms  
20 relates to, what, 170-some MAC or something.  
21 So, you know, all of these things indicate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that somewhere in that neighborhood of 100, ~~to~~  
2 150 or 100, somewhere close to 100, is  
3 probably a decent number for the exposure for  
4 that year. You know, the DWE with respiratory  
5 protection, you can only count 10, a  
6 protection factor of 10, which is pretty low  
7 for an airline, but you can only do that.

8 All of these things kind of  
9 indicate that there is a number. It seems  
10 like there is a number that can be worked out.

11 The DWE is probably a sufficient method for  
12 doing this with some modification.

13 So I think on the face of it here,  
14 we have some discomfort from SC&A. I would  
15 guess maybe the Work Group would share that  
16 discomfort with the bootstrap program and  
17 would share the preference for the DWE, or the  
18 DWE with some consideration, because of that  
19 one implausible, that 686 number, which just  
20 doesn't seem realistic.

21 CHAIRMAN CLAWSON: Well, in my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at it, because I never want to put  
148  
2 NIOSH into a situation where -- a lot of times  
3 you don't take into consideration the  
4 respiratory part of this, and I don't want to  
5 put NIOSH into a situation where it creates  
6 problems for them in other areas. But, on the  
7 other hand, I'd like to be able to say that we  
8 used all of the data that we had and we used  
9 it.

10 MR. HINNEFELD: Well, if the  
11 preference is for actual measured data --

12 CHAIRMAN CLAWSON: Right.

13 MR. HINNEFELD: -- then I would say  
14 you share SC&A's discomfort with the bootstrap  
15 program, which essentially generates  
16 distributions with essentially a random number  
17 generator in the distribution. And you  
18 generate the results.

19 So then you would share SC&A's  
20 discomfort in that and prefer some utilization  
21 of measured data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Now, the letter between the ~~two~~<sup>149</sup>  
2 HASL employees is a sort of a -- he says  
3 50,000 micrograms per cubic meter. It's a  
4 throw-away. You know, it's sort of like it  
5 was as high as this, and they used a nice,  
6 round number. So I don't know that you want  
7 to attach a lot of precision to that for that  
8 number. But he was giving the ballpark of the  
9 kind of thing it would be.

10                   So, to me, it sounds like it's  
11 something that can be worked out in  
12 conversation to arrive at a number. It is  
13 going to be really high.

14                   And, in fact, the thorium exposures  
15 in general, if you go through these DWE  
16 numbers, I mean, I don't know that there is a  
17 year where the DWE is less than the MAC. Is  
18 there?

19                   MR. STIVER: There are some. The  
20 Plant 1 numbers are down.

21                   MR. HINNEFELD: Yes. But when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you're going to choose the highest one -- 150

2 MR. STIVER: Yes. Well, the  
3 highest one is --

4 MR. HINNEFELD: -- in any given  
5 year and then you're going to apply a GSD of  
6 5, I mean, these are going to be some high --

7 MR. STIVER: Some high intakes.  
8 You know, we understand that, but, like I  
9 said, you put it exactly as I would state it,  
10 Stu. That is really our concern, that we  
11 don't want to start getting away from the  
12 actual exposure measurements if we don't have  
13 to.

14 I've used the bootstrap technique  
15 before to verify or to kind of, you know, do a  
16 validation of distributions. You know, if we  
17 were to go through and get a good sample, you  
18 know, exactly the same thing that Tom LaBone  
19 and your guys did, it is a useful tool. I  
20 would feel discomfort -- that's a good way to  
21 put it -- in replacing the actual data with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           that kind of bootstrap -- 151

2                         MR. KATZ: Just another alternative  
3 way to think about it, is you could, though,  
4 flip that around, what you just said.  
5 Normally you used a bootstrap to validate  
6 whatever. You could turn it around and use  
7 that to validate. Since you are saying the  
8 numbers come out about the same, you could use  
9 that to provide reassurance in terms that the  
10 bootstrap's coming out at the right place, as  
11 opposed to replacing the bootstraps --

12                        MR. STIVER: You could take the  
13 inverse. I guess the problem there is you are  
14 losing the pedigree of the data by doing that.

15                        MR. KATZ: Well, yes.

16                        MS. LIN: It's validating.

17                        MR. KATZ: It's validating your  
18 model, basically, and you're using in its  
19 place.

20                        MR. STIVER: Yes.

21                        MR. KATZ: That is still relying on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data. It is not like it's being pulled out<sup>of</sup><sub>152</sub>  
2 the blue.

3 MR. STIVER: Yes, but instead of  
4 using the actual data, you're using the model,  
5 using the data to validate the model, which is  
6 kind of backwards.

7 MR. KATZ: So the issue there is  
8 just whether there are advantages to using the  
9 model over going the approach that you are  
10 talking about. I don't know whether there are  
11 or aren't, but whether developing your  
12 approach is --

13 MR. STIVER: The other aspect of it  
14 is if you're using the model, you're using the  
15 time weighting aspect, because essentially  
16 what they're generating is a whole series of  
17 unweighted air concentrations.

18 This is sort of the fallback  
19 position in Davis and Strom. If don't have  
20 DWEs, the high percentile of the unweighted  
21 air concentration distribution would be the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 next step. 153

2 CHAIRMAN CLAWSON: And I guess,  
3 from my standpoint, my issue is if we have the  
4 data, we should be using the data. But as you  
5 have already pointed out to me on some other  
6 occasions, you are going to have to use the  
7 respiratory or it's --

8 MR. STIVER: You would have to  
9 apply some respiratory factor to those high  
10 exposures. Otherwise, you would wind up with  
11 an air concentration that would not be  
12 physiologically --

13 MS. LIN: So there is actually  
14 information for NIOSH to develop a protection  
15 factor?

16 MR. STIVER: I think that kind of  
17 information is available in health physics.

18 MS. LIN: But you would basically  
19 be developing a model.

20 MR. STIVER: It wouldn't  
21 necessarily be a model. It would just be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at the airline respirators and the  
2 protection factors that they normally have.  
3 There is a lot of data out there for that kind  
4 of thing.

5 MS. LIN: Okay.

6 MR. STIVER: It wouldn't be a  
7 theoretical construct.

8 CHAIRMAN CLAWSON: Bob, when you  
9 guys used this data and used a protection  
10 factor, what did you use, a protection factor  
11 of ten?

12 MR. BARTON: We did two runs two  
13 runs. And, again, the 686 MAC job had one  
14 75-minute task that was really just --  
15 basically what we did is we said, all right,  
16 what if we take the data that went into the  
17 DWE and say for that one specific task, we're  
18 going to assume that he had some sort of  
19 respiratory protection, and we calculated for  
20 a factor of 10 and 100 and we came up with  
21 numbers that are in the same ballpark as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 bootstrap. And, actually, they were a little<sup>155</sup>  
2 bit lower.

3 And I'm perfectly fine with that  
4 because I honestly feel like making  
5 adjustments to daily weighted exposures like  
6 that, where it is just physiologically  
7 impossible that anybody could inhale that and  
8 not completely choke on it, I think that is  
9 one instance where it is realistic and okay to  
10 apply a protection factor because, I mean, we  
11 were just dealing with situations that you  
12 have to have it. I mean, you just can't have  
13 a worker in that environment breathing that in  
14 because she wouldn't be able to breathe.

15 MS. LIN: So these respiratory  
16 protection equipment that was used during this  
17 time period at Fernald is also used at other  
18 sites. Whether a worker was actually choking  
19 on the actual environment is --

20 MR. STIVER: Well, it would be used  
21 in any kind of high-dust environment --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. LIN: Right. 156

2 MR. STIVER: -- whether it be  
3 mining, manufacturing, wherever you are --

4 MS. LIN: Okay.

5 MR. STIVER: -- generating large  
6 quantities of dust.

7 MS. LIN: So for any other site, if  
8 something like this happens and we have  
9 evidence showing that there is actually  
10 respiratory protection equipment used, would  
11 SC&A be proposing the protection factors if  
12 the value isn't high but they just --

13 MR. STIVER: Well, I would say that  
14 it is a matter to be considered. I mean, up  
15 until now, this has never come up because we  
16 have never had real measurements that are that  
17 high.

18 MS. LIN: But you were --

19 MR. HINNEFELD: So, if I could  
20 offer something, Jenny. As a general rule, we  
21 don't provide credit for respiratory

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 protection because if you go back, really, 137  
2 even as recently as early in my career, sites  
3 would not have quantitative fit-test programs  
4 and the kind of training and proving proof of  
5 fit that you have today in order to claim the  
6 protection factors NIOSH recommends on various  
7 kinds of equipment today.

8 And so because those things, you  
9 know, those programs just -- you know, not  
10 only were they not documented. They probably  
11 didn't exist. We have not claimed that.

12 The special circumstance here,  
13 though, is that the measured data is  
14 essentially not breathable.

15 MS. LIN: Right. So then we still  
16 don't have validation and we need it to say  
17 that the respiratory equipment actually passes  
18 the test of what we were talking about.

19 MR. HINNEFELD: Yes. You are  
20 right. There is no --

21 MS. LIN: That would be --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MR. HINNEFELD:     The only reason<sup>158</sup>  
2                   that this is different from our other position  
3                   where people didn't have, you know, programs,  
4                   is that in this case, you just can't breathe  
5                   that concentration that was measured in this  
6                   DWE.

7                   MS. LIN:     Right.     But then we're  
8                   using the measurement to drive the respiratory  
9                   protection test and whether that will be  
10                  applicable.    So I am not entirely sure that  
11                  will be --

12                  MR. STIVER:    I see where you are  
13                  coming from.    You are saying, yes, if you are  
14                  going to apply it here, you should probably --

15                  MS. LIN:     Right.

16                  MR. STIVER:    -- apply it in all of  
17                  the others as well.

18                  MS. LIN:     Yes.

19                  MR. STIVER:    And then you don't  
20                  have a real --

21                  MR. KATZ:     You have to have --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: -- valid basis for  
2 using those values at that time.

3 MR. KATZ: What you have here that  
4 is distinct is the certainty that it was used;  
5 whereas, you don't in a lot of other  
6 circumstances.

7 MS. LIN: But how effective was it  
8 implemented?

9 MR. KATZ: So to get to that  
10 question, the one thing I am just wondering  
11 about is -- so it's airline, it's  
12 air-supplied, basically, respirator. And  
13 generally with air-supplied, you have less of  
14 a fit factor issue than you do with  
15 respirators where you were actually drawing  
16 the air through a filter, because the air is  
17 being, in effect, blown into your mouth.

18 So you have less of a fit issue  
19 with air-supplied respirators. The only thing  
20 I am just wondering about is we're talking  
21 about 1955, which is a long way back. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 predates NIOSH being involved -- 160

2 (Laughter.)

3 MR. KATZ: For example, NIOSH has  
4 done respirator research since the '70s, '71.  
5 And there is a lot known about the  
6 performance effectiveness of different types  
7 of respirators now. I just have no idea what  
8 that literature is like when you go back to  
9 '55. But the one thing you have, again, in  
10 favor is that this is air-supplied. It's not  
11 a filter, it's a respirator.

12 So there may be good enough  
13 evidence that you could be certain of a  
14 certain fit factor. I don't know. I just  
15 don't know. That is my question.

16 CHAIRMAN CLAWSON: You know, Jenny  
17 hit on exactly what I was trying to get to a  
18 little earlier when I was talking about NIOSH,  
19 because I hate to -- this is one situation  
20 where I am trying to use the actual data, but  
21 the actual data drives us to such a high point

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 that it is physically impossible. 161

2 You know, do we use the bootstrap  
3 method and do we use the actual data to verify  
4 it using a respiratory factor versus the  
5 other? Because we are going to be in other  
6 situations in other sites where the  
7 possibility is that NIOSH has to -- you know,  
8 they have held pretty firm on we can't take  
9 credit for this.

10 And this is what was creating  
11 somewhat of a conundrum for me, because I  
12 didn't want NIOSH to -- Jenny, I'm glad you  
13 brought that up. So I guess we've got two  
14 ways that we can look at this. We can use the  
15 actual data to verify NIOSH's model. Doing  
16 that, we have actually used it, but we are  
17 still in the situation where we are not using  
18 the respiratory protection --

19 MR. STIVER: You have a consistent  
20 application of policy.

21 CHAIRMAN CLAWSON: Right. That is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 my issue. And that is what I was trying to  
2 bring up to you in a way, Stu, without coming  
3 out and questioning it, because we are going  
4 to get into situations in other sites and  
5 stuff like that. We have through the whole  
6 process. And we can't take credit for some of  
7 the respiratory issues.

8 I guess this comes down to a  
9 judgment call on us of how to proceed forward  
10 with this. My question is, between the two,  
11 from the bootstrap to the SC&A's approach, how  
12 much of a difference are we looking at? I  
13 guess, Bob, that --

14 MR. BARTON: I don't have NIOSH's  
15 number in front of me, but I believe it is  
16 somewhere around 100 MAC or something like  
17 that.

18 MR. STIVER: Eighty-five and 130 or  
19 something.

20 MR. BARTON: Eighty-five? Yes.

21 MR. HINNEFELD: The bootstrap

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 document has two techniques. One is using <sup>163</sup>  
2 95th percentile, unweighted 95th percentile,  
3 of the sampling data. And the 95th percentile  
4 of that in 1955 was 135 MAC.

5 MR. STIVER: Yes. That's the  
6 number that --

7 MR. HINNEFELD: The bootstrap area  
8 result, which was done only for 1955 in that  
9 paper, the 95th percentile is 81 MAC.

10 MR. BARTON: And the  
11 back-of-the-envelope calculation for that one,  
12 686 MAC, brought us in a little bit lower than  
13 that. To get a protection factor of 10, it  
14 was somewhere in the 70s. A protection factor  
15 of 100 would bring it down to the teens.

16 And I think this idea is that --  
17 it's kind of like we could be setting a bad  
18 precedent both ways. In one way, we could  
19 open the door to applying respirator  
20 protection. In the other way, we open the  
21 door to throwing out data because we feel it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is too high. 164

2 I think the one facet that is most  
3 important about this particular situation is  
4 that we -- the data shows us that there is  
5 going to be too much dust to breathe in. I  
6 mean, it's not an issue of, well, they weren't  
7 wearing the respirator or anything like this.

8 They had to be because otherwise they  
9 wouldn't be able to breathe in that  
10 environment. And I think that is the  
11 important point.

12 So if we are going to talk about  
13 policy and how this might apply to other  
14 sites, I think that if you encountered  
15 situations where, again, we're seeing, you  
16 know, 600,000 dpm. And it's just an  
17 intolerable dust loading. Then maybe it would  
18 be reasonable to take a similar approach and  
19 adjust those daily weighted exposures, because  
20 I think, honestly, I think it is a more  
21 realistic and scientifically defensible way to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 reconstruct doses because the daily weighted<sup>165</sup>  
2 exposure reports are individual workers and  
3 defining their exposure potential; whereas,  
4 you know, the bootstraps were kind of just  
5 reconstructing and taking all of the raw  
6 measurements and doing some sampling and then  
7 note the 95th percentile.

8 And while they come up with  
9 reasonably similar numbers in the same  
10 ballpark, I am more comfortable with the  
11 respirator approach, even though it actually  
12 will give you a lower number than the  
13 bootstrap did, because I think it has a better  
14 base in the actual science.

15 MR. STIVER: And the question, of  
16 course, was how is that going to be applied in  
17 the --

18 MR. BARTON: It will be a very  
19 tricky implementation. I agree.

20 MR. STIVER: Okay. We know the  
21 respirators were being used, but given the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fact that we still don't feel comfortable that  
2 we can really get a handle on what the  
3 protection factors might have been, we,  
4 nonetheless, need to invoke that with more of  
5 a claimant-favorable allowance for potential  
6 for higher exposure. So where do you draw the  
7 line on it? I guess that's maybe the policy  
8 aspect of it.

9 MR. KATZ: Can I just check in with  
10 -- I know Paul has to go before noon. Paul,  
11 are you still with us?

12 MEMBER ZIEMER: I am still on the  
13 line. I am en route to another location.  
14 But, anyway, yes, I think NIOSH has to tell us  
15 what they would do specifically in this case.

16 Obviously, we use the real numbers, but if  
17 the result is implausible, which it would be  
18 in this case, then you have to do something  
19 about that. So I guess we need sort of a  
20 specific proposal. I think the point that was  
21 raised by John is a good one. And you need to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 specify how to handle these kinds of  
2 situations.

3 MS. LIN: Except but, to clarify,  
4 that bootstrap approach that is used  
5 specifically for Fernald in 1955, it is still  
6 based on the site-specific information.

7 MR. ROLFES: Yes, correct.

8 MS. LIN: And that comes off  
9 bootstrap.

10 MR. ROLFES: Yes. We basically  
11 just filled in some missing samples,  
12 essentially what we did to re-create a  
13 distribution of the air samples if it is still  
14 the real data that --

15 MS. LIN: Right.

16 MR. STIVER: But the thing is you  
17 have high, low, and average, though.

18 MS. LIN: Yes. So both the  
19 bootstrap and the proposal that SC&A is  
20 suggesting, those are used in the industry.  
21 They're not like just something you pulled out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of thin air. So Strom used the bootstrap as a  
2 fall-back, right? And the DWE approach used  
3 by you guys is also scientifically valid. And  
4 both approaches come out with a sufficient,  
5 accurate dose reconstruction value.

6 MR. STIVER: Well, that is a  
7 judgment call as to what is sufficiently  
8 accurate. The problem I have with the  
9 bootstrap is you are taking -- you don't have  
10 the real source data. You are inferring what  
11 it would have been given the assumption that  
12 it's a tight distribution. And so it is one  
13 step removed from the actual data that was  
14 generated presumably for a worker in a  
15 particular job on a particular day.

16 MS. LIN: Right. And validated by  
17 the real data.

18 MR. KATZ: So is this something  
19 that needs to be settled before the -- I mean,  
20 is this a TBD issue ultimately or does this  
21 need to be --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 MR. STIVER: It is almost like <sup>169</sup>  
2 we're kind of getting into an over-arching  
3 issue in some ways. You know, the whole idea  
4 of respiratory protection --

5 CHAIRMAN CLAWSON: When we first  
6 got into this, Ted, it was looking somewhat as  
7 an SEC issue, but the more that I have looked  
8 into it, we have been able to be able to put  
9 it together. So my personal opinion is this is  
10 coming closer to a TBD issue.

11 MR. KATZ: Yes, so my only question  
12 is whether if this needs to be an agenda item  
13 for the teleconference or, really, this is  
14 just something that has more time to be worked  
15 out. Does it need more time to be worked out  
16 than when we have the teleconference? Because  
17 it seems like you have talked it out already  
18 as far as it can be talked out here at this  
19 point.

20 MR. HINNEFELD: Are we looking for  
21 what Paul suggested, that in light of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion today, for us to come back and  
2 propose what we believe would be --

3 MR. KATZ: That's a --

4 MR. HINNEFELD: -- a good approach?

5 Okay. It will take us some time because we  
6 have several people on the phone listening who  
7 are smarter than I am. So we will need to  
8 have some conversations on our side about why  
9 do we think -- you know, what is our approach  
10 and why do we think it is the best approach,  
11 having the benefit of the discussion today.

12 And so it will take us a little  
13 while to develop. It may take us more than  
14 one discussion.

15 MR. KATZ: So we have a July 1  
16 teleconference. Do you think that is  
17 something that you are likely to get settled  
18 before --

19 MR. HINNEFELD: You know, it is  
20 hard for me to predict.

21 MR. KATZ: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MR. HINNEFELD: We need to get the ~~171~~  
2 other item done for July 1st. From this, it  
3 is a little hard for me to predict because,  
4 frankly, our contractors' availability is  
5 different than it used to be because of the  
6 money situation.

7                   MR. STIVER: Yes. My personal deal  
8 on this is that this is not something we  
9 necessarily have to resolve before the Board  
10 meeting.

11                  MR. KATZ: Okay.

12                  MR. STIVER: It is an  
13 implementation issue.

14                  MR. KATZ: Okay. So then at the  
15 Board meeting, you can update them on the  
16 situation and let them know that this is  
17 something that the Work Group will continue  
18 on?

19                  MR. STIVER: Right.

20                  CHAIRMAN CLAWSON: Correct.

21                  MR. KATZ: Right?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Yes. 172

2 MR. KATZ: Does that sound like a  
3 good resolution there?

4 CHAIRMAN CLAWSON: Yes, because I  
5 think after we have gotten into this a little  
6 bit deeper, I don't see it as an SEC issue  
7 because both demonstrated that, yes, we can do  
8 it. It's just what is the best process to be  
9 able to do it, because I say this in all  
10 sincerity, Stu, when I say that I don't want  
11 to push NIOSH into a situation that, well, you  
12 did it here, so you need to be able to do it  
13 here," but we were in a situation. Throw out  
14 the data or whatever.

15 So I don't think that we need to be  
16 able to do that. They could give us an update  
17 if they had something come up at the  
18 teleconference, but myself I think this falls  
19 more into the TBD issue.

20 MR. KATZ: Okay.

21 MR. HINNEFELD: Just to make sure,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 686 MAC is a little shy of what, 50,000 ~~cpm~~  
173  
2 per cubic meter?

3 MR. STIVER: It basically  
4 translates --

5 MR. HINNEFELD: Seventy? It would  
6 be 70 times 686, right? So 70 times 700 is  
7 49,000, right, or did I slip a decimal?

8 MR. STIVER: I was looking at it in  
9 terms of dose loading, about 100 milligrams  
10 per cubic meter.

11 MR. HINNEFELD: So that's about 100  
12 milligrams per cubic meter. And how does that  
13 fit in to what is tolerable?

14 MR. STIVER: There are a couple of  
15 papers we looked at. Actually, when we did  
16 Chapman Valve, this guy, Wes Van Pelt, who is  
17 an expert in this area, indicated that --  
18 well, he actually did a couple of different  
19 studies. One was what's respiratory --  
20 whether it was respirable in terms of  
21 tolerance and also what kind of air

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 concentration could be sustained just from the <sup>174</sup>  
2 physics of cloud formation, of particle  
3 respiratory size. And that aspect, I think it  
4 was a paper by a fellow by the name of Craig  
5 in the '70s, who does tell you that he  
6 indicated that the highest concentration that  
7 could be sustained was about 500 milligrams  
8 per cubic meter. And so we are about a factor  
9 of five lower than that.

10 But there are a couple of other  
11 studies. I know there is a paper by Stewart  
12 that John Mauro found in reviewing some of the  
13 work for TBD-6000 that indicated about 100  
14 milligrams per cubic meter is about the upper  
15 limit of physiologic tolerance. We have a  
16 couple of different references converging on  
17 that number. It felt pretty solid. That  
18 number is probably about where we would be  
19 drawing the lines to what you couldn't really  
20 expect anybody to be able to tolerate it for  
21 any length of time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. HINNEFELD: Okay. And 100,000<sup>175</sup>  
2 micrograms per cubic meter?

3 MR. STIVER: Depending on the  
4 specific activity.

5 MR. HINNEFELD: And then the 686  
6 translates into what?

7 MR. STIVER: Six eighty-six  
8 translates into, I think it was, 98 milligrams  
9 per cubic meter. So roughly --

10 MR. HINNEFELD: Right around the  
11 same --

12 MR. STIVER: Roughly around 100.

13 MR. HINNEFELD: Well, what about --  
14 just before we break for lunch, something else  
15 to think about.

16 MR. STIVER: This is without  
17 respiratory protection.

18 MR. HINNEFELD: Yes. What about if  
19 we use the DWE value as constant?

20 MR. STIVER: That was the other  
21 thing I was thinking was a possibility, would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be --

176

2 MR. HINNEFELD: As opposed to  
3 planning a GSD of 5.

4 MR. STIVER: Yes. Well, it could  
5 be used as a constant, but then you still have  
6 the issue, is it really feasible for somebody  
7 to be breathing at that tolerance limit on a  
8 daily basis for a period of --

9 MR. HINNEFELD: Yes. They would be  
10 doing that all year long --

11 MR. STIVER: Every day and all year  
12 long.

13 MR. HINNEFELD: -- the same. Yes.  
14 I see.

15 CHAIRMAN CLAWSON: Well, let me  
16 see.

17 MS. LIN: One last question,  
18 though. Bob?

19 MR. BARTON: Yes.

20 MS. LIN: Okay. So you were  
21 talking about respiratory protection factors

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 that could be applied to the value. So you ~~177~~  
2 said it was a factor of 5 and 10 and 100?

3 MR. BARTON: I did 10 and 100 as  
4 sort of a sample conduit.

5 MR. STIVER: This was kind of a  
6 scoping calculation. Those aren't real values.

7 MS. LIN: Oh, okay.

8 MR. BARTON: It wouldn't actually  
9 effect --

10 MS. LIN: But how would you then  
11 take a factor?

12 MR. STIVER: There are studies  
13 NIOSH has done in recent times --

14 MS. LIN: Okay.

15 MR. STIVER: -- that actually look  
16 at concentrations, you know, outside air  
17 versus, you know, the inside of a respirator  
18 and picking different types of configurations.

19 MR. KATZ: There is lots of  
20 research in that area since the '70s, but I  
21 just --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: You are trying <sup>to</sup> ~~178~~  
2 back-extrapolate, saying, what we have now has  
3 always been what they were --

4 MR. KATZ: But the technology  
5 probably in the early '70s versus the mid-'50s  
6 is probably not that different. I don't know.

7 MR. STIVER: Eighteen years is  
8 probably not all that --

9 MR. KATZ: For that because that  
10 industry doesn't evolve that quickly, I  
11 noticed.

12 (Laughter.)

13 MR. STIVER: There have been a lot  
14 of redevelopments in respirator technology.

15 CHAIRMAN CLAWSON: Well, but I have  
16 to fall back on my personal thing. Jenny,  
17 when we go into a certain area, depending on  
18 what the DAC is in there, they tell us what  
19 type of respiratory that we use. All of our  
20 stuff was qualified. And part of what I have  
21 heard from these earlier years, they used to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 just leave the airline respirators hanging<sup>179</sup>  
2 the wall. And this is why I am in such an  
3 issue of not using it or using it, because the  
4 processes that we use now are much different.

5 I mean, I have heard people talking  
6 about blowing the dust out of the mask before  
7 they can put them back on. And I am sitting  
8 there, "holy cow."

9 MR. HINNEFELD: Early in my career,  
10 respirators were reused. Absolutely.

11 CHAIRMAN CLAWSON: And reused.

12 MR. HINNEFELD: They were reused  
13 early in my career.

14 CHAIRMAN CLAWSON: Yes. And I'll  
15 be honest. This is where we're into a  
16 situation here. But my number one concern is,  
17 number one, that we give the claimant the  
18 benefit of the doubt, but then also, if we  
19 have the data, that we actually use the data  
20 when the data is actually telling us it is  
21 almost physically impossible.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MEMBER ZIEMER: Well, we are ~~not~~  
180  
2 going to solve that today, are we? So --

3                   MR. STIVER: I think NIOSH can come  
4 back with some proposals on this.

5                   MEMBER ZIEMER: Yes. Brad?

6                   CHAIRMAN CLAWSON: Yes?

7                   MEMBER ZIEMER: Brad? This is  
8 Ziemer. I have to sign off. So hopefully  
9 I'll be back later in the day.

10                  CHAIRMAN CLAWSON: Okay. I  
11 appreciate your input, Paul.

12                  MEMBER ZIEMER: Thank you.

13                  CHAIRMAN CLAWSON: Good luck.

14                  MR. KATZ: Thanks, Paul.

15                  MR. ROLFES: This is Mark. I was  
16 just going to offer that individual dose  
17 reconstruction, sometimes for the -- you know,  
18 not for any particular site, but when we  
19 interpret bioassay data; for example,  
20 plutonium bioassay data, the further away from  
21 intake date that a bioassay sample is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 collected you are going to start getting<sup>18F</sup>  
2 higher and higher intake if you have an  
3 exposure. And then the further away the  
4 bioassay sample is collected, the larger the  
5 intake is going to be.

6 And then when we make assumptions  
7 about the type of plutonium, for example, that  
8 a person is exposed to, and basically knowing  
9 about the biokinetics of plutonium, if you  
10 assume that it is Type S material, these are  
11 some of the assumptions that we make in a dose  
12 reconstruction that if you would look at the  
13 actual air concentration of plutonium that the  
14 person had to have been exposed to, you can  
15 get some very high numbers in a similar  
16 situation. The only difference is what we're  
17 talking about is a low specific activity  
18 material.

19 So the mass of the material in the  
20 air is what sort of sets this apart from other  
21 approaches that we use in dose reconstruction.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 It's something that we handle in dose  
182  
2 reconstructions. And it's almost a  
3 routine-type thing. You can get some pretty  
4 high air concentrations when you interpret and  
5 make claimant-favorable assumptions about a  
6 bioassay sample.

7 MR. HINNEFELD: You wouldn't  
8 encounter this kind of airborne limit. You  
9 know, the air just can't hold that much to  
10 where people can't tolerate with a lower  
11 specific activity, short of half-life  
12 material.

13 MR. STIVER: Mark's point is  
14 well-taken. I mean, you make a lot of  
15 claimant-favorable assumptions that are  
16 probably not realistic. But, yet, it doesn't  
17 result in a situation where it's clearly, you  
18 know, it's not possible.

19 MR. HINNEFELD: Physically  
20 impossible, yes.

21 CHAIRMAN CLAWSON: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: Should we take a break<sup>183</sup>  
2 for lunch?

3 CHAIRMAN CLAWSON: Yes.

4 MR. KATZ: And rejoin about 1:00  
5 o'clock? It's almost noon right now.

6 CHAIRMAN CLAWSON: Sounds good.

7 MR. KATZ: Okay. Thank you,  
8 everyone on the line. And we'll start back up  
9 again at 1:00 p.m.

10 (Whereupon, the above-entitled  
11 matter went off the record at 11:55 a.m. and  
12 resumed at 1:03 p.m.)

13

14

15

16

17

18

19

20

21

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Fernald Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Fernald Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1  
2  
3  
4  
5  
6  
7  
8





1 agreement with DCAS that it is possible to  
2 bound the doses for the intakes of thorium  
3 based on the in vivo accounts that are  
4 reported in units of nanocuries, actinium-228  
5 and lead-212. And there is also the  
6 claimant-favorable assumption of triple  
7 separation for the thorium, which then results  
8 in a disequilibrium factor of about five for  
9 lead-212 in relation to thorium-232. We agree  
10 that that is a claimant-favorable approach.

11 One thing that was kind of  
12 outstanding, though, is that a lot of the  
13 results, the positive results, kind of  
14 indicate the higher levels of actinium-228  
15 than would be expected. And oftentimes or  
16 maybe not oftentimes because there are not  
17 that many positive results to begin with, but  
18 there are several instances where there is a  
19 positive actinium measurement and there's a  
20 sub-MDA lead-212 measurement.

21 Then the question becomes, okay,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 what do you do in a situation where you have a  
2 positive actinium and no lead-212? And how do  
3 you ever get back to thorium from a situation  
4 like this?

5 And I have put together a response  
6 in action item 7, where they looked at the  
7 data. If I did this wrong, you guys be sure  
8 to correct me. It looked at all the different  
9 data and used a report 44 technique to  
10 characterize the background distribution as a  
11 normal distribution centered around zero and  
12 then a log-normal fit to the values greater  
13 than the MDA, which would then allow you to  
14 separate out the sub-MDA data, then reboot the  
15 noise and really look at the positive data.  
16 And in a situation where there was an offset  
17 of a mean from the zero -- they were pretty  
18 small offsets, as I recall, like about a tenth  
19 of the MDA value in most cases. And there  
20 would just be a correction that currently is  
21 the bias in the data. There might be bias one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 way or the other because of the -- you know, <sup>188</sup>  
2 in theory at least, the background  
3 distribution should be centered around zero  
4 that's a true noise.

5 And so they went ahead and they  
6 adjusted the actinium and lead data and, based  
7 on the 95th percentile, I believe, of the  
8 background distribution, came up with kind of  
9 an average detection limit, about .12  
10 nanocuries. And using a kind of a rule of  
11 thumb of twice that for the MDA of 1.96, you  
12 are looking at about .24 nanocuries for  
13 detection limit. And this comports well with  
14 the actual measurements that were generated  
15 for the in vivo system.

16 So we found that at least it seems  
17 to be -- as far as looking at the actual data  
18 generated from the system, you should be able  
19 to re-create. The detection limit and the  
20 background and all seem to be correct using  
21 two different approaches, the actual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 calibrations. You are using the actual data<sup>189</sup>  
2 to get back to that.

3           However, there are a few situations  
4 where there is a high actinium value. I think  
5 the highest is like 18 times the lead value.  
6 And, rather than try to use that actinium and  
7 assume some level of disequilibrium to get  
8 back to thorium, you guys have kind of invoked  
9 the possibility of unsupported radium-226 as  
10 the cause for these high values.

11           And so I guess we were kind of  
12 curious about that because it sort of opens up  
13 an awful realm of radium exposure and  
14 raffinate exposure for the thorium. Granted,  
15 there are very few of these values, but I  
16 haven't really looked at the source data in  
17 detail. But it seems to me if you have a  
18 ratio of 18, that would be indicative of maybe  
19 a contaminated sample or a bad sample that  
20 maybe really isn't indicative of workplace  
21 exposure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   You know, you also use the triple  
2                   separation to get a kind of upper bound on  
3                   what the ratio of actinium to lead would be.  
4                   I think it was about 1.5 or so. So you sort  
5                   of use that as a cutoff. And above that would  
6                   be presumed to be a radium exposure.

7                   I know Joyce had had some questions  
8                   about that. She is probably closer to this  
9                   than I am. I am kind of giving the  
10                  broad-brush stroke overview. But, Joyce, are  
11                  there some particular issues that you would  
12                  like --

13                  DR. LIPSZTEIN: Yes. I say it is  
14                  okay. Maybe there is a radium source, but  
15                  there are other scenarios that are also  
16                  possible. I would say that things like that  
17                  are complicated. And maybe there are other  
18                  scenarios that are bounding and that could  
19                  explain the actinium being higher than the lab  
20                  activity.

21                  We know all NIOSH papers and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 TBD on internal doses assumes that the  
2 production years went up to 1979. And the  
3 reason for the positive results after '79 was  
4 that workers were assigned to some maintenance  
5 duty for thorium or repackaging of thorium for  
6 shipping, et cetera.

7 What happens is that lead-212 is  
8 very sensitive to the number of separations  
9 that is assumed. So one bounding approach,  
10 the lead-212 result is to assume actually full  
11 separation. But that is just a --

12 MR. KATZ: Joyce, we just lost you.

13 DR. LIPSZTEIN: I'm sorry?

14 MR. KATZ: I'm sorry, Joyce. Just  
15 a moment ago, we lost you, whatever you were  
16 saying. You went quiet there.

17 DR. LIPSZTEIN: I'm saying, did you  
18 get up to '79 with the production years of  
19 thorium?

20 MR. HINNEFELD: Yes. We did get  
21 that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. STIVER: We got that. 192

2 DR. LIPSZTEIN: Okay. So after the  
3 production years, then we have measurements of  
4 lead and actinium. While lead-212 is very  
5 sensitive to the number of separations that  
6 the source had, immediately after the  
7 exposure, after the source is separated while  
8 actinium, it's not a fact that by the number  
9 of separations because it comes just after  
10 thorium-232 and radium, but it is very  
11 sensitive to the lag of time between  
12 measurement and separations.

13 So one other plausible scenario for  
14 actinium-228 results being higher than the  
15 lead-212 results is that the time between  
16 separation and thorium exposures or thorium  
17 measurements is long. So if you have more  
18 than a year after the separation, you find  
19 that actinium-228 might be higher than  
20 lead-212 depending, of course, on the number  
21 of separation. Let's assume the three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 separation like NIOSH assumed. 193

2 So I think it's not -- you know, I  
3 would not be surprised to have actinium-228  
4 measurements higher than lead-212 if the  
5 separations stopped in '79 because it will  
6 come -- like measurements would be one, two,  
7 three years after the separation. So the  
8 actinium-228 will rise. And you will end up  
9 having high activity of actinium-228 in the  
10 lungs.

11 So I think this discussion is not  
12 an SEC issue but is a TBD issue, while we have  
13 to take into account the value scenarios that  
14 actinium-228 would be higher than lead-212 and  
15 see which scenario is more bounding to  
16 interpret the data.

17 I think it's -- you know, I'm not  
18 saying that exposure to additional radium-228  
19 is not possible. Of course, it is. But then  
20 we would have to go into what source of  
21 radium-228, how much. And you can get the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 same results if you just know that ~~the~~<sup>194</sup>  
2 separations ended in '79, measurements were  
3 done after '79. So we would expect actinium  
4 to build.

5 MR. HINNEFELD: Okay. This is Stu.

6 So, Joyce, what you are proposing, then, is,  
7 rather than just make the blanket statement  
8 that if the actinium-228 is more than 1.5  
9 times the lead-212, then we consider this  
10 radium intake. That is what we are proposing.

11 What you are saying is, as an alternative,  
12 look at the date of the measurement compared  
13 to 1979, which would have been the last  
14 separation.

15 DR. LIPSZTEIN: Yes.

16 MR. HINNEFELD: And then, based on  
17 that, perhaps draw some -- you know, see what  
18 your expected actinium ratio would be. Okay.

19 I can --

20 DR. LIPSZTEIN: Exactly.

21 MR. HINNEFELD: I think I would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like to see sort of something in writing, to  
2 complete it because I am struggling with how  
3 we are not bounding by doing what we propose  
4 and it --

5 DR. LIPSZTEIN: No, no. You aren't  
6 bounding what you propose when you have  
7 lead-212, but then sometimes you don't have  
8 the lead-212 results. You just have actinium.

9 MR. HINNEFELD: Right.

10 DR. LIPSZTEIN: So if you consider  
11 the time after separation, you can use the  
12 actinium results also. So you have more data.

13 MR. HINNEFELD: Okay. So you would  
14 say use the actinium monitoring result to  
15 determine your thorium-232?

16 DR. LIPSZTEIN: Yes, yes, yes.

17 MR. HINNEFELD: Okay.

18 DR. LIPSZTEIN: Knowing that the  
19 separation ended in '79 and before '79.

20 MR. ROLFES: So are you proposing,  
21 then, instead of using like the MDA value for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lead-212 like we would sign the waste intake  
2 based upon the minimum detectable amount of  
3 lead-212, we should use the actinium-228 or  
4 whichever is more favorable? Is that --

5 DR. LIPSZTEIN: Yes, yes, whichever  
6 has positive results.

7 MR. HINNEFELD: So you feel like  
8 it's more desirable to use a detectable  
9 actinium-228 result with an estimate of the  
10 time since separation --

11 DR. LIPSZTEIN: Yes.

12 MR. HINNEFELD: -- to predict --

13 DR. LIPSZTEIN: Like, for example,  
14 with my monitoring work in Brazil, we in  
15 general use actinium-228 because lead-212 has  
16 a problem with what rate. So sometimes  
17 lead-212 is high because of radium.

18 MR. HINNEFELD: Yes.

19 DR. LIPSZTEIN: But we don't have  
20 the same with actinium. The problem with  
21 actinium is that it is very sensitive to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 time after separation. But once you know when <sup>197</sup>  
2 separation ended, then the actinium result is  
3 okay. And you have a lot of positive actinium  
4 results. So you have more data that are  
5 useable that you have positive results.

6 MR. HINNEFELD: Okay. I am going  
7 to ask the ORAU people on the phone if they  
8 see any particular issue with that approach.

9 MS. JESSEN: Tom, do you want to  
10 answer that?

11 MR. LaBONE: This is Tom. The one  
12 statement I would make is that in a universe  
13 where you can have triple separated thorium,  
14 you have to have radium-228 by itself. And so  
15 I understand. I don't know all the ins and  
16 outs about how this material is handled, but  
17 if you have these separations going on,  
18 somewhere in that facility, there has got to  
19 be radium-228 by itself because it has a long  
20 enough half-life.

21 The practical problem I see with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what Joyce is saying is that I don't know <sup>if</sup><sub>198</sub>  
2 separation ends in '78 or '79, and I have a  
3 chest count in '81. Do I assume there have  
4 been two years of in-growth from the  
5 thorium-232? Is that what you --

6 DR. LIPSZTEIN: Yes, Tom, because  
7 after a certain time, it is very sensitive  
8 until the first of the year. And then the  
9 actinium-228 becomes almost stable after this  
10 bypass, for example. So you can rely on the  
11 actinium-228 measurements. And then, you  
12 know, you don't have to make any hypothesis  
13 about some radium that you don't know how much  
14 radium it is. And then the actinium doesn't  
15 have -- you know, because you have to first  
16 see where actinium is very sensitive to the  
17 time after separation.

18 Then after one year, it becomes a  
19 little bit more stable. And what you see is  
20 really with the workers that have a lot of  
21 actinium-positive results. If you plot the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 actinium with the years, it is relatively<sup>199</sup>  
2 constant. So you will have positive results,  
3 and you will know how to interpret it. And  
4 you don't have to go into how much radium was  
5 there and how much was formed and how much the  
6 person was really exposed when he was in the  
7 packaging or when he was doing some  
8 maintenance duty.

9 MR. LaBONE: Okay. I think it  
10 would be good to look at what you are  
11 proposing and then see how that compares with  
12 this default separated thorium. I think a lot  
13 of it comes down to and how was this material  
14 being handled during the time frame of the  
15 late '70s and up to the '80s.

16 DR. LIPSZTEIN: Yes.

17 MR. LaBONE: You know, was there a  
18 possibility of free radium-228 and things like  
19 that? We can compare it and see which one  
20 looks more appropriate.

21 DR. LIPSZTEIN: Okay. Sounds good.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. HINNEFELD: This is Stu. <sup>Is</sup> 200  
2 that something we can do from this  
3 conversation or do we need some sort of  
4 product from Joyce or SC&A to proceed or do we  
5 know enough from this conversation to go ahead  
6 and do that comparison?

7 MR. LaBONE: Probably the fastest  
8 way is for me to go ahead and do it and then  
9 send it to Joyce and say, "Hey, is this what  
10 you're talking about?" because I think I know  
11 what she is talking about. And I can just go  
12 ahead and work it up.

13 MR. HINNEFELD: Excellent.  
14 Excellent.

15 MR. KATZ: Thank you, Joyce.

16 DR. LIPSZTEIN: Okay.

17 MR. STIVER: Thanks, Joyce.

18 CHAIRMAN CLAWSON: But I want to  
19 make sure that all of us understand that this  
20 is basically coming down to a TBD and --

21 MR. STIVER: Yes. It's a TBD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 CHAIRMAN CLAWSON: -- it's not, you  
2 know, both sides being able to prove we can  
3 bound this. So this stuff that we don't need  
4 before the --

5 MR. STIVER: Right.

6 CHAIRMAN CLAWSON: Okay. Well,  
7 that was --

8 MR. KATZ: Yes, wonderful.

9 MR. STIVER: That was really all we  
10 had on the table, were those three big issues.

11 MR. HINNEFELD: Nineteen  
12 fifty-three.

13 MR. STIVER: Yes.

14 MR. HINNEFELD: Nineteen  
15 fifty-three, thorium.

16 MR. STIVER: That's right. Yes.  
17 Thanks for reminding me. For the DWE model,  
18 there was a placeholder for '53. And I would  
19 note that it was to be determined sometime in  
20 June.

21 MR. HINNEFELD: Yes. Our thorium

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 timeline starts in 1954 because that's when <sup>202</sup>  
2 the bulk of the thorium work happened, but we  
3 did have some thorium air samples from 1953.  
4 And so you guys said, "Well, what do you do in  
5 about '53?"

6 So in going back and looking at the  
7 origin of those 1953 air samples, the ones  
8 that I have seen are from what was called the  
9 Experimental Machine Shop. And they were  
10 machining thorium, which had been -- thorium  
11 out of the lead had been made elsewhere. I  
12 think it was Simonds Saw and Steel, but one of  
13 the AWEs.

14 And so they received this thorium  
15 metal. And they were in the "Experimental  
16 Machine Shop" apparently figuring out how to  
17 machine this stuff that they were going to  
18 have to machine, kind of a pilot plant-type  
19 activity.

20 In fact, the Experimental Machine  
21 Shop was just kind of right there by the pilot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plant. That's where it existed, is building<sup>203</sup>  
2 3045. I knew it was building 3045. I didn't  
3 know it was Experimental Machine Shop when I  
4 was there.

5 So it appears that we do have some  
6 air-sampling data. It looks like since it was  
7 an Experimental Machine Shop, you know, they  
8 would do thorium sometimes and they would do  
9 uranium sometimes. And so there is going to  
10 be a limited amount of probably work that was  
11 done. And we do have some air-sampling data  
12 that we have compiled. We don't have a  
13 compilation that shows things like duration  
14 that would give you the amount of information  
15 you need to build a DWE kind of information.

16 But since we have to do something  
17 about '64 through '67 anyway, right, we have  
18 to do something about that anyway, I think the  
19 same kind of information about getting the  
20 total amount of air data we have down in some  
21 sort of spreadsheet or something where you can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 see all of the data we have with those data<sup>204</sup>  
2 points and coming up with a proposal for this  
3 is what we think might bound that work, again,  
4 this looks like it is probably sort of  
5 intermittent, like they would machine with  
6 thorium and for a while and then --

7 MR. STIVER: They're not involved  
8 in a production operation here. It's just a  
9 matter of you've got some air concentrations  
10 during machining activities. And so if we  
11 could go ahead and compile that data along  
12 with the '64 to '67 and we can all look at it  
13 at one time, it would --

14 MR. HINNEFELD: Yes. I think that  
15 is what we will have to do. We started a  
16 compilation. I want to make sure we get that.  
17 I think I would like a little more expanse  
18 because the compilation we have doesn't  
19 include like all of the information you would  
20 see on an air-sampling data sheet, which to me  
21 sometimes that is really informative.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. STIVER: Right. 205

2 MR. HINNEFELD: It tells you how  
3 long an operation ran and --

4 MR. STIVER: It sounds like you've  
5 got some SRDBs to go along with that.

6 MR. HINNEFELD: Yes. We found some  
7 SRDB air sample data, air sample data sheets  
8 from '53, in building 3045 while they were  
9 machining thorium and we found some while they  
10 were machining uranium as well.

11 MR. STIVER: We'll just roll that  
12 in as one task, I guess, go ahead and look at  
13 all of the thorium put together. So I guess  
14 at this point.

15 MR. BARTON: Actually, John, I have  
16 one more. And it kind of relates to both the  
17 DWE and this --

18 MR. STIVER: Okay.

19 MR. BARTON: -- in vivo thorium.  
20 And it kind of has to do with the  
21 implementation of the model. Basically what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 we have said is, you know, aside from ~~the~~<sup>206</sup>  
2 triple separation, such that, you know, if you  
3 are a thorium worker or it is suspected that  
4 you could have been handling thorium in the in  
5 vivo period, that you would be assigned in the  
6 95th percentile. And obviously with a DWE, if  
7 you are suspected of handling thorium, then  
8 you are going to get the highest DWE value  
9 with maybe some different ones in there for  
10 the pilot plant in the late '60s and whatnot.

11 I guess what I would feel to be  
12 beneficial to both of these is if we give a  
13 little bit more specific information as to who  
14 these are being applied to. Based on the  
15 write-ups for this meeting, it kind of appears  
16 that they will leave it up to the dose  
17 reconstructor.

18 And if the dose reconstructor feels  
19 they could have handled thorium and will be  
20 assigned the 95th percentile or, you know, the  
21 maximum MAC value -- I will use Simonds as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 sort of a precedent example. In that case, <sup>207</sup>  
2 the coworker model was actually delineated.  
3 And we're still kind of fleshing this out,  
4 but, I mean, basically where DCAS and SC&A  
5 agreed was that if you were a plant worker at  
6 Simonds, then you are going to get the 95th  
7 percentile where if you were just an office  
8 worker and you really had very limited  
9 exposure potential, then you would get the 50.

10 And it is my personal opinion that  
11 I think both coworker models in this case for  
12 thorium would benefit from that type of  
13 classification.

14 And I know we can't micromanage  
15 everything. We can't say every single job  
16 type will be fit into whichever bin, but I  
17 think some guidance should be put there if,  
18 for nothing else, transparency in what the  
19 policy of how you are going to assign sort of  
20 these different -- in the case of the in vivo  
21 thorium, in different strata, you know, 95th

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 for some; 50th for others, but some guidance<sup>208</sup>  
2 as to how that is going to work, whether that  
3 is the operators or the plant-wide workers or  
4 just some sort of further specific guidance as  
5 to how we're going to implement these models,  
6 which we basically mostly in principle agreed  
7 upon, but it's really kind of ambiguous as to  
8 how you are going to assign it and to who.

9 MR. HINNEFELD: And so this would  
10 then be Site Profile kinds of questions.

11 MR. BARTON: Yes, absolutely.

12 MR. HINNEFELD: Okay. For a little  
13 more specificity about how we'll apply --  
14 okay.

15 MR. BARTON: At some point you need  
16 some professional judgment by the dose  
17 reconstructor as to what to assign who, but, I  
18 mean, as of now, the entire decision is kind  
19 of left up in the air without any specific  
20 guidance. And whether that's -- like I said,  
21 you know, all plant workers are going to be in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 one bin and office workers in another or some  
2 other strata.

3 MR. HINNEFELD: Until we have the  
4 two models, the DWE, for lack of a better  
5 term, the thorium air data model, those years  
6 up through '67, and then from --

7 MR. BARTON: '79.

8 MR. HINNEFELD: -- '79 through '88,  
9 roughly, '89 for --

10 MR. BARTON: '89. '89 uses the '88  
11 data.

12 MR. HINNEFELD: Okay. For that  
13 period, then, the in vivo model.

14 MR. BARTON: Right, right.

15 MR. HINNEFELD: So we've got the  
16 two models.

17 MR. BARTON: Right, two models.

18 MR. HINNEFELD: What specific  
19 direction in terms of full distribution, 95th  
20 percentiles, you know, who gets what?

21 MR. BARTON: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MR. HINNEFELD:     Okay.     So that<sup>210</sup>  
2     would be a task for us for Site Profile.     We  
3     haven't worked that out anyway to give you  
4     those reconstructions.     So it's a task we've  
5     got to do anyway.

6                   MR. STIVER:     Yes.     It seems to me  
7     that some kind of a guidance as to these jobs  
8     would fall into this bin for the in vivo, 95th  
9     percentile and dose reconstruction, we would  
10    have to do the due diligence to determine what  
11    this person was doing and if they were that  
12    particular job, to have to go into that depth,  
13    but to have them make all the decisions as to  
14    whether they feel that this guy was exposed to  
15    -- in a certain level, I think is a little too  
16    much to put on the dose reconstruction.     You  
17    might wind up with some big inconsistencies.

18                  MR. HINNEFELD:     Yes.     Well, we  
19    generally try to provide a lot more  
20    instruction to the dose reconstructors so that  
21    we get -- you know, we want to do these things

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 consistently. And then there's a dose  
2 reconstructor. There's a peer reviewer and  
3 then an HQ reviewer on our side. There are a  
4 couple of layers of review. But the key is to  
5 get some guidance out there that can be  
6 interpreted consistently by various people --

7 MR. STIVER: Right.

8 MR. HINNEFELD: -- because that is  
9 what you are talking about.

10 MR. STIVER: The DWE model the way  
11 I understand it, they are buying off on  
12 basically a one-size-fits-all model. You get  
13 the number.

14 MR. HINNEFELD: Yes. You get the  
15 DWE --

16 MR. STIVER: Everybody in the --

17 MR. HINNEFELD: Everyone who is  
18 potentially exposed. I mean, there could be  
19 some -- yes. I don't know whether it was --  
20 there could be administrative people where  
21 you've got strong evidence to believe they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were never out in the process area. They ~~may~~<sup>212</sup>  
2 get some sort of environmental thing, but if  
3 you're going to be --

4 MR. STIVER: Right.

5 MR. HINNEFELD: -- someone who is  
6 potentially exposed, you get that thorium  
7 model.

8 MR. STIVER: Right. Yes. So that  
9 type of guidance is --

10 MR. BARTON: Yes. Some discussion  
11 along those lines to kind of buttress up these  
12 coworker models I think would be beneficial,  
13 but like it is a --

14 CHAIRMAN CLAWSON: Well, and also,  
15 Stu, especially being -- maybe this is my  
16 standpoint being on the Dose Reconstruction  
17 Work Group. We see these sometimes  
18 inadequacies. So we're just kind of figuring  
19 if we culled this out at the beginning so we  
20 had a better idea of how it was going to be  
21 out. We wouldn't be seeing these up here in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 any kind of dose reconstruction issues. 213

2 MR. HINNEFELD: Yes, sure.

3 MR. STIVER: Okay. So we are  
4 looking at a teleconference on the 1st.

5 MR. HINNEFELD: Right.

6 MR. STIVER: And for that, if DCAS  
7 could provide us with the spreadsheet data for  
8 the DWE years for which there is still some  
9 uncertainty?

10 MR. HINNEFELD: Right.

11 MR. STIVER: I guess we can  
12 postpone the Plant 9, 1955. We don't have to  
13 resolve that before the meeting. So I think  
14 we should be in pretty good shape for that.  
15 Tom LaBone is going to provide us with a  
16 discussion, kind of a comparison about the  
17 radium versus Joyce's approach for the in  
18 vivo. And so I think overall we are in pretty  
19 good shape, then, going into the next meeting.

20 MR. HINNEFELD: Now, are you going  
21 to do something about the start year for --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. STIVER: Yes. We are going<sup>to</sup><sub>14</sub>

2 take a look at the --

3 MR. HINNEFELD: -- sometime?

4 MR. STIVER: Yes, the bookend years  
5 on the early side, '53 to '54 --

6 MR. HINNEFELD: Okay.

7 MR. STIVER: -- to get an idea of  
8 where there really is exposure potential.

9 MR. HINNEFELD: Okay.

10 MR. KATZ: So we'll catch up  
11 everyone on that at the Work Group  
12 teleconference.

13 MR. STIVER: Right, right.

14 CHAIRMAN CLAWSON: So we are only  
15 addressing one issue that is an SEC issue.  
16 And that is, what, '63 to '67 time period or  
17 '64?

18 MR. STIVER: That is still up in  
19 the air regarding what they came up with as  
20 far as DWE data. Then we have the other SEC  
21 definition for the period of time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CLAWSON: Okay. 215

2 MR. STIVER: So we're going to be  
3 at the low end on that.

4 CHAIRMAN CLAWSON: And we're in  
5 agreement that the other ones are TBD issues  
6 and can be addressed --

7 MR. STIVER: Correct.

8 CHAIRMAN CLAWSON: -- at this time.

9 MS. LIN: I think it might be  
10 helpful to have like some or maybe even just  
11 one slide showing exactly what the Work Group  
12 is recommending to the Board, not just the SEC  
13 Class but what dose and what year could be  
14 constructed and that --

15 MR. STIVER: For what aspect are  
16 you talking about now?

17 MS. LIN: So your confirmation is  
18 not just focusing on the SEC --

19 MR. STIVER: Well, there are still  
20 outstanding TBD issues.

21 MS. LIN: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MR. STIVER:     Actually, there ~~are~~<sup>are</sup>  
2                   quite a few of them.

3                   MS. LIN:     But I think it would be  
4                   really good to list out the years and the  
5                   radionuclide that happened to determine. It  
6                   could be found what are some of the remaining  
7                   --

8                   MR. STIVER:   Okay. Yes. Sure.

9                   MR. HINNEFELD:   The most recent  
10                  discussions.

11                  MR. STIVER:   Yes, the most recent  
12                  discussions. Yes. I have some slides that I  
13                  can modify pretty quickly.

14                  MR. KATZ:    Yes. Just so that the  
15                  Board is up to date on what SEC issues are  
16                  closed out as no longer --

17                  MR. STIVER:   Okay.

18                  MR. KATZ:    -- being SEC issues as  
19                  well as --

20                  MR. STIVER:   We follow onto the  
21                  last presentation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 MR. KATZ: Right. 217

2 MR. STIVER: I did give an update.

3 Okay.

4 MR. KATZ: That would be great.

5 Right.

6 MR. HINNEFELD: Hang on a minute.

7 I'm trying to take notes here. Our

8 spreadsheets on the air data, '64 to '67 and

9 '53 and what we conclude from that as an

10 approach for that.

11 MR. STIVER: Okay.

12 MR. HINNEFELD: A comparison of the

13 in vivo unsupported thorium that we propose

14 versus what Joyce proposed. We owe you one

15 other thing, don't we?

16 MR. STIVER: Yes. What else have

17 we got?

18 MR. HINNEFELD: They're not.

19 MR. KATZ: I think there is one

20 other.

21 MR. ROLFES: We were talking about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether -- well, if we wanted to apply<sup>218</sup>  
2 protection factor for air --

3 MR. STIVER: Oh, yes. The  
4 protection factor, yes.

5 MR. HINNEFELD: Well, okay. Yes.

6 MR. ROLFES: Yes. There was an  
7 approach, the bootstrap versus protection  
8 factor.

9 MR. KATZ: Right, right. That was  
10 the third item.

11 MR. HINNEFELD: And we were going  
12 to give some specificity about how the models  
13 would be applied.

14 MR. STIVER: Yes.

15 MR. HINNEFELD: That's what I was  
16 thinking.

17 MR. ROLFES: Yes.

18 MR. KATZ: Oh, implementation,  
19 right. So, then, for the Fernald session, I  
20 think it's a question, not an assertion, but I  
21 think we are probably okay if John presents

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the update for all the Work Group's done. <sup>We</sup> 219  
2 don't really need a NIOSH presentation per se,  
3 just preparation --

4 MR. HINNEFELD: I endorse that  
5 wholeheartedly.

6 MR. KATZ: Yes.

7 (Laughter.)

8 MR. KATZ: I just wanted to make  
9 sure that --

10 MR. STIVER: I could do it together  
11 as a team, Stu.

12 MR. HINNEFELD: No. I suffer from  
13 overexposure to these meetings already.

14 MR. STIVER: I know. I told --

15 MR. HINNEFELD: If you can --

16 MR. STIVER: The last time your  
17 voice was gone about halfway through.

18 MR. HINNEFELD: Okay. Don't make  
19 me. I really badly planned one of them. I  
20 had like three presentations at one of them.  
21 And I'm the boss. I shouldn't have to do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that.

220

2 (Laughter.)

3 MR. KATZ: Brad, do you want to do  
4 an introduction or just --

5 CHAIRMAN CLAWSON: Well, actually,  
6 what I was going to do was let John give a  
7 brief overview on that. And then I was going  
8 to just give a short presentation as to what  
9 the Work Group is presenting to the Board.

10 MR. KATZ: So I'll have John first.  
11 And then you will --

12 CHAIRMAN CLAWSON: Right.

13 MR. KATZ: -- be the clean-up  
14 batter.

15 CHAIRMAN CLAWSON: Right. So it  
16 will give them a background of where we are at  
17 and this is what the Work Group is bringing  
18 before them.

19 MR. KATZ: Great. So it sounds  
20 good.

21 MR. STIVER: That's good. Set the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stage. How much time do we have allocated for  
2 Fernald?

3 MR. KATZ: Quite a bit. I think I  
4 allocated an hour and a half.

5 MR. STIVER: We don't need quite  
6 that much.

7 MR. KATZ: You may not need all of  
8 that.

9 MR. STIVER: Yes. We can rejigger  
10 things.

11 MR. KATZ: But I know I did. So  
12 put it on the side of --

13 CHAIRMAN CLAWSON: But as far as  
14 this goes -- and I took a little of it for  
15 presumption from Paul when he said that he was  
16 endorsing on this construction.

17 MR. KATZ: Yes.

18 CHAIRMAN CLAWSON: Except for the  
19 earlier years, that I was going to have a vote  
20 with him gone. But he had already said he had  
21 supported that, that I was going to present it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the Board that this recommendation -- 222

2 MR. KATZ: It is, right. No.

3 That's why I asked Bill to speak on the  
4 record.

5 CHAIRMAN CLAWSON: Right, yes. And  
6 I just wanted to clarify that. And that's why  
7 I pushed Paul a little bit on that, was so  
8 that this can be -- because I knew he was  
9 going to be gone.

10 MR. KATZ: Right.

11 CHAIRMAN CLAWSON: So this will be  
12 a recommendation, the Board and --

13 MR. KATZ: Okay. The other thing,  
14 just to give some thought to John and Stu, is  
15 what sort of background materials would be  
16 useful for the Board in hearing these  
17 presentations for those Board Members that  
18 like to know a little deeper than what gets  
19 presented.

20 MR. HINNEFELD: So background  
21 information we would provide on the O: drive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 for them? 223

2 MR. KATZ: Yes, yes, meaning just  
3 White Papers, whatever, but I know there is  
4 way too much on Fernald in general. So just  
5 trying to limit it to a few that would inform  
6 them a little more on what they're going to  
7 hear.

8 MR. HINNEFELD: Yes. We've got  
9 plenty for Fernald. It was recently. I think  
10 Mark is still compliant on that.

11 MR. KATZ: I heard a little bit  
12 about that.

13 CHAIRMAN CLAWSON: Well, and we  
14 have tried for the last year and a half, if  
15 I'm not mistaken, Mark, to make sure that  
16 Board Members are up to date on the papers  
17 that we have processed and what they have got  
18 in there. So they should have most of them.  
19 It's just --

20 MR. KATZ: Yes. I mean, a lot of  
21 these messages just go to the Work Group on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Fernald, these White Papers. 224

2 CHAIRMAN CLAWSON: Right.

3 MR. KATZ: They generally just go.

4 And I will make those available, but if there  
5 are some in particular that are useful, that's  
6 what I want to know so I can point the Board  
7 Members to them.

8 MR. STIVER: Yes. I have a listing  
9 that I put out there in 2010. So I'll update  
10 that. It's kind of an overview.

11 MR. KATZ: Yes. That is going to  
12 get too extensive because that -- I don't want  
13 to throw 40 documents --

14 MR. STIVER: No. I mean, sort of  
15 like a guide, "These are the issues. These  
16 are the pertinent documents that relate to  
17 it."

18 MR. KATZ: Okay.

19 CHAIRMAN CLAWSON: But I would like  
20 to separate off the previous and what we have  
21 addressed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 MR. STIVER: Yes. We're trying<sup>to</sup><sub>225</sub>  
2 keep the focus directed on the most recent  
3 developments. I mean, otherwise we run the  
4 risk of --

5 MR. KATZ: Right.

6 MR. STIVER: You have seen in the  
7 past.

8 MR. HINNEFELD: Do you guys write  
9 to the O: drive? Do you save things on the O:  
10 drive?

11 MR. BARTON: Yes.

12 MR. STIVER: Oh, yes.

13 MR. HINNEFELD: Okay. So I think  
14 we could compile a folder on the O: drive for  
15 Fernald, just say "July 2013 Board meeting."  
16 And so it is easy for them to find.

17 MR. STIVER: Right.

18 MR. HINNEFELD: And they'll have to  
19 look in that --

20 MR. KATZ: The only issue is I need  
21 to send documents to folks because they don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all access the O: drive. So I need <sup>the</sup><sub>226</sub>  
2 PA-cleared versions of documents. So keep  
3 that in mind, but --

4 CHAIRMAN CLAWSON: And I also want  
5 to make sure that -- because Fernald -- let's  
6 be honest. There are a lot of papers out  
7 there. And this has been a long process to  
8 get to where we are at now. And I just wanted  
9 to make sure I didn't overwhelm especially  
10 some of the new Board Members with -- they  
11 have already had access to previous ones. I  
12 want to focus on why we're at where we're at.

13 MR. KATZ: Right. Good.

14 CHAIRMAN CLAWSON: Okay.

15 MR. KATZ: So it sounds like we're  
16 --

17 CHAIRMAN CLAWSON: It sounds like  
18 we can adjourn, without any other questions.  
19 There are no more questions out there.

20 MR. KATZ: So thank you, everybody.

21 This was very productive.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript of the Advisory Board on Radiation and Worker Health, Fernald Work Group, has been reviewed for concerns under the Privacy Act (5 U.S.C. § 552a) and personally identifiable information has been redacted as necessary. The transcript, however, has not been reviewed and certified by the Chair of the Fernald Work Group for accuracy at this time. The reader should be cautioned that this transcript is for information only and is subject to change.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15

CHAIRMAN CLAWSON: Okay. 227

MR. KATZ: Have a good rest of your day.

(Whereupon, the foregoing matter was concluded at 1:41 p.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701